

ajh

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE

64TH MEETING

VOLUME I

Thursday, September 16, 1999

8:00 a.m.

Bethesda Ramada Inn
8400 Wisconsin Avenue
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

PARTICIPANTS

Blaine F. Hollinger, M.D., Chairperson
Linda A. Smallwood, Ph.D., Executive Secretary

MEMBERS

John M. Boyle, Ph.D.
Corey S. Dubin
Richard J. Kagan, M.D.
Jeanne V. Linden, M.D.
Gail B. Macik, M.D.
Mark A. Mitchell, M.D.
Kenrad E. Nelson, M.D.
David F. Stroncek, M.D.
Joel I. Verter, Ph.D.

NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

NON-VOTING INDUSTRY REPRESENTATIVE

Donald H. Buchholz, M.D.

TEMPORARY VOTING MEMBERS

Paul R. McCurdy, M.D.
Mary E. Chamberland, M.D.
Michael G. Fitzpatrick, Ph.D.

C O N T E N T S

	<u>PAGE</u>
Conflict of Interest Statement: Linda Smallwood, Ph.D.	5
Welcome and Opening Remarks Blaine F. Hollinger, M.D.	8
Committee Updates	
Summary of PHS Advisory Committee Meeting: Stephen Nightingale, M.D.	8
Summary of Workshop on Donor Suitability: Blood Donor Deferral for History of Hepatitis: Robin Biswas, M.D.	15
Summary of Guidance Document on Revised Precautionary Measures to Reduce the Possible Risk of Transmission of CJD and nvCJD by Blood and Blood Products: Mary Elizabeth Jacobs, Ph.D.	23
Update on SENV Virus: Edward Tabor, M.D.	42
Update on Status of Blood Regulations: Martin Ruta, J.D., Ph.D.	47
HCV Lookback: Paul Mied, Ph.D.	51
Post-Donation Information Affecting Safety of Plasma Derivatives: Revised Algorithm: Edward Tabor, M.D.	81
IPPIA Presentation: Jason Bablak	88
I. Strategies for Increasing the Blood Supply	
Introduction and Background: Mary Gustafson	111
Open Public Hearing Susan Parkinson, ABC	132
Steven Kleinman, M.D., AABB	137
II. Nucleic Acid Testing of Blood Donors for Human Parvovirus B-19	
Introduction and Background: Thomas Lynch, Ph.D.	144

C O N T E N T S(Continued)

	<u>PAGE</u>
Presentation: Neal S. Young, M.D.	149
FDA Perspective and Questions for the Committee: Thomas Lynch, Ph.D.	166
Open Public Hearing	
David Kennedy, ARC	181
Steven H. Kleinman, M.D., AABB	186
Celso Bianco, M.D., ABC	191
Thomas Weimer, Centeon	193
Jason Bablak, IPPIA	197
Committee Discussion and Recommendations	199
III. Antigen/Antibody Testing for Malaria	
Introduction and Background: Chian Syin, Ph.D.	223
Presentation: Freddie Poole	231
Presentation: Robert A. Gasser, Jr., M.D.	238
Presentation: Phuc Nguyen-Dinh, M.D., MPH	251
Committee Discussion and Recommendations	263

P R O C E E D I N G S

Statement of Conflict of Interest

DR. SMALLWOOD: Good morning. Welcome to the 64th Meeting of the Blood Products Advisory Committee of the Food and Drug Administration.

I am Linda Smallwood, the Executive Secretary. At this time, I will read to you the conflict of interest statement that will apply to both days of this meeting.

This announcement is made a part of the record at this meeting of the Blood Products Advisory Committee on September 16th and 17th. Pursuant to the authority granted under the Committee Charter, the Director of the FDA Center for Biologics Evaluation and Research has appointed Drs. Paul McCurdy, Mary Chamberland, and Michael Fitzpatrick as temporary voting members for all committee discussions.

In addition, the Senior Associate Commissioner for the Food and Drug Administration has appointed the following participants as temporary voting members for the discussions on the reclassification of HIV drug sensitivity assays: Dr. Paul Edelstein, Dr. Roy Gulick, and Dr. Carmelita Tuazon.

Based on the agenda made available and on relevant data reported by participating members and guests, it has been determined that all financial interest in firms regulated by the Center for Biologics Evaluation and Research that may be affected by the committee discussions

1 have been considered.

2 In regard to FDA's invited guests, the agency has
3 determined that the services of these guests are essential.
4 There are reported interests which are being made public to
5 allow meeting participants to objectively evaluate any
6 presentation and/or comments made by the participants.

7 The interests are as follows. Dr. Richard
8 D'Aquila is involved with a contract supported by Visible
9 Genetics to perform HIV sequencing assays for subjects in a
10 Visible Genetic clinical trial. Dr. Robert Gasser is a
11 federal employee serving as the principal investigator for a
12 Department of Defense study to evaluate candidates in a
13 malaria diagnostic assay from firms that could be affected
14 by the discussions of antigen antibody testing for malaria.
15 Dr. Nguyen-Dinh is a CDC employee serving as a collaborator
16 on a CDC grant for the detection of malaria using a product
17 manufactured by firms that could be affected by the
18 discussions of antigen and antibody testing for malaria.
19 Dr. Neal Young, from the National Institutes of Health,
20 NHLBI, has received consulting fees from Biotech.

21 In the event that the discussion involves specific
22 products or firms not on the agenda, for which FDA's
23 participants have a financial interest, the participants are
24 aware of the need to exclude themselves from such
25 involvement and their exclusion will be noted for the public

1 record.

2 At this time I would like to take the opportunity
3 to introduce to you the members of the Blood Products
4 Advisory Committee. As your names are called, would you
5 please raise your hand.

6 Dr. Blaine Hollinger, Chairperson. Dr. Gail
7 Macik. Dr. Richard Kagan. Dr. Mary Chamberland. Dr. John
8 Boyle. Dr. Michael Fitzpatrick. Ms. Katherine Knowles.
9 Dr. Buchholz. Dr. Paul McCurdy. Dr. Stroncek. Dr. Verter.
10 Mr. Corey Dubin.

11 I would also like to acknowledge that some of our
12 advisory committee members will be completing their terms,
13 however, some of them will continue with us as consultants.
14 At this time, I would just like to thank all of you that
15 have served with us and hope that you will continue to
16 support us in this effort. Dr. Mark Mitchell just arrived,
17 as well.

18 On the table outside there was a list of pending
19 workshops that the Office of Biologics Research and Review
20 has proposed for this year 1999, and we hope that you would
21 pick up a copy of that and govern yourselves accordingly.
22 These workshops will be announced, and are announced, on the
23 FDA CBER web site. All of the committee members have
24 received a copy of the schedule of the workshops in their
25 packets.

1 May I also encourage everyone who is speaking to
2 please speak directly into the microphone, so that we may
3 record your comments, and also please state your name, so
4 that we will have that for the record, as well.

5 Some of you had expressed concerns about the
6 storm. As you can see, we are here this morning and unless
7 there is a drastic change we will proceed with this meeting
8 as scheduled.

9 If there are no further declarations to be made,
10 at this time I will turn over the meeting to our
11 chairperson, Dr. Blaine Hollinger.

12 **Welcome and Opening Remarks**

13 DR. HOLLINGER: Thank you, Dr. Linda.

14 We have a full day today, so I think we will get
15 started with the meeting because there are several,
16 particularly in the updates, I think which are really
17 important and with perhaps some potential questions or at
18 least some comments.

19 We are going to start off with the first committee
20 update. That will be Dr. Stephen Nightingale on a Summary
21 of the PHS Advisory Committee Meeting which was held very
22 recently.

23 **Summary of PHS Advisory Committee Meeting**

24 **Stephen Nightingale, M.D.**

25 DR. NIGHTINGALE: Thank you very much, Dr.

1 Hollinger.

2 Dr. Hollinger, Committee members, guests: The
3 Advisory Committee on Blood Safety and Availability met on
4 August 26th and 27th to consider four topics, the first of
5 which was government policy in response to the FDA guidance
6 regarding to deferral of donors who had resided or traveled
7 in Britain for more than six months between January 1980 and
8 December 1996.

9 The second was the current availability of plasma
10 derivatives. The third was the status of the hepatitis C
11 lookback effort, and a more general topic was the fourth
12 agenda item, which was how federally mandated blood safety
13 measures should be financed.

14 Regarding British donor deferrals, the first
15 agenda item, that policy, as you will recall, was announced
16 on June the 17th here at the BPAC, and Dr. Jacobs will be
17 discussing that policy in much more detail in the next few
18 minutes.

19 I would say briefly, only to cover what was
20 discussed at the Advisory Committee, the government response
21 was initiated at two meetings. The first was the prior
22 meeting of the Advisory Committee on Blood Safety and
23 Availability on April 28th and 29th.

24 That was the meeting at which Ms. Marian Sullivan,
25 the National Blood Data Resource Center, presented her

1 projections of future supply and demand for red blood cells.
2 In response to that projection and other information
3 presented to the committee, the committee had recommended
4 that the Food and Drug Administration reevaluate its
5 policies in reference to blood donations by individuals with
6 hemochromatosis.

7 As I believe you know, and will be discussed in
8 more detail I believe by Dr. Gustafson, that reevaluation
9 has in fact taken place.

10 The other locus at which government response to
11 the British donor deferral policy was initiated was a Blood
12 Safety Committee meeting on June 8th, at which Dr. Satcher
13 appointed an interagency working group on blood safety and
14 availability, and that also will be discussed later.

15 Dr. Satcher, in his opening remarks to the
16 Advisory Committee, predicted the discussion would be lively
17 and he hoped that it would be constructive. In the
18 interests of time, I will simply say that both proved to be
19 the case.

20 The second item on the agenda was the availability
21 of blood products, and the industry reviewed progress that
22 had been made since the April '98 advisory committee on
23 blood safety meeting on this subject.

24 Specifically, they mentioned that the monthly
25 production figures were now made available to all interested

1 parties, that there were emergency supply programs, the
2 capacity of some of the manufacturers had been expanded in
3 compliance with GMP by those manufacturers had been
4 achieved, they were pursuing research and exploring
5 importation, and Baxter subsequently announced successful
6 completion of that initiative. All the recommendations of
7 the Advisory Committee in April 1998 dealing with it had not
8 been adopted, was one recommending constraint on exports of
9 plasma derivatives, and that had gone to the Secretary and
10 the government's action was based on the Secretary's
11 decision.

12 The patient community responded that production,
13 however, remained flat, at about 15 million grams a year,
14 whereas, demand based on previous production had been at 17
15 million grams per year, and that there were estimates that
16 demand was rising at the rate of about 10 percent per year.

17 These concerns were expressed both by the Immune
18 Deficiency Foundation and the Alpha 1 Foundation. At that
19 point, discussion turned to reimbursement policies and the
20 reimbursement climate as a possible reason for the shortage
21 of plasma derivatives, and there was much discussion of the
22 proposed ambulatory procedure classification 906 and the
23 proposed reimbursement for it.

24 At this point, the discussion, which had been of
25 the shortage of plasma derivatives and was moving towards

1 discussion of reimbursement of blood products, as well,
2 threatened to squash, for want of a better word, discussion
3 of the third agenda item, which was progress with the
4 hepatitis C lookback.

5 We suspended discussion of plasma derivatives to
6 deal with the issue of hepatitis C lookback. Dr. Mied
7 presented the Government guidance, the FDA's June 17th
8 guidance on that policy, and he will discuss it later. I
9 would say only at this time that our current policy, like
10 all substantive blood policies, have been carefully reviewed
11 and unequivocally endorsed at the highest levels of the
12 department.

13 The discussion then returned to the subject of
14 plasma derivatives. There was extensive discussion and
15 presentations of the impact of the proposed outpatient
16 prospective payment system, which has completed its comment
17 period and is approaching the Department as a proposed Final
18 Rule.

19 The discussion at the meeting paralleled the
20 discussion yesterday at a subcommittee of the House Commerce
21 Committee that is chaired by Mr. Bilirakis. The same
22 concerns on a larger scale for the health industry were
23 those that were presented to the Advisory Committee for
24 Blood, and the formulation of the issue at the House
25 Commerce Committee yesterday was that there certainly were

1 issues to be addressed, and the question was whether or not
2 these issues were to be addressed through legislative remedy
3 or administrative remedy, and at least at the time that I
4 had to leave the hearing yesterday, there was no resolution
5 of that, and that would appear to be the line of discussion
6 and negotiation on this issue that will proceed for the rest
7 of the year.

8 The discussion of the Balanced Budget Act of 1997
9 that took place at the Advisory Committee did include a
10 presentation by the Health Care Financing Administration in
11 which Ms. Nancy Edwards representing HCFA did make a
12 statement that HCFA was aware of the potential impact of its
13 proposed actions on the blood community, and did assert that
14 HCFA was aware of these, and the word that she used was hope
15 to ameliorate those concerns as best as possible. She did,
16 however, state that HCFA was not, at the time she made the
17 statement, favorably inclined -- again, I believe that was
18 her precise word -- towards a passthrough for blood or blood
19 products, although that does remain on the table.

20 There were a variety of motions that the Advisory
21 Committee passed. I think the first of them that there was
22 a motions that the Advisory Committee concurs with the
23 guidelines that had been forth regarding variant CJD donor
24 exclusion, that the vote on that was 5-4-3 against and 5
25 members abstaining. The committee did ask to be kept

1 advised about the status of that policy, and that will, in
2 fact, occur.

3 There was a lengthy motion that was introduced by
4 Mr. Walsh, which in the interest of time I will summarize
5 it. The committee recommends that the Secretary of Health
6 and Human Services use her existing authority to exclude
7 therapies under APC 369 and elsewhere, also 906, from the
8 Prospective Payment System for hospital outpatient services
9 and reimburse them on a reasonable cost basis.

10 That was passed unanimously. In regards to the
11 hepatitis C lookback, the committee recommended that the
12 Secretary direct the FDA to construct the ACF lookback in
13 accordance with the prior recommendations of that Advisory
14 Committee, and there were 10 for that and 1 against and 1
15 abstention for that motion.

16 The final motions of the Advisory Committee were
17 regarding prospective payment. The first was that the
18 committee recommend that the Secretary work with Congress to
19 seek additional resources as far as the introduction and
20 maintenance of mandated blood safety measures. That was
21 passed unanimously. The final motion -- I stand corrected --
22 - it was a motion of Mr. Walsh's about the shortage of the
23 plasma derivatives, and it read that the committee remains
24 concerned about the continue shortage of intravenous
25 immunoglobulin and alpha I antitrypsin despite laudable

1 efforts on the part of both industry and government, and the
2 committee would support new, as well as continuing, efforts
3 to alleviate these shortages. That motion passed
4 unanimously.

5 I will be glad to answer any questions.

6 DR. HOLLINGER: Are there any questions in regards
7 to this update?

8 [No response.]

9 DR. HOLLINGER: Thank you very much.

10 We are going to have a Summary of the Workshop on
11 Donor Suitability: Blood Donor Deferral for History of
12 Hepatitis.

13 Dr. Biswas.

14 **Summary of Workshop on Donor Suitability:**
15 **Blood Donor Deferral for History of Hepatitis**

16 **Robin Biswas, M.D.**

17 DR. BISWAS: Good morning.

18 On July the 21st of this year, an FDA-sponsored
19 workshop was held at NIH's Natcher Auditorium to discuss
20 donor suitability as related to a donor history of viral
21 hepatitis. This workshop was one of a series that have
22 occurred and that will continue to occur to reexamine the
23 scientific basis of current policies on donor suitability.

24 [Slide.]

25 Now, two current regulations, one for whole blood

1 and the other for source plasma, preclude persons with a
2 history of hepatitis from donating whole blood or source
3 plasma.

4 These regulations have been in place since the
5 late 1950s and blood establishments have used the history of
6 hepatitis or a jaundice criterion for determining donor
7 suitability since the early 1950s.

8 The current regulations and the blood
9 establishment questions regarding history of hepatitis were
10 put in place before any tests were developed that detected
11 hepatitis viruses and before much was known about the
12 infections caused by these viruses, for example, did
13 individuals who had clinical hepatitis remain infected after
14 apparent clinical recovery.

15 Since that time, tests for several hepatitis
16 viruses have been developed and, in particular, very
17 sensitive and specific serologic tests for hepatitis B virus
18 and hepatitis C virus, two bloodborne hepatitis viruses
19 which cause diseases in recipients, have been licensed and
20 implemented in blood establishments.

21 Testing technology continues to advance with the
22 introduction of experimental nucleic acid tests for HCV on
23 minipools under IND. These minipool format NAT tests were
24 applied to all plasma donations in the U.S. by the end of
25 1998, and good proportion, almost all whole blood donations

1 within the past few months.

2 Because of the increasing testing of blood
3 donations for viral hepatitis over the years using
4 increasingly sensitive and specific tests, together with the
5 reduction of risk for viral hepatitis in recipients to
6 almost not detectable numbers, and because of the advancing
7 knowledge about viral hepatitis, the need for these
8 regulations has been questioned.

9 We were of the opinion that it was time to
10 reconsider the regulations, and that is why we arranged the
11 July workshop. Actually, the Blood Products Advisory
12 Committee has discussed this issue in the past, in 1982,
13 1991, and 1992.

14 [Slide.]

15 Consistent with its recommendations, the
16 regulations are currently interpreted by the FDA as follows:
17 donor with a history of clinical hepatitis after age 11
18 years should be deferred. This was considered appropriate
19 because CDC data presented at the 1991 BPAC meeting
20 indicated that almost all viral hepatitis that occurs in
21 children under age 11 years was hepatitis A.

22 At present, viral hepatitis is interpreted to be a
23 clinical diagnosis of hepatitis which might include
24 jaundice. This was in response to the committee's
25 recommendation that for the purposes of the exclusionary

1 regulations, not to interpret test results alone as a
2 history of hepatitis in the absence of clinical history or
3 absence of a medical diagnosis.

4 Thirdly, in a donor with a history of jaundice, if
5 it is not possible to rule out viral hepatitis as a cause of
6 the jaundice, the donor is deferred.

7 [Slide.]

8 The goal of the July workshop was to discussing
9 the following: is there sufficient information today to
10 consider eliminating the exclusion of donors have a history
11 of hepatitis.

12 The following is a summary of the most important
13 points. In the past, markers for hepatitis A, B, and C, and
14 elevations of ALT were more frequently found to a
15 significant degree in most deferred donors with a history of
16 hepatitis than in donors with no history of hepatitis.

17 This was shown by Dr. Tabor in a 1970 study and by
18 Dr. Tegtmeier in a 1980s study. I should point out however,
19 that there are no recent studies on this. The regulations,
20 another point that was made, the regulations excluding
21 donors with a history of hepatitis were probably effective
22 in preventing post-transfusion hepatitis in the past.

23 The number of donors excluded in 1998 by the
24 regulation was estimated to be about 13,000 by Dr. Celso
25 Bianco. Residual risk for hepatitis B and C in blood

1 donations is extremely low and is in the process of being
2 decreased further as a result of NAT testing, and according
3 to the Schreiber paper, when NAT testing is fully
4 implemented, about 9 per million donations are at risk for
5 HBV and about 3 per million donations for HCV.

6 Another interesting point that was made was that
7 the incidence of hepatitis B and C have declined
8 dramatically in the general U.S. population in the past
9 decade.

10 In the mid to late 1980s, there was about 400,000
11 cases per year versus 200,000 cases a year now. For
12 hepatitis C, in the late 1980s, there were about 200,000
13 cases a year, and now there are about 40,000 cases a year.

14 Transfusion-associated hepatitis B and C, if they
15 occur at all today, seem to be extraordinarily rare and
16 their incidence is evidently very difficult to detect. At
17 the present time, as far as is known, it appears that most,
18 if not all, known viral hepatitis agents apart from
19 hepatitis B and C do not cause significant health risks to
20 blood recipients except in very rare situations, however at
21 the workshop, CDC reported that 3 percent of reported cases
22 of hepatitis in the U.S. is hepatitis non-A through E, for
23 which there are not tests available except experimental ones
24 presumably.

25 There have been preliminary reports mainly in the

1 nonscientific press of a virus referred to as Senv, which
2 may be a candidate non-A to E agent, and Dr. Tabor will be
3 talking about that very shortly.

4 My own questions regarding these reports are, are
5 they are the same entities, this 3 percent that CDC found
6 and Senv, do they cause clinical disease or not, do they
7 cause chronicity or not, if so, in how many individuals and
8 donors, is there a health risk to recipients.

9 It was felt by workshop participants that the
10 effect of eliminating the exclusion would be very difficult
11 to detect if there was a very slight change in the incidence
12 of post-transfusion hepatitis in blood recipients because of
13 the very low incidence of transfusion-associated hepatitis
14 overall today.

15 What that means is to show no change versus very
16 little change, a very large number of observations would be
17 required, and you would need a very large study to do this.

18 As a result of the workshop, the FDA will consider
19 the following options: entirely eliminating the exclusion
20 for a history of hepatitis, keeping the exclusion as it is,
21 exempting donors for exclusion who have a viral hepatitis
22 that is not a significant risk for recipients, for example,
23 proof of past hepatitis A infection, and/or perhaps
24 instituting a specified deferral period e.g., one year after
25 an individual has been just diagnosed with viral hepatitis.

1 Our recommendations regarding this regulation will
2 be brought before the committee in the future.

3 Thank you very much.

4 DR. HOLLINGER: Mr. Dubin.

5 MR. DUBIN: Just a record clearing comment, an
6 historical comment. The broad comment that donors with a
7 history of viral hepatitis were excluded, I think we need to
8 be careful with. That was not the case as we have learned
9 in the 1980s, it is part of what went wrong, and so when a
10 broad statement is made like that, I think we begin to kind
11 of slightly revise history a bit, and I want to be on the
12 record saying that today, obviously, we are doing a
13 significantly better job, but that was not the case, and the
14 documentary evidence is absolutely there and could be placed
15 on the table, so I would like the record to reflect that.

16 DR. HOLLINGER: Any other comments?

17 DR. EPSTEIN: A point of clarification is needed.
18 The issue that you raised pertains to whether there were
19 permissible collections in people with a known anti-HBS-
20 positive marker, and there has been a long-standing debate
21 whether the proper interpretation of the reg should or
22 should not have included laboratory test results as history,
23 and this is why we clarified, as Dr. Biswas noted, that we
24 were interpreting the reg to mean clinical evidence, and it
25 was not determined by laboratory evidence.

1 So, what Mr. Dubin states is correct, but I think
2 that it needs to be understand that the controversy had to
3 do with laboratory markers versus clinical histories.

4 MR. DUBIN: As collection practices.

5 DR. EPSTEIN: What I am saying is that collections
6 were permitted in people with known positive anti-HBS,
7 because we were not interpreting the marker as the
8 equivalent of the clinical history.

9 DR. HOLLINGER: Robin, just a question. I was at
10 the meeting also and sort of walked away with not being sure
11 of where things were going after the whole day.

12 Is there a timetable for the FDA to make some
13 recommendations and bring this issue even further, do you
14 have a thought about that?

15 DR. BISWAS: Well, I believe that the next Blood
16 Products Advisory Committee is in March, so it is not going
17 to be before March. Jay wants to add something to that.

18 DR. EPSTEIN: Well, in a more general way. We are
19 in the process of updating all the regulations, and Dr. Ruta
20 will be talking about some proposed rules that have recently
21 published, but lying down the road is reconsideration of
22 donor exclusion requirements, and we will need to address
23 the history of hepatitis exclusion as part of a broader
24 initiative to look at donor suitability criteria, so within
25 the next year or two for sure, and it can be presumed that

1 this would be one of the issues that we vet prior to a
2 rulemaking.

3 DR. HOLLINGER: Thank you, Jay. Thank you, Robin.

4 The next update is a Summary of the Guidance
5 Document on Revised Precautionary Measures to Reduce the
6 Possible Risk of Transmission of CJD and nvCJD by Blood and
7 Blood Products. Dr. Jacobs is going to provide that update.

8 **Summary of Guidance Document on Revised**
9 **Precautionary Measures to Reduce the Possible**
10 **Risk of Transmission of CJD and nvCJD by Blood**
11 **and Blood Products**

12 **Mary Elizabeth Jacobs, Ph.D.**

13 DR. JACOBS: Good morning, Dr. Hollinger, members
14 of the Committee, and guests.

15 [Slide.]

16 Our guidance was issued on August the 17th, and
17 its title is "Revised Precautionary Measures to Reduce the
18 Possible Risk of Transmission of Creutzfeldt-Jakob disease
19 and new variant Creutzfeldt-Jakob disease by blood and blood
20 products."

21 It is available on our web site, which is
22 www.fda.gov. Go to Biologics CBER and then go to
23 Guidelines, so anyone can get it. It is extremely detailed
24 and I am going to hit the major points this morning.

25 It was issued for immediate implementation with

1 full compliance for six months, and at the same time we are
2 receiving comments on that, and I want to let people know
3 that we are planning to issue in the near term interim
4 comments on that addressing some of the most critical
5 questions that have been brought to our attention, so you
6 will be hearing on those relatively soon.

7 It supersedes our previous guidance which was
8 issued December 11th, 1996, and which had a subsequent
9 internet recommendation on September 8th, 1998.

10 [Slide.]

11 I want to cover these major points from the new
12 guidance. These are all the new recommendations. They
13 cover, first of all, deferral of donors who were in the U.K.
14 for six months or more between 1980 and 1996.

15 At the June meeting, I updated you on the
16 rationale behind that and the meetings of the advisory
17 committees and the committees at the PHS level.

18 The second topic is on the non-withdrawal of
19 plasma derivatives from donors with CJD or CJD risk factors,
20 and withdrawal of plasma derivatives from donors with new
21 variant CJD or likely new variant CJD, and the third major
22 topic is on the labeling of non-implicated materials.

23 Each of these overheads will show you first the
24 prior recommendations from guidance, secondly, the new
25 recommendations, and then thirdly, the rationale for change.

1 [Slide.]

2 First, deferral of donors with possible increased
3 risk for exposure to BSE, the probable agent of nvCJD. The
4 prior recommendations from 1996 did not address BSE
5 exposure. The new recommendations, first, for deferral of
6 donors who have lived in the United Kingdom, which includes
7 England, Northern Ireland, Scotland, Wales, Isle of Man,
8 Channel Islands for six months or more between 1980 and
9 1996, and that was announced in June.

10 [Slide.]

11 The second one addresses a point which was brought
12 to our attention subsequent to the meetings of the TSE
13 Advisory Committee, and we thought that it was important to
14 include it in this guidance and are getting comments back on
15 this.

16 The point that was brought to our attention is
17 that there are people who are importing for their own use
18 beef insulin from the United Kingdom. Therefore, we
19 included in our guidance deferral of donors who received
20 injectable products made from cattle in BSE-endemic
21 countries since 1980.

22 The rationale is until more is known about the
23 number of people incubating nvCJD, and about the likelihood
24 of transmission by blood, donors with potential risk of
25 developing nvCJD through exposure to BSE are indefinitely

1 deferred as a precaution. This recommendation will be
2 examined as new scientific information becomes available.

3 [Slide.]

4 We have already become aware that this deferral
5 recommendation on the injectable products has caused
6 questions. We did not have any prior recommendations in the
7 previous guidance about this, however, we did have FDA
8 policy in 1993 not to source materials for drugs or
9 biologics or devices from BSE countries.

10 [Slide.]

11 The next question is on disposition of plasma
12 derivatives when the donor has the donor has either CJD or
13 CJD risk factors. The prior recommendation had been to
14 withdraw plasma derivatives from donors with CJD or CJD risk
15 factors, and those include human pituitary growth hormone,
16 dura mater transplant, two or more family members with CJD.

17 Our new recommendation is do not withdraw plasma
18 derivatives if the donor has CJD or CJD risk factors. The
19 rationale for this is the epidemiological data indicates
20 transmission of CJD by blood or derivatives is unlikely, and
21 that is summarized in the guidance document, a short
22 summary. We have also a longer one if available if you
23 would like it.

24 Secondly, laboratory studies suggest removal of
25 CJD agent by manufacturing processes. I want to mention as

1 a kind of sidebar on that point that there is tremendous
2 interest in the removal of TSE agents by manufacturing
3 processes, and FDA sponsored an international workshop on
4 clearance of TSE agents from blood products and implanted
5 tissues. This was held on Monday and Tuesday of this week,
6 and we thought it was too early to give a summary today, but
7 Dr. Epstein gave a summary of the issues related to blood
8 and to tissues, and we will be planning to have a summary of
9 that on our web site, so that will be probably be available
10 in six weeks or so.

11 In addition, for your reference, because of the
12 interest in TSE diagnostics, we are planning a workshop for
13 Fiscal Year 2000 on diagnostics, and that will be across all
14 of FDA and sponsored by other groups, as well. So, as soon
15 as we have a date on that we will let you know.

16 Let me go back to the guidance now.

17 [Slide.]

18 Disposition of plasma derivatives when the donor
19 has nvCJD. Just as an update again, we have had no cases
20 reported in the U.S.

21 The prior recommendations from 1996 did not
22 address nvCJD. The new recommendation recommends withdrawal
23 of all material collected from a donor who develops nvCJD or
24 a donor with clinical presentation highly suspicious for
25 nvCJD in the absence of confirmatory neuropathology.

1 The rationale for this is that there is not any
2 available data yet, either epidemiological or laboratory, to
3 determine whether the nvCJD agent is transmitted by blood.
4 nvCJD is biologically different from CJD, so that CJD data
5 cannot be extrapolated to nvCJD.

6 [Slide.]

7 This, of course, raises the question of what do we
8 mean of a donor be suspicious or likely having nvCJD, and
9 this addresses disposition of plasma derivatives when the
10 donor has features of nvCJD.

11 The new recommendation is that FDA and CDC be
12 immediately notified in case of a donor with physician's
13 clinical or pathological diagnosis of CJD and age less than
14 55.

15 The rationale for this is nvCJD has a median age
16 of 29, classical CJD has a mean age of 65, investigation of
17 young CJD donors is most likely to uncover nvCJD.

18 The actions that we expect are that expeditious
19 CDC/FDA investigation and decision about blood products will
20 follow, and I just want to point out here that we would like
21 to be notified rather than having a blood establishment
22 conduct its own investigation first. We will then be able
23 to look at the question about blood products and be able to
24 refer the determination to CDC about the individual patient.

25 [Slide.]

1 This is disposition of plasma derivatives when
2 donor has features of nvCJD. This is in the case where
3 definitive neuropathological confirmation of nvCJD in a
4 donor may be unavailable.

5 The donor has clinical features suspicious for
6 nvCJD and decisions about disposition of blood products
7 cannot await confirmation.

8 Actually, this is a little out of order. It
9 should have preceded the other one. We would like that to
10 be reported to us.

11 [Slide.]

12 The prior guidance did not have considerations of
13 labeling, and that has been addressed in the new guidance.
14 It addresses labeling of non-implicated products for the
15 theoretical risk of CJD transmission.

16 The new recommendation is to label all blood
17 products including those used as additives or excipients for
18 other products, to indicate theoretical risk of CJD
19 transmission, and also to state potential risks of viral
20 transmission.

21 [Slide.]

22 The rationale for this is although CJD
23 transmission has never been documented by blood or blood
24 products, labeling of non-implicated products will provide
25 information to the public about potential risks.

1 [Slide.]

2 I would like to read for you the statements for
3 the labeling.

4 The recommended labeling for all blood products
5 except for albumin is: "Because this product is made from
6 human blood, it may carry a risk of transmitting infectious
7 agents, e.g., viruses, and theoretically, the CJD agent."

8 Then, I would like to read the labeling that is
9 recommended for albumin. Albumin is of particular concern
10 because it's used in vaccines.

11 "Albumin is a derivative of human blood. Based on
12 effective donor screening and product manufacturing
13 processes, it carries an extremely remote risk for
14 transmission of viral diseases. A theoretical risk for
15 transmission of CJD also is considered extremely remote. No
16 cases of transmission of viral diseases or CJD have ever
17 been identified for albumin."

18 Thank you.

19 DR. HOLLINGER: Any questions by the committee?
20 Yes, Dr. Bianco. Almost a member of the committee.

21 DR. BIANCO: A friend of the committee.

22 It is just a clarification, Dr. Jacobs, if you
23 could help us understand the new guidance, you did not
24 discuss, despite making this very clear review of the lack
25 of transmission of classical CJD, reverts to earlier

1 procedures in terms of recipient notification in which we
2 would do lookback even for individuals that had a single
3 family member, an indefinite lookback.

4 Could you clarify for us the reasons for that
5 approach?

6 DR. JACOBS: Do you mean the approach in the
7 labeling?

8 DR. BIANCO: No, in terms of notification of
9 deferral of blood donors, not plasma, and notification of
10 recipients of prior donations.

11 DR. JACOBS: I would like to defer to Dr. Epstein,
12 if I can, because he knows the history of this issue a bit
13 better than I do.

14 DR. EPSTEIN: As far as the lookback for purposes
15 of recipient notification, that is not a change in policy.
16 It is the same policy that has been there since 1995. What
17 that refers to is the risk that potentially exists for blood
18 components.

19 That is not to say that there is any new data
20 suggesting a risk, all studies are still negative including
21 case control studies and lookback studies, but the thinking
22 is that physicians may want to make individual decisions.

23 So, we are not mandating notification, unless the
24 HCV lookback regulation, for example, but we are saying that
25 the consignees down to the level of the patient's physician

1 should be notified, so that individual decisions can be made
2 about notification.

3 Now, the case for derivatives is different. There
4 is no recipient-oriented lookback for derivatives, and the
5 thought there is at two levels. First of all, it is
6 impractical. The recipients of derivatives are hard to
7 trace. There are many thousands from even individual
8 product lots, and the thinking was that the relative risks
9 and benefits were quite different in the two settings. When
10 you administer a component, you administer a high volume of
11 product, it is the highest risk setting for a transmission
12 should it occur.

13 In the case of derivatives, we believe that there
14 are mitigating factors including the dilution effect and
15 also clearance in manufacturing, so that we made a decision
16 based on the relative risk and benefit. It is not
17 meaningful to have a lookback and notification program if
18 there is also a product withdrawal.

19 So, basically, the situation is that for
20 components, there is still a retrieval and destruction
21 recommendation, a lookback tracing recommendation, and a
22 voluntary notification recommendation.

23 In the case of derivatives, for cases of classic
24 CJD or classic CJD risk, there is no longer a withdrawal
25 policy and there really has never been a notification

1 policy.

2 DR. BIANCO: Where I am confused is that in the
3 way I read the new guidance, I see a change back to a single
4 family member as a definition, so that was not the intent.

5 DR. EPSTEIN: No. What was changed in December
6 '96 was that the recommendation for derivative withdrawal,
7 which had been originally triggered in the August '95 memo
8 by a single family member, positive family history, was
9 modified, so that derivative withdrawal was not indicated
10 unless it was two or more documented blood relatives.

11 However, the recommendation for component
12 withdrawal, lookback and notification remained the same, so
13 a donor is still deferred based on a single family member
14 with CJD unless exonerated on the basis that it is not a
15 blood relative or that there is a normal gene PRP
16 polymorphism in the donor.

17 So, we still do have a recommendation for donor
18 deferral, product retrieval, quarantine destruction, and
19 lookback to trace recipients based on a single family member
20 positive history, and that has not changed since August '95.

21 So, the confusion, I think, of the change to two
22 or more family members when it was made only applied to the
23 derivative withdrawal policy. It never changed the
24 component policy.

25 DR. BIANCO: Could you help us understand the

1 rationale based on all the evidence that classical CJD does
2 not, for recipient notification, considering that classical
3 CJD does not appear to be transmitted?

4 DR. EPSTEIN: Well, the basic rationale is that
5 the absence of cases of transmission in the available data
6 can only be stated as certain level of confidence
7 statistically, and the studies have low power for many
8 reasons, either low numbers studied, limited periods of
9 follow up, et cetera, and so the concept is that some level
10 of risk may still exist, however, we made determinations
11 based on the relative risk and benefit of these policies as
12 they operated in the two different settings.

13 In the setting of blood components, we are talking
14 about retrieving a very small number of components to
15 provide perhaps a very marginal added protection to a very
16 small number of potential recipients.

17 In the area of derivatives, the problem that we
18 ran into since the policy was put in place in '95, was that
19 the policy was contributing in a major way to very serious
20 shortages of derivatives, and we had to trade off the
21 implications to supply against the theoretical risk, and
22 there we felt that given the fact that the epidemiologic
23 studies were negative, and the fact that there were emerging
24 data showing clearance of the agent in manufacturing, that
25 we had bad tradeoff going with a minimum expected benefit of

1 withdrawing products and very evident harm from creating
2 shortage situations, so the decision was changed.

3 So, it is not that we have reached a final
4 conclusion that there is no risk, nobody has ever said that,
5 it is just that the risk-benefit doesn't appear to be the
6 same in the two settings.

7 Now, in terms of notification, it has always been
8 our position that the benefits of recipient notification are
9 unclear because there is no good way to counsel the
10 recipient. You know, you may or may not have been exposed
11 to an agent that may or may not have infected you, which,
12 you know, may or may not result in a disease over the course
13 of 40 years, and we can't diagnose it and we can't
14 intervene. Not a very good counseling message.

15 So, we have always left that part of it voluntary
16 and discretionary.

17 I hope that helps, Celso. I understand the
18 subliminal message here, which is that this is an arduous
19 burden with not a clear benefit, but we felt that we could
20 also not ignore those individual exposures. We simply left
21 it up to medical decisions what to do about it.

22 DR. HOLLINGER: Thank you.

23 Dr. Jacobs, just a question on this bovine-derived
24 injectable products like insulin, and so on.

25 DR. JACOBS: Yes.

1 DR. HOLLINGER: Is that a real problem? Would
2 that be primarily people who receive these products because
3 they lived in England or the United Kingdom, they needed
4 insulin, and so they bought it there? Is that what you are
5 really talking about since these are non-licensed -- I
6 presume they are not really sold here in the United States
7 anyway -- is that going to be an issue?

8 I know Dr. Gilcher from Oklahoma. I think he said
9 that they don't transfuse diabetics anyway, but is that a
10 problem? I mean it seems to be a potential problem.

11 DR. JACOBS: Well, we found interesting that some
12 blood establishments do accept as donors people who are on
13 insulin, who are stable, and some don't. So, that addresses
14 that part of the question.

15 This could refer to people who received this when
16 they were in the U.K., but we have also become aware that
17 there are people who prefer to take beef insulin and have
18 continued to import it even though it is not licensed within
19 the U.S., and that was the first focus of this question.

20 In response to the comments that we have gotten,
21 we are going to be clarifying this because it was hard to
22 understand the question and people want to know exactly to
23 how many numbers of products it applies.

24 DR. STRONCEK: Concerning the same question on
25 bovine-derived injectable products, we were quite surprised

1 that that question came up in your recommendations because
2 apparently it hasn't been discussed as other things have
3 been at these meetings.

4 Would it be possible to implement the rest of the
5 recommendations and hold this one out for further discussion
6 and we may implement it at a later date?

7 DR. EPSTEIN: Well, first of all, why it didn't
8 have antecedent discussion, we were notified essentially two
9 days before the publication date of the memo by the Center
10 for Drugs that the Lilly Corporation, which was the sole
11 U.S. manufacturer of bovine insulin in the United States,
12 was discontinuing that product, and we were informed that
13 the Center for Drugs was aware of personal use importation
14 of bovine insulin from the U.K.

15 We also were aware that there were potentially
16 many other bovine-derived injectable products that could
17 have been sourced in BSE countries, products like thrombin,
18 aprotinin, surfactant, and others, and so we felt that the
19 better part of valor was to put it in the memo.

20 We tried to limit the impact in such a way that
21 the question is designed only if the donor knowingly used
22 such an identifiable product would the deferral kick in. We
23 were trying to avoid placing the burden on the blood centers
24 to try to elicit information that the donor might now know.
25 In other words, negative responses are okay or responses "I

1 don't know" were okay. It was only if the information was
2 spontaneously elicited.

3 But we have received letters from parts of
4 industry saying that the question is nonetheless cumbersome,
5 asking us to clarify which products are at risk, and
6 indicating that it's just impractical to do.

7 Our current thinking is to clarify the question,
8 such that if the donor is asked whether they have used
9 insulin in the last four months, which is in most donor
10 questionnaires, that it would be sufficient to further
11 inquire whether the donor has received a bovine insulin made
12 in an BSE endemic country.

13 The concept there is that most or the vast
14 majority of insulin-dependent diabetics don't go off
15 insulin, so that if they are a current user, that is a
16 sufficient enough screen to capture the users who might have
17 been injected dating back to 1980.

18 We are also thinking that we will restrict the
19 exclusion based on the questioning to just insulin without
20 raising the question of other products because the deferrals
21 incident to use of other products are likely to be very
22 rare, whereas, the insulin-dependent diabetic population is
23 a much larger population.

24 So, I guess the answer is that we would encourage
25 blood centers to go ahead and implement, but we will show

1 flexibility on exactly how it is implemented.

2 DR. HOLLINGER: Thank you. Dr. Buchholz. This
3 will be the last question.

4 DR. BUCHHOLZ: Jay, I wonder if we could go back
5 to Dr. Bianco's question with respect to the kind of
6 difference in policy between derivatives and the blood-
7 derived components.

8 Correct me if I am wrong here, but if I understood
9 the gist of your message, it is that there is a lot of data
10 that says blood products do not transmit CJD, and you then
11 went on to say there is a lot of derivatives, but we really
12 don't have any data.

13 DR. EPSTEIN: No, no.

14 DR. BUCHHOLZ: Therefore, we seem to have
15 something, as least as I understood what you said, that
16 seems to me to be just a little confusing in terms of the
17 product group that we have the data on, we are holding to a
18 higher standard in terms of notification than a product
19 group that we don't have data on.

20 Perhaps I misunderstood what you said, but could
21 you clarify the thinking about that for me?

22 DR. EPSTEIN: The derivatives have been in use
23 starting with albumin back to the 1940s, and there are no
24 cases attributable to derivatives either. The available
25 data set for any blood product is no evidence of

1 transmission, however, there are differences in the data
2 sets.

3 In the case of components, there are the animal
4 studies which have indicated two things - first, attempts to
5 infect mainly rodents, but also primates with blood and
6 blood concentrates, such as buffy coats from humans with
7 CJD, have sometimes been positive for evidence of
8 infectivity in blood. There are five such reports in the
9 literature. Each individual study can be questioned
10 methodologically, but that is what is there.

11 Additionally, animal model studies in which
12 animals are experimentally infected with a TSE agent, which
13 is a model for the human agent, it is not the human agent,
14 it's usually a rodent-adapted strain of either scrapie or
15 CJD or GSS, have consistently shown the presence of low
16 level infectivity in the blood.

17 Now, some of that infectivity does carry over into
18 fractionation albeit at lower titers. The difference,
19 however, is that when you infuse a component, there is no
20 processing to remove infectivity. You get whatever is
21 there.

22 So, if there are, say, 10 infectious per
23 milliliter, which is consistent with the conservative
24 picture in the animal data -- it runs 10 to 20 in different
25 studies -- then, you get 250 ml of, say, plasma, you are

1 getting 2,500 units of infectivity.

2 So, we believe that there is a theoretical risk
3 even though there is no epidemiologic evidence for
4 transmission.

5 In the case of derivatives, once again, there is
6 no epidemiological evidence for transmission, but we also
7 know from various studies that have been done on partition
8 of infectivity in the process of fractionation, that there
9 is significant clearance of TSE infectivity in the
10 fractionation process.

11 So, we have an added safeguard, as it were, above
12 and beyond whatever benefit may obtain from dilution.

13 So, I think the situations are not identical with
14 respect to the scientific data, but the point of difference
15 is not knowledge of transmission for derivatives. The
16 epidemiologic data are also negative for derivatives.

17 For example, something like 26 million children
18 were exposed to measles, mumps, rubella vaccine, and have
19 already lived 10 or more years. There are zero cases of CJD
20 attributable to a vaccination based on the lack of cases in
21 ages 5 to 19, and those vaccines contained albumin.

22 So, there are negative data also for derivatives.

23 DR. BUCHHOLZ: Thank you.

24 DR. HOLLINGER: Thanks, Jay.

25 I think we will move then to the next update topic

1 on the SENV Virus. I think Dr. Tabor is going to provide us
2 what information is available.

3 **Update on SENV Virus**

4 **Edward Tabor, M.D.**

5 DR. TABOR: Good morning. In recent months,
6 considerable publicity has been given to a newly discovered
7 virus known as SENV. This virus has been suggested as a
8 possible cause of those cases of post-transfusion hepatitis
9 that are not due to the hepatitis B or C viruses or to any
10 other known hepatitis virus. This is often referred to as
11 non-A-to-E hepatitis.

12 To date, there have been no scientific
13 publications with data about this virus, however,
14 preliminary release of research findings has occurred at
15 some public discussions, such as FDA's recent Donor
16 Suitability Workshop dealing with the exclusion of donors
17 with a history of hepatitis, as well as at press briefings
18 by the company that discovered the virus.

19 I am going to summarize the publicly available
20 information about SENV. All of this should be taken as
21 preliminary. None of it has been subjected to side scrutiny
22 in the scientific community. Nevertheless, it is a
23 potentially exciting area of study, and we at FDA want the
24 members of BPAC to be fully aware of it.

25 At present, about 10 to 20 percent of all cases of

1 post-transfusion hepatitis are due to agents presumably
2 viruses that cannot be identified or isolated. Although
3 these cases represent a very small number nationwide, they
4 represent a challenge to those who are trying to achieve a
5 risk-free blood supply.

6 Diasorin, a company in Italy with research
7 facilities in the United States, which is a subsidiary of
8 American Standard that is well known for its plumbing
9 manufacturing, announced to the press in February and in
10 July that one of their scientists, Dr. Primi, had found a
11 unique DNA, presumably a virus, in the serum of an IV drug
12 using AIDS patient.

13 The patient was studied as part of a strategy to
14 find new bloodborne agents in individuals who had had
15 presumed exposure to many viruses, in this case through IV
16 drug use, and who were immunosuppressed.

17 The DNA that was found, which was designated SENV
18 virus, soon was found to be present in the serum of Italian
19 patients with non-A-to-E hepatitis. So far, the only way
20 the virus can be detected is by nucleic acid amplification
21 methods, such as PCR.

22 No serologic tests have been developed. The virus
23 has not been cultured. It has not been visualized by
24 electron microscopy. It has not been transmitted to
25 chimpanzees, an animal that is susceptible to most other

1 human hepatitis viruses, and it has not been sought in
2 paired sera from donors and infected recipients.

3 Furthermore, the DNA sequence of the virus has not
4 been made available for other scientists to try to duplicate
5 the findings or to study the virus further. A panel of
6 coded sera were provided to the company by Dr. Harvey Alter
7 of the National Institutes of Health.

8 SENV nucleic acid was detected in 10 of 12 or 83
9 percent of sera from patients with non-A-to-E hepatitis, but
10 in only 4 of 50, or 8 percent, of transfused patients
11 without hepatitis in 1 of 49, or 2 percent, of non-
12 transfused patients, and in less than 1 percent of normal
13 blood donors.

14 In another study by Diasorin, 13 or 19, or 68
15 percent, of patients with chronic hepatitis of unknown
16 etiology had SENV detectable. These data show promise for
17 the identification of a non-A-to-E hepatitis virus
18 associated with post-transfusion hepatitis, however,
19 although they are a promising beginning, they remain very
20 preliminary. Their greatest shortcoming is the absence of
21 any scientific publications by which they can be properly
22 evaluated by the scientific community.

23 Many experiments are needed before a reliable
24 scientific assessment can be made and before it can be
25 determined whether screening of blood and plasma donors for

1 this virus will have utility.

2 Diasorin should be encouraged to present its data
3 to the rest of the scientific community, so that progress in
4 this area can be expedited. Failure to make the data and
5 sequence available will prolong the interval until lives can
6 be saved using this knowledge if the findings are confirmed.

7 We will continue to keep BPAC updated about this
8 subject as developments occur.

9 Thank you.

10 DR. HOLLINGER: Thank you, Ed.

11 Unless somebody has any other questions, I don't
12 think -- is that all you know, Ed?

13 [Laughter.]

14 DR. TABOR: That is all that has been publicly
15 presented so far. There are obviously studies ongoing
16 particularly at the National Institutes of Health, but also
17 elsewhere, but that is a summary of all the publicly
18 presented information.

19 DR. BIANCO: Dr. Hollinger, can we assume that
20 because hepatitis has disappeared from the population of
21 clotting factor recipients, that the current inactivation
22 methods would inactivate SENV?

23 DR. HOLLINGER: I would think that is a reasonable
24 assumption, but as I said, I think you will just have to
25 look to see it later on, but I mean that is a reasonable

1 assumption.

2 Dr. Nelson.

3 DR. NELSON: There has been some focus on patients
4 with fulminant hepatitis and fatal, who have non-A-to-E.
5 Were any of the cases that they looked at and found SENV,
6 were these fulminant cases?

7 DR. TABOR: I am not aware that the data that are
8 resulting from ongoing studies of fulminant cases have been
9 presented publicly, and some of the investigators that are
10 involved in these studies have been very concerned about the
11 preliminary release of information without the data being
12 provided, so I am not at liberty to discuss any other data I
13 may have heard about.

14 DR. HOLLINGER: Thank you, Ed.

15 Dr. Stroncek.

16 DR. STRONCEK: My understanding is that this
17 information was available some by the company through the
18 newspapers, and it is really disconcerting, it is troubling
19 to me that companies will provide information in a limited
20 fashion probably for their own benefit, yet, not provide
21 full scientific information.

22 DR. HOLLINGER: Thank you.

23 Thanks very much, Dr. Tabor.

24 The next update is on the Status of Blood
25 Regulations. Dr. Martin Ruta.

1 test repeatedly reactive by these tests would then be
2 deferred.

3 [Slide.]

4 There is a companion proposed rule entitled,
5 "General Requirements for Blood Components and Blood
6 Derivatives, Notification of Deferred Donors," and this ties
7 into the testing rule in that individuals who are deferred
8 under the testing reg would be notified that they were
9 deferred and notified of the reason of the deferral
10 including their test results.

11 [Slide.]

12 If you want to submit comments--and all this
13 information is in the Federal Register--we are asking that
14 you submit written comments to the Docket Management Branch
15 at 5630 Fishers Lane, and in addition, OMB is asking for
16 these two proposed rules if you have comments on the
17 information collection provisions, to submit comments to
18 them, and the information is contained in the Federal
19 Register as to where to submit the comments to.

20 [Slide.]

21 Also, I want to draw your attention to the fact
22 that in the same edition of the Federal Register, the FDA
23 has published an Advanced Notice of Proposed Rulemaking.
24 This is a mechanism that we use when we are not quite ready
25 for the proposed rule, but want to solicit comments on what

1 we are thinking about putting into the proposed rule.

2 It is entitled, "Plasma Derivatives and other
3 Blood-Derived Products, Requirements for Tracking and
4 Notification." What we are thinking about including in the
5 proposed rule, I think the committee heard about from Steve
6 Falter last December, but we are thinking about proposing
7 that there be requirements that for certain blood-derived
8 products, such as Factor VIII, that there be tracking down
9 to the end user and notification in the case of certain
10 withdrawals and recalls.

11 [Slide.]

12 Finally, I want to draw your attention to the fact
13 that we have also published a direct final rule. This is a
14 mechanism that we use when we think that the changes we are
15 suggesting are going to be noncontroversial, and so if there
16 are no significant comments, this will go into effect at the
17 end of the comment period, which I think is about the middle
18 of November. If there are significant comments, in addition
19 to the direct final rule, the companion proposed rule goes
20 along with it, and we will take a look at the comments if
21 there are any significant ones there.

22 [Slide.]

23 For the last two, for the Advanced Notice of
24 Proposed Rulemaking and for the direct final rule, again, we
25 are asking that you please send written comments to the

1 Docket Management Branch of the FDA at 5630 Fishers Lane.
2 For any of these rules, if you have questions, you can
3 contact Steve Falter, who is in charge of the reg writing at
4 the Center for Biologics, and his phone number is also in
5 the Federal Register, as well as his address.

6 So, I would just remind you that again the comment
7 period for these rules is open. We would ask that you
8 please provide comments in writing. We also want to remind
9 you that we are intend to have a public meeting on November
10 22nd at Mazur Auditorium to allow anyone who wants to give
11 verbal comments to come and do so, and we will extend the
12 comment period for the two proposed rules and for the
13 Advanced Notice of Proposed Rulemaking to allow for comments
14 during that open comment period.

15 I am done.

16 DR. HOLLINGER: Any questions of Dr. Ruta?

17 Dr. Ruta, I didn't read through all of these
18 comments that were here, but the question is sometimes it
19 doesn't say about whether blood can be used. It says it
20 often seems that it will restrict the use of blood for only
21 certain things.

22 Will there be something there that will also say,
23 though, that blood could be used for research purposes?
24 Sometimes they forget that word, and although it is often
25 used in those purposes, it sort of goes against the

1 regulations. I think that it should specifically state that
2 somewhere, I think, if there is no problems with that.

3 DR. RUTA: There is exceptions in the current
4 regulations, as well as in the proposed regulation, for a
5 certain type of research. Of course, that depends on
6 whether you are testing humans and if it is linked, et
7 cetera, but for a general type of research, there are
8 exceptions currently in the regs, as well as within the
9 proposed regs.

10 DR. HOLLINGER: Thank you very much.

11 The next update is HVC Lookback. Dr. Paul Mied is
12 going to tell us about that program.

13 **HVC Lookback**

14 **Paul Mied, Ph.D.**

15 DR. MIED: Thank you, Dr. Hollinger.

16 This morning I will provide the committee with an
17 update on HCV lookback. Specifically, I will discuss the
18 June 1999 FDA Draft Revised Guidance for Industry document
19 focusing on how it differs from the guidance issued on
20 September 23rd, 1998.

21 I will cover some of the comments we have received
22 and some of the revisions to the guidance that we are
23 considering, and I will provide a review of the recommended
24 time frames for implementation of HCV lookback by the
25 industry.

1 [Slide.]

2 Now, the current status of the implementation of
3 HCV lookback may be summarized as follows. The blood
4 organizations report that blood establishments have
5 implemented HCV lookback programs prospectively or based on
6 current donor testing and retrospectively or based on review
7 of records of historical donations tested using EIA 2.0 or
8 EIA 3.0.

9 They have established written SOPs for lookback
10 based on current and historical donations. They have
11 conducted record searches to identify prior collections from
12 donors who were reactive on multi-antigen screening and
13 supplemental tests, and have been performing additional
14 tests on stored samples or in some cases on fresh donor
15 samples.

16 Now, some blood establishments have already begun
17 doing lookback based on EIA 1.0. The Chiron RIBA 3
18 supplemental test was licensed in February and it is useful
19 for resolution of the donors' infectivity status to minimize
20 false notifications of recipients.

21 Blood establishments have begun to notify
22 consignees and the deadline for this consigning notification
23 to begin was specified as March 23, 1999, in the FDA
24 guidance document issued last September 23rd.

25 In coordination with the public education and

1 physician education efforts of the CDC, transfusion services
2 have begun to notify recipients.

3 The Advisory Committee on Blood Safety and
4 Availability met on January 28, 1999, to consider options
5 for implementing the November 24, 1998 recommendation of the
6 Advisory Committee to expand the targeted HCV lookback
7 program to include recipients of blood from donors
8 subsequently identified as repeatedly reactive by the single
9 antigen or EIA 1.0 screening test that was licensed in 1990.

10 [Slide.]

11 The committee considered that in cases where no
12 supplemental test result is available, it was reasonable to
13 limit the lookback for EIA 1.0 based on the signal-to-cutoff
14 ratio of the screening test, in other words, that it was
15 optimal to perform lookback on a subset of the EIA 1.0
16 repeat reactives to capture the vast majority of the true
17 positives and minimize the unnecessary false recipient
18 notifications.

19 [Slide.]

20 At the committee meeting in January, Dr. Michael
21 Busch estimated that if direct notification was to be based
22 on an EIA 1.0 signal-to-cutoff ratio of 2.5 or above, about
23 100,000 notifications based on EIA 1.0 would be triggered,
24 about 10,000 of these individuals would be alive and be
25 traced by the notification effort, and about half of these,

1 or 5,000 individuals, would have been previously unaware of
2 their potential HCV infection.

3 Dr. Busch estimated that using a signal-to-cutoff
4 ratio of 2.5 as opposed to using simply a repeatedly
5 reactive EIA 1.0 test to trigger direct notification would
6 prevent about 452 false positive notifications for every
7 true positive notification that would not occur.

8 [Slide.]

9 So, having considered a signal-to-cutoff value of
10 2.5 as being the optimal ratio for triggering lookback based
11 on EIA 1.0, the Advisory Committee on Blood Safety and
12 Availability unanimously recommended that targeted lookback
13 should be initiated based on a repeatedly reactive EIA one
14 test result on a repeat donor unless (a) a supplemental test
15 result was performed and did not indicate significant risk
16 of HCV infection; (b) no supplemental test result is
17 available, but the signal-to-cutoff ration of the repeatedly
18 reactive EIA 1.0 test was less than 2.5; or (c) followup
19 testing on the same blood donor is negative, and that
20 follow-up testing could include a negative EIA 2.0 or 3.0 or
21 any supplemental test result not indicative of infection.

22 [Slide.]

23 In accordance with this recommendation, FDA issued
24 a revised guidance of industry document that replaced the
25 guidance issued on September 23rd, 1998. This draft revised

1 guidance was posted on the World Wide Web on June 17th,
2 1999, and the Notice of Availability was published in the
3 Federal Register on June 22nd.

4 This draft guidance was distributed for comment
5 only, however, FDA is aware that many blood establishments
6 began to implement this recommendations immediately upon the
7 issuance of the guidance.

8 The recommended period of 60 days for submission
9 of comments ended on August 23rd, however, written comments
10 and suggestions regarding this document may be submitted to
11 FDA at any time.

12 [Slide.]

13 The revised guidance differs from the September
14 23rd, 1998 guidance in the following significant ways:
15 Lookback has been expanded to include EIA 1.0. In addition
16 to the previous sections on prospective lookback based on
17 EIA 3.0, and retrospective lookback based on EIA 2.0 or 3.0,
18 a new Section 3 in the guidance contains recommendations for
19 dealing with lookback based on EIA 1.0 test results.

20 For an EIA 1.0 repeat reactive, if additional
21 testing using EIA 2.0, EIA 3.0, RIBA 2.0 or RIBA 3.0 was or
22 is performed on the original sample or on a later sample
23 from the donor, the guidance addresses whether recipients
24 should be notified and whether product should be destroyed
25 or re-labeled or released depending on the outcome of that

1 additional testing.

2 If no additional testing was or is performed
3 following the historical EIA 1.0 repeatedly reactive result,
4 then in accordance with the recommendation of the Advisory
5 Committee on Blood Safety and Availability in January 1999,
6 the blood establishment should base its lookback actions on
7 the signal-to-cutoff value for the original donor sample.

8 A signal-to-cutoff value of greater than or equal
9 to 2.5 indicates that the transfusion recipient should be
10 notified unless a RIBA 3.0 is done and is negative or
11 indeterminate.

12 For EIA 1.0 lookback, it is recommended that the
13 record search for prior collections extend back
14 indefinitely, not just to January 1st, 1988, to the extent
15 that electronic or other readily retrievable records exist.

16 The recommendation that the record search extend
17 back indefinitely was made because a record search extending
18 back to January 1st, 1988, based on EIA 1.0 screening that
19 started in 1990, would only give you a little more than two
20 years of retrospective record search and wouldn't be a
21 meaningful lookback.

22 The only remedy for this was to call for an
23 indefinite retrograde search provided that the records can
24 be found.

25 In the September guidance, the record search for

1 prior collections from a donor with a current repeatedly
2 reactive EIA 3.0 or a historical repeatedly reactive EIA 2.0
3 or 3.0 was to extend back 10 years or to January 1st, 1988,
4 but this June version of the guidance extends that record
5 search back in definitely also to the extent that electronic
6 or other readily retrievable records exist.

7 This recommendation was made to be consistent with
8 the record search for EIA 1.0 to avoid the situation of
9 having the record search extend back different lengths of
10 time depending upon the screening test that was used and to
11 avoid the situation of neglecting to notify certain at-risk
12 recipients simply on the basis of the screening test that
13 was used for a later donation from that donor.

14 Now, several of the comments submitted to the
15 docket have focused on the increased inefficiency of the
16 lookback effort if the record search is extended back
17 indefinitely, that is, prior to January 1st, 1988.

18 At a meeting of Advisory Committee on Blood Safety
19 and Availability, on August 27th, 1999, the committee
20 recommended that the lookback continue to be constructed in
21 accordance with the prior recommendations of the committee,
22 that is, that the record search extend back only to January
23 1st, 1988.

24 This recommendation of the Advisory Committee is
25 being reviewed within the Department of Health and Human

1 Services. Extending the lookback period back indefinitely
2 did have extensive prior discussion within the Department,
3 and although it is now being rediscussed, we have no
4 expectation of a change in the policy at this time.

5 For supplemental testing of EIA 1.0 repeatedly
6 reactive samples, the guidance states, "If a RIBA 2 or a
7 RIBA 3 was used previously under an IND exemption, or was
8 provided as an in-house service by the test kit
9 manufacturer, the results of such testing may be used to
10 determine the need for further action."

11 So, here, FDA is saying that the result of any
12 RIBA 2 or RIBA 3 is acceptable whenever it was performed
13 whether or not the test was licensed at the time.

14 Some other changes include more detailed
15 information regarding how the recommendations apply to
16 lookback for repeatedly reactive plasma donations and
17 specific recommendations on dealing with prior collections
18 from a repeatedly reactive autologous donor.

19 Now, FDA has been reviewing the comments submitted
20 to the docket and will be making revisions when the guidance
21 is reissued for implementation. One of the clarifications
22 FDA will be providing is to define "readily retrievable
23 records," so that blood establishments will have clear
24 recommendations to follow with regard to the scope and
25 intensity of their record search.

1 In the guidance issued in June, FDA recommended
2 that blood establishments maintain records in a manner which
3 permits their rapid retrieval, for example, within five
4 working days. Our current thinking is that readily
5 retrievable records are those that are available within five
6 working days by a routine procedure, however, we would
7 welcome comment from the industry regarding how readily
8 retrievable records should be defined.

9 [Slide.]

10 Now, in response to comments received, here are
11 some of the changes that FDA is considering making when the
12 guidance is reissued.

13 If the blood establishment has information to
14 assure that there are no in date prior collections, there is
15 no need to trace those products. We have a number of
16 comments requesting that nucleic acid testing be permitted
17 to serve as a supplemental test. We are considering the use
18 of NAT prior to licensure to indicate appropriate actions to
19 be taken in retrospective lookback subject to certain
20 limitations. Use of NAT in lookback prospectively may
21 depend upon the licensure of the NAT assay.

22 In the June guidance, it was recommended that
23 blood establishments identify and quarantine prior
24 collections and notify consignees to do the same within
25 three calendar days of the date of identification of a

1 repeatedly reactive donation.

2 Requests have been received to extend this time
3 period, however, our current thinking is to continue to
4 recommend that these actions be taken within three calendar
5 days because of the public health benefit that lies in
6 quickly removing potentially contaminated products from the
7 shelf.

8 For EIA 1.0 repeat reactives, when the signal-to-
9 cutoff value is greater than or equal to 2.5, as an
10 alternative to running a RIBA 3, we intend to recommend that
11 an EIA 3.0 may be performed followed by a RIBA 3 if the EIA
12 is repeatedly reactive and that lookback be waived if the
13 EIA 3.0 is negative.

14 For notification of transfusion recipients, the
15 hospital or transfusion service need only identify the
16 patient's physician of record or the physician responsible
17 for the patient's transfusion order, not both.

18 With respect to the last one, extending the time
19 frames for implementation of the retrospective HCV lookback
20 by industry, let's first look at what was recommended in the
21 June 17th guidance.

22 [Slide.]

23 These dates are for notification of consignees in
24 the June 17th guidance. For the record search extending
25 back to January 1st, 1988 pertaining to EIA 2.0 and EIA 3.0

1 repeatedly reactive donations, as recommended in the
2 September guidance and which the industry has been doing,
3 blood establishments should complete notification of
4 consignees by March 23rd, 2000, which is unchanged from the
5 September guidance.

6 That still represents one year from the date March
7 23rd, 1999, by which blood establishments were to begin
8 consigning notification for EIA 2.0 and 3.0, but now we have
9 given blood establishments more time to extend the record
10 search for EIA 2.0 and 3.0 back indefinitely, that is, prior
11 to January 1st, 1988.

12 Blood establishments should begin notification of
13 consignees as soon as feasible and should complete all
14 consignee notifications based on EIA 2.0 and 3.0 by
15 September 30th, 2000, which is six months later than the
16 completion date for consignee notification for the record
17 search going back to January 1st, 1988.

18 For implementation of retrospective HCV lookback
19 pertaining to EIA 1.0, repeatedly reactive donations, FDA
20 recommended that blood establishments begin notification of
21 consignees by December 31st, 1999, and complete all
22 consignee notifications for EIA 1.0 by September 30th, 2000.

23 Due to concerns raised by the blood organizations
24 with respect to having adequate time to perform the record
25 searches for EIA 1.0, and to lessen the impact on EIA 2.0

1 and 3.0 lookback efforts already underway, FDA is
2 considering extending the date for beginning notification of
3 consignees by six months to July 1, 2000 and for completing
4 all notifications pertaining to EIA 1.0 by six months also
5 to April 1st, 2001.

6 [Slide.]

7 FDA recommended that transfusion services begin
8 notification of the recipient when notified by the blood
9 establishment and complete all notifications of transfusion
10 recipients identified in the retrospective record searches
11 by September 30th, 2001, that is, within one year of the
12 last of the notifications that they receive from blood
13 establishments. However, if the dates for blood
14 establishments to begin and complete consigned notification
15 for EIA 1.0 lookback are each extended by six months, this
16 date of September 30th, 2001, would also be extended by six
17 months to April 1st, 2002.

18 Thank you.

19 DR. HOLLINGER: Any questions of Dr. Mied? Yes,
20 Dr. Linden.

21 DR. LINDEN: If you are going to be recommending
22 indefinite lookback, is there going to be at least an
23 implicit recommendation for hospitals to keep records,
24 disposition records indefinitely, then, to facilitate such
25 an indefinite lookback?

1 DR. MIED: Yes. We say in the guidance that
2 records should henceforth be kept for a minimum of 10 years,
3 and that is the current recommendation. That will remain
4 unchanged. So, the implication there is that records should
5 continue to be held for as long as possible.

6 DR. HOLLINGER: Mr. Dubin.

7 MR. DUBIN: This one has raised a lot of questions
8 for us. The first thing, we are very pleased to see the
9 discussion and recommendation of extension back to the EIA
10 1.0, the 1988 period. We also think it is a very good idea
11 that FDA define retrievable records and set some deadlines
12 on (a) how long they have to be kept and reporting
13 standards. We agree with all that and are very pleased to
14 see that happen.

15 A couple of comments that need to be said from a
16 consumer perspective on this issue in 1999. We have always
17 felt that if it is dangerous enough to screen for, then, it
18 is dangerous enough to look back. We are 10 years after the
19 adoption of the EIA 1.0 test, 10 years after understanding
20 that we had a dangerous pathogen on our hands.

21 Much of the reason we have heard why people chose
22 not to look back was there is no treatment. I was reminded
23 recently by a former blood banker from Dallas that--and I
24 went back and checked it--alpha interferon was licensed as a
25 treatment in the early 1990s, I believe February of 1992

1 that licensure occurred, and there was a treatment, but we
2 don't even have to get to questions of treatment to get to
3 questions of right to know and questions of a lifestyle-
4 affected illness if someone is coming home and pouring back
5 three martinis and they find out.

6 Our biggest concern on lookback is while we
7 support the targeted lookback 100 percent, you are still
8 talking about missing two-thirds of those identified by the
9 Congressional Oversight Committee that may have been exposed
10 in the 1980s, and we were very pleased to see the work done
11 by Miriam Alter and Harold Margolis at CDC, the public media
12 campaign developed. We thought it looked quite good.

13 We were very troubled to find that there was only
14 money to fund the pilot part of that for D.C. and Chicago,
15 and that HHS had neglected to ask Congress for the rest of
16 the money - not that Congress neglected to give it, but that
17 HHS didn't ask.

18 The other question I have is how many of the blood
19 banks have a line item in their budget entitled Lookback,
20 and I don't want to suggest for a minute that the blood
21 banks should be dealing with this in a vacuum. This is a
22 societal question. This one of the things we have been
23 trying to say in terms of connecting these issues, but we
24 remain--it's hard to find a word other than "appalled" that
25 10 years later we are still promulgating guidelines.

1 We are happy to see movement, but I think
2 hepatitis C lookback represents a litmus test for what have
3 we learned and how far have we come. I think over the last
4 three months, we have learned that there is quite a bit more
5 distance to go and want to see the equation move there, and
6 want to see this addressed together because obviously, there
7 are questions of expenditure for blood banking institutions
8 and survivability, but those are also societal issues.

9 These things need to be taken in a larger context,
10 so we can ensure that when a pathogen is discovered to be
11 dangerous enough to screen for, that we look back.

12 Now, I think in part FDA is laying the groundwork
13 for that with setting up record retrieval, defining that. I
14 think that is very good, but I think we have to reflect very
15 strongly that 10 years later we will haven't notified a lot
16 of these people, and we don't seem to ever hear the question
17 right to know in this debate, and from a consumer
18 perspective, we think that has got to be part of the debate.

19 Since this is my last meeting, I just can't
20 behave.

21 [Laughter.]

22 DR. HOLLINGER: Dr. Chamberland.

23 DR. CHAMBERLAND: Just a couple follow-up comments
24 to Corey Dubin regarding the general effect that he referred
25 to, I will just amplify a little bit on that.

1 He referred to a transit ad campaign that those of
2 you that live in the D.C. area hopefully have seen evidence
3 of. Thank you, and I will convey your comments back to
4 Miriam and Hal Margolis.

5 Beginning September 1st, and for a period of two
6 months ending October 31st, based on some focus group
7 research, it was decided that an effective way to try and
8 reach people via the general education campaign would be
9 transit ads placed in bus stations and commuter trains, et
10 cetera, and these have been launched in Washington, D.C. and
11 the Chicago area for a two-month period of time.

12 It is a pilot and the expectation in talking with
13 Hal Margolis is that we will see how it goes, kind of get
14 the feedback in it and assess the results of that, and Hal
15 tells me that we do have every hope and expectation to try
16 and expand that two additional cities beyond these two after
17 we have evaluated the pilot phase.

18 Importantly, in addition to these very visible ads
19 in the two cities, there has been a lot of print and media
20 PSAs both in English and Spanish advising persons who have
21 received transfusions prior to July 1992 to go back to their
22 doctor and discuss the need to be tested.

23 These have been distributed to media markets
24 throughout the country. Obviously, though, we don't have a
25 lot of control whether these markets, whether it be print or

1 radio stations, et cetera, will choose to run them.

2 We are pursuing approaches to funding the transit
3 type ad in additional cities through a mechanism that CDC
4 has with a foundation that allows us to work with partners,
5 such as industry and the like, to try and get additional
6 money to do things like this.

7 As far as the other thing I wanted to follow up on
8 is your comments about funding in general. CDC has--meaning
9 Hal Margolis and others from our Hepatitis Branch--have in
10 recent weeks, months, been meeting with a number of
11 congressional staffers from Appropriations Committee--Corey
12 knows all this, but perhaps not all of you do--meeting with
13 congressional staffers to try and really, at that request,
14 which is my understanding how this has to work, but at their
15 request, we have been meeting with them to discuss what we
16 feel needs to be done vis-a-vis hepatitis C, education for
17 both the public and providers.

18 My understanding is that, at least when I last
19 checked on this, the President's budget did contain for the
20 new fiscal year an appropriation for this, but my
21 understanding--and perhaps can update me--is that that
22 budget has not yet been finalized.

23 CDC has received monies through its emerging
24 Infectious Disease Program that now a subset of those monies
25 are going to be permanently redirected to fund hepatitis C

1 lookback-related activities, general education, et cetera.
2 So, hopefully, that is a little bit more information to
3 follow up on Corey's comment.

4 DR. HOLLINGER: Mary, how are they going to
5 determine success of this ad campaign in Washington, D.C.
6 and Chicago, what preceded it, and then how are they going
7 to determine if it has been successful compared to none at
8 all?

9 DR. CHAMBERLAND: It's a tough question to assess.
10 One marker that we have is that tied in with this transit ad
11 campaign is that there has always been, if you will, people
12 can access information through CDC's general 1-800 number,
13 et cetera, but we felt it was important with the transit ad
14 campaign to have available live operators standing by, so
15 that people can actually call in and speak to a person to
16 answer questions, get referrals, get additional information,
17 et cetera.

18 So, one of the rough gauges that I know they plan
19 to use is assessing number of calls to the hot line, hits to
20 the web site, et cetera, and that at least is going to be a
21 beginning, a way to try and assess this.

22 Already the hepatitis web site is probably one of
23 the most frequently hit web sites that we have, and there
24 will be other mechanisms that they will be using to try and
25 assess this.

1 DR. HOLLINGER: Corey.

2 MR. DUBIN: I want to again underline I think the
3 Hepatitis Branch has taken a serious chomp on this and
4 really tried to do a good job, and we see that. I think our
5 concerns are above the branch, because once you develop the
6 program, it is out of your hands.

7 I think outcome measurements are extremely
8 important and I think we have got to look at that. We had
9 proposed and continue to propose as an alternative a Dear
10 Resident letter ala what C. Everett Koop did in the 1980s
11 over HIV. I think that that could be an issue.

12 There is another issue that doesn't seem to get to
13 the table, but what is interesting is we hear it in Congress
14 all the time. In many offices in Congress, we are asked
15 about hepatitis C culpability, the government, and do we
16 have any idea when the massive lawsuits are going to come at
17 the United States Government.

18 We don't put that out there because it is not
19 something we are usually up there talking about, but
20 invariably, we get asked. I think liability is an issue for
21 everybody, and yet as part of this discussion, we don't
22 really talk about it, it's a subplot, and I think rather
23 than, you know, individual blood banks and their attorneys
24 thinking, well, what is going to happen, again, this should
25 be a big issue that we should talk about as a society.

1 Liability is a big driver in this issue, and we hear that in
2 Congress all the time, and we know it.

3 But rather than kind of have it as a subplot, why
4 don't we get it out in the open, have some honest working
5 groups on it, and look at ways to address it, because it is
6 clearly a subplot to this.

7 I still want to throw the Dear Resident letter on
8 the table as an alternative even though we very much like
9 the program from what we have seen, and I do think outcome
10 measurements are critical. I think you are clearly thinking
11 about that, and I think that is important.

12 Again, I think the Hepatitis Branch has done a
13 great job. I think above that, we have some real concerns
14 about what is happening.

15 DR. HOLLINGER: Dr. Boyle.

16 DR. BOYLE: I would like to agree with what Corey
17 said earlier and most specifically, that this information is
18 absolutely critical to the affected patients, but I would
19 like to take it a step further in terms of looking forward
20 rather than looking back.

21 What we hear here and in other areas is something
22 can't be done or something can't be done quickly because the
23 information is not collected, maintained in such a fashion
24 that it can be obtained readily, and so we can't do certain
25 things.

1 So, one of the things that I am astonished by when
2 I see the nonstandardization of questionnaires, the
3 nonstandardization of the types of information that is
4 viewed as critical, the fact that information that is
5 required for ready review is not automated, so people can't
6 get at it easily, is I would certainly like the FDA and the
7 rest of us to think in terms of the standardization of the
8 information being collected and the ways in which it is
9 being collected and can be retrieved, so the types of issues
10 we are talking about here and in the future can be resolved
11 more readily.

12 DR. HOLLINGER: It is interesting, isn't it, that
13 we are always sort of going backwards with these whole
14 things. We are now starting to talk about things that
15 perhaps ought to have been talked about 10 years ago and set
16 up initially, and thought about, like retrievable records
17 and everything like this.

18 Now we are talking about when perhaps the risks
19 are much less, so you have a lot of regulation and perhaps
20 much less of an issue at the present time.

21 Colonel Fitzpatrick.

22 DR. FITZPATRICK: I just wanted to follow up on
23 Dr. Linden to clarify what I thought I heard Dr. Mied say.
24 She asked if you were going to ask for indefinite record
25 storage, and I heard you refer to a regulation that said you

1 are asking institutions to keep them for 10 years, so the
2 follow up to that would be are you going to change that 10
3 years to indefinite.

4 DR. MIED: No, not in terms of a recommendation
5 for now. The recommendation is to maintain records for 10
6 years.

7 DR. HOLLINGER: Dr. Epstein.

8 DR. EPSTEIN: We have in the proposed rules a
9 proposed requirement for 10-year recordkeeping. There is a
10 distinction in that we can't create records that don't
11 already exist in terms of existing lookback.

12 So, the idea of the indefinite lookback is not to
13 ignore records that do exist and may be retrievable, but
14 prospectively, our concept is 10 years as a reasonable place
15 to draw the line.

16 I just wanted to respond to some of the thoughts
17 that Mr. Dubin expressed and to follow up on the notion of
18 sort of the perils of looking back in order to look forward,
19 and the main thing that I want to say is you have to be
20 beware of a telescoping effect of history.

21 I think we all ought to recognize the debt that
22 we, as a society, owe to the very positive contribution that
23 has been made by consumer organizations of bringing a
24 perspective to the table on how we should weigh the societal
25 risks and benefits of lookback and many other issues, that

1 it has been very constructive having that voice heard more
2 loudly on our committee, and I think it is important to
3 acknowledge that.

4 Having said that, though, I think that it's a bit
5 of a simplification to look at the 10-year debate over
6 lookback and say that the answer was obvious on the face of
7 it, because the problem is that our understandings of the
8 issues evolved over the course of the 10 years as did the
9 underlying science, and the issues, as you know, were
10 debated in many fora, many advisory committees, that the
11 spectrum of concerns was very, very broad including
12 assessment of the potential public health benefits, as well
13 as the risks and the costs.

14 There were many concerns including the issue of
15 false notifications, the limitations to test accuracy, the
16 availability of follow-up testing, the cost and mechanisms
17 of funding of a lookback effort, debates over the relative
18 benefit because of the limited effectiveness of the record
19 search, the fact that transfusion recipients represented
20 only 7 percent of those with the chronic infections, and
21 then the whole issue of to what extent we knew that tracing
22 would be beneficial, for example, the issue of lifestyle
23 change and avoiding alcohol.

24 Well, you know, the data on the impact of alcohol
25 consumption on the course of chronic hepatitis C are, in

1 fact, very recent data. Even just the data establishing to
2 scientific consensus the life-long risk of chronic hepatitis
3 C emerged much later than the lookback debate started.

4 So, I just think that whereas I concur with your
5 underlying point, which is not to ignore safety risks and to
6 be willing to look back, the problem that we face is that
7 every particular condition has its framework both from
8 public health and science, and the danger that we face
9 looking forward is to have telescoped the debate and to
10 assume that everything we know or knew in March 1998 was
11 something we knew in October 1999, and unfortunately, it's
12 not true.

13 MR. DUBIN: Jay, I have got to admit I am really
14 going to miss these debates between us because they are
15 really important. I don't want to oversimplify you or FDA,
16 and I don't want to be oversimplified. That is not my
17 point, to break it down to a basic, boiled-down
18 simplification.

19 It is an evolutionary process, but we are late,
20 way late on the evolutionary process, and certain pieces of
21 data were later in coming. I don't disagree that the
22 conclusions of alcohol and a direct relationship were not
23 present in 1992 and 1993, that data was not there, but
24 again, there was treatment on the table, and we have
25 consistently heard that that was a major reason we heard

1 from the Stanford blood bank and others, and my point being
2 I don't want to telescope it at all.

3 I have said that we are pleased to see FDA setting
4 up recordkeeping because I think what FDA is doing is
5 establishing the groundwork that this won't happen again,
6 and we want to ensure that, because beyond all of this
7 debate, we believe there is a right to know issue.

8 That has not been debated very well, and that
9 right to know existed in 1988 or 1990 or 1995, and this is
10 part of the debate that we don't believe as consumers does
11 get to the table very often in these discussions.

12 When you are talking about a pathogen that has
13 serious morbidity, potential mortality, chronicity, huge
14 impact on one's life, human beings have a right to know, and
15 we believe that stands above the rest of the debate.

16 That said, absolutely, it's an evolutionary
17 process, but we are evolutionary about six years late in
18 finally doing it. Now, that said, as I have said, we are
19 pleased at certain parts of this, and there is effort,
20 serious effort on the table, but let's make sure we get
21 going and we notify, not only those in the targeted program,
22 but let's make sure the money gets put on the table, the
23 outcome results are done, and we ensure that the two-thirds
24 of the puzzle that don't fit the targeted lookback do, in
25 fact, get looked back.

1 But I am going to miss it, Jay.

2 DR. HOLLINGER: Go ahead, Dr. Nightingale.

3 DR. NIGHTINGALE: I want to, on behalf of Health
4 and Human Service, make three brief comments in response to
5 Mr. Dubin's comments.

6 As Corey knows, Larry Allen, a member of our
7 Advisory Committee, asked Dr. Satcher these same questions
8 in slightly different words, and Dr. Satcher's response at
9 the Advisory Committee to the question about funding for the
10 hepatitis C lookback was that funds were limited for all
11 purposes, but the Department feels it will have the
12 resources to carry out the programs it has proposed.

13 The second comment I would make is something that
14 not only Corey, but I think that everyone in the room knows,
15 that requests for special appropriations can be a two-edged
16 sword in the midst of a budget negotiation process, and both
17 Mr. Dubin and the Department have prior experience with
18 that double-edged sword.

19 I would finish then by saying that the Department
20 policy of record is the Secretary's letter to Dr. Kaplan of
21 January 28th, 1998, that is available on the World Wide Web
22 at our web site, and the policy, which I would summarize by
23 the quote that I believe is verbatim, is that the intent of
24 the Department is to reach as many people as possible. That
25 policy stands.

1 DR. HOLLINGER: John, do you have a question?

2 DR. BOYLE: I would just like to echo the last
3 line that Corey gave, and that is I want to make sure people
4 do understand this, that there is a lot of medical ethical
5 debate about whether or not you inform somebody with a fatal
6 untreatable illness, and so on, but survey after survey
7 after survey of the public and patients say that if I have a
8 fatal, a serious, whatever illness, I want to be informed,
9 and I want to be informed for two reasons.

10 One reason is that treatment may become available
11 at some future time, and secondly, for lifestyle reasons in
12 terms of planning, and so on.

13 So the only thing that I would say here--and I
14 don't want to get into the past history--is that in terms of
15 if we are going to measure viruses, if we are going to
16 identify these things, the patients who are affected do want
17 to be informed, and we should think through policies that
18 would make that possible.

19 DR. HOLLINGER: Paul, I have just one question on
20 something you presented, and I just want to clarify it.

21 You said that there is an option to run the 3.0
22 when the EIA 1.0 was over a certain level. You are talking
23 about on a stored sample, not on a new sample, is that
24 correct?

25 DR. MIED: Yes, that's correct. That would be

1 current testing of an EIA 1.0 repeatedly reactive where the
2 signal-to-cutoff value is greater than or equal to 2.5. We
3 said in the guidance run a RIBA 3. What we are saying now
4 is that you have the option of running an EIA 3.0 or a RIBA
5 3.

6 If you run an EIA 3.0, and it's negative, then,
7 lookback is waived. If it's repeatedly reactive, then, run
8 your RIBA 3.

9 DR. HOLLINGER: I just want it clarified that they
10 are not going to be called back in and then a test be done
11 on the new blood.

12 DR. MIED: The follow-up testing of that sort,
13 additional testing, could be done on an original donor
14 sample where no additional testing was done originally or it
15 could be done on a follow-up sample freshly obtained from
16 the donor.

17 DR. HOLLINGER: But that's my point, I said, I
18 mean because they may have been positive back then truly
19 infected and negative now, and we know that. We have seen
20 that in patients who have acquired hepatitis C and have been
21 followed for a period of time. About 10 percent of them
22 have actually no markers, and so I think it has to be done.
23 If you are going to put that rule in there, it has to be
24 done on the initial, original sample, not on any sample.

25 DR. MIED: We say in the guidance that if a frozen

1 sample from the original donation is available, test that.
2 If it is not, then, try to obtain a fresh sample from the
3 donor, but I understand what you are saying about the
4 limitations of that.

5 DR. HOLLINGER: One more question and then we need
6 to move on. Yes, Dr. Macik.

7 DR. MACIK: I just wanted to add a little bit to
8 the education aspect of this. This came up before I was a
9 member of BPAC and not very much informed about various
10 things that were happening, where a patient calls in and
11 says, "I heard that if you got a transfusion before 1992,
12 you probably have hepatitis C and you have to get tested.
13 My child was transfused and what do I do?"

14 I was like I really don't know what you are
15 talking about. I think the education also, because the
16 implied--and this comes up more than just hepatitis C--the
17 implied implication, if you have a medical question, ask
18 your doctor.

19 It is in all the advertisements for these new
20 drugs that are allowed to come out, ask your doctor as if
21 your doctor knows it. The problem is we have to make it
22 equally, because there is two problems here. One, of
23 course, you put the doctor in a bad spot, but you also limit
24 the credibility of how serious this is. If a patient has
25 their family doctor they have been going to for 20 years,

1 they hear this information, they call their family doctor,
2 and family doctor acts like he doesn't know what is going
3 on, then, how important could it be? That's just another
4 big government thing going on, it must not be very
5 important.

6 So, we really also need to make sure that
7 physicians, whose patients already are being referred to go
8 and ask, know what is going on, and that's outside of the
9 blood bankers. We talk about general practitioners and the
10 people who are going to get the first-round questions, and
11 that is really missing big time.

12 By the same token, there is also a problem with--I
13 think one of the big things to come out of the AIDS epidemic
14 was the idea that there is informed consent and that it
15 should be put forward that blood is a dangerous product, and
16 every time we give blood, we are giving you something that
17 may eventually be found to have something that will impact
18 on your health.

19 We have tried to do that. By the same token, we
20 find ourselves getting in the dilemma of someone refusing a
21 product that will save their life now because we
22 overemphasize how bad the product might be, and where do we
23 put all of this information in?

24 The patient's right to know is there. They know
25 for the last 10 years that blood is not safe. Now, we have

1 to go back and say, okay, we have now found that there is a
2 virus that we could find, and now we are going to let you
3 know you have that virus, and how far back do we need to go?

4 I am a little concerned with this indefinite
5 lookback idea, how far can we go, what information do we
6 have, what are patients--should we just say every patient
7 who has ever had a transfusion, go and get a hepatitis C
8 screen? That is another question that comes up.

9 DR. HOLLINGER: Thank you, Dr. Macik.

10 We are going to go ahead and move to the last
11 topic for Committee Updates. This one probably has
12 generated as much letters as I have seen for a while. I
13 think most of the committee has gotten several, and I think
14 they have been good letters, I think they have been
15 important and brought up some issues that I think were not
16 quite appreciated perhaps by the committee, and I think some
17 of these perhaps need to be resolved.

18 This is on Post-Donation Information Affecting the
19 Safety of Plasma Derivatives: Revised Algorithm. Dr. Tabor
20 is going to talk about this.

21 **Post-Donation Information Affecting the**
22 **Safety of Plasma Derivatives: Revised Algorithm**
23 **Edward Tabor, M.D.**

24 DR. TABOR: As you know, we have been discussing
25 at BPAC since 1997, the topic of what we previously called

1 "Inadvertent Contamination," but is now called "Post-
2 Donation Information," I might add after a very long search
3 for a new and better name.

4 Let me remind you that so far, these discussions
5 have involved only those viruses for which serologic tests
6 exist and which can be inactivated or removed by procedures
7 applied during the manufacturing process for plasma
8 derivatives, namely, the hepatitis B virus, the hepatitis C
9 virus, and human immunodeficiency virus.

10 In summary, BPAC voted in March 1999 in favor of
11 what we refer to as the "Test Positive" algorithm; in May
12 1999, BPAC voted in favor of the "Risk Factor" algorithm,
13 with the proviso that Footnote "i" be shortened. A copy of
14 the "Risk Factor" algorithm has been given to you today for
15 reference. It's Document A in your handout.

16 Shortening of Footnote "i" was requested by BPAC
17 because the number of risk factors in Footnote "i" that
18 could activate the algorithm was so large that post-donation
19 information would affect every lot of every plasma
20 derivative.

21 A major effort was made by FDA to shorten Footnote
22 "i". Document B is a copy of the original Footnote "i,"
23 annotated with a list of the number of post-donation
24 information reports for each risk factor received by FDA
25 during FY 1998.

1 I will not take time now to go through this list
2 with you, although you are welcome to ask questions at the
3 conclusion of this update. The main purpose in showing this
4 to you is to remind you of the extent of the problem of
5 post-donation information.

6 We then tried to reduce the number of listed risk
7 factors based on several approaches. Some of the risk
8 factors were eliminated based on lack of evidence of a
9 significant risk of transmitting hepatitis B, hepatitis C,
10 or HIV.

11 Some were eliminated because they were "secondary
12 risk factors," whose risk only reflected the risk associated
13 with other high risk activities. In these cases, it seemed
14 more reasonable to limit the algorithm to the "primary risk
15 factor," since potential donors with the "primary risk
16 factors" should include all donors with the "secondary risk
17 factor" who were truly at risk.

18 Document C lists the risk factors that we removed
19 from Footnote "i" and the reasons they were removed. I will
20 now go through these with you briefly.

21 The risk from a needlestick or transfusion within
22 12 months were removed because we were aware that these
23 rarely transmit HBV, HCV, or HIV.

24 Tattoos within 12 months were removed because we
25 were informed by scientists at the CDC that there is really

1 no data to support transmission risk by tattoo except for
2 one or two reports of hepatitis B transmission.

3 Body piercing was removed because we were informed
4 by the CDC that there was no data to support transmission
5 risk unless there was also drug use involved.

6 Sex with an intravenous drug user was removed as a
7 secondary risk.

8 History of incarceration greater than 72 hours was
9 removed because it is really just a surrogate for
10 intravenous drug use or males having sex with males.

11 AIDS related signs and symptoms were removed
12 because these are not markers of acute infection. There
13 actually were very few reports during FY 1998, only 27
14 reports to FDA of AIDS related signs and symptoms as post-
15 donation information, but what we are really trying to do
16 with these risk factors is pick up window period cases,
17 those individuals who are not yet detectable by the licensed
18 tests.

19 A female who has had sex with a male who has had
20 sex with a male was removed as a secondary risk.

21 Sexually transmitted diseases, other sexually
22 transmitted diseases were removed because it is really just
23 a surrogate marker.

24 Travel to or immigration from a Group O endemic
25 area was removed because it's really a very remote risk for

1 the U.S. blood supply at present.

2 There remained thereafter four risk factors in
3 Footnote "i," as shown in Document D. Although we do not
4 yet know the precise number of reported events associated
5 with the first two of the remaining four risk factors,
6 because in our list on Footnote "i" we limited them to
7 occurrences within 12 months, and our currently available
8 data is for ever having had the risk factor specifically, we
9 were listing needlestick or transfusion, for instance, if
10 they had occurred within 12 months, and we have data only
11 for someone who has ever had a transfusion or ever had a
12 needlestick event.

13 Although we do not yet have the number of events
14 for hepatitis B virus alone in the third remaining risk
15 factor, these numbers are currently being obtained from the
16 original reports and will be available at a later date.

17 Nevertheless, Document D clearly shows that even
18 when Footnote "i" is reduced to these four risk factors, the
19 number of events shown on the righthand column of Document D
20 is almost certainly so large that nearly every lot of every
21 product would have to be quarantined, and life-threatening
22 shortages would occur.

23 It seems as if the algorithm just could not be
24 made usable by reducing the number of risk factors that
25 would activate it. We therefore decided to reconsider the

1 algorithm in view of this and in view of two other
2 developments. Those two other developments were the
3 following:

4 1. Nucleic acid amplification tests on minipools
5 for detecting hepatitis C virus RNA have been applied under
6 IND to almost all units of plasma collected in the United
7 States since sometime in 1998, and NATs on minipools for HIV
8 will be similarly applied to all units of plasma by the end
9 of 1999.

10 The second development is that an industry
11 association, the IPPIA, has developed a set of GMP
12 enhancements that they say will do the GMP evaluation in the
13 algorithm before the products are released. They will be
14 presenting a summary of these enhancements in the open
15 session today. It should be noted, however, that their plan
16 has not yet been submitted to FDA, and it has not been, of
17 course, reviewed by FDA.

18 Based on these considerations, FDA plans to modify
19 this algorithm. This session today is an update session,
20 and our intent in this presentation is to inform BPAC of
21 what we are doing with an issue that has been actively
22 discussed at numerous prior meetings.

23 We will bring a further revised algorithm to you
24 for discussion and, most likely, for a vote, at a subsequent
25 BPAC meeting. One concept under consideration would be to

1 make the following change in the algorithm:

2 All units entering plasma pools will have been
3 found to be negative for hepatitis C virus and HIV in
4 minipool testing using NAT prior to pooling. If post-
5 donation information is received that a donor is in a listed
6 risk group, we could suggest that the pool itself be tested
7 as a precaution for HCV and HIV by NAT under an IND, and for
8 HBV DNA by a NAT test that had been validated by the
9 manufacturer under an IND.

10 If all of these tests are negative, and, of
11 course, the testing done by NAT prior to pooling was
12 negative, then, the pool or products would be releasable
13 without the need for quarantine and without the need for
14 further GMP review. A positive test would trigger
15 quarantine and mostly like further GMP assessment,
16 especially, I think, if the testing done prior to pooling
17 were found to have been positive.

18 We welcome your comments now. However, I want to
19 emphasize that a formal modification of the algorithm will
20 be developed and brought to a future BPAC meeting.

21 Thank you.

22 DR. HOLLINGER: Thank you, Ed. I think what we
23 will, right following after this is a couple of other issues
24 and then we can bring it up for the committee to discuss.

25 Mr. Bablak, for the IPPIA, is going to present

1 some of their updates. After that, we will have one other
2 speaker, and then we will open this up.

3 **IPPIA Presentation**

4 **Jason Bablak**

5 MR. BABLAK: I thank the committee chair and the
6 committee for allowing us this time to address you on this
7 important issue. Hopefully, you realize from the letters
8 that we have sent and all the effort we put in, that the
9 industry takes this very seriously.

10 [Slide.]

11 My name is Jason Bablak and I am with IPPIA. I
12 want to briefly go over with you the alternative strategy
13 that we have developed regarding post-donation information.
14 Hopefully, you all received the letter we sent with our
15 white paper addressing the subject, so I am just going to
16 really briefly go through some of this, and at the end, if
17 there are any questions, I would be happy to answer them.

18 [Slide.]

19 Basically, we have come up with an alternative
20 strategy. I think we presented part of it at the last BPAC
21 and we have added to it a little bit. Basically, we have a
22 strategy to provide a prospective enhanced GMP review of the
23 viral reduction procedures and records for all new
24 production lots and a retrospective review for all released
25 lots, and this is regardless of post-donation information.

1 The participating companies, it is our four
2 members plus the American Red Cross and the ZLB, so we have
3 Alpha Therapeutics, the American Red Cross, Baxter Hyland-
4 Immuno, Bayer Corporation, Centeon, and ZLB.

5 [Slide.]

6 Briefly, just to put this all in perspective, I
7 think there are some goals that the FDA had--and certainly
8 this is our words, not the FDA words--but when we looked at
9 what they are trying to do, this is what we thought, first,
10 further increase the margin of safety in plasma derivatives.
11 I think that is a laudable goal, and we all agree that that
12 is something that should and could be done.

13 Secondly, specifically, address the potential
14 safety issues associated with window period units.

15 The rationale for that, it makes some sense.
16 Donors are deferred through questioning related to specific
17 risk activity in order to reduce the risk of including newly
18 acquired but undetectable infectious units, which we all
19 know are called window period units.

20 If additional information regarding a donor
21 becomes available after a donation, post-donation
22 information, that would have excluded the donor, there is a
23 theoretical risk that the donor is in the window period, and
24 that donations from this donor may present a potential risk
25 to the product.

1 [Slide.]

2 In response to that, the FDA developed some
3 algorithms, and we have viewed these as potential draft
4 guidances. They actually haven't been released under a
5 formal process, but if they were to be released, we assume
6 that they would be done through a guidance process, and that
7 allows us some other procedures to address this issue, and
8 that is the alternative procedure which basically, if the
9 industry can up with an alternative procedure that meets the
10 goals of the implementing regulations and laws, then, the
11 FDA can accept that as an alternative procedure.

12 [Slide.]

13 There are some obstacles to implementation of the
14 FDA algorithms. Hopefully, that has been pointed out in
15 detail in other BPAC meetings and also in the paper that we
16 put together, but basically, there were aspects of the NAT
17 option that were not feasible, and I think Dr. Tabor
18 recognized that, and they have changed their thinking on
19 that.

20 With the GMP review, there were a couple of
21 obstacles. One, numerous reviews are necessary, and I think
22 it basically comes out that all product lots would
23 eventually be required to be reviewed, and the 72-hour time
24 frame was also a very difficult implementation timeline for
25 that.

1 [Slide.]

2 This is just a slide to kind of put it all into
3 perspective. It is one of the instances that we took out of
4 the white paper that was passed out to everybody, but
5 basically, there is one report of a PDIR.

6 There were seven units taken from that donor. Six
7 of them were removed from inventory from the voluntary 60-
8 day hold that the industry has on source plasma, so that
9 leaves one unit that was actually pooled.

10 From that one unit, there were four, Factor VIII
11 lots, one Factor IX, eight albumin lots, one IVIG lot, plus
12 eight more intermediates that had not been further processed
13 into final products. Under the current algorithm,
14 basically, this would require reviews for all of these lots
15 within 72 hours or else they would have to be put on
16 quarantine.

17 What I want to stress here is this was only one
18 unit that got through. There were many other examples that
19 we provided that showed many more units getting through,
20 which multiplies this number here that has to be done, and
21 the problem you have here is this all has to be done within
22 72 hours of then put on quarantine. So, obviously, the
23 creates some problems.

24 [Slide.]

25 I want to go over just very briefly some data that

1 was not included in the white paper that was presented to
2 you. This is from the ZLB, and they were kind enough to
3 present their information as an additional source of
4 information.

5 I think this is important because, first of all,
6 this is all plasma that is sourced from the U.S.,
7 fractionated by the Swiss Red Cross, and then sent back as
8 final product to the U.S. So, this is not including
9 everything that the Swiss Red Cross, just the material that
10 comes back to the U.S.

11 Basically, the total number of PDIs that they had
12 were 12,000 in 1998, and for the first half of 1999, a
13 little over 5,000, and then if you had the original Footnote
14 "i" that was presented in June, these are the numbers that
15 went along with that, so significant numbers, but I think
16 the next picture is more telling of what this would do for
17 them.

18 [Slide.]

19 I will just let the numbers speak for themselves,
20 but down at the very bottom, the average number of PDIRs,
21 according to Footnote "i" per lot of IVIG that is
22 manufactured by them is, in 1998, 7.7. So, not only do they
23 have each lot is associated with a PDIR, but there are many
24 per lot because of the way they bring their fractions
25 together and they use recovered plasma, which has a smaller

1 volume, and therefore required more units. So, this is just
2 some additional information to show you the difficulty of
3 complying with that particular algorithm.

4 [Slide.]

5 So, basically, we developed an alternative
6 procedure which we think will hopefully meet the
7 requirements of what the FDA was trying to accomplish from
8 the earlier slide, but do it in a way that we can continue
9 to release products and make these available for patients to
10 use.

11 First, we have an up-front "enhanced" GMP review
12 of viral reduction records for new production. This is the
13 really important part here. There will be an enhanced
14 review, and that will be performed by staff who are
15 specially trained, and I think what is really important in
16 this is the training of the staff, there will be additional,
17 and they will understand principles in virology, the
18 product-specific processes for viral inactivation and
19 reduction steps at that particular manufacturer, and then
20 the critical operating parameters for each step.

21 So, this is additional information that these
22 reviewers will be trained to, so that they have a better
23 understanding when they are reviewing the release records
24 for these products and understand what the importance of
25 those numbers are.

1 This also will include new documentation which
2 then will provide a certification of review, and in the
3 package that we provided you, there was an example of one
4 for an albumin, that there was some additional documentation
5 that would be included with each product lot.

6 Then, obviously, implementation of NAT testing.
7 We have talked about that many times, and that is an ongoing
8 thing that the industry has committed to do.

9 [Slide.]

10 A new part that we didn't talk about at the last
11 meeting was a retrospective review, similar to what we are
12 doing for ongoing lots. We would take this up for lots that
13 have already been released, and that was really to address
14 what I think the committee and the committee and the FDA was
15 concerned with, was the interim period, what do you do for
16 the product that has already been released, that doesn't get
17 this review going forward.

18 What we decided is we would review at least three
19 months previous inventory, and this was basically decided
20 upon because the inventory is not available in any
21 quantities, and we thought a three-month time period would
22 be a good indication of what was actually out in the field,
23 so this would basically include all product that has not
24 been used. So, therefore, everything that is out in the
25 field would be receiving this new GMP review, as well as

1 ongoing review for all newly released product lots.

2 What we said we would do is we would do it in
3 order based on any potential risk that would be associated
4 with the products, and we would start this with the
5 coagulation products and then go to the IVIG products, and
6 then based on the safety history of albumin, we felt there
7 was really no need to go and do a retrospective review, but
8 going forward, all products including albumin would receive
9 the enhanced GMP review.

10 [Slide.]

11 The implementation timeline that we have developed
12 for the enhanced GMP review for new production, as of
13 yesterday, we have prepared check-lists for these enhanced
14 reviews, and these have been done for each product lot by
15 each manufacturer.

16 Then, based on those, each company will develop
17 SOPs for implementation of the enhanced GMP review including
18 reference documents and the training materials that will be
19 used, and they by December 15th, all the training to those
20 SOPs will be completed and it will be implemented for all
21 lots released after that date.

22 [Slide.]

23 Going to the retrospective review, beginning
24 January 1st of 2000, we will go back for at least three
25 months and do the review for products that have been

1 released, and that will hopefully take care of the interim
2 period for all products that are available to be used that
3 are on the market, and implementation of NAT testing by the
4 end of 2000 for the three viruses listed.

5 [Slide.]

6 Path forward. Basically, we have the timeline.
7 We are implementing this. We have had a meeting with the
8 FDA to advise them on our plan, to get input and comments
9 from them, and we are going forward with this.

10 We are today updating BPAC as we had promised we
11 would in our letter, and we hope to receive FDA concurrence
12 sometime in the near future that our alternative meets the
13 intent of their algorithm.

14 Hopefully, that wasn't too fast for everybody, but
15 I was only given about 10 minutes. I wanted to make sure I
16 got it all in. I would be happy to answer any questions or
17 go into further details if there are questions.

18 DR. HOLLINGER: Yes.

19 DR. BOYLE: Jason, let me compliment you on coming
20 up with some improvements, but let me ask you two questions
21 about the improvements.

22 One of the issues is in going forward, the type of
23 documentation of the certification that you are talking
24 about. Will this be done in some type of electronic format,
25 so that the FDA could see, you know, check the thing within

1 24 hours rather than being told that it can't be done within
2 72 hours?

3 MR. BABLAK: All of these reviews will be done and
4 accompany each product lot. Whether or not it will be done
5 electronically will be left up to the manufacturer as they
6 determine is the best way for them. But what is important
7 is because the review has already been done, all the
8 documentation is included with that particular batch record
9 for that particular product, so documentation of the review
10 is included with each product lot that has been released.

11 DR. BOYLE: Let me phrase it slightly differently.
12 I have two reasons for talking about electronics other than
13 it is a nice word. Number one is obviously information
14 retrieval in a very quick fashion.

15 The second issue is that we have been told that a
16 lot of the GMP failures have been as a result of lack of
17 information, that critical information just simply isn't
18 there, you don't know whether something is done or not.

19 When we used to do surveys on paper and pencil, if
20 the interviewer failed to note something, the interviewer
21 failed to note it, and you found out about it afterwards.

22 When you do it electronically, the program doesn't
23 let you move forward if you don't enter the information, and
24 that is one of the reasons why I am asking, when you are
25 thinking through this process, to not only enhance GMPs, but

1 in cases where the GMPs need to be reviewed, that the
2 information is there and accessible in a reasonable time
3 frame. I would certainly urge you to think about it in that
4 way.

5 MR. BABLAK: Okay. Certainly the companies will
6 take that under consideration, and I can't speak--because
7 each company is developing their own SOPs and their own
8 programs, and they have their ways of operating--but I think
9 that is a very relevant comment, so thank you.

10 DR. HOLLINGER: Dr. Stroncek.

11 DR. STRONCEK: On page 90 for handouts, you
12 indicate that the NAT testing will be implemented for HIV,
13 HCV, and HBV by the end of 2000. Will those be using
14 licensed tests, because according to the way things operate,
15 if a test is not licensed, it is not really proved, it has
16 not been documented to prove it to be efficacious, so I
17 really think you need to make sure these tests are licensed.

18 The second issue is on page 6. I understand that
19 one plasma product can go into a number of different types
20 of derivatives, for example, albumin and intravenous
21 immunoglobulin, but why is one plasma product going into
22 eight different lots of albumin? Aren't you trying to
23 minimize the number of products that are in each lot?

24 MR. BABLAK: Let me respond to your first
25 question. I think under the NAT testing, each company is

1 doing this through the IND process, so our commitment is to
2 have basically developed an IND and submit it to the FDA by
3 this date. The license will come after that.

4 DR. STRONCEK: I think that people in the industry
5 have to push these manufacturers to license the tests.
6 Licensure is the way this society documents efficacy, and if
7 it is not licensed, it is not effective, and you need to get
8 with the program.

9 MR. BABLAK: I understand there is a process to
10 license that, and the IND is the process is to get the
11 license. It takes time in order to prove that, so I guess
12 what I am saying is our intention is to get licenses. You
13 just have to do it through the process that the FDA has
14 outlined.

15 DR. STRONCEK: Centeon has an overhead later on
16 that 3.6 million donations have been screened by PCR. How
17 many donations do you need to get licensed? Isn't that
18 enough?

19 DR. HOLLINGER: Just going back to what Dr. Boyle
20 has said, you presented something from the IPPIA, but then
21 you come back and say now the companies are going to be able
22 to make their own SOPs and so on. There seems to be some
23 difficulties here, at least for me.

24 In the first place, you are saying here is an
25 alternative strategy and this is what we are going to do

1 industrywide or through the plasma fractionation industry,
2 and so on, and the second time you are saying that the
3 companies are going to be able to do sort of what they want
4 to do.

5 MR. BABLAK: No. Let me rephrase that. This is
6 the general strategy that all the companies have agreed to
7 comply with. Each company has to develop their own
8 particular SOPs and their own particular check sheets
9 because each process that is run for each product is
10 different, and so the companies have to develop those based
11 on their own processes, their own parameters, their own
12 licensing, and their own validation material that they have.

13 So, what will be specific to the companies is the
14 specific check sheets, the specific SOPs, and obviously, the
15 FDA will be able to inspect those and look at them when they
16 come in for inspections to make sure that that has happened.

17 DR. HOLLINGER: Thank you.

18 DR. BOYLE: I think we all understand that the
19 manufacturing processes vary by line and vary by company,
20 but we thought what you were proposing was that the
21 industrywide group was going to set minimum standards within
22 which the individual companies could customize those
23 depending upon their own manufacturing processes, but that
24 minimum standards or what is being done, what is being
25 documented, you know, rates of retrieval, et cetera, is