

U.S. FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS

VACCINES ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

Thursday, January 27, 2000

+ + + + +

The meeting was held in the Versailles Rooms I and II, Holiday Inn, 8100 Wisconsin Avenue, Bethesda, Maryland, at 8:00 a.m., Dr. Harry B. Greenberg, Chairman, presiding.

PRESENT:

- HARRY B. GREENBERG, M.D.
- KATHRYN M. EDWARDS, M.D.
- MARY K. ESTES, Ph.D.
- STEVE KOHL, M.D.
- KWANG SIK KIM, M.D.
- DIXIE E. SNIDER, JR., M.D., M.P.H.
- WALTER L. FAGGETT, M.D.
- DIANE F. GRIFFIN, M.D., Ph.D.
- DAVID S. STEPHENS, M.D.

0101 '00 FEB 16 14:08

**OPEN**

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

This transcript has not been reviewed or corrected, but appears to be accurate from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

PRESENT (Continued):

BARBARA LOE FISHER

INVITED PARTICIPANTS PRESENT:

KRISTINE BISGARD, D.V.M., M.P.H.

ROBERT BREIMAN, M.D.

JAY BUTLER, M.D.

THEODORE EICKHOFF, M.D.

L. PATRICIA FERRIERI, M.D.

THOMAS FLEMING, Ph.D.

PAUL HEATH, M.D.

RICHARD INSEL, M.D.

ORIN LEVINE, Ph.D.

JODIE McVERNON, M.D.

MARGARET RENNELS, M.D.

JOHN ROBBINS, M.D.

NANCY ROSENSTEIN, M.D.

HEINZ SCHMITT, M.D.

MARK STEINHOFF, M.D.

CAROL ZENKO, Ph.D.

CBER PARTICIPANTS PRESENT:

DR. LESLIE BALL

DR. CARL FRASCH

DR. LYDIA FALK

DR. KATHRYN STEIN

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## C-O-N-T-E-N-T-S

	<u>PAGE</u>
Introductions . . . . .	5
Conflict of Interest Statement . . . . .	9
Introduction, Dr. William Egan . . . . .	12
Historical Origin of Minimum Protective Levels, Dr. John B. Robbins . . . . .	18
Manufacturers' Presentations:	
SmithKline . . . . .	40
Aventis Pasteur . . . . .	56
North American Vaccines . . . . .	61
Wyeth Lederle . . . . .	68
Merck . . . . .	80
Presentation by Dr. Margaret Rennels . . . . .	85
Presentation by Dr. Carol Zenko . . . . .	98
Public Comment:	
Dr. Juhani Eskola . . . . .	122
Dr. Mike Pichichero . . . . .	141
Presentation of Dr. Jay Butler . . . . .	148
Presentation by Dr. Heinz Schmitt . . . . .	170
Presentation by Dr. Paul Heath . . . . .	185
Presentation of Dr. Richard Insel . . . . .	207
Presentation of Dr. Dale Horne . . . . .	232
Public Comment:	
Dr. Dan Granoff . . . . .	245

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## C-O-N-T-E-N-T-S (Continued)

PAGE

## Public Comments (Continued):

Dr. Bud Anthony . . . . .	261
Dr. Kristine Bisgard . . . . .	265
Dr. Claire-Ann Siegrist . . . . .	271
Dr. Alan Meyerhoff . . . . .	274
Dr. Hugues Bogaerts . . . . .	278
Dr. David Goldblatt . . . . .	281
Discussion of Questions . . . . .	288

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(8:08 a.m.)

CHAIRMAN GREENBERG: Okay. Good morning, everybody. Good morning. I'd like to welcome you to our first session of the new millennium, and it's an auspicious start. We missed a big storm. This was the easiest commute to Washington I've had in a long time. So maybe the new millennium will be better.

We have a busy day. I'd like to remind all the speakers of my terrible reputation of being very obnoxious when you go over. So please keep to your timetable. Make your presentations crisp and on target.

I'd like to start just simply by having the panel introduce itself, and we'll start down there.

This is a new layout for all of us, and I can barely -- if we get a fog, I won't be able to see who's down there. Is that you, Dixie?

DR. SNIDER: That's me.

CHAIRMAN GREENBERG: Could you start?

DR. SNIDER: Dixie Snider, Associate Director for Science, Centers for Disease Control and Prevention.

DR. GRIFFIN: Diane Griffin, Johns Hopkins

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 University School of Public Health.

2 DR. STEPHENS: David Stephens, Emory  
3 University School of Medicine.

4 DR. ESTES: Mary Estes, Baylor College of  
5 Medicine, Houston.

6 DR. KOHL: Steve Kohl, Oregon Health  
7 Science University.

8 DR. KIM: Kwang Sik Kim, Children's  
9 Hospital, Los Angeles.

10 DR. FAGGETT: Walt Faggett, Medical  
11 Director, American Preferred Provider.

12 MS. FISHER: Barbara Loe Fisher, National  
13 Vaccine Information Center.

14 DR. EDWARDS: Kathy Edward, Vanderbilt  
15 University, Nashville, Tennessee, home of the  
16 Tennessee Titans.

17 (Laughter.)

18 CHAIRMAN GREENBERG: Harry Greenberg,  
19 Stanford University and the Palo Alto VA Hospital.

20 DR. BREIMAN: Rob Breiman, National  
21 Vaccine Program Office.

22 DR. EICKHOFF: Ted Eickhoff, University of  
23 Colorado School of Medicine.

24 DR. FERRIERI: Patricia Ferrieri,  
25 University of Minnesota Medical School, Minneapolis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FLEMING: Thomas Fleming, School of  
2 Public Health, University of Washington.

3 DR. INSEL: Dick Insel, University of  
4 Rochester School of Medicine

5 CHAIRMAN GREENBERG: Can we go to our  
6 visitors over here?

7 DR. ZENKO: Dr. Carol Zenko, University of  
8 Chicago.

9 DR. SCHMITT: Heinz Schmitt, University of  
10 Kiel in Germany.

11 DR. HEATH: Paul Heath, St. George's  
12 Hospital Medical School in London.

13 DR. McVERNON: Jodie McVernon from Oxford  
14 Vaccine Group.

15 DR. LEVINE: Orin Levine, NIAID.

16 DR. ROSENSTEIN: Nancy Rosenstein, Center  
17 for Infectious Diseases, CDC.

18 CHAIRMAN GREENBERG: Oh, my goodness, and  
19 can I have the FDA contingent over there on my right?

20 DR. STEIN: Katherine Stein, CBER.

21 DR. FRASCH: Dr. Carl Frasch, CBER.

22 DR. BALL: Leslie Ball, CBER.

23 DR. FALK: Lydia Falk, CBER.

24 DR. GOLDENTHAL: Karen Goldenthal, CBER.

25 CHAIRMAN GREENBERG: Okay. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And now we have some administrative notes.

2 MS. CHERRY: Well, I'd like to thank all  
3 of you that braved the uncertainty, in the first  
4 place, and then the icy sidewalks and everything else  
5 that Washington has to offer in all of its glory right  
6 now for coming here to assist us with this meeting.

7 A couple of announcements: first of all,  
8 for any of you who may have cars parked across the  
9 street, remember that the Bethesda police are very  
10 diligent about checking that lot. So if you ran short  
11 of quarters, please get some quarters and make sure  
12 you get out there and avoid a ticket.

13 Another thing I want to ask all of you is  
14 please talk into the microphone. The meeting is being  
15 recorded, and therefore, it's very important that  
16 everything you say, particularly with this large,  
17 large panel goes right into the microphone.

18 I'm about to read the conflict of interest  
19 statement. It is not that long this time, but I would  
20 ask if you get tired of listening to me, one thing you  
21 can occupy yourself with is turning off your cell  
22 phones and putting your pagers into silent mode  
23 because we really hope that we won't hear those things  
24 going off during the meeting.

25 And finally, for any of you who wish to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 make a talk in open public hearing, I want to  
2 apologize if the times that we have listed on today's  
3 agenda are not exactly what you saw listed in the  
4 Federal Register.

5 We have to make the best guess at the time  
6 the Federal Register notice is put together, which is  
7 roughly two months before the meeting, and at that  
8 time we don't have an agenda. So I've tried to  
9 accommodate you.

10 If you get here for the time that was  
11 listed in the Register and you find that it's not on  
12 the agenda now, please come see me. We'll see that  
13 you get fit in.

14 And now let me read the meeting statement.  
15 Three of our members, Dr. Ada Adimora, Dr. Diane  
16 Griffin -- no, I'm sorry -- Dr. Diane Finkelstein, and  
17 Dr. Alice Huang are unable to attend this meeting. No  
18 temporary voting members have been appointed for  
19 today's topic.

20 The following announcement addresses  
21 conflict of interest issues associated with the  
22 session on the Vaccines and Related Biological  
23 Products Advisory Committee on January 27th, 2000, for  
24 the review of the current understanding of the immune  
25 parlance of protection against invasive Haemophilus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 influenza Type B disease and the clinical significance  
2 of reduced antibody response to Haemophilus influenza  
3 Type B polysaccharide when combined with DTaP.

4 To determine if any conflicts of interest  
5 existed, the agency reviewed the submitted agenda and  
6 all financial interests reported by the meeting  
7 participants. In accordance with 18 USC 208, Drs.  
8 Kathryn Edwards, Theodore Eickhoff, and Steve Kohl  
9 have been granted waivers which permit them to  
10 participate in the committee discussions.

11 Dr. Margaret Rennels has been granted a  
12 restricted waiver which permits her to make a  
13 presentation and to answer questions regarding her  
14 presentation. She will not be permitted  
15 participate in the discussions.

16 Dr. Robert Daum has recused himself from  
17 this discussion.

18 Dr. Greenberg disclosed a potential  
19 conflict of interest which was deemed by FDA as  
20 requiring a waiver, but does suggest an appearance  
21 a conflict of interest. A written appearance  
22 determination under 2635.502 of the Standards  
23 Ethical Conduct has been granted to permit him  
24 participate in and lead the committee discussions.

25 With regard to FDA's invited guests

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 guest speakers, and those are the individuals along  
2 the table over on that side, the agency has determined  
3 that the services of these individuals are essential  
4 despite their varying levels of conflict of interest.  
5 The following interests are being made public to allow  
6 those attending this meeting to evaluate objectively  
7 any presentation and/or comments made by the guests  
8 and guest speakers.

9 Dr. Paul Heath has received a speaking fee  
10 from SmithKline for participation in a Hib vaccine  
11 workshop.

12 Dr. Orin Levine is employed by NIH. He is  
13 consultant with Wyeth Lederle on an unrelated topic.

14 Dr. Heinz Schmitt has grants from  
15 SmithKline, Wyeth, Pasteur Merieux, and Merck. He has  
16 received consulting fees from SmithKline and Wyeth,  
17 speaking fees from SmithKline, and Pasteur Merieux,  
18 and Merck, and he is a scientific advisor for  
19 SmithKline and Wyeth.

20 Dr. Mark Steinhoff has grants from NIAID  
21 and Merck and contracts with NIAID, Pasteur Merieux  
22 Connaught, SmithKline, and Wyeth.

23 Dr. Carol Zenko is employed by the Section  
24 of Pediatric Infectious Diseases at the University of  
25 Chicago. Dr. Robert Daum is a Section Chief there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 In the event that the discussions involve  
2 specific products or firms not on the agenda and for  
3 which FDA's participants have a financial interest,  
4 the participants are reminded of the need to exclude  
5 themselves from the discussion. Their recusals will  
6 be noted for the public record.

7 With respect to all other meeting  
8 participants, including those who speak during the  
9 open public hearings, we ask in the interest of  
10 fairness that you state your name and affiliation and  
11 address any current or previous financial involvement  
12 with any firm whose products you may wish to comment  
13 on, and we may follow up on this.

14 Copies of all waivers and appearance  
15 determinations addressed in this announcement are  
16 available by written request under the Freedom of  
17 Information Act.

18 And that's it.

19 CHAIRMAN GREENBERG: Thank you, Nancy.

20 I want you all to see how quickly and  
21 crisply Nancy did her business and to follow suit.

22 I think we're ready to start. Dr. Bill  
23 Egan from FDA is going to set the stage.

24 DR. EGAN: Good morning. On behalf of the  
25 Office of Vaccines Research and Review, I would like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to welcome and thank the speakers, the panelists, and  
2 those in the audience who have come here today to  
3 address this complex issue of Type B Haemophilus  
4 influenza conjugate vaccine immune responses.

5 Nearly 70 years ago, in 1933, Fothergill  
6 and Wright published on the age dependent incidence of  
7 invasive Haemophilus influenza disease. They, as had  
8 others before them, notably Rivers, noted that most  
9 disease occurred in very young children, primarily  
10 between approximately three months and three years of  
11 age, with the peak incidence of disease occurring at  
12 around ten months.

13 Very little disease was seen past three  
14 years of age, and essentially none past eight years --  
15 six years. This is the age incidence of disease.  
16 There's no disease in the first three months of life,  
17 peaking at around ten months of age, declining  
18 rapidly, becoming very little disease past about three  
19 years, and here at about seven, eight years, virtually  
20 none out.

21 Very importantly, besides this  
22 epidemiology, Fothergill and Wright also noted that  
23 the incidence of disease at any age was inversely  
24 proportional to the bactericidal power of the blood.  
25 Protection against disease was thus linked to levels

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of serum antibodies, passively acquired, maternal  
2 antibodies during the first three months of life, and  
3 naturally acquired antibodies in the later years, and  
4 there will probably be some discussion later on about  
5 the origin of these naturally acquired antibodies, but  
6 they were not from getting disease and surviving.

7 And this is the curve of the bactericidal  
8 power of the blood, and you can see it's the mirror of  
9 the incidence of disease.

10 It's very interesting to point out that no  
11 disease is observed in adults at a point where the  
12 bactericidal power of the blood is such that about  
13 three times ten to the seven organisms are killed by  
14 one mL of the blood. I think this may be one of the  
15 first correlates of protection seen for Haemophilus  
16 disease.

17 And I am sure that others will have  
18 considerably more to say about this important and  
19 insightful study by Fothergill and Wright, and these  
20 observations have been repeated and mirrored in a  
21 number of studies since that time.

22 We're here today to discuss the levels of  
23 antibodies to be more quantitative that are needed for  
24 protection, and some of the subtleties of the immune  
25 response that the conjugate vaccines may engender,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subtleties arising from their T cell dependence.

2 Please allow me now to be specific and go  
3 over some of the issues that we would like you to  
4 discuss today. I present these questions now to help  
5 orient some of the thoughts during the talks that will  
6 be presented this morning and early this afternoon to  
7 get -- to prime us, as it were, for the -- for the  
8 panel discussion later this afternoon.

9 First, we would like you to discuss and  
10 comment on the currently used correlates of immunity,  
11 the antibody concentrations, and the percentage of  
12 responders at these concentrations.

13 If I could have the next overhead.

14 We would also like discussion and comments  
15 on the clinical significance of the reduced Hib  
16 responses that have been reported with several of the  
17 DTaP Hib combination vaccines, and some of this data  
18 was presented to you in the briefing packages and will  
19 be discussed during the presentations today.

20 Next.

21 Moreover, we would ask that you consider  
22 the contribution of the following -- in fact, the  
23 will be the next one -- if we can consider the  
24 contribution of the following factors to Hib vaccine  
25 efficacy.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The first, a demonstration of  
2 immunological memory priming. How important is this  
3 in protection of the individual child?

4           (b) We'd like to discuss the qualify of  
5 the antibody response, such as the isotype and avidity  
6 in addition to simply the total amount of antibody.

7           Also we would like to you to consider the  
8 effects of a reduction in carriage. In reduction in  
9 carriage will have an effect on disease transmission.  
10 There will be a herd immunity, and this effect will be  
11 particularly to those who were not vaccinated and to  
12 those who were vaccinated and did not respond well.

13           However, we would like you to consider  
14 whether this reduction in carriage also has an effect  
15 on the individual or does the reduction only occur  
16 well past the point where the individual child has  
17 been protected.

18           Additionally, we would ask you to discuss  
19 and consider the differences that have been observed  
20 in comparative trials of separately administered and  
21 combination vaccines in light of the range of  
22 responses that have been seen historically with  
23 existing Hib conjugate vaccines.

24           In other words, for some vaccines where we  
25 have seen a reduction, how do these reductions, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 lower titres compare to vaccines that we have  
2 evaluated historically in clinical trials?

3 Next, we'd like also for you to consider  
4 in your discussion of Hib correlates the relevance of  
5 post marketing data that have been obtained, for  
6 example, some of the studies, the follow-up studies  
7 that have taken place in Europe, in the U.K. and  
8 Germany, and we have invited speakers here to discuss  
9 some of these.

10 And we'd like you to also consider the  
11 utility and need for post marketing surveillance  
12 studies of some of these combination vaccines if they  
13 are approved with reduced titres.

14 And finally, we would like the panel to  
15 address other issues that they consider significant,  
16 and I guess I should add "and are related to Hib  
17 conjugate vaccines."

18 (Laughter.)

19 DR. EGAN: There are many significant  
20 issues that I think many of you would like to discuss  
21 for the FDA, but I would like to keep the discussion  
22 to the Hib conjugates.

23 Before I turn the meeting over to our  
24 distinguished Chair, Dr. Greenberg, let me again  
25 extend CBER's and OVRP's appreciation to all of you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 who have given generously of your time and effort to  
2 participate in today's discussion.

3 As I look around I see an amazing  
4 collection of talent that's assembled for this issue,  
5 talent that's been involved in the discovery of these  
6 vaccines, the development of these vaccines, the use  
7 and improvement of these vaccines.

8 So I think we will have a very lively and  
9 interesting discussion today.

10 Dr. Greenberg.

11 CHAIRMAN GREENBERG: Thank you, Bill.  
12 That really did help set the stage. I'm glad you  
13 clarified that last point or we really would have had  
14 a wide ranging discussion.

15 Is John Robbins here?

16 PARTICIPANT: Yes, he is. Right over  
17 there.

18 CHAIRMAN GREENBERG: Ah, it's been a very  
19 long time since I've seen John, and I actually maybe  
20 didn't recognize him. Almost 30 years.

21 DR. ROBBINS: Can I just have the first  
22 slide?

23 I think in any discussion about  
24 Haemophilus influenza Type B we should all remember  
25 that we're standing on very broad shoulders of people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 who did these studies 30 years ago and remind you that  
2 Dr. Pittman, Fothergill and Wright, and Patty  
3 Alexander were major contributors to our understanding  
4 today, and the principles that they proposed in those  
5 elegantly written articles stand, have stood the test  
6 of time.

7 Now, how do I go forward?

8 Bill Egan put up this very important  
9 slide. There's a lot to be learned from this slide  
10 that was done so many years ago at the Children's  
11 Hospital in Boston. As he pointed out, two variables  
12 were plotted on one graph. It is the age incidence of  
13 the disease and the presence in the general population  
14 of bactericidal antibody.

15 Let me just focus on two aspects of this  
16 important relation shown so many years ago. The first  
17 is that vaccination against Haemophilus influenza Type  
18 B has to be completed by about three to five months to  
19 be effective, and there's no need to vaccinate adults  
20 because they're immune.

21 Second is that this development of  
22 antibodies in the general population which are almost  
23 all Type E antibodies, that is, you can absorb most of  
24 the activity in most of the serum with a purified  
25 polysaccharide. It takes place in large part in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 absence of the homologous organism. This acquisition  
2 of age related immunity is due in large part to a  
3 continual interaction with nonpathogenic cross-  
4 reacting bacteria in the intestinal tract. It occurs  
5 in many cases independent of interaction with the  
6 homologous organism.

7 Now, in 1973, my colleague, Dr.  
8 Schneerson, and others working in the lab, namely,  
9 also Dr. J.C. Parke, tried to figure out what might be  
10 a protective level of antibodies to the Haemophilus  
11 polysaccharide. In those days we had a  
12 radioimmunoassay, but I think the standardization of  
13 the assay and its relation to ELISA has been elegantly  
14 done by people today, and we can consider the data as  
15 comparable.

16 He looked at the antibody concentration in  
17 422 adult blood volunteers in the Clinical Center :  
18 the NIH, 100 pregnant women at term in Jacary  
19 Hospital, Albert Einstein College of Medicine, and  
20 adult volunteers prior to immunization.

21 And the geometric mean was 1.4 micrograms  
22 of antibody with this range. Ninety-five percent :  
23 the adults had at the Type E levels greater than ;  
24 and since most adults are protected against  
25 Haemophilus influenza and since the mechanism :

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 protection is presumed to be serum antibody, then the  
2 protective level may be estimated at .04 to .1.

3 We estimated it in another way, and that  
4 is to take advantage of the remarkable therapeutic  
5 success of passive immunization with pooled  
6 immunoglobulin when administered to patients,  
7 especially boys, with x-linked hypogammaglobulinemia.

8 We checked 86 immunoglobulin lots,  
9 commercial immunoglobulin lots throughout the country.  
10 We calculated the catabolic rate of immunoglobulin  
11 with a half-life of about 20 days. The recommended  
12 dose for hypogammaglobulin patients was .05 to .1 mL  
13 per kilogram every three weeks, and the residual or  
14 protective level of antibody prior to the next  
15 injection ranged from about .12 to .24.

16 So on the basis of these two studies, we  
17 estimated the protective level to be .15 micrograms  
18 per milliliter, and the studies by George Siber and  
19 his group with Bea Pig (phonetic) come to the same  
20 conclusion, that the level of antibody prior to the  
21 next injection that was associated with protection was  
22 about .15 micrograms of antibody per milliliter. If  
23 anything, I think this is slightly high.

24 About ten years later, Dr. Kayhty and her  
25 collaborators in Finland reviewed their data with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 polysaccharide that was shown to be effective in  
2 children from about 18 months to 24 months of age, and  
3 they concluded that an antibody level of one microgram  
4 per milliliter correlated better with protection than  
5 the level of .15.

6 But as Porter Anderson showed several  
7 months later actually what they were looking at is  
8 this. They took a level of antibody about three to  
9 four weeks after immunization, and it was one  
10 microgram per milliliter in the post immunization  
11 level that correlated best with protection over the  
12 following year, although the level of antibody had  
13 fallen, yet these people were still protected.

14 So I think the level of one as proposed by  
15 Dr. Kayhty and her colleagues, I think, can be, I  
16 think, considered only in light of post immunization  
17 levels in two year old children.

18 Note in two year old children the level of  
19 antibody is already starting to rise as the incidence  
20 of disease declines and the level of natural antibody  
21 increases.

22 Now, I want to make an important point  
23 here. These are children in Gunterberg, Sweden who  
24 were injected at three, five, and 12 months, with one  
25 injection of Haemophilus tetanus toxoid. This was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 material we made years ago of what was followed in  
2 most part by Pasteur Merieux, and then the children  
3 examined six years later.

4 Now, note that the control level of  
5 antibody is 1.32. It ranges such that about 13  
6 percent of the children do not have levels exceeding  
7 .15. So this is development of natural immunity.

8 Among the vaccinees, both vaccinees had  
9 higher levels than the controls. You can see the  
10 levels of antibody about one month after the last  
11 injection here was about ten. So the levels had  
12 declined, but were different, and this was even  
13 statistically significantly different.

14 Among these groups only three percent had  
15 less than .15. In other words, at six years of age  
16 the effective vaccination at infancy with three  
17 injections is to reduce the number of people with less  
18 than what you would call protective level.

19 Let me make a point here. You see, we use  
20 two doses of vaccine, 15 and 7.5 micrograms based upon  
21 its polysaccharide content, and there's a difference.  
22 That is, the higher the dose, the higher the level at  
23 six years of age.

24 I think this must be taken into  
25 consideration when the dosage of vaccine is looked at,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that the levels soon after immunization should also be  
2 evaluated in how long they last, and I think you'll  
3 find that the lower the level of vaccine with three  
4 injections at least, the lower the level several years  
5 later.

6 Both, of course, are highly protective.  
7 With a fourth injection this is probably mute.

8 Now, as Haemophilus influenza Type E  
9 conjugates were started to be used in the United  
10 States, the level of cases declined quite rapidly, but  
11 the level, that is, the decline could not be explained  
12 on a one-to-one ratio with the percentage of the  
13 children vaccinated.

14 In other words, you were starting to have  
15 a decrease in cases in unvaccinated children, so-  
16 called herd immunity.

17 Now, in Finland where epiglottitis is  
18 almost as common as meningitis in children and occurs  
19 in adults, they notice that as soon as vaccination  
20 started, adults who got Haemophilus influenza Type B  
21 epiglottitis had epiglottitis continually due to other  
22 causes, but not Type B, not Type B.

23 In other words, vaccination of children  
24 eliminated Haemophilus disease in adults, and to me  
25 that's a good clue. It's something that can be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 followed accurately.

2 What happens to Haemophilus influenza Type  
3 B meningitis in the United States in the non-  
4 vaccinated population, which are adults, and  
5 epiglottitis does occur. It's quite dramatic when  
6 it's observed, and it could be monitored in three or  
7 four hospitals in the United States to make sure that  
8 if we have any changes in formulation or anything  
9 happens or something is happening to the acquisition  
10 of natural antibody, we might pick it up very early by  
11 looking at the return of this pathogen as a cause of  
12 systemic infection in adults.

13 Now, I know you all know this, but if you  
14 look at the antibody levels of adults, two years olds,  
15 and two month olds, you can see that there's a decline  
16 that's age related in both pre or so-called natural  
17 antibody and post immunization levels.

18 But at two years of age, antibodies are  
19 now appearing when polysaccharide is injected, whereas  
20 it does not appear in the infant age group, and I  
21 think the best explanation for this, although we  
22 haven't got a good cellular basis for saying this, is  
23 that there is a gradual acquisition of T cell  
24 sensitization or immunity to the polysaccharide that  
25 is induced by bacteria in the intestine and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pharynx, so-called memory component.

2 Memory was first proposed -- I think it  
3 was first -- by a group out in St. Louis in which they  
4 showed that children who had been injected with  
5 conjugates make a much better response to the  
6 polysaccharide when injected at two years of age, and  
7 this was called "priming," although priming really in  
8 this case should be left to the original definition by  
9 -- I got his name now -- from Scotland who proposed  
10 that this was a carrier effect upon T cells.

11 Now, in thinking about what would be an  
12 acceptable level of antibody, it's been published in  
13 the literature even most recently by a very elegant  
14 article on combination vaccines by Dr. Eskola and his  
15 associates that the word "memory" could be a surrogate  
16 for immunity.

17 I have a lot of difficulty with that. I  
18 think it's speculation because how would you define  
19 memory as being protective unless you could follow  
20 children that had less than protective levels of  
21 antibody and showed that they didn't get meningitis  
22 when they were exposed to the organism.

23 Well, you can see from the experiment that  
24 I set up it's not possible, but what Dr. Anderson and  
25 his associated did was look at the level of antibody

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 several days after admission to the hospital of  
2 children with meningitis at various ages.

3 Please bear with me. These are young  
4 children. This is two months to 11 months of age, and  
5 this is the admission antibody. You can see barely at  
6 the level of detection, and these are antibodies in  
7 sera posted mission. So you can see that in the group  
8 there are very low responses, which has been reported  
9 by several groups, except in two children where you  
10 had a very brisk, high level of antibody that appeared  
11 very quickly after vaccination.

12 Now, if you look at older children who get  
13 meningitis, you can see most of them have barely  
14 detectable levels in serum. They had very low levels,  
15 but you can see that they respond very quickly, but  
16 almost all of them with high levels of antibody soon  
17 after admission to the hospital.

18 And just reviewing Dr. Anderson's data,  
19 looking at the patients whose serum was available for  
20 the study, as you can see, the older the children, the  
21 quicker and higher the antibody response. And what  
22 Dr. Anderson and his colleagues said, so natural  
23 memory, if you can use these expression, had no effect  
24 on preventing meningitis in these children.

25 And for that reason I urge that when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 surveillance is conducted that a good correlate of  
2 protection is still .15 micrograms of antibody per  
3 milliliter; that the surveillance should be conducted  
4 in vaccinees and children and individuals of all ages;  
5 that the absence of a protective level doesn't mean  
6 that the child gets meningitis because the incidence  
7 of the disease is affected by the widespread  
8 vaccination that eliminates the organism just about in  
9 the population. So that although the children may not  
10 have protective levels, they're not exposed.

11 So, therefore, if you have many children  
12 that do not have protective levels, it doesn't mean  
13 that they're not susceptible. It probably means that  
14 they're not exposed.

15 We can learn from looking at the  
16 experience of other capsulated bacterial pathogens  
17 because I think the rules are the same. With  
18 meningococcus, they have a polysaccharide. The  
19 polysaccharide protects the bacteria against  
20 complement. Serum antibodies to the polysaccharide  
21 protect, et cetera.

22 But we have the advantage with  
23 meningococcus that we could work with enclosed  
24 populations of individuals for a period of time, and  
25 I would like to refer you to the elegant article by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Goldschneider, Gotschlich and Artenstein many  
2 years ago in which they looked at the incidence of  
3 disease in recruits to Fort Dix with two variables,  
4 those who had bactericidal antibody and those who had  
5 an organism in the throat.

6 And when these two factors are put  
7 together, you can see you can predict who's going to  
8 get meningitis with pretty close to a 50 percent  
9 accuracy.

10 That means if you didn't have preexisting  
11 antibody, you were susceptible, and when they  
12 vaccinated with the polysaccharide, a key independent  
13 antigen, no memory. They had almost 100 percent  
14 protection, indicating that these people who did not  
15 have bactericidal antibody were capable of making  
16 antibody when stimulated with the T cell independent  
17 antigen.

18 There is no evidence of memory in this  
19 study. There is evidence for the protective effect of  
20 preexisting antibody.

21 Let me make another point. I think this  
22 is overlooked very often. There is no linear  
23 correlation between the level of bactericidal antibody  
24 and protection. If you have a certain level, you're  
25 protected. That's called a threshold phenomenon, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I'm sure that it obtains for Haemophilus Type B also.

2 Let me make one other point about  
3 carriage. This is from the meningitis Group A in  
4 Finland in army recruits. The article is by Dr.  
5 Sivonen, and what I want to point out is this. You  
6 see, when you look at carriage of the meningococcus in  
7 Finnish recruits up to four weeks after they were  
8 vaccinated, you could see no effect upon vaccination  
9 with meningococcus Group A. Vaccination and serum  
10 antibody does not cure established carriage, does not  
11 cure it. It's probably quantitative.

12 But as you started to look later, two  
13 months, three months after vaccination, now you can  
14 see there is a statistically significant reduction in  
15 carriage. It inhibits the acquisition of carriage.  
16 It's not 100 percent. It does not cure established  
17 carriage.

18 Well, the questions posed by Dr. -- are  
19 patient. When you get my age you'll start doing this,  
20 too -- are unanswerable in precise terms, but I would  
21 like to point out that you can feed cross-reacting  
22 bacteria to adults that have quite high levels of  
23 antibody to Haemophilus influenza Type B.

24 In this slide we just looked at  
25 bactericidal antibody, but as I told you, it's almost

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 all Type B antibody, and if you feed the organism at  
2 ten to the seventh, ten to the eighth, the recipients  
3 make quite nice levels of antibodies that are specific  
4 to the Haemophilus polysaccharide.

5 So I think that it will be unlikely that  
6 vaccination will interfere with the acquisition of  
7 natural immunity, but it should be looked for by  
8 measuring antibodies in the entire population, and it  
9 might be helpful to look in central hospitals for  
10 incidence of meningitis in adults, especially  
11 epiglottitis.

12 I think I'll call it quits.

13 CHAIRMAN GREENBERG: Thank you very much,  
14 Dr. Robbins, for a terrific review.

15 We have a little bit of time for a  
16 question. Dixie, I'll get you. Just I'm going to  
17 take the prerogative of the chair because this is not  
18 my field.

19 Epiglottitis and meningitis you mentioned  
20 as two. Are both of those indicators in adults that  
21 could be used to look at population immunity?

22 DR. ROBBINS: Yes. In children, almost  
23 all cases of epiglottitis are caused by Type B. In  
24 adults in various parts of the world, there are other  
25 causes, other bacteria isolated from the blood, but in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Finland where they have a fair number of cases  
2 relative to other countries, Type B as a cause of the  
3 disease disappeared as soon as vaccination began.

4 So if vaccination was no longer inducing  
5 herd immunity, that is, eliminating the organism, then  
6 we could expect that it might show up quickly in  
7 looking at adult cases, including epiglottitis.

8 CHAIRMAN GREENBERG: And meningitis there,  
9 is that going to be in -- no.

10 DR. ROBBINS: Harry, in New York City when  
11 we started to work on this, the leading cause of  
12 bacterial meningitis of all ages in the city was  
13 Haemophilus Type B. It was the leading cause of adult  
14 meningitis.

15 CHAIRMAN GREENBERG: Okay. Thank you.

16 Dixie.

17 DR. SNIDER: John, in talking about immune  
18 responses and antibody levels, were you generally  
19 talking about anti-PRP or are we talking about  
20 something more crudely measured in earlier studies?  
21 Could you characterize?

22 DR. ROBBINS: It's only about -- PRP is an  
23 acronym. It's not a very accurate acronym or good  
24 acronym, but it's an acronym and everyone uses it,  
25 although I prefer the capsule polysaccharide of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Haemophilus influenza Type B, but that's what we're  
2 talking about.

3 CHAIRMAN GREENBERG: Dr. Kim.

4 DR. KIM: I'd like to have one  
5 clarification at least to myself. You briefly touched  
6 on antibody levels versus antiviral responses. If a  
7 child has a pre-immunization antibody level of, let's  
8 say, .1 and then receives vaccines and post  
9 immunization antibody level stays same, and certainly  
10 that is a concern, but what about child whose pre-  
11 immunization level is undetectable and responded to  
12 the level of .1/

13 DR. ROBBINS: I'm not sure I understood  
14 your question, but vaccination of infants with the  
15 conjugate induces protective level of antibodies in  
16 almost everyone. If you examine the height of  
17 antibody to the polysaccharide in older children and  
18 adults, the pre and post immunization levels are  
19 roughly correlated.

20 That is, the higher the pre level, the  
21 higher the post level. It's not a linear correlation  
22 that is statistically significant.

23 This seems not to be an important factor  
24 in using conjugates in infants, especially the four  
25 injections.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Dr. Edwards and then  
2 Dr. Kohl.

3 DR. EDWARDS: John, in terms of once a  
4 child is colonized, how long do you think, in general,  
5 that a child would have to respond to that  
6 colonization before disease may, indeed, occur?

7 DR. ROBBINS: You can't find it in the  
8 literature. Now, I'm getting a little old. I'm more  
9 forgetful than I was, but I couldn't find it, except  
10 one article, and that's in one patient, and that is a  
11 case in a nursery right in Washington air. We had  
12 three cases of meningitis in several days. We wrote  
13 about it many years ago.

14 Two of the cases -- one of the cases was  
15 negative before the child acquired meningitis, and  
16 that was two days later. It must be very fast. I  
17 think once colonization is established, the children  
18 are immune.

19 Now, what is the colonization rate in  
20 adults? There's just one -- there are two things I'd  
21 like to say. One is it's a rare phenomenon. How  
22 rare? I can't answer you.

23 Where it's been studied, parents or close  
24 contacts of a child who is colonized but not sick  
25 rarely become colonized. Parents or siblings of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 case of meningitis invariably become colonized, and  
2 some of it may last a long time. Sally Sell did that  
3 many years ago.

4 CHAIRMAN GREENBERG: We have time for one  
5 or two more questions.

6 Dr. Kohl.

7 DR. KOHL: Before the question, I think we  
8 should recognize the remarkable achievement in the  
9 almost completely wiping out of Haemophilus influenza  
10 disease in infants in this country. We kind of gloss  
11 over that almost, but I think we should sing the  
12 praises of many of the people in this audience who  
13 have helped achieve that really remarkable event.

14 The question to you: later on we're going  
15 to hear that there's been reduction of H. flu disease  
16 in older children even though there's been a decline  
17 in antibody or at least they don't maintain the so  
18 called protective level, and I gather from what you're  
19 saying your feeling is that's all basically passive  
20 immunity. That's not their own protective immunity.

21 DR. ROBBINS: There's one good study. I  
22 have the slide. It's not important, but I'm sure  
23 everyone knows it, by Takula (phonetic) in which she  
24 found that if you vaccinated with the conjugates,  
25 there were no colonization among 80 children, whereas

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the colonization rate in nonvaccinated was about two  
2 or three percent. It was 14 versus zero. Have I got  
3 that right? Not so bad.

4 I think they were lucky although they were  
5 correct. That is, vaccination with conjugates does  
6 not eliminate inhibition of colonization. It reduces  
7 it so that the effect is seen in the population  
8 gradually, that is, with reduced transmission and  
9 increased immunization. The chances of a child  
10 transmitting the organism to a susceptible go down and  
11 down.

12 When this was done in Romania with  
13 diphtheria toxoid many years ago, about the time you  
14 vaccinated 40 to 50 percent of the population, there  
15 were no more cases, although the organism remained in  
16 the community or in the country for several years  
17 afterwards.

18 In the Gambia, where they vaccinated 40  
19 percent of the children with Haemophilus Type B  
20 tetanus toxoid conjugate, when the vaccination was  
21 complete, that is, when they had vaccinated all of the  
22 children at about six or seven months of age that they  
23 proposed, the disease disappeared in the controls and  
24 in the rest of the population, that is, even in the  
25 nonparticipants.

1           So to be facetious, and I'm being  
2           facetious now, if I vaccinated myself with Haemophilus  
3           influenza Type B and walked in the middle of China, it  
4           would not affect the rate of Haemophilus disease, but  
5           if 40 percent of the children were, then you would  
6           gradually see the disappearance of the organism in the  
7           unvaccinated children, less than the age of complete  
8           vaccination and adults.

9           It's a gradual process. It's a  
10          statistically challengeable or analyzed process, but  
11          probably what happens is that as you reduce the  
12          transmission, you're reducing the cases beyond the  
13          percent of children vaccinated.

14                 CHAIRMAN GREENBERG: We have one last  
15          question. Dr. Breiman.

16                 DR. BREIMAN: And I have two very concise,  
17          succinct questions. Since the protective threshold  
18          was identified, we now have a lot more information  
19          about quality and functionality of antibodies. Do you  
20          have ideas about threshold as it would relate to  
21          subclass or avidity or measure of functional quality  
22          of antibodies?

23                 That's the first question. Actually let  
24          me just slip in the second question, too, to think  
25          about, which is I was under the impression that even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in post Hib vaccine era when there's not very much Hib  
2 circulating, that there are data suggesting in older  
3 children that there's a very high level of vaccine  
4 efficacy, older children where you might expect sort  
5 of a decay in antibodies.

6 And so I wondered if you could address  
7 that, too.

8 DR. ROBBINS: There is no decay in older  
9 children. It keeps on increasing.

10 DR. BREIMAN: Even in the absence of  
11 circulating Hib?

12 DR. ROBBINS: That's right because the  
13 stimulus is probably not always Hib. The stimulus can  
14 be bacteria with similar structural antigens, like the  
15 easy one is Eshrekia (phonetic) coli K100. That only  
16 differs in the linkage between ribose and ribitol.

17 Staphylococcus, if you take any  
18 staphylococcus and inject it, you'll get bactericidal  
19 antibodies to Haemophilus influenza Type B that bind  
20 with the polysaccharide, and that's due to the fact  
21 that they're so well contained as polyribitol  
22 phosphate.

23 You can do a bacillus subtilus (phonetic),  
24 and there are many bacteria that we discovered that  
25 will cross-react. We never went into the structure or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 analyzed them because we would probably still be doing  
2 it after our discovery in 1973. It's a very common  
3 cross-reacting antigen.

4 I believe that's why the age incidence of  
5 Haemophilus differs from meningococcus. With  
6 Haemophilus almost all of us are immunized by the age  
7 of six. With meningococcus, only about 70 percent of  
8 us are immunized. We still have 30 percent of the  
9 population that are potentially at risk.

10 Well, let me go back to one point. You  
11 should not assume that you've induced immunity in a  
12 population where widespread vaccination has occurred  
13 because there were no cases in children with less than  
14 protective levels of antibody. I think the  
15 explanation for that is there is no organism.

16 CHAIRMAN GREENBERG: Thank you, John.

17 I wager we're going to hear more on all of  
18 these points as it goes on. I'd really like to thank  
19 you.

20 And I'd just like to simply again  
21 underline what Dr. Kohl said, that many of the people  
22 in this room, including Dr. Robbins, are responsible  
23 for a very remarkable decrease in a very important  
24 disease, and all of us owe them a debt of gratitude.

25 We're now going to move on to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 manufacturers' presentations. We have four  
2 manufacturers who are going to speak. I've asked them  
3 all to try to limit their talks to ten minutes. They  
4 all have asked me for a teeny-weeny bit more time, and  
5 I'll give it to them, but I would really put about 12  
6 minutes as the outer limit.

7 So the first manufacturer who's going to  
8 speak to us is SmithKline, and that's Dr. -- and  
9 excuse me when I get the names wrong. I always do --  
10 Dr. Bogaerts.

11 (Pause in proceedings.)

12 CHAIRMAN GREENBERG: The question is: do  
13 we count this as their time?

14 (Laughter.)

15 CHAIRMAN GREENBERG: There are clearly  
16 advantages and disadvantages of 21st Century  
17 technology.

18 I'll give you a few seconds, sir, to set  
19 this up, but I'm going to ask: are you rolling? N

20 Rob Breiman asked you, John Robbins, a  
21 first question which was: can more precision be  
22 brought to the antibody level if you use things such  
23 as subclass avidity or other types of assay systems

24 DR. ROBBINS: Well, I don't know the  
25 answer to that. I could talk for about an hour, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 means I really don't know the answer to it.

2 (Laughter.)

3 DR. ROBBINS: I'd say this. That if you  
4 have an IgG or IG-1 or IG-2 antibody that reacts with  
5 the polysaccharide biolysa (phonetic), there's not  
6 much more refinement you're going to do to define what  
7 a protective level is.

8 I personally -- this is my prejudice now  
9 -- I have the feeling that trying to dwell on that too  
10 much is like buying a Rolls Royce to take your garbage  
11 out.

12 (Laughter.)

13 CHAIRMAN GREENBERG: Is there any way that  
14 -- it looks like you may have --

15 DR. BOGAERTS: I apologize for this delay.  
16 We are clearly not in this business. I hope we do our  
17 pharmaceuticals and vaccines better.

18 (Laughter.)

19 DR. BOGAERTS: Mr. Chairman, on behalf of  
20 SmithKline Beecham, I would like to thank CBER for the  
21 opportunity to present the SmithKline Beecham  
22 experience with our conjugated PRP-T Hib vaccine in B  
23 Type combinations.

24 We have, indeed, taken B Type, known in  
25 this country as Infanrix, as the cornerstone for a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 family of pediatric combined vaccines, taking into the  
2 combination Hib, which is a specific subject for my  
3 presentation today, as well as Hepatitis B and  
4 inactivated polio vaccine.

5 This allows us to then bring a family of  
6 permutations, B Type, Hepatitis B, B Type IPV, and B  
7 Type HPV/IPV. All of these products are 0.5 mL liquid  
8 formulations that can be used then to reconstitute the  
9 Hib PRP-T conjugate and offering then an additional  
10 range of pediatric vaccines.

11 Both the last vaccines on the left hand  
12 and the right hand are of particular importance for  
13 the current recommendations of recommended vaccination  
14 schedule in this country and are under review by  
15 regulatory authorities.

16 We do have an extensive experience with  
17 this family of products going back first to the  
18 Infanrix DTaP with more than 26 million doses  
19 distributed today.

20 In addition, we have more than eight  
21 million doses of the combined DTaP Hib vaccines  
22 already distributed in 28 countries that have  
23 registered such a combination to date.

24 When confronted with the evaluation and  
25 the development of this vaccine, we are aware that it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is impossible, especially for the Hib component now,  
2 to run new efficacy trials. Therefore, we considered  
3 that complying with the criteria proposed by a similar  
4 advisory body, committee in 1991, and as listed here,  
5 was the way to go.

6 So in my presentation I will address how  
7 we tried to respond to the five bullets that are  
8 listed here.

9 First, comparative immunogenicity versus  
10 licensed Hib vaccines;

11 Antibody persistence till the time of  
12 primary -- till the time of booster;

13 Priming for a subsequent booster to the  
14 native polysaccharide, PRP;

15 Comparison of the quality of the antibody  
16 and the subclasses demonstrating the functional  
17 capacity of the induced antibody. So this is what we  
18 observe typically when we were confronted with the  
19 first results of our combinations, and you see here  
20 that I will show you geometric mean concentrations and  
21 the percentage of responders according to two  
22 classical benchmarks as mentioned by the previous  
23 speaker of 0.15 micrograms per mL and one microgram  
24 per mL for combinations of increasing complexity  
25 starting with DTaP Infanrix and always, and the second

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 line, the corresponding mixture with the PRP-T.

2 And what you see is that invariably we  
3 accuse a lower antibody concentration for the combo as  
4 compared to the separate administration. However,  
5 when looking at the percentages of responders, we do  
6 see a lower percentage for the benchmark greater than  
7 or equal to one, but we do see that for 0.15  
8 micrograms per mL, there is no difference between the  
9 separate administration or the combined administration  
10 of the vaccine components.

11 So this brings me then to the question  
12 already proposed by Dr. Robbins. What is the origin  
13 of these benchmarks, 0.15 and one, related classically  
14 as presented for short term protection and long term  
15 protection?

16 The benchmarks have been defined and  
17 calculated for nonconjugated Hib vaccine. However,  
18 and anticipating on the presentation later today by  
19 Juhani Eskola, the conjugated Hib vaccines in their  
20 efficacy studies demonstrated greater protection than  
21 what would have been predicted if we were looking at  
22 a percentage of subjects with titres or a  
23 concentration greater than one microgram per mL.

24 Without going into the detail and accusing  
25 that this is a busy slide, I just concentrate here on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the studies in Finland, and you see that if we look  
2 at the percentages here in yellow of responders with  
3 a titre greater than one, it is out of face with the  
4 absolute efficacy that was observed in the same  
5 individuals.

6 This is not the case when you look at 0.15  
7 where there is a greater correspondence.

8 Going back now to the titres that we  
9 observed and looking at the second criteria, comparing  
10 us to the established Hib vaccines, I present here  
11 data from the literature and every dot here on the  
12 table illustrates a study group that was published for  
13 the four most widely spread Haemophilus vaccine, HbCC,  
14 PRP-OMP and PRP-T, and for the sake of completeness,  
15 we also added PRP-D that in this country was not  
16 licensed for primary vaccination in infants.

17 And if I add to this now the observations  
18 that we have with our DTaP Hib combinations, then you  
19 can see that despite there was a lower antibody  
20 concentration with respect to the separate  
21 administration, we compare favorably to the titres  
22 that have been published for those vaccines that have,  
23 indeed, allowed us to control Hib invasive disease.

24 I highlight in particular our hexavalent,  
25 which is of great importance for this country, and you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 see that we, indeed, look well as compared to the  
2 literature data.

3 This allows me to go from the primary  
4 vaccination then into the persistence, which was also  
5 the criterion that was required to study. So here we  
6 look at two studies among the many we conducted where  
7 antibodies were evaluated at 15 to 20 months of age.  
8 So this is pre-booster. After a primary immunization  
9 with one of the combinations at three, four and five  
10 months of age.

11 Two validated methods with a different  
12 cutoff, but both based on RABA illustrate that if you  
13 look at the classical 0.15 microgram per mL, you may  
14 see that in the mix there is only 64 percent with  
15 detectable antibodies, but if you look behind the  
16 curtain and you use a cutoff that is actually lower so  
17 that it is a more sensitive method, you are able to  
18 retrieve a significant part of those subjects that you  
19 then could quality as having antibodies, and the  
20 importance of that has clearly been indicated by Dr.  
21 Robbins.

22 This allows me to go to the third  
23 criterion, and what about the induction of immune  
24 memory to plain PRP, which is an established and  
25 accepted way for mimicking the contact with the wild

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Haemophilus.

2 So here we took a study among others that  
3 we conducted, conducted by Ron Dagan, who I believe is  
4 also in the audience, and the primary vaccination was  
5 with one of the DTaP combos at two, four, and six  
6 months of age, and the plain PRP challenge was given  
7 as early as ten months of age. The blood sampling  
8 took place ten days later.

9 Now, to position the PRP response observed  
10 at that age, we have to look at the benchmarks that  
11 are appropriate, and what you see here is from the  
12 literature again in function of age, the increasing  
13 antibody response that you see after one dose of plain  
14 PRP. For the sake of our study, the benchmarks are  
15 the diamonds that are here at month ten.

16 And if I put the results from the study,  
17 then you can see that the response we obtained is way  
18 above what we see in unprimed children, therefore  
19 indicative of the induction of priming by the primary  
20 vaccination they got with the combination.

21 I will not go into any great detail for  
22 the sake of time, but we did look at all of the  
23 classical functional tests and also the avidity. We  
24 also looked at the maturation so the phenomenon that  
25 avidity with time will increase and, therefore, add to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the quality of the antibody.

2 So we were unable to find any differences  
3 between the combined or the separate administration.

4 Last, but not least, given the fact that  
5 our vaccines are, indeed, already widely used, and I  
6 specifically refer her to the situation in Germany  
7 where you see that in a very short period of time  
8 after their introduction, all Hib conjugate vaccines  
9 got replaced by DTaP Hib combinations, and you see  
10 that the vast majority today of those vaccines are a  
11 dose produced by SmithKline Beecham. So we have here  
12 a scenario where looking at post marketing  
13 surveillance, we will be able to study relevant  
14 effectiveness data for all vaccines.

15 And Dr. Schmitt later in the program will  
16 give you all the details on how we were, indeed, able  
17 to show that effectiveness was demonstrated.

18 This allows me to draw some conclusions,  
19 again, following the criteria set out by the Advisory  
20 Committee in '91, that indeed, comparison of combined  
21 vaccines versus the separate administration of their  
22 components showed that we have a lower geometric mean  
23 concentration and a lower percentage of responders  
24 with a titre equal or greater than one microgram.

25 But we do see that 0.15 micrograms per mL,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 there are no differences. The efficacy trials seem to  
2 indicate that indeed the 0.15 micrograms per mL is a  
3 more sensitive marker for protection. The antibody  
4 concentration and potential of responders is  
5 furthermore similar to the range that has been  
6 published for those vaccines used in this country and  
7 others to control HB invasive disease.

8 We do see that there is a persistence of  
9 antibodies and more even if you use a more sensitive  
10 method, and we see that induction of memory has taken  
11 place as evidenced by an anamnestic response to a  
12 challenge with the plain PRP.

13 The functional capacity and the  
14 characteristics of the antibody, including the  
15 maturation, are unaltered as compared to the separate  
16 administration, and then fortunately we have already  
17 data showing that in a population based field  
18 effectiveness has been demonstrated.

19 Thank you very much.

20 CHAIRMAN GREENBERG: Thank you.

21 There's a lot to be packed into the next  
22 couple of minutes. So I won't be able to take a lot  
23 of questions, but I would like to give the panel a  
24 chance to ask one or two questions.

25 Dr. Kohl.

1 DR. KOHL: In your handout, not the slide  
2 handout, but your written handout, Table 4 is opsonic  
3 activity of combined versus separate component  
4 antibody response, and am I accurate in reading these  
5 GMTs as being about half the separate response?

6 I know later on they're corrected for IG  
7 content, but the uncorrected would seem to be what's  
8 important, and is it accurate that it's about half the  
9 response?

10 DR. BOGAERTS: I don't have the table in  
11 front of me, but may I ask one of our experts who is  
12 in the room, Jan Poolman, to address this  
13 specifically?

14 CHAIRMAN GREENBERG: Table 4 is on page 12  
15 of the handout, and I don't want a 15 minute  
16 discussion of serology here.

17 DR. POOLMAN: The answer to this question  
18 is pretty simple. The geometric mean opsonic titres,  
19 indeed, in the mixed vaccines are lower, but that  
20 directly relates to the lower antibody concentrations  
21 as measured by RABA.

22 So the correct way of looking at this is  
23 the opsonic activity on the antibody weight basis. So  
24 that is the ratio of the opsonic titer divided by the  
25 quantity of antibody, and that we have indicated as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 geometric mean ratio in the far right side of the  
2 table, and there you see they are the same.

3 CHAIRMAN GREENBERG: I think most of us  
4 got it.

5 DR. GRIFFIN: With relevance to what Dr.  
6 Robbins said earlier, I assume that all the titres  
7 that you're giving us are sort of the peak titres  
8 after immunization, and do you have any data or  
9 knowledge about a year or two, you know, what the  
10 decline is and how high antibody titres are in the  
11 follow-up of any of these children?

12 DR. BOGAERTS: Yes. We, indeed, looked  
13 most of the time at antibody titres up to the age of  
14 booster, and I think I've shown some of the data where  
15 we, indeed, see the normal antibody decline.

16 What we do see is that, especially if we  
17 use a more sensitive method, and that refers to the 89  
18 percent and 90 percent that you have seen on the  
19 slide, that we do find detectable antibodies in the  
20 vast majority of the children at the time of the  
21 booster.

22 CHAIRMAN GREENBERG: I'm just going to  
23 follow up on that because this point was not clear to  
24 me. When Dr. Robbins talked, and as I read this  
25 literature, the one microgram was an acute response,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and then the .15 was sort of after decay, and I  
2 couldn't understand from your presentation whether you  
3 were now interchanging the acute response of one  
4 microgram and .15 as saying, well, we have a higher  
5 acute seroresponse rate if we use .15 as the level,  
6 which I think changes the meaning of the point.

7 Since I assume everybody goes down after  
8 the first month, I assume the height of that first  
9 month is indicative of what you'll have later on.

10 Dr. Robbins wants to.

11 DR. ROBBINS: Just to make a point, these  
12 levels of antibody were not take that were -- the two  
13 methods used to determine the protective level were  
14 not taken from vaccinated children. They were taken  
15 from adult sera of the general population and from  
16 gammaglobulin made from adult sera which was  
17 therapeutically effective.

18 The level of .15 should be considered as  
19 protective in any individual at any given time.

20 CHAIRMAN GREENBERG: Okay. So when you  
21 said seroconversion of .15, that's a month after?  
22 When was that .15 achieved?

23 DR. BOGAERTS: The .15 that I referred to  
24 was one month of the completion of the primary  
25 vaccination.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Okay. I'm sorry, but  
2 I am going to need to move on, and we may if we  
3 finish, we may be able to revisit this.

4 We need to pause before the next speaker,  
5 pause to have a different lectern. So then we can  
6 keep -- we're not pausing. We're going to keep asking  
7 questions.

8 Dr. Ferrieri.

9 DR. FERRIERI: Well, I don't want this to  
10 be a serologic focus at this point either, but in  
11 reference to Table 4 from SKV's prepared materials, I  
12 would like to emphasize that the geometric mean ratio  
13 may be altered. I don't have a statistical analysis  
14 of data, but depending on the nature of the vaccine  
15 when given as a mixture, there can be greater  
16 alteration and depression of the anti-PRP antibody  
17 responses.

18 And so this may emerge later as we examine  
19 what is in the mixture, but it's an important point,  
20 in my opinion, to keep in mind.

21 The first half of the data has to do with  
22 DTaP with PRP-T mixed or solo or given separately, but  
23 when examined with HBV in the combination, there is a  
24 difference in the ratios.

25 CHAIRMAN GREENBERG: Okay. So I'd like to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ask the next speaker, Dr. Ken Guido (phonetic) to come  
2 up from Aventis Pasteur.

3 DR. CALANDRA: I'm Dr. Gary Calandra, Vice  
4 President of Clinical Development for Aventis Pasteur,  
5 previously known as Pasteur Merieux Connaught.

6 Thank you very much for the time to  
7 present.

8 I want to present two slides with regard  
9 to the background. This is a slide that discusses the  
10 positive story that was mentioned earlier about the  
11 tremendous decrease in the number of cases with the  
12 advent of the Hib vaccines, this the status in '96 and  
13 '97.

14 You can see that approximately 50 percent  
15 of the total cases are in infants less than six months  
16 of age and 50 percent greater than six months of age,  
17 and when you look at that group on the bottom line, 64  
18 percent are due to incomplete vaccination status for  
19 which hopefully we all can increase vaccine coverage,  
20 and 36 percent had complete vaccinations, however  
21 developed disease possibly due to a nonresponder  
22 status, possibly due to overwhelming exposure or  
23 another category for which we cannot explain.

24 Next slide.

25 This is the experience of several vaccines

1 being used in one high risk area. Prior to the  
2 introduction of Hib vaccine and the Native American in  
3 Alaska, the peak incidence was four to seven years of  
4 age, similar to what Dr. Robbins has shown, 25 to 40  
5 cases per year and a high carriage rate of five  
6 percent.

7 OMBC, PRP or Pedvax Hib was introduced in  
8 1991 and nearly eliminated the disease in Alaska, with  
9 one to three cases per year, but interestingly, as  
10 observed by investigators in Alaska, had no effect on  
11 carriage, which was measured to be in the eight to  
12 nine percent.

13 In 1996, a different vaccine was  
14 introduced into this population, replacing Pedvax Hib,  
15 and the number of cases increased rather dramatically.  
16 The reasons for reemergence of disease is Pedvax Hib  
17 did not eliminate carriage, which as Dr. Robbins has  
18 pointed out is very important for the population.

19 Tetramune, on the other hand, possible or  
20 probably did not protect against very early Hib  
21 disease, i.e., the importance for the individual.

22 Point out then by the author that both the  
23 population and the specific Hib vaccine may be  
24 important in the control of Hib disease. The related  
25 thought is that an adequate level of antibody at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 time of exposure is important for all segments of a  
2 population.

3 Next slide.

4 These are combination vaccine products  
5 that have been developed by Pasteur Merieux Connaught,  
6 now Aventis Pasteur. TriHIBit is licensed for 15  
7 months and older as a booster dose composed of  
8 Tripedia used to reconstitute the Hib vaccine ActHIB.

9 Quadracel is a vaccine which is licensed  
10 in Canada, and it is Tripacel, the DT acellular  
11 pertussis-5 component vaccine combined with IPV.

12 Pentacel combines essentially a Tripacel  
13 to reconstitute ActHIB or Penta-5 component vaccine.

14 I will use these vaccines to demonstrate  
15 outcomes relative to the present CBER guidelines:  
16 first, TriHIBit in toddlers. These infants shown were  
17 vaccinated at equal to or greater than 15 months of  
18 age with TriHIBit or separate injections, which means  
19 Tripedia or DT acellular pertussis-2 component plus  
20 ActHIB.

21 The predose levels, the anti-PRP levels in  
22 this group of infants is taken from our package  
23 insert, .89 for TriHIBit, 1.15 for separate injections  
24 for the Hib component. Again, we're just looking at  
25 the Hib component.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           And you can see post dose a tremendous  
2 increase in titre in both the combined vaccine  
3 TriHIBit and separate injections.

4           Next slide.

5           This is a study from four sites in the  
6 United States with matched infants. These infants  
7 received a 2-4-6 injections of TriHIBit or separate  
8 components, the separate components in the first  
9 column and the TriHIBit in the second column, and you  
10 can see with regard to the anti-PRP antibody that the  
11 vaccine was significantly different in terms of the  
12 Hib response for separate verse combined, that is, a  
13 lower response in the combined product, and this would  
14 not meet the guidelines as discussed by CBER.

15          Next slide.

16          Pentacel experience with regard to the  
17 primary series. Remember, again, Pentacel is DT  
18 acellular pertussis-5 component IPV and ActHIB in one  
19 group that is the Pentacel group compared to at this  
20 time not all separate, but Quadracel-ActHIB, and you  
21 can see in this with regard to the GMTs that these  
22 would meet the guidelines as proposed by the FDA.

23          This is a licensed product in Canada and  
24 is the predominant vaccine used within Canada.

25          Next slide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   We've shown you with regard to TriHIBit  
2 where we did not meet the requirements of CBER for  
3 licensure and where the product from Canada, while not  
4 presented for licensure in the U.S., does meet the  
5 requirements or could meet the requirements.

6                   In general we concur with your CBER  
7 definitions for determining the limits for  
8 noninferiority and equivalents for GMT and  
9 seroconversion, where seroconversion is ten percent  
10 the upper limit of the confidence interval, and the  
11 GMT as I have on the slide I won't read those numbers.  
12 You have read them.

13                   Thank you very much.

14                   CHAIRMAN GREENBERG: Thank you, Dr.  
15 Calandra.

16                   We have time for a question or two from  
17 the panel. Dr. Fleming.

18                   DR. FLEMING: If we go back to the Alaskan  
19 experience, the Pedvax Hib, can you explain the reason  
20 why and the clinical relevance of the increase in  
21 carriage in '91 to '95?

22                   DR. CALANDRA: I'm not sure I should  
23 answer that for Merck, but I'm not sure that it's  
24 statistically different between five and 8.3. I don't  
25 know the answer to that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FLEMING: Nevertheless the point  
2 estimate is as it is. So you're right. One  
3 explanation could be random variability. Another  
4 could be a true pattern.

5 If it is, in fact, a true pattern, can you  
6 explain?

7 DR. CALANDRA: No, I cannot.

8 CHAIRMAN GREENBERG: Does anybody have an  
9 explanation for Dr. Fleming's question?

10 DR. BUTLER: One comment is the data are  
11 really not adequate to say that there was a increase  
12 in carriage during that period, and I think that would  
13 be a very large leap, in some areas extrapolating from  
14 limited data and in other areas no data to say that.

15 CHAIRMAN GREENBERG: Any other --

16 DR. FLEMING: Just to expand on this,  
17 would you have expected a decrease in the carriage  
18 with such an effective vaccine?

19 DR. CALANDRA: It expected a decrease in  
20 carriage would have been found. It was a surprise  
21 that there was no change in carriage. It was not  
22 interpreted at the time. It is still not interpreted  
23 as an increase, just no decrease.

24 CHAIRMAN GREENBERG: Dr. Kohl.

25 DR. KOHL: Gary, your company agrees that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the TriHIBit experience the immune response that  
2 are combined at 4.3 is less than the separate at 7.0.  
3 The immune response to Pentacel and Quadracel in your  
4 next to the last slide, at least the GMTs are closer  
5 to the combined in that previous study than they are  
6 to the separate.

7 Is it fair to compare those studies or  
8 they're just so different that it's --

9 DR. CALANDRA: I would not compare the  
10 studies. The Pentacel is entirely Canadian  
11 population, Canadian practices, although 2-4-6 and the  
12 TriHIBit is totally U.S.

13 CHAIRMAN GREENBERG: Okay. One last  
14 question. Dr. Kim.

15 DR. KIM: This is a follow-up to the  
16 question being asked earlier about Alaska. Is there  
17 anyone, Gary, you or anyone in the audience have  
18 information on anybody's levels and responses in  
19 Alaskan children to PRP-OMP, whether their dose levels  
20 were comparable to non-Alaskan population in U.S.?

21 DR. CALANDRA: I think I will let the  
22 Merck people in the audience answer that question. I  
23 know the answer, but I think it should be them.

24 CHAIRMAN GREENBERG: Does somebody have an  
25 answer directly to that? Can whoever has the answer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 get up and go to a microphone and introduce  
2 themselves?

3 DR. BOSLEGO: John Boslego, Merck.

4 In general the antibody responses in  
5 Alaskan Natives are slightly lower than they are in  
6 the general U.S. population.

7 Okay. We are now going to move on to the  
8 third manufacturer, North American Vaccines, and Dr.  
9 Helen Cicirello or Dr. Peter Fusco is who I have down.

10 DR. CICIRELLO: Thank you very much for  
11 allowing North American Vaccines to present their  
12 experience with evaluation of Hib antibody response  
13 when Hib vaccines are used and compared to combination  
14 or when they are injected separately.

15 I will begin my presentation by briefly  
16 reviewing two studies in human clinical trials where  
17 we have looked at the Hib antibody response, when we  
18 have used North American's DTaP vaccine and used other  
19 manufacturers which have vaccines commercially  
20 available currently.

21 I will then move on to discussions :  
22 where North American Vaccines' approach to the -- :  
23 knowledge of the fact that a reduced Hib antibody  
24 response has been noted and is seen when Hib is used  
25 in combination with other current pediatric vaccines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And then I will conclude my discussion by  
2 bringing you up to date on where we stand on the  
3 introduction of our newly developed Hib conjugate  
4 vaccine in human clinical trials.

5           The first two studies that I will briefly  
6 review are use of our, North American Vaccines' DTaP  
7 vaccine either alone or in combination with other  
8 currently available Hib vaccines by other  
9 manufacturers.

10           The first study is one in which actually  
11 Hib vaccine was separated concomitantly, but as  
12 separate injections with either acellular pertussis  
13 vaccine of North American Vaccine or DT whole cell  
14 pertussis vaccine.

15           And then the second study I will be  
16 referring to is the use of North American's DTaP-IP  
17 vaccine when it was used to reconstitute at Hib, and  
18 in that study the infants received either the one  
19 injection where the combination was administered or  
20 two separate injections.

21           The first study took place in the United  
22 States and received immunizations at two, four, and  
23 six months of age with a serology obtained one month  
24 following the third dose, and again, these were  
25 infants who received Hib vaccine from the manufacturer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and the DTaP vaccine that was received was either  
2 North American's DTaP or whole cell pertussis  
3 containing vaccine.

4 And in that study there were no  
5 significant differences observed in the geometric mean  
6 titres or in the percentage of subjects who achieved  
7 the short term and long term protective threshold  
8 level.

9 The second study was conducted in Sweden  
10 where their immunization schedule of infants is at  
11 three, five, and 12 months of age, and serology was  
12 obtained one month later following the third dose.

13 Again, in this study there were no  
14 significant differences observed in the number and  
15 percentage of subjects with anti-PRP-T titres of the  
16 long term or short term protection levels. However,  
17 there were significant differences in the overall  
18 geometric mean titres for Hib in the group of  
19 individuals who received the combination vaccine  
20 compared to the separate injections.

21 The individual numbers for that study are  
22 as follows. We had approximately the same number of  
23 individuals in each study, 189 versus 185. The left  
24 most column represents the vaccine in which the  
25 children received everything together in one syringe.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We used our DTaP-IPV to reconstitute our Hib.

2 And the second -- can that be focused a  
3 little bit? -- the second column represents the  
4 results when children received separate but  
5 concomitant immunizations, and you could see that  
6 there was a significantly different overall response  
7 in the geometric mean titre between individuals in the  
8 two different groups either using a Hib antibody assay  
9 of the RIA or the ELISA.

10 North American's approach to this lowering  
11 of the overall antibody response for Hib has been the  
12 development of a new combination conjugate vaccine,  
13 and what we are currently using is a novel carrier  
14 protein, rPorB as our carrier.

15 The following two slides will review some  
16 pre-clinical data of the use of this vaccine in  
17 comparison to other commercially available Hib  
18 vaccines.

19 This is a preclinical study that was  
20 conducted in rats. Five different groups of rats  
21 received one of five vaccines. There were two  
22 research lots of our Hib rPorB conjugate vaccine.

23 We also immunized another group of rats  
24 with our own Hib-TT vaccine, and then a fourth and  
25 fifth group of rats received commercially available

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 HbOC or PRP-T.

2 The animals received three immunizations.  
3 They had blood draws at each time of immunization, and  
4 then received blood draws approximately ten days after  
5 each booster vaccination. The boosters occurred about  
6 three to four weeks apart.

7 As you can see from this graph, I want to  
8 point out to you that the Y axis represents the ELISA  
9 IgG titre, and it is on a log scale. The overall  
10 geometric mean titre for those rats who received our  
11 new Hib conjugate vaccine using the novel carrier  
12 protein are many-fold higher, anywhere from 60 to 100-  
13 fold higher than the geometric mean titres that were  
14 observed when rats received either our Hib-TT vaccine  
15 or the commercially available products.

16 Now, this is just Hib alone, not in  
17 combination with any other vaccines.

18 Oops, sorry. I went the wrong way.

19 In a second study done in rats, we see the  
20 effect of the combination Hib DTaP-IPV vaccine  
21 administered to rats compared to just Hib alone, and  
22 in this study, again, we looked at rats who received  
23 our Hib conjugate vaccine or those who received  
24 another commercially available product.

25 We can see that when the vaccine was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 combined with DTaP-IPV there is a difference in the  
2 overall geometric mean titre for the HIB IGG.  
3 However, the differences between and the geometric  
4 mean titre among those rats who received Hib either  
5 alone or -- our Hib either alone or in combination are  
6 several-fold higher than those rats who received the  
7 commercially available PRP-T vaccine either alone or  
8 in combination.

9 The number of rats who are immunized here  
10 are small, and our confidence intervals are quite  
11 wide, and I think that's contributing to the reason  
12 why there are really no significant differences  
13 observed when we looked at the Hib antibody response,  
14 either in combination or alone.

15 We have subsequently gone on to immunize  
16 humans with our Hib conjugate vaccine. We have  
17 recently concluded a Phase 1 study in adults in which  
18 52 adults have been vaccinated. The vaccine was well  
19 tolerated, and the serology is currently in the  
20 laboratory being assayed for the Hib immune response.

21 Our plans are to pursue additional studies  
22 in the near future with our Hib conjugate vaccine.  
23 Our next population will be in toddlers where we will  
24 be immunizing them and comparing them with  
25 commercially available Hib product, and so on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thereafter to follow, we will be putting our Hib  
2 conjugate vaccine into combination with DTaP and IPV  
3 and evaluating that product in both infants and  
4 toddlers.

5 Thank you very much.

6 CHAIRMAN GREENBERG: Thank you.

7 There is going to be one other  
8 manufacturer who may wish to speak. So I only want  
9 one or two questions.

10 Any questions? Dr. Edwards.

11 DR. EDWARDS: Is there any immunogenicity  
12 to the Por and B against mening. B disease?

13 DR. CICIRELLO: Milan, can you answer  
14 that?

15 PARTICIPANT: Not that we can see.

16 CHAIRMAN GREENBERG: The answer was "not  
17 that we can see."

18 You don't have a glimmer of information  
19 about people? I always hate it when I see tests  
20 pending.

21 DR. CICIRELLO: Oh, no. I'm sorry. Well,  
22 Mike Pichichero is in the audience here. It's being  
23 done in his lab, and I don't know if he has any  
24 information.

25 CHAIRMAN GREENBERG: I've made a living of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 showing increased immunogenicity in rodents.

2 (Laughter.)

3 CHAIRMAN GREENBERG: Unfortunately it's  
4 not that useful. So there's no data?

5 DR. CICIRELLO: Not yet that we have in  
6 our hands.

7 CHAIRMAN GREENBERG: One last question.

8 DR. BREIMAN: Are those massive antibody  
9 levels functional? Are there measures at all that  
10 you've done of opsinophagocytosis (phonetic) or  
11 avidity or anything?

12 DR. CICIRELLO: Milen, do you know if we  
13 have any functional body assay results in the animals?

14 PARTICIPANT: (Inaudible.)

15 DR. CICIRELLO: Please use the microphone.

16 CHAIRMAN GREENBERG: But the answer was  
17 the antibodies are functional by killing assays.

18 I'd like to move on now to Wyeth Lederle,  
19 and Dr. George Siber is going to present.

20 DR. SIBER: Good morning. My name is  
21 George Siber. I'm Senior Vice President and Chief  
22 Scientific Office of Wyeth Lederle Vaccines.

23 I'd like to say up front that the question  
24 of licensing DTaP-Hib combinations or other Hib  
25 combinations is a very complex and difficult decision.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 There are important scientific and public health  
2 reasons to be concerned about introducing vaccines  
3 with lower immunogenicity for Hib.

4 If I could have the first slide, I guess  
5 I can control this myself here.

6 I'll discuss four questions that are  
7 relevant to the introduction of DTaP-Hib vaccine and  
8 other Hib combinations in the future.

9 First, is one microgram per mL the  
10 protective antibody concentration after a primary  
11 series of conjugate?

12 Second, is priming protective?

13 Third, will we see an increase in invasive  
14 Hib disease if vaccines with lower immunogenicity are  
15 widely used in the U.S.?

16 And if so, do we need to make this  
17 decision now or take this risk now?

18 There are two answers to the first  
19 question, and they've sort of been covered already.  
20 Yes, a microgram per mL is probably the correct  
21 protective estimate for PRP polysaccharide vaccine.  
22 This estimate is based on the Finnish studies of PRP  
23 vaccine where 90 percent of vaccine recipients were  
24 protected, achieved this concentration and only 20  
25 percent of controls did.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The second answer is, no, one microgram is  
2 almost certainly higher than the minimum protective  
3 level required for protection after conjugate vaccine.  
4 A substantial proportion of infants ranging from  
5 perhaps five to 30 percent do not achieve this level,  
6 and yet almost all of them are protected.

7           I've not seen data that allows estimation  
8 of a protective concentration using the population  
9 based method, but I venture to guess that it would be  
10 very low, perhaps in the order of .15 microgram per  
11 mL.

12           The second question is whether immunologic  
13 priming protects against Hib disease. Before  
14 discussing this, let me define priming as the rapid  
15 rise in antibody to a protective level in response to  
16 natural Hib exposure, which we think is most likely a  
17 PRP polysaccharide exposure by the nasopharyngeal  
18 route, in other words, colonization.

19           I'd like to emphasize that we cannot apply  
20 the concept of an anamnestic response to proteins to,  
21 quote, priming to polysaccharides. For a protein, a  
22 rise of antibody within seven days is not observed  
23 after the first exposure, but is the key feature, the  
24 diagnostic feature of an anamnestic response to the  
25 second exposure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 By contrast, with polysaccharides antibody  
2 rises, if they occur at all, always occur by four to  
3 seven days. Although only a few studies have looked  
4 at early responses to polysaccharides, all have shown  
5 identical kinetics, a slight fall in three days  
6 followed by a rapid rise between four and seven days  
7 to peak levels by seven to ten days.

8 This is true for older individuals given  
9 their first dose of PRP, for toddlers given their  
10 first dose of conjugate, and for toddlers given PRP  
11 after a primary dose series of conjugates. Therefore,  
12 it is the magnitude and not the kinetics of the  
13 antibody response to polysaccharide that defines what  
14 we call priming.

15 Is priming protective? Again, there are  
16 probably two answers to this question. Yes, I believe  
17 that priming usually protects. One can infer this  
18 from the observation that a substantial proportion of  
19 children have antibody declines to less than  
20 microgram per mL prior to booster dosing. This was  
21 true certainly for the PRP-D studies. It was true  
22 the Hib OMP studies on the Native Navajo, and yet they  
23 were protected.

24 Also in some countries, such as the U.K.,  
25 where boosters are not given, this proportion may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       become quite large, although I don't have direct data  
2       on that.

3               Nevertheless, these children remain primed  
4       and almost all appear to be protected.

5               The second answer is no. Priming does not  
6       always protect, and the hypothetical reason is that  
7       the interval between exposure to the organism and  
8       invasion may simply be too short, less than four days,  
9       for an antibody response to occur.

10              The likelihood of rapid invasion may  
11       depend on the organism, the type of exposure, and host  
12       susceptibility factors. Based on their tendency to  
13       cause outbreaks and the timing of the secondary cases,  
14       I would speculate that rapid invasion is most likely  
15       with the meningococcus and immediate with Hib and  
16       least likely for the pneumococcus.

17              If this is correct, it follows that the  
18       presence of preexisting antibodies at the time of  
19       exposure is most important for the meningococcus and  
20       least important for the pneumococcus.

21              Evidence that priming for a rapid response  
22       is not always protective includes the following.

23              First, that breakthrough cases do occur  
24       rarely in fully conjugate immunized children who have  
25       presumably been primed, and we can see this in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 U.S. About a third of the breakthroughs that are  
2 observed are in apparently fully immunized children.

3 Second, that vaccine failure rates  
4 increase with age in the U.K. where only three doses  
5 of Hib conjugates are given at two, three, and four  
6 months, very early.

7 And slide six shows you the U.K. data, and  
8 summarizes the number of Hib cases observed in the  
9 U.K. compared to the number expected to have occurred  
10 based on rates just prior to vaccine introduction.

11 Note that on the one hand, efficacy  
12 remains quite high, even in the third year of age when  
13 a substantial proportion of these children are likely  
14 to have very low antibody levels.

15 On the other hand, vaccine failure rates,  
16 VF in the far column, increase from about .9 percent  
17 in the first year to 5.3 percent in the third year  
18 presumably due to failure of priming alone to fully  
19 protect.

20 A third bit of evidence comes from pre-  
21 vaccine era studies that I think John Robbins just  
22 showed you when older children who are capable of  
23 responding to PRP quickly still got meningitis, albeit  
24 at a much lower rate.

25 And the next slide shows you unpublished

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 data from Porter Anderson and David Ingram that  
2 demonstrate eight children older than 30 months  
3 admitted with meningitis to Boston Children's  
4 Hospital. Seven of the eight were able to mount a  
5 rapid and high anti-PRP response in the first three  
6 days, shown in yellow there, after admission.

7 One might infer that these children were  
8 primed to respond naturally, but developed meningitis  
9 anyway.

10 Finally, I want to emphasize one  
11 additional point on priming, which is that  
12 effectiveness of immunologic priming can vary. The  
13 best predictor we have found of the level of priming  
14 is actually the concentration of antibody after the  
15 third dose, which correlates well with the response to  
16 a toddler booster dose.

17 And this shows you on the horizontal axis  
18 the antibody concentration after the third dose and  
19 then the concentration after the toddler booster, and  
20 the R value is .71 for those of you who can't read  
21 that, highly significant R value.

22 And the vaccines used here are HbOC alone,  
23 with DTP, or with DTaP. So this suggests that  
24 vaccines with lower responses after primary  
25 immunization will also produce less effective priming.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The next question is whether invasive  
2 disease will increase if vaccines with lower  
3 immunogenicity are widely used in the U.S., and  
4 considering this question, I'd like to make several  
5 points as background points.

6           First, the published immunogenicity study  
7 with each of the Hib conjugate vaccines shows  
8 substantial variation over about a tenfold range in  
9 geometric mean antibody concentration, and Yuhani  
10 (phonetic) kindly shared this slide with me from his  
11 recent review in Lancet, and what you can see is the  
12 average, the tenfold range is in variations roughly  
13 for each of the vaccines, and the average GMC of HbOC  
14 and PRP-T here is about five micrograms per mL, and  
15 all of the studies are above a microgram per mL.

16           The average GMC for PRP-OMP is about 2.5  
17 microgram per mL, and for the DTaP-Hib combination  
18 vaccine, about two microgram per mL, with a number of  
19 studies selling GMCs below a microgram per mL.

20           The second background point is that  
21 vaccine responses will be even more variable in  
22 practical use than in these tightly controlled  
23 clinical studies. This is due to more variation in  
24 the timing of doses, incomplete immunization, and  
25 lower responses in high risk groups.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Third, in some areas of the country and  
2 among certain groups, immunization rates are very low.

3 Fourth, and I think the most important  
4 point has to do with the current epidemiologic  
5 situation that we're confronting in the United States  
6 in considering this decision. We are not asking  
7 whether DTaP-Hib combinations would have high levels  
8 of efficacy when introduced into an area where no Hib  
9 vaccine is in use. There's no question in my mind  
10 that they would.

11 Rather, we are asking what would happen in  
12 the U.S. where more immunogenic vaccines have been  
13 used for a decade and have reduced Hib disease rates  
14 to extraordinarily low levels. This slide shows CDC  
15 data from 1994 and 1995 by Dr. Bisgard, who is in the  
16 audience, and it shows that there were only about  
17 invasive Hib cases reported each year in those two  
18 years.

19 Compared to the pre-vaccine era, this is  
20 estimated to be a greater than 99 percent reduction in  
21 disease. Even in infants less than six months of age,  
22 the reduction has been an astounding 98.5 percent. It  
23 seems likely that herd immunity is important in  
24 achieving such low disease rates in the very young.

25 In this situation, a very slight reduction

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in efficacy, say, from 99 percent to 98 percent  
2 translates into 90 additional cases of invasive Hib  
3 disease in the U.S. That's a point I think we need to  
4 keep in mind.

5 So returning to the question of whether  
6 Hib is likely to increase in the U.S., it is certain  
7 that Hib antibody levels in infants and older children  
8 will decline if DTP-Hib combos come into general use.

9 As a consequence, a larger number of  
10 children will have to rely for protection on priming  
11 alone rather than priming together with preexisting  
12 antibody.

13 A second consequence is that Hib  
14 colonization rates may increase, leading to less herd  
15 immunity and an increased risk of Hib exposure for  
16 unimmunized, partially immunized, and  
17 immunocompromised children.

18 There is some evidence, though limited,  
19 that in animal studies and human studies that higher  
20 levels of antibody are required to prevent  
21 colonization than to protect from invasive disease.  
22 The higher rates in Alaskan infants that we have heard  
23 about may be due to the lower antibody response to  
24 PRP-OMP, but of course, these populations also differ  
25 in other ways.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The final question I'd like to pose is  
2 whether we need to make this decision now. That's  
3 supposed to be a scale. It doesn't show up very well.

4           In considering this question, we weigh the  
5 magnitude of the risk, lower Hib antibody, and perhaps  
6 more Hib disease against the magnitude of the benefit,  
7 fewer shots and perhaps higher acceptance and  
8 increased vaccine coverage.

9           On the risk side, will we see more Hib  
10 disease? I would answer that we can't really know  
11 that for sure, but it is certainly a possibility.

12           What would the impact of more Hib disease  
13 be? Again, it's hard to say, but it would certainly  
14 raise concerns about vaccine efficacy. It certainly  
15 did that in Alaska.

16           On the benefit side -- I think I'm missing  
17 the benefit slide, but I'll tell you -- on the benefit  
18 side of the equation, the benefits of reducing the  
19 number of injections include less discomfort for  
20 children and parents and convenience for providers.

21           But from a public health perspective, the  
22 main benefit boils down to improved vaccine uptake.  
23 I guess we don't know whether compliance would improve  
24 further if fewer injections were given, but the  
25 potential for improvement may be modest based on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 current very high immunization rates.

2 Is there another way to reduce the number  
3 of injections without the risk? Of course, there are  
4 other options. In the near term, DTaP-IPV-HepB combos  
5 are likely to become available. These will reduce the  
6 number of injections per visit by two.

7 In the longer term, manufacturers may be  
8 able to include Hib with pneumococcal and ultimately  
9 meningococcal conjugate vaccines and perhaps avoid or  
10 reduce suppression of the Hib response.

11 So to summarize, I think we have two  
12 options. On the one hand, introduce DTaP-Hib combos  
13 and perform careful post marketing and surveillance  
14 for HIB disease and vaccines failures.

15 On the other hand, we could achieve a near  
16 term reduction in the number of shots with other  
17 combos, perhaps obtain more information by comparing  
18 vaccine failure rates with currently available  
19 vaccine, and maybe we'll hear more about that later,  
20 and encourage manufacturers to evaluate other  
21 strategies for combing Hib.

22 Thank you.

23 CHAIRMAN GREENBERG: Thank you, George.

24 We're running a little late. What I would  
25 like to do is I understand that Merck was in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 audience, may wish to take a few moments; am I  
2 correct?

3 DR. UKWU: Henrietta Ukwu from Merck.

4 CHAIRMAN GREENBERG: It's not on. Why  
5 don't you go up to the podium?

6 DR. UKWU: It's on now?

7 CHAIRMAN GREENBERG: That's fine.

8 DR. UKWU: Okay. Henrietta Ukwu from  
9 Merck.

10 Sine Merck does not have any data on PRP-  
11 OMP in combination with DTaP vaccines, we have not  
12 prepared a formal presentation. However, we would  
13 like to take a few minutes to comment on our  
14 understanding of nasal carriage with Hib vaccines in  
15 general and Karen Kaplan from Merck will be making  
16 those comments.

17 Karen.

18 CHAIRMAN GREENBERG: Can you give me a  
19 hint on how long your few moments will be?

20 DR. KAPLAN: Four.

21 CHAIRMAN GREENBERG: Thank you.

22 DR. KAPLAN: I'd like to just make a few  
23 comments about the issue of Hib carriage. It's clear  
24 that there remain gaps in the scientific knowledge  
25 base on the subject of carriage. In terms of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 interpreting the studies that have been done, there  
2 are certainly differences among the populations that  
3 have been studied, the high risk versus low risk  
4 populations, and one needs to be exceedingly cautious  
5 about generalizing the findings from one study to the  
6 next.

7 Furthermore, in many cases the cross-  
8 sectional design offers just a single look at what is  
9 clearly a dynamic phenomenon. In many of the studies,  
10 in most of the studies Hib colony counts are not  
11 performed, and in fact, in Marina Barbour's study in  
12 the U.K. where she really did look at colony counts,  
13 as well as antibody levels, vaccinated carriers had  
14 very low colony counts compared to the nonvaccinated  
15 carriers, and vaccinated carriers also had the highest  
16 antibody levels.

17 Furthermore, the clinical significance of  
18 carriage is still unclear. In fact, the question is:  
19 do vaccinated carriers transmit disease as effectively  
20 as nonvaccinated carriers do?

21 Can I have the next slide?

22 There have been a number of studies that  
23 have been done looking at the various Hib conjugates  
24 and their effects on carriage, and if you look across  
25 the Hib conjugates, some have shown reductions in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 carriage. Others have shown persistence in carriage.  
2 It's not confined to single conjugate vaccine.

3 In fact, the studies referred to on this  
4 slide have been performed in the so-called low risk  
5 populations.

6 In Finland, there was a reduction in  
7 carriage, in Atlanta a reduction in carriage,  
8 persistence of carriage, in England and in Chile. In  
9 fact, there were 40 percent of PRP-T vaccinees had at  
10 least one positive Hib culture done.

11 The next slide.

12 PRP, carriage with PRP has been studied  
13 exclusively in the high risk populations, and we know  
14 that those populations are different both because of  
15 who they are and in terms of the pressures for disease  
16 transmission that may occur, but certainly in the  
17 Navajo there was a reduction in carriage, and among  
18 the Native Alaskans there was persistence of carriage  
19 demonstrated by Gilil and colleagues.

20 I think the pre-vaccine era carriage rates  
21 are estimated to be around six percent, and I think  
22 one can say that their study demonstrated persistence  
23 in carriage, although interestingly enough among the  
24 Alaskan Natives in the pre-vaccine era, despite  
25 astronomically higher rates of disease, their carriage

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 rates were largely the same as those among the non-  
2 high risk populations.

3 Next slide.

4 Some conclusions then, some things to  
5 think about.

6 In general, introduction of Hib conjugate  
7 vaccines in non-high risk populations have reduced  
8 carriage of the organism, but there clearly have been  
9 exceptions. Only PRP-OMP has been evaluated in high  
10 risk populations for its impact on carriage.

11 But, in fact, the relative importance of  
12 which Hib vaccine you choose and its effect on  
13 carriage is not known. It's just not clear.

14 Next slide.

15 And, in fact, there is other evidence that  
16 does support the fact that PRP does have an effect on  
17 herd immunity. In Israel there was a 96 percent  
18 decline in invasive Hib disease. That includes a 66  
19 percent decline in infants under three months of age  
20 for whom a direct effective vaccine would not be  
21 expected.

22 And in Alaska, there was a marked decline  
23 in Hib disease in persons ten years of age and older  
24 who were never vaccinated, and the greatest decline  
25 was, in fact, seen in the Native Alaskan

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subpopulation.

2 Thanks.

3 CHAIRMAN GREENBERG: Thank you.

4 What I'm going to do is first catch up.  
5 I forgot -- you'll have to excuse me -- at the very  
6 beginning of this session to ask whether there was  
7 anybody in the audience that had anything to say in  
8 the open public hearing. So I'm going to do that.  
9 I'm going to do a catch-up vaccination now and ask  
10 whether we have anybody in the audience with something  
11 to say.

12 I'm looking around and don't see it. Ah,  
13 yes, I do.

14 I can't see the slide. Dr. Granoff.

15 DR. GRANOFF: Don Granoff. I'd like to --

16 CHAIRMAN GREENBERG: No, I think you're  
17 going to talk at the next open public. You can talk  
18 now or you can talk then. It's your right, but  
19 there's more time probably if you -- okay.

20 Anyone else? Yes. Oh, you'll also talk  
21 at the next open. Yeah, that's fine. We have two  
22 open public sessions. So I think we'll save these  
23 until the next one.

24 In which case, I am going to conclude this  
25 morning's meeting and let everybody take a break. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 didn't -- I think to some degree, and I'm sure the  
2 committee members have other questions for the  
3 speakers, and is it okay for them to address them  
4 while they have coffee?

5 It's not. They can't do it. So they  
6 should try to keep and get their questions together,  
7 and if we can get a little time later on in the  
8 program, we'll try to catch up.

9 Thank you.

10 I'd like you to be back here at -- you  
11 have a ten minute coffee break.

12 (Whereupon, the foregoing matter went off  
13 the record at 10:58 a.m. and went back on  
14 the record at 11:13 a.m.)

15 CHAIRMAN GREENBERG: Okay. We're now  
16 going to start with Dr. Peggy Rennels, who's going to  
17 talk about the response in a DTaP-Hib vaccine with  
18 inactivated polio.

19 DR. RENNELS: I'll present to you results  
20 from a study that demonstrated diminution for the  
21 anti-PRP response to a combined DTaP-Hib vaccine by  
22 concurrent IPV vaccination, and the collaborators with  
23 me on this project were Drs. Englund, Bernstein,  
24 Losonsky, Anderson, Pichichero, Munoz and Wolff, and  
25 this study will be published in May of 2000 in PIDJ.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N.W.  
WASHINGTON, D.C. 20005-3701

1           The sponsors for the study were the FDA  
2 and NIH, and it was carried out through the five NIH  
3 supported vaccine treatment and evaluation units  
4 located at Maryland, Baylor, Cincinnati, St. Louis,  
5 and Rochester.

6           The rationale for doing this study was  
7 that in pre-licensure studies Hib vaccines and  
8 acellular DTP vaccines were evaluated with concurrent  
9 OPV; that no studies had been published comparing the  
10 immune responses to combined Haemophilus and DTaP  
11 vaccines when co-administered with IPV versus OPV, and  
12 as you're all aware, all IPV is now the standard of  
13 care in this country.

14           I, therefore, designed a study in which  
15 children received at two, four, and six months of age  
16 one of the three then recommended polio schedules, all  
17 OPV, sequential IPV-IPV-OPV or all IPV.

18           The reference arm, Arm 1, received with  
19 the OPV separate injections of DTaP and PRP-T. Arm B  
20 received all OPV, but combined DTaP and PRP-5. The  
21 other two arms also received the combination vaccine.

22           I should mention that the same lot of PRP-  
23 T was used throughout the study in all arms.

24           The vaccines that were used were the  
25 tripedia DTaP, ActHIB PRP-5. TriHIBit was the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 combination. IPV was IPOL. All of these were  
2 manufactured and donated for this trial by Aventis  
3 Pasteur. Orimune was the Lederle OPV.

4 I want to point out the injection sites of  
5 the IPV and the combined DTAP-Hib were in separate  
6 thighs.

7 The primary objective of this trial was to  
8 confirm that the experimental treatment arms were non-  
9 inferior to the standard arm with respect to the  
10 proportion of children achieving protective levels of  
11 antibodies to the polio viruses, tetanus toxin,  
12 diphtheria toxin, and Haemophilus, and I'm only going  
13 to discuss the Haemophilus results.

14 The sample size determination for  
15 establishing equivalence was based on the proportion  
16 of children achieving one microgram or more of anti-  
17 PRP. The experimental arm was to be considered to be  
18 equivalent to the reference arm if the upper bound of  
19 the 95 percent confidence interval for the difference  
20 in the proportion of reference arm minus the  
21 experimental arm was less than ten percent or if you  
22 subtract it the other way around, experimental arm  
23 minus reference arm. This would be minus ten percent  
24 which is the way the FDA then asked us to calculate  
25 it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The study would have 90 percent power if  
2           95 percent of the children obtained protective levels.  
3           That was based on pre-licensure of published studies  
4           and turned out to be a bit of a high estimate.

5           The number of subjects analyzed are shown  
6           here. Note that the intent to treat children were  
7           those who were enrolled and who had a post dose three  
8           serum antibody assay for anti-PRP. So we actually  
9           enrolled more children than that.

10          The protocol children primarily differed  
11          from the ITT children in minor deviations from timing  
12          of one of the vaccinations or the post dose three lot.  
13          There were no important differences between the ITT  
14          and protocol group.

15          Serology originally was planned to be all  
16          performed in the laboratory of Dr. Gennie Losonsky at  
17          the Center for Vaccine Development, but part way into  
18          the trial the FDA requested that all of the anti-PRP  
19          assays be done by Connaught by RIA so that the results  
20          could be compared to their other trials.

21          And now the results. A lot of data on  
22          these slides. Let me walk you through this first one.

23          Here are the anti-PRP geometric mean  
24          titres for each of the four treatment groups with A  
25          being the separately administered DTaP plus PRP-T

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 given with OPV.

2 The B also OPV, but this time combined  
3 DTaP and PRP-T.

4 C, combination plus sequential IPV, IPV,  
5 OPV.

6 And D, combination with all IPV, and  
7 that's now the standard.

8 I have put here both the protocol group  
9 and the intend to treat group. Here are the 95  
10 percent confidence intervals, and shown here are by an  
11 OVA (phonetic) the P values for the differences  
12 between various groups.

13 Now, in order to save time and to simplify  
14 it, I'll just talk about the intent to treat  
15 differences. A versus B, not significant but  
16 borderline. So the two OPV groups were not  
17 significantly different, but they were borderline.

18 Now, when you compare though the OPV in  
19 separate group with the IPV containing groups, the  
20 differences are highly statistically significant, and  
21 although we didn't set the study up to analyze it in  
22 this way, if you do compare the combined group who got  
23 OPV with the combined groups who got IPV, again, the  
24 differences are quite highly significant.

25 And now here are the proportion of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 children achieving greater than or equal to .15  
2 micrograms per mL. The slide is set up in the same  
3 way, and similar results. A versus B not  
4 significantly different. However, A versus the IPV  
5 containing highly significant and B, the combination  
6 versus the combinations that contained IPV borderline  
7 significant.

8 The percent achieving one microgram or  
9 greater, you can see the differences are increased  
10 here as expected. A versus B, again, not  
11 significantly different, but A versus C and D, highly  
12 significant, and B versus C and D, highly significant.

13 Now, analyzing it one more way, let's look  
14 at the difference in proportions between the reference  
15 arm and the experimental arms and between B and the  
16 IPV containing arms. First, this is the difference in  
17 proportion of children achieving greater than .15  
18 microgram per mL, and here are the 95 percent  
19 competence intervals around that, and this column is  
20 a proportion, difference and proportion of those  
21 achieving 1.0.

22 Look at the lower confidence bound, lower  
23 bound upon that confidence interval, and recall that  
24 we defined as equivalent if they had less than a ten  
25 percent or a minute ten percent difference of that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lower bound.

2 And by that, albeit arbitrary definition  
3 of equivalence, you can see that the only group that  
4 could be considered equivalent to the experimental  
5 group was B.

6 And you could see also that the difference  
7 in the proportions went from a minus 4.4 to a high or  
8 minus nine for the lower bound to a high of minus 40.

9 This is the per protocol analysis. There  
10 were no differences in that.

11 The results, overall results by site did  
12 not differ, and the sample sizes, however, were  
13 inadequate to analyze differences between treatment  
14 groups at each site because the sample sizes got as  
15 small as 12.

16 But we concluded that in this trial  
17 concurrent IPV interfered with the primary anti-PRP  
18 response to this lot of this combination of DTaP-PRP-  
19 T.

20 Now, I wish I could tell you that we did  
21 a booster trial, and everybody was primed, and we have  
22 great kinetic data. I can't. Unfortunately, we  
23 didn't do a booster trial, but when we did become  
24 aware that there were 47 children who had post dose  
25 three anti-PRP levels of less than or equal to .15, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 attempted to locate those children with the intention  
2 of any child who had not yet had a fourth dose booster  
3 of giving it and drawing a blood one month later, and  
4 those children who had had a fourth dose booster given  
5 by their primary care physician, go ahead and draw  
6 blood.

7 We were able to get blood on 43 of those  
8 47 children, but the time period between vaccination  
9 and blood drawing varied between one and eight months,  
10 making the data difficult to interpret, but I will  
11 show it to you.

12 Another thing I want you as you're looking  
13 at the data when we come to the end. Please note that  
14 there was a difference in the days from vaccination to  
15 the blood drawing in the Maryland site where the  
16 Maryland site, the mean and median being three to four  
17 times longer, and that is because we enrolled much  
18 more quickly so that our children had been boosted  
19 sooner, and therefore there was a longer interval  
20 between immunization and blood drawing.

21 And now if I could have the overheads.

22 The development of my slides was  
23 interfered with by an act of nature. So excuse that  
24 slightly clumsy presentation.

25 Here are the geometric mean concentrations

1 of anti-PRP after dose four plotted by days between  
2 vaccination and phlebotomy, and I think you can get  
3 the impression that, indeed, the longer from  
4 vaccination, the lower the antibody levels, and in  
5 fact, the P value by logistic regression was .06.

6 However, there were post dose four 15  
7 children who had an anti-PRP of less than one  
8 microgram per mL.

9 Next.

10 And 12 of those 15 with less than one  
11 microgram had received one the two IPV containing  
12 regimens.

13 Next.

14 The vaccine received as the fourth dose  
15 are shown here. Each of these dots is one child. You  
16 can see there was only one child who had gotten  
17 TriHIBit as a booster. There are a few who had gotten  
18 HbOC. Most got PRP-T, and the proportion of the  
19 children with less than one microgram per mL receiving  
20 these vaccines didn't differ from the proportion who  
21 had greater than one microgram per mL who received  
22 those vaccines.

23 So I don't think it's a function of what  
24 was given as the booster.

25 Next.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Now, here what bothered me a bit is that  
2           ten of those 15 children who had less than one  
3           microgram per mL were from my two sites, University of  
4           Maryland sites, Annapolis and Frederick, but I think  
5           that most of those, probably seven of ten of those can  
6           be explained by the long interval between vaccination  
7           and blood drawing, and it does appear that at least a  
8           few children here were probably not primed, but I  
9           think that's all I can say from these data.

10                   That's it.

11                   CHAIRMAN GREENBERG:     Thank you, Dr.  
12           Rennels.

13                   Thank you also for being a little bit  
14           ahead of schedule. We have time for a couple of  
15           questions.

16                   Dr. Edwards.

17                   DR. EDWARDS: Petty, the children that are  
18           noted who did not appear to be primed, those children  
19           that had a phlebotomy after their fourth dose within  
20           the one month period of time, is there an opportunity  
21           to recall those children to look at their  
22           immunoglobulins, to look at other responses to the  
23           other vaccines? Maybe you have antibody levels with  
24           diphtheria or tetanus.

25                   DR. RENNELS: No, we don't. No, with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those who will didn't respond well to the fourth dose,  
2 we simply offered them a free dose of HbOC, and we did  
3 not follow up

4 CHAIRMAN GREENBERG: Dr. Faggett.

5 DR. FAGGETT: Did you have any subjects  
6 from the high risk population in your study?

7 DR. RENNELS: These were -- well, they  
8 were U.S. We eliminated immunocompromised children.  
9 There were no American Indians or Alaskan Eskimos.

10 DR. FAGGETT: Or inner city?

11 DR. RENNELS: Sure, there were inner city  
12 children at some of the sites, un-huh.

13 CHAIRMAN GREENBERG: Dr. Kim.

14 DR. KIM: Peggy, can you possibly  
15 elaborate the potential mechanisms of interference by  
16 IPV?

17 DR. RENNELS: I was hoping you all would  
18 tell me. Well, obviously it's not interference at the  
19 draining lymph node because they were given in  
20 opposite thighs. The only thing that I can think of  
21 is that if you give an IPV, you're giving a sudden  
22 massive exposure to antigen to the systemic immune  
23 system as opposed to giving OPV where the exposure is  
24 to the mucosal immune system and it's delayed and it's  
25 slower as opposed to a lot of antigen at the same time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as all the other antigens that are being given.

2 CHAIRMAN GREENBERG: I'm going to take --  
3 you're not all going to be asked. So I saw Dr. Estes  
4 and then Dr. Robbins, and then we're going to call it  
5 quits.

6 DR. ESTES: Do you know was the response  
7 to the polio normal?

8 DR. RENNELS: Can you take the paper off  
9 the slide here?

10 There were certainly differences. Was it  
11 normal? Ninety-eight percent of children had  
12 neutralizing antibody to serotypes one and two and 92  
13 percent had neutralizing antibody to serotype three,  
14 and although that's a bit low, it really didn't differ  
15 by treatment group.

16 CHAIRMAN GREENBERG: Okay. Dr. Robbins.

17 DR. ROBBINS: How much protein antigen is  
18 there in those inactivated polio virus preparations?

19 DR. RENNELS: I don't know.

20 CHAIRMAN GREENBERG: Is there anybody who  
21 can answer that question? There ought to be in the  
22 audience. Micrograms of protein in the IPV  
23 preparation?

24 Well, somebody from FDA should be able to  
25 figure that out and give us the answer after lunch.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 I will, since lots of this seems to me to  
2 turn on numbers in some way or another, I'll leave the  
3 last question for Dr. Fleming.

4 DR. FLEMING: The results that you're  
5 presenting are all the levels right after the third  
6 dose, correct?

7 DR. RENNELS: Correct.

8 DR. FLEMING: And it looks like basically  
9 in the presence of the IPV there is at least relative  
10 to the FDA criterion a concern, an issue of concern to  
11 me that I think it's not just your talk, but across  
12 the board is what about after the first and second  
13 dose. At least from the epidemiology, as I  
14 understand, presented up front, the vast majority of  
15 this risk is in the first two years, peaking at nine  
16 months, and it looked to me like ten to 15 percent  
17 the incident cases are before six months.

18 And yet we're achieving 99 percent  
19 efficacy. So something -- there's something else  
20 going on here.

21 DR. RENNELS: Actually I have data on post  
22 dose two, which I didn't bring, and basically all  
23 and I haven't analyzed it, but I can tell you that the  
24 immune response after post dose two in all the groups  
25 was fairly low.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FLEMING: But was it discernably lower  
2 in Group D, i.e., in the combinations with IPV than in  
3 Group A?

4 DR. RENNELS: I don't recall. It's there,  
5 and we can look at it.

6 CHAIRMAN GREENBERG: I'd like to move on  
7 to the next speaker, who's Dr. Carol Zenko, and she's  
8 going to talk about anti-PRP responses and combined  
9 vaccine and variability at different clinical trial  
10 sites.

11 DR. ZENKO: Antibody responses to a  
12 combined DTaP-Hib vaccine with OPV or IPV, variability  
13 of anti-PRP responses at different geographical sites.

14 Next.

15 The primary objective in doing this study  
16 was to compare the antibody responses to PRP one month  
17 after three doses of a DTaP-PRP-T combination vaccine  
18 were given with either OPV or IPV at two and four  
19 months of age.

20 The secondary objective was to evaluate  
21 the antibody responses to diphtheria and tetanus  
22 toxoids, pertussis antigens, and polio virus.

23 Next.

24 Subjects were healthy two month old  
25 infants with no prior immunizations. They were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recruited from private pediatric practices in suburban  
2 Chicago and New Orleans, and we had originally hoped  
3 to enroll 450 subjects in this study.

4 Next.

5 Immediately upon enrollment subjects were  
6 randomized into one of two groups. Group A received  
7 OPV at two and four months. Group B received IPV at  
8 two and four months. All subjects received the DTaP-  
9 PRP-T combination vaccine at two, four, and six  
10 months, as well as a Hepatitis B vaccine at two and  
11 four months.

12 The birth dose of Hepatitis B vaccine was  
13 not given. The third dose was scheduled to be given  
14 when the subject was 15 months of age. Blood was  
15 drawn immediately prior to the first immunization at  
16 the two month visit and again at the seven month visit  
17 one month after the last immunization at six months.

18 The DTaP-PRP-T combination vaccine was  
19 given in the right thigh. The IPV vaccine was given  
20 in the left upper thigh, and the Hepatitis B vaccine  
21 was given in the left lower thigh.

22 The IPV vaccine was IPOL. The DTaP-PRP-T  
23 combination vaccine was TriHIBit, and Orimune was the  
24 OPV. Hepatitis B was Recombivax.

25 Next.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We had a provision in the study design for  
2 subjects that we termed nonresponders, and these  
3 nonresponders were subjects who had these antibody  
4 levels at seven months of age.

5 The non-responders were offered an  
6 additional dose of either PRP-T or DTaP vaccine.  
7 Blood was drawn immediately prior to the additional  
8 dose and again one month after the additional dose.

9 Next.

10 While we were still enrolling subjects in  
11 this study, on June 16th, 1998, the FDA placed a  
12 clinical hold on further enrollment in our study.  
13 Preliminary results from a similar study, Peggy's,  
14 being conducted at the NIH Vaccine Evaluation Unit  
15 suggested interference in the immune response to PRP-T  
16 when a DTaP-PRP-T combination vaccine was administered  
17 concurrently with IPV.

18 Subjects who had not received the three  
19 DTaP-PRP-T combination vaccines at the time of the  
20 clinical hold were allowed to continue on in the  
21 study. However, they received the DTaP and PRP-T  
22 separately.

23 Next, please.

24 At the time of the clinical hold, there  
25 were 356 subjects who were enrolled in the study. One

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701