

1 hundred and twenty-eight were excluded from the data
2 analysis. One hundred and ten because the subjects
3 had not completed the three scheduled immunization
4 visits where the subjects received the combination
5 DTaP-PRP-T vaccine before the clinical hold, and 18
6 were excluded for these various other reasons listed
7 here.

8 This left us with 228 subjects to be
9 included in the data analysis.

10 Next.

11 Of the 228 subjects, 118 were male, 110,
12 female. The ethnic background was evenly distributed
13 amongst the sites, with the predominant race being
14 Caucasian.

15 Next.

16 This shows the mean age of the subjects at
17 each immunization visit, and as you can see, the
18 subjects adhere quite closely to the age visit of the
19 study design.

20 This first table shows the anti-PRP
21 antibody responses stratified by the type of polio
22 vaccine that the subject received. The mean anti-PRP
23 antibody response in our OPV recipients was 3.2 --
24 excuse me -- 3.12 micrograms per mL, while our IPV
25 recipients had a mean anti-PRP antibody response of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D C 20005-3701

(202) 234-4433

www.nealrgross.com

1 2.44. The difference was not significant between the
2 two groups.

3 Ninety-five, point, two percent of the OPV
4 recipients and 90.3 percent of the IPV recipients had
5 an anti-PRP antibody response that was greater than
6 0.15. This was not significantly different.

7 Seventy-six, point, eight percent of the
8 OPV recipients and 73.8 percent of the IPV recipients
9 had an anti-PRP antibody response greater than 1.0.
10 Again, this difference was not significant.

11 So we found no interference with the anti-
12 PRP antibody response with the different types of
13 polio immunization.

14 Next. Additionally, the type of polio
15 immunization received did not influence the antibody
16 response to anti-diphtheria, anti-tetanus, anti-PT,
17 anti-FHA, and anti-polio virus Serotype 3.

18 Our OPV recipients had a significantly
19 higher anti-polio Serotypes 1 and 2 antibody response
20 when compared to the IPV recipients.

21 Next slide.

22 This table shows the anti-PR -- excuse me --
23 -- anti-PRP antibody response stratified by
24 geographical location, Chicago and New Orleans, and
25 further stratified by the type of polio vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 When we compared the OPV recipients from
2 Chicago with the IPV recipients from Chicago, there
3 was no significant difference in any of the
4 parameters. When we compared the OPV recipients from
5 New Orleans with the IPV recipients from New Orleans,
6 there was no significant difference in any of the
7 parameters.

8 We next compared the mean anti-PRP
9 antibody response for the Chicago subjects with the
10 mean anti-PRP antibody response of the New Orleans
11 subjects, and as you can see, this was significantly
12 different being that the Chicago subjects had a
13 significantly mean anti-PRP antibody response at seven
14 months of age compared to the New Orleans subjects.

15 Chicago subjects also had a significantly
16 higher percent of subjects with an anti-PRP antibody
17 response greater than 0.15 and greater than 1.0. We
18 were quite surprised to find this geographical
19 difference between the locations. So we next compared
20 subjects who had received OPV from Chicago with those
21 who had receive OPV from New Orleans, and again, the
22 Chicago recipients had a significantly higher mean
23 anti-PRP antibody response.

24 Likewise they had a significantly higher
25 percent of subjects with an anti-PRP antibody response

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 greater than 0.15. The difference here was not
2 significant.

3 When we compared the IPV recipients from
4 Chicago with the IPV recipients from New Orleans, all
5 comparisons were significantly greater in the Chicago
6 subjects compared to the New Orleans subjects.

7 Next.

8 This table shows the anti-PRP antibody
9 responses with the New Orleans further stratified
10 data, further stratified into the two locations that
11 had comprised the data set. When we compared the two
12 locations in Louisiana, we found that the Metairie,
13 Louisiana subjects had significantly higher antibody
14 responses to all of the variables we calculated when
15 compared to the Destrehan subjects.

16 When we compared the Chicago subjects with
17 both Metairie and Destrehan, we found that the Chicago
18 subjects had a significantly higher mean anti-PRP
19 antibody response compared to Metairie and also
20 compared to Destrehan.

21 The difference in the percent of subjects
22 that had -- excuse me -- the difference in the percent
23 of subjects who had an anti-PRP antibody response
24 greater than 0.15 was not significantly different in
25 the Chicago-Metairie comparison, but it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 significantly different in the Chicago-Destrehan
2 combination.

3 Likewise for the percent of subjects with
4 an anti-PRP antibody response greater than 1.0.

5 Next.

6 The mean antibody concentrations for all
7 other vaccine antigens did not differ among the
8 infants from Destrehan, Metairie, and Chicago with one
9 exception. Anti-polio virus Serotype 1 was
10 significantly lower for the Metairie infants compared
11 to Chicago infants.

12 Next.

13 We were quite surprised to find the
14 difference in geographical location, and we sat down
15 and scratched our heads trying to come up with some
16 answers. We interviewed both study coordinators, the
17 study coordinator who had given the Chicago subjects
18 their injections and the study coordinator from New
19 Orleans who had given both New Orleans locations --
20 subjects at both the locations in New Orleans their
21 injections, and we did this more than one.

22 And we found that there were no
23 differences in the way the study coordinators handled
24 the vaccines or the sera. There was no difference in
25 the way they transported it to and from the sites.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We found there was no differences in the
2 way they handled and mixed the vaccines prior to their
3 administrating them.

4 We did have a problem during the study in
5 that the refrigerator-freezer in New Orleans where the
6 sera and the vaccines were kept, we noticed that it
7 had for a short period of time, had a temperature that
8 deviated one to three degrees Centigrade outside the
9 optimum range.

10 As soon as we noticed it, we replaced the
11 refrigerator-freezer and the vaccines, but this gave
12 us our first possible difference as to the explanation
13 why our New Orleans infants had lower anti-PRP
14 antibody responses.

15 The second difference that we found were
16 that the study coordinators had a difference in
17 vaccine administration.

18 Next.

19 We felt that if the faulty refrigerator-
20 freezer in New Orleans had produced the lower mean
21 anti-PRP antibody responses in the New Orleans
22 subjects, there would be a linear relationship between
23 -- you can't hardly see it there -- the mean anti-PRP
24 antibody response and the number of immunization
25 visits for the subject received vaccines from the

1 faulty refrigerator-freezer, X0 being that the subject
2 had no immunization visits where they received
3 vaccines from the faulty refrigerator-freezer.

4 The number of parentheses is the number of
5 subjects in each group, and as you can see, there was
6 no linear relationship. Therefore, we felt that the
7 difference, that the lower anti-PRP antibody levels in
8 the New Orleans subjects was probably not likely due
9 to the faulty refrigerator-freezer.

10 Next.

11 As I mentioned before, there were site
12 differences in the way the two study coordinators did
13 their injections. The Chicago study coordinator used
14 a five-eighths inch, 25 gauge needle. She gave her
15 injection at a 90 degree angle, and she tented the
16 skin around the injection site before giving the
17 injection.

18 The New Orleans study coordinator used a
19 one inch needle, 23 gauge. She gave the injection at
20 a 45 degree angle, and she left the skin flat around
21 the injection site.

22 We reviewed the current literature to see
23 if there was any indication that the difference in
24 injection technique had produced the difference in
25 immunogenicity, and we could find nothing to support

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this.

2 And also, if you remember, just a couple
3 of overheads ago I showed you that there was a
4 significant difference between the two New Orleans
5 locations. They were significantly different as far
6 as their anti-PRP antibody response.

7 However, both locations received their
8 vaccines from the same study coordinator.

9 Next.

10 We had 16 subjects who had an anti-PRP
11 antibody response that was less than 0.15 micrograms
12 per mL at seven months of age. Six of these were OPB
13 recipients, ten IPV recipients. Fifteen received an
14 additional dose of PRP-T. Three were from Chicago,
15 five from Metairie, and seven from Destrehan.

16 Next please.

17 We currently have data on 12 of the
18 subjects. However, one of the subjects did not have
19 a pre-bleed done. So the pre-data are based on an N
20 of 11. The mean antibody response prior to the
21 additional dose of PRP was 0.04 micrograms per mL.
22 Ten of the 11 subjects had undetectable antibody
23 levels prior to the additional dose.

24 The mean anti-PRP antibody response after
25 the additional dose was 5.24 micrograms per mL. After

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the additional dose, all of the subjects had an anti-
2 PRP antibody level that was greater than 0.15, and 11
3 of the 12 had an anti-PRP antibody level that was
4 greater than 1.0. The one subject who failed to
5 achieve this level had an anti-PRP antibody level of
6 .3, and the subject was from Destrehan.

7 Next.

8 Based on the data I've shown you, we came
9 to the following conclusions. One, concurrent IPV
10 administration with the DTaP-PRP combination vaccine
11 did not result in significant interference in this
12 study.

13 Two, the mean anti-PRP antibody response
14 was significantly lower for New Orleans infants
15 compared with Chicago infants.

16 Next.

17 Three, the difference in the mean anti-PRP
18 antibody response among sites does not appear to be
19 caused by the faulty refrigerator-freezer or vaccine
20 administration technique differences.

21 And four, 11 of 12 nonresponders had an
22 anti-PRP antibody response greater than 1.0 micrograms
23 per mL after an extra dose of PRP-T.

24 So why did we find different results than
25 the Rennels group? Well, one of the reasons could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have been that we have a difference in study designs.
2 Peggy's group gave a polio containing vaccine at two,
3 four, and six months of age. We did not give a polio
4 containing vaccine at six months of age. Therefore,
5 the fact that we gave the DTaP-PRP-T combination alone
6 at six months of age might have allowed it to overcome
7 some of the interference that was present.

8 It is also possible that the different
9 lots of the DTaP-PRP-T vaccine -- that the two studies
10 used produced the difference in results, either by
11 producing a difference in immunogenicity or by
12 producing a different potential for interaction with
13 other antigens.

14 And also it's possible that either one of
15 the results were due to an alpha error. Now, could
16 you go two -- not the next one, but two more. Don't
17 put the next one, but put up the one after it.

18 And as far as the underpowered part, the
19 anti-PRP antibody response for our IPV recipients was
20 not significant. It did not test significant.
21 Perhaps it was because the small sample size was under
22 powered to declare this difference significant.

23 However, we calculated how many subjects
24 we would have needed to find a significant difference
25 between these two groups, and we would have needed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enroll 809 subjects for this difference to be
2 significant.

3 Okay. Can you go back to the overhead you
4 had? No, the one before that.

5 And last, that some unknown factor caused
6 the difference between the two studies, and now the
7 last overhead.

8 I'd like to thank my colleagues at the
9 University of Chicago, Department of Pediatrics,
10 Vaccine Center for their support and help in this
11 study, and also the private pediatric practices that
12 contribute to their patients as subjects for this
13 group, Child Life Group, Minor Medical Centers,
14 Rothchild Oshner Pediatric Group, and Suniti Medical
15 Corporation.

16 Thank you.

17 CHAIRMAN GREENBERG: Thank you, Dr. Zenko.

18 This is an interesting set of two studies.
19 Can I ask one question first? Did the Rennels study
20 also include Hepatitis B virus vaccine concurrent?

21 DR. RENNELS: No.

22 CHAIRMAN GREENBERG: So that's another
23 difference. So it's conceivable that Hepatitis B
24 virus suppresses the suppressive effect of --

25 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: -- inactivated polio.
2 Okay. I'm sure there's lots of questions.
3 I don't know how we're going to deal with them all.
4 I'm going to have to limit them to some extent, but
5 first Dixie.

6 DR. SNIDER: Thank you. I do think the
7 absence of IPV at six months is potentially quite
8 important, but I'm still really intrigued by the
9 marked difference between Metairie and Destrehan, and
10 I'm wondering in thinking about this further, since
11 there was this same study coordinator, what else
12 you've looked at. I mean the demographics. What
13 other things have you examined and ruled out since you
14 haven't been able to give us a reason for this marked
15 difference between the two New Orleans sites?

16 DR. ZENKO: Well, we questioned the study
17 coordinator. At first we thought there might have
18 been a different ethnic background, and there wasn't
19 any. In fact, she assured us that most Destrehan
20 subjects were suburbanites just like the Metairie. In
21 fact, had moved from Metairie to Destrehan.

22 So we just couldn't find any differences
23 in the subject base between the two groups and the
24 study coordinator could also not state that there was
25 a difference, and she was very familiar with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subjects.

2 CHAIRMAN GREENBERG: Ms. Fisher.

3 MS. FISHER: It would seem that there may
4 be genetic differences between the Louisiana
5 population and Chicago population, if only that
6 Louisiana was settled by certain ethnic groups versus
7 Chicago, which would have been more of a melting pot,
8 and has there been any attempt to look at the genetics
9 of these children to see if there are common
10 denominators among the nonresponders or gross
11 differences in the genetic make-up between Louisiana
12 and Chicago?

13 DR. ZENKO: No. No, we haven't. And you
14 have a good point. That is.

15 CHAIRMAN GREENBERG: Other questions?

16 DR. LEVINE: I have just an easy, simple
17 one.

18 CHAIRMAN GREENBERG: Identify yourself.

19 DR. LEVINE: I'm Warren Levine.

20 I was just wondering if the faulty
21 refrigerator -- which direction that faultiness went.
22 Was it too hot or too cold?

23 DR. ZENKO: Too hot.

24 CHAIRMAN GREENBERG: Yeah. Too cold would
25 be an unusual problem for a vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 CHAIRMAN GREENBERG: Dr. Paradiso.

3 DR. PARADISO: Peter Paradiso.

4 I was just wondering. None of the
5 studies, if I followed this right, had a DTaP-Hib
6 given separately and IPV given separately, and so we
7 don't know whether IPV affects the Hib when DTaP and
8 Hib are given separately; is that correct?

9 DR. ZENKO: That's correct.

10 DR. PARADISO: And when the DTaPs were
11 licensed, OPV was the standard of care. So that would
12 have been the comparison of the interferons done. Do
13 we know that with the introduction of IPV as the
14 standard of care have we reduced the Hib responses?
15 Has anybody looked at that particularly?

16 CHAIRMAN GREENBERG: So the question is
17 basically just plain old Hib, is it affected. Is the
18 response to that alone affected by IPV?

19 MR. PARADISO: Right, right.

20 CHAIRMAN GREENBERG: And is there somebody
21 who has data to that point specifically? Please get
22 up whoever has it, and it looks like we have two bits
23 of data.

24 DR. BOSLEGO: John Boslego, Merck.

25 We have studies when Hib conjugate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccines are given with IPV and then separately. In
2 other words, they're staggered, and those studies
3 demonstrate there's no difference at all in the Hib
4 responses.

5 DR. BOGAERTS: (Inaudible.)

6 CHAIRMAN GREENBERG: Could you get to the
7 microphone and identify yourself?

8 DR. BOGAERTS: Hugues Bogaerts, SmithKline
9 Beecham.

10 We subscribe that observation. We have
11 made comparisons and there is no influence of IPV.

12 CHAIRMAN GREENBERG: Are there any other?
13 Dr. Faggett?

14 DR. FAGGETT: Yeah, just a question about
15 carriage state of the patients in the Destrehan versus
16 New Orleans. Do you have any information on that?
17 Are disease incidences in those --

18 DR. ZENKO: I didn't hear.

19 DR. FAGGETT: Any difference in disease
20 incidence in those populations?

21 DR. ZENKO: No, we haven't looked at that
22 yet.

23 DR. FAGGETT: Okay.

24 CHAIRMAN GREENBERG: Dr. Daum and then Dr.
25 Fleming.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: I have a comment on one
2 question that was raised from the panel, the question
3 of Dr. Snider.

4 We also looked at transport of the
5 vaccines. We looked at the interval between mixing
6 the vaccines. We looked at the interval between
7 mixing and vaccine administration. We looked at the
8 type of syringe that was used. We looked at the time
9 left on the bench before we interviewed them over and
10 over again, even on tape. We have them on tape as to
11 what they did at every single step of the way, and we
12 could not detect anything that we could share with you
13 this morning.

14 I also wanted to comment on someone else's
15 question about Dr. Rennels' study. I guess it's a
16 question perhaps Dr. Rennels might care to address,
17 and that is that if the third dose of IPV that we did
18 not give, in fact, is the item driving the
19 interference that they found and we did not find at
20 least in a significant way, then I would have expected
21 Dr. Rennels' arms C and D to be different from each
22 other because both of those groups were identical
23 except one got IPV dose three at six months, and one
24 got OPV dose one, which was polio containing vaccine
25 dose three, if you'll follow me, at six months, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 they were not different at all.

2 Therefore, I don't agree that the third
3 dose of IPP was the difference between our results.

4 CHAIRMAN GREENBERG: I'd just like to
5 remind the audience, Dr. Daum obviously has lots of
6 knowledge from this issue, but he had recused himself
7 from this discussion previously. So I don't know how
8 you're supposed to interpret that.

9 (Laughter.)

10 CHAIRMAN GREENBERG: But that's -- I'm not
11 sure how you're supposed to interpret the data either.

12 Dr. Fleming had -- Dr. Fleming, before
13 you, this is a computationally challenged question.
14 Could all of this be numbers that we simply are seeing
15 variability because nobody has enough power to really
16 get the right answer and every one of the bits of data
17 that we are seeing is simply scatter on the great
18 experimental curve?

19 DR. FLEMING: Well, let me try to address
20 that at least relative to what I was going to ask my
21 question about. One of the issues that we were
22 discussing at the end of your or you were discussing
23 at the end of your presentation was the consistency
24 between your results and the Rennels results, and
25 actually I do view them to be consistent with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 differences attributable to random variability.

2 Specifically, you seem to be addressing,
3 in particular, the Arms B and D of the previous study,
4 and what that previous study was looking at with Arm
5 A was what was the impact when you compare Arms A and
6 B for giving the separate rather than combination
7 vaccines, and we saw a reduction from 98 to 94 in the
8 percent of people who achieved at least .15.

9 And then when you -- and that was with OPV
10 -- and then when you went to Arm D with IPV, it
11 dropped down to about 85 percent. So your figures
12 that compared 95 versus 90 actually are fairly
13 consistent with the 94 versus 86 when you go to the
14 most direct comparison with the Rennels results of
15 Arms B and D, meaning that the two studies together
16 are certainly giving evidence that there is a
17 reduction in the percent that achieved .15 both by
18 moving from separate vaccines to combination vaccines
19 in combination with DTaP, but also in the presence of
20 IPV over OPV there is further reduction, and the two
21 studies seem to be quite consistent.

22 I don't have as clear a sense about the
23 New Orleans versus Chicago factor because the
24 differences there are fairly striking and are not as
25 readily attributable to random variability.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: I'm going to have one
2 more question or comment, and then we're going to move
3 on to the open public session.

4 Dr. Stephens.

5 DR. STEPHENS: I'm still bothered by what
6 I hear from the manufacturers and what I just heard
7 regarding these two studies, and I'd like at least
8 some comment from them about their impression of this
9 interference or noninterference.

10 Anyone want to comment?

11 CHAIRMAN GREENBERG: This is specifically
12 the interference of inactivated polio virus with --
13 okay.

14 DR. STEPHENS: Correct.

15 CHAIRMAN GREENBERG: So we have a question
16 to manufacturers. Could somebody step up to this?

17 Dr. Calandra.

18 DR. CALANDRA: Aventis Pasteur was the
19 sponsor of both studies. We agree with the conclusion
20 that one cannot at this point ascribe why the
21 difference occurred. We believe that the difference
22 occurred.

23 We did not mention or I have not mentioned
24 the Canadian studies. I refer to Pentacel earlier
25 there. Over 1,300 children have been studied with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 IPV given concurrently, and we've not seen the
2 interference which concurs with what the other two
3 manufacturers have said.

4 So we cannot explain the isolated event
5 other than to say it occurred.

6 CHAIRMAN GREENBERG: Identify yourself,
7 please.

8 DR. HOWE: Barbara Howe from SmithKline
9 Beecham.

10 I just want to clarify what study we have
11 done to specifically look at U.S. licensed IPV and OPV
12 when given simultaneously at separate sites with the
13 Hib vaccine. We did a study in which DTPa-HEP-B
14 (phonetic) was given at one site. It's a combination
15 vaccine, Hib at a separate site, and the U.S. licensed
16 IPV at a third site.

17 And then a separate group, this was
18 compared to separate injections of DTPa, HEP-B at
19 separate sites, Hib at a third site, and oral polio.
20 That's U.S. licensed OPV, and the response, the anti-
21 PRP response is that's the GMT's proportion greater
22 than -- equal to .15 and the proportion greater than
23 one microgram were comparable between the two groups,
24 and it's with an N of about 100 per group.

25 CHAIRMAN GREENBERG: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Any other questions?

2 Dr. Edwards.

3 DR. EDWARDS: I just wanted to comment
4 that a number of years ago when we were looking at
5 pertussis responses with Scott Halprin (phonetic) in
6 Canada, we noted that some differences in the antibody
7 responses to pertussis were noted in those children
8 who had received pertussis vaccines in the presence of
9 OPV versus the presence of IPV, and those were
10 children who had received wholesale pertussis vaccine.

11 So I don't know. We had no answers either
12 about the polio issue, but what another --

13 CHAIRMAN GREENBERG: That was also the
14 same direction as this then.

15 DR. EDWARDS: That's exactly correct, yes.

16 CHAIRMAN GREENBERG: Okay. If there are
17 no more questions, I'm going to now move on to the
18 open public session, and we have at least two
19 presentations. The first is by Dr. Eskola. I think
20 I'm saying that correctly, and Dr. Eskola currently
21 works for Aventis Pasteur, but is going to be
22 presenting as I understand it data that was not
23 obtained during that employment.

24 Dr. Eskola, what I would simply say is
25 make your presentation as quick as possible so that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people have some time to get some lunch.

2 DR. ESKOLA: Thank you, Mr. Chairman,
3 ladies and gentlemen.

4 I'm grateful for this opportunity to share
5 our data and our views on the clinical impact on DTPa-
6 Hib interference.

7 Before I go to my presentation, I really
8 want to make it clear my current position. Dr. Frasch
9 asked me in November to come to this meeting and speak
10 on the Finnish experience with DTPa and Hib
11 combination vaccines and also review briefly the
12 statement and arguments and conclusions that were
13 published in the Lancet in December about this topic.

14 While I conducted the studies in Finland,
15 I was employed by the Finnish National Public Health
16 Institute, and also when I worked as a member of the
17 group elaborating this issue I worked for the Finnish
18 National Public Health Institute.

19 However, on January 10th this year, I
20 joined Aventis Pasteur so that now I'm employed by a
21 vaccine manufacturer who is actively developing the
22 combination vaccines, and I hope that the committee is
23 fully aware of this potential conflict of interest.

24 Most part of my presentations I will speak
25 on behalf of the group of six scientists who were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 originally invited by SmithKline Beecham to help the
2 company to explain the then new finding of
3 interference between aceral (phonetic) pertussis
4 containing DTP vaccine and Hib conjugate vaccine.

5 However, the group extended its work, and
6 I understand we worked very independently for two
7 years not only to try to explain the interference, but
8 especially to elaborate the clinical impact on this
9 interference and the results of this working group
10 were published in the Lancet in December. The members
11 of the working group are listed here and several of
12 them are present in the audience today.

13 First I was asked to review briefly the
14 Finnish experiences, and to just summarize the
15 results, we first conducted a study with two doses of
16 DTPa-Hib vaccines either separate injections or mixed,
17 and we found that when the vaccines were given as a
18 separate injection after primary immunizations, the
19 antibody concentrations were five to tenfold higher
20 than when the children received these two doses mixed,
21 as mixed injections.

22 After the booster doses, you could see
23 there's still a difference between the antibody
24 concentrations, but the difference was not so
25 remarkable, and in all groups the response was clearly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an animalistic type of response.

2 The working group I referred to first
3 tried to figure out the mechanism of the interference,
4 but we came to the conclusion that there was not
5 enough data to conclude or to find out the mechanism.
6 This was part of the data that we reviewed, and this
7 is unpublished data, may be interesting to the
8 committee from Finnish trials.

9 We gave these DTPa and Hib vaccines either
10 as a separate injection in two legs, as a separate
11 injection in the same leg, and the distance between
12 the two injections was relatively small. It was about
13 one inch, 2.5 centimeters, or then the third group
14 received the vaccines as a mixed injection, and this
15 group was the only one where we saw this interference
16 so that these results to us that the mechanism at
17 least in this case may be due to physical-chemical
18 interference, but there may be also other explanations
19 that we may come back later today in Dr. Insel's talk.

20 Okay. Then the main part of the working
21 group's work was to clarify or at least our view on
22 the clinical impact of this interference, and we
23 started our work by analyzing the efficacy trials with
24 Hib combination, Hib conjugate vaccines.

25 In this first slide, I had summarized the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 efficacy trial results from the Finnish trials with
2 PRBD or HbOC vaccine. The point estimate of the
3 efficacy was 90 percent, from 87 to 95 percent, and we
4 felt that at least the traditional threshold
5 considered to be surrogates for protection. At least
6 the threshold 1.1 -- 1.0 micrograms did not predict
7 protection, and even if one predicts the protection on
8 the basis of the antibody consideration, .15
9 micrograms per mL, the estimate would be such that a
10 lower efficacy would be predicted on the basis of
11 these concentrations.

12 Quite similar findings were derived from
13 other studies. Here I have summarized the U.S.
14 efficacy trials or the Alaskan trial with low efficacy
15 to other efficacy trials with higher efficacy
16 estimates, and the third slide about the efficacy
17 trials or efficacy experience comes from the United
18 Kingdom where the efficacy up to four years of age is
19 high, and in spite of relatively low antibody
20 concentrations and relatively low percentages of
21 children above those traditional thresholds.

22 So our conclusion was that those
23 thresholds were not so relevant with the conjugate
24 vaccine as they used to be with the Hib polysaccharide
25 vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This slide has already been shown today.
2 We conducted an extensive literature review where we
3 collected data from all immunogenicity studies with
4 different Hib conjugate vaccines, and as was already
5 pointed out today, the geometric mean concentrations
6 in children receiving the combination vaccine were
7 lower than the children receiving the vaccines as a
8 separate injection.

9 But in general, these antibody
10 concentrations were in the same range than with other
11 licensed Hib conjugate vaccines.

12 And there seemed to be no effect after the
13 combination of DTPa and Hib conjugate vaccines on the
14 induction of immunologic memory or priming because
15 children receiving the combination vaccine had clearly
16 an anamnestic type, strong antibody response or high
17 antibody concentrations. Likewise the children who
18 had received priming with Haemophilus conjugates as a
19 separate injection.

20 So that our conclusion was that at least
21 in the combination vaccines the ability to induce
22 immunologic priming was not affected.

23 These data were also briefly reviewed in
24 the morning. We tried to find data speaking for the
25 functional activity of the antibodies, and I have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 say that the evidence was not or the data was not too
2 strong, but we found some pieces of evidence that as
3 measured by the avidity of the antibodies or by the
4 opsonic activity, there were no marked differences in
5 the functional activity of the antibodies irrespective
6 of whether the children had received the vaccines
7 either as mixed or as a separate injections.

8 As was pointed out in the morning, there
9 was a significant difference in the opsonic safety
10 activity of the sera in these two groups, but when we
11 took these OPAs in relation to the German mean
12 concentration of the antibodies, there was no
13 difference between the groups.

14 We also wanted to look at what kind of
15 evidence there is about the induction of mucosal
16 immunity, and there was quite little data on that.

17 One knew that usually there needs to be
18 quite a high concentration of antibodies if one wants
19 to have IgT antibodies on the mucosal membranes. One
20 of the threshold values was three micrograms per mL
21 that this has been reported in the literature.

22 Therefore, we felt that the lower antibody
23 concentrations with the combined vaccines might make
24 them less effective on mucosal membranes, and this may
25 we something that needs to be considered while

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decisions are made.

2 There was also quite a little evidence on
3 the impact on mucosal carriage of Hib with DTPa-Hib
4 combinations. We know that Hib polysaccharide
5 generally did not reduce carriage and most Hib
6 conjugate vaccines are able to reduce the carriage,
7 but, however, as was reviewed earlier today, this
8 experience in Alaska demonstrated that the close
9 variance in high risk populations really is important.

10 There was no direct, no hard data on
11 impact of DTPa-Hib combinations on carriage available
12 to us.

13 We summarized in the review published in
14 the Lancet our views like this. There is clearly an
15 interference between components in most DTPa and Hib
16 combinations. Anti-PRP concentrations after the
17 combinations fall within the range achieved with
18 licensed conjugates, and DTPa-Hib combinations seems
19 able to induce immunologic memory and functional
20 reactive antibodies on the basis of the data that was
21 available to us.

22 On the basis of all of this review and
23 thorough discussions, the group felt that there are
24 several unanswered questions related to the mechanism
25 of the interference impact on mucosal immunity and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 herd immunity, and therefore, controlled and carefully
2 monitored intraduction would be prudent.

3 However, the group felt that the benefits
4 of the combination vaccines are greater than the
5 negative aspects of these combinations and was ready
6 to recommend and encourage the use of DTPa-Hib
7 combinations.

8 Thank you.

9 CHAIRMAN GREENBERG: Thank you, Dr.
10 Eskola.

11 Very few questions. Dr. Fleming.

12 DR. FLEMING: Just a very brief one. If
13 we go back to your Finnish efficacy trial data, we've
14 had a lot of discussion today about using the .15 as
15 the surrogate, so to speak. It really looks like in
16 that data set it failed as a surrogate.

17 Differences between the PRP-D and the Hib
18 of 68 and 100 percent who achieved that were as
19 efficacy was 90 and 95 percent in those two groups
20 So it was very nonpredictive of actual --

21 DR. ESKOLA: Exactly. That was also the
22 conclusion, that these thresholds were not so valid
23 anymore with the Hib conjugate vaccines.

24 CHAIRMAN GREENBERG: In the audience
25 Step up to a microphone.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. POOLMAN: I'm Jan Poolman from
2 SmithKline Beecham.

3 What I'm left out with with this morning's
4 discussion, and also it's been demonstrated in
5 Juhani's talk, is that we're quite a bit snowed under,
6 is the difference between natural immunity and vaccine
7 induced immunity, and these conjugate vaccines are
8 doing better than nature.

9 And so what it means, that there is a
10 distraction, particularly at pre-booster period of
11 detectable antibody levels and protection even in the
12 efficacy trials when herd immunity was not really in
13 place, and it has been demonstrated by a number of
14 authors that there is clearly antibody maturation
15 going on after post primary up to pre-boost.

16 And so what I'm left over, also seeing the
17 elegant data on children still having disease at low
18 levels of antibodies, but that's on the basis of
19 natural immunity, I do think that on the basis of
20 evidence we have and affinity maturation being
21 demonstrated, that particular at pre-boost period the
22 .15 microgram antibody level may be an over
23 estimation, and with vaccine in used antibodies at
24 that particular time because of their better
25 functionality, there may be a different correlate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there.

2 And I'd like to hear some comments about
3 that, and just as a short comment on the functional
4 activity of antibodies induced by combinations, we've
5 done a couple of studies. I think Dr. Ferrieri also
6 asked about the significance. These differences have
7 never been significant.

8 CHAIRMAN GREENBERG: Can I just get a
9 clarification? There's lots of questions, and we're
10 not going to be able -- can you stay at the microphone
11 for a second?

12 I'm confused. Antibody maturation, I saw
13 no evidence of affinity maturation that is presented.
14 I saw the evidence of inducement of rapid immune
15 response, but the affinities, am I missing something
16 here or --

17 DR. POOLMAN: I agree with you that the
18 data presented this morning have not been showing
19 that, but it's published by Dr. Pichichero, Dr.
20 Goldblatt, Dr. Granoff, and we have also in our latest
21 studies clearly demonstrated affinity maturation.

22 CHAIRMAN GREENBERG: So just so I
23 understand that, are there functional assays of
24 antibodies that demonstrate enhanced functionality
25 after vaccination in some assay? I've seen no data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for that.

2 DR. POOLMAN: No, that's also correct, and
3 the data that were shown here were post booster data.
4 We have recently done -- looked at functionality on
5 post primary and compared to post boost, and there's
6 a clearly substantial increase in functional activity
7 on an antibody weight basis.

8 Unfortunately at pre-boost level, the
9 antibody levels are so low that the functional assays
10 we have, opsonic (unintelligible) and bactericidal
11 assay are too insensitive at that antibody level to
12 demonstrate avidity, but the avidity pre-boost and
13 post boost is basically the same. There's not much
14 more affinity maturation because with the booster and
15 the booster antibodies or antibody bake ways
16 (phonetic) clearly have more function.

17 CHAIRMAN GREENBERG: Dr. Snider.

18 DR. SNIDER: Well, I'd just like to follow
19 through with that. I mean, it seems to me that what
20 is being hypothesized or stated is that the conjugate
21 produces lower levels, but functionally better
22 antibodies, but we haven't seen the data. The only
23 data we've seen say there's no difference in the
24 functionality, and we have also heard concern about
25 levels of antibody and mucosal immunity and carriage.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And there's a disconnect here that I think
2 you're trying to close, and I'm also trying to close
3 with scientific data which doesn't seem to be being
4 brought out thus far.

5 CHAIRMAN GREENBERG: I will go around.
6 Dr. Granoff, are you going to be able to clarify this
7 a teeny bit?

8 DR. GRANOFF: Well, yes. I mean, our
9 laboratory has spent years studying antibody avidity
10 and antibody functional activities, including the
11 ability of antibody to activate complement mediated
12 lysis, optimization, passive protection in animal
13 models, and so we've really thought a lot about this
14 question.

15 And as I listen to discussions on antibody
16 function, although I have utmost respect for my Dr.
17 Robbins, I do think that there are vast differences in
18 antibody function that one sees in infants and older
19 children, adults given polysaccharide vaccine and
20 conjugate vaccines.

21 And one has very clear examples where the
22 same amount of antibody on a quantitative basis
23 measured in an antibody binding assay can have ten to
24 20 times different function in terms of the ability to
25 passively protect the rat, and in general when you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 control for isotype, its avidity is the marker of
2 antibody function, and I would agree with Dr. Poolman
3 that there are several groups that have looked at the
4 avidity of antibody one month post vaccination, and
5 then as the concentration declines into the second
6 year, what they've shown is that there is an
7 associated avidity maturation.

8 So the function of the antibodies present
9 a year later on a microgram basis the function is
10 actually better than one would predict at one month
11 post. So just to summarize briefly, I think there are
12 at least -- to predict antibody function and
13 protection, there really are two variables. There's
14 quantity and quality.

15 You can have equivalent protection with
16 poorly -- with a lot of antibody of poor quality
17 you could have low antibody concentrations and high
18 quality, and you can get similar types of protection.

19 CHAIRMAN GREENBERG: I'm going to let this
20 conversation go on a little bit because it's
21 important, although you all may suffer hunger problems
22 because of it.

23 I will simply say I agree. I hope that
24 maybe this afternoon we'll see some data. That's what
25 Dixie -- yes, Dr. Breiman.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BREIMAN: Well, I guess my question is
2 along the same lines. Could we, Dr. Eskola, overly
3 assured by the data that you presented showing a
4 reasonable efficacy despite a substantial proportion
5 of people being below the .15 threshold, and I'm
6 wondering if the difference between what we might be
7 observing now versus what might have been observed
8 pre-vaccine is a difference in microbiologic pressure
9 that could affect efficacy.

10 And if one, given the data that you showed
11 earlier or not the data, but the point that you made,
12 that you need a pretty high systemic antibody level to
13 give you a mucosal immunity, might we be sacrificing
14 that if there was a universal sort of reduction of
15 induction of systemic immunity?

16 DR. ESKOLA: Well, I think that there are
17 really several situations to be considered here.
18 First, our situation now is totally different from the
19 pre-vaccination situation when the main thing was to
20 protect individual children and the herd immunity
21 effect became as a surprise and it was an additional
22 benefit.

23 And now I think that I to a large extent
24 agree with the discussion that George Siber had
25 earlier this morning and these herd immunity effects

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and individual vaccine failures may become more
2 important questions throughout the discussion today.

3 CHAIRMAN GREENBERG: Dr. Siber and then
4 Dr. Robbins.

5 DR. SIBER: Actually on Dr. Robbins'
6 point, I just want to point out to you that the PRP-D
7 study was done before vaccine was in universal use,
8 and so one would have expected relatively minor herd
9 immune effects in that study.

10 But I want to get back to a comment of Dr.
11 Fleming's about the fact of the .15 microgram level
12 does not really relate, is not really a correlative
13 immunity.

14 I guess what you're looking for is that
15 the efficacy percent matches the percent of
16 individuals responding to that level and, in fact, is
17 lower in the case of PRP-D. The percent responding
18 was lower than the efficacy observed.

19 I wouldn't conclude there's no protective
20 level. I would conclude then that the .15 is
21 conservative. That's really lower than that.

22 And why is it conservative? It could be
23 conservative for the classic debate we're having here
24 between Dr. Robbins and others. The antibiologists
25 say it's the antibody that's important, and it's just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a lower level of antibody that could protect you.

2 The primers will say, "Well, for the
3 conjugate vaccine even if you didn't respond to that
4 primary series, we've been primed," and he'll make a
5 good antibody response when you see polysaccharide
6 later.

7 And I think that debate will go on. I
8 think they're both correct. I think priming probably
9 is important sometimes.

10 CHAIRMAN GREENBERG: Can I add a third?
11 And Dr. Robbins is going to speak, but it seems to me
12 the priming, George, and the level -- there was a
13 third thing, which is the environment, and Dr. Robbins
14 said that pressure is lower so that your risk is
15 lower, and that's the part that has me most concerned
16 because the environment can change in ways that we
17 don't know.

18 And so I think what most of this panel has
19 to decide with this, were all of a sudden the herd
20 immunity that exists now to disappear, would we have
21 a population that was at much greater risk as we lower
22 this level?

23 And maybe John is going to say something
24 about that, but that's in my mind what's going on
25 here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ROBBINS: Just a small comment. Can
2 I have the slide, please? I hope I have the right
3 one. It's probably better than my interpretation.

4 (Laughter.)

5 DR. ROBBINS: We published this many years
6 ago, but what it shows is that if you take Haemophilus
7 influenza Type B, conjugate, which we call fluid, you
8 see this is the antibody response, about 30 micrograms
9 after one injection of two year olds. If this
10 material is absorbed -- in this case we used a
11 hydrogel -- the antibody response is remarkably
12 diminished. In fact, when you take these absorbed
13 vaccines, you cannot elute the polysaccharide from the
14 aluminum under conditions you would have thought you
15 would, that is, hot, three molar citric acid or EDTA
16 does not remove it. It's essentially formed a stone,
17 to be facetious. It's a multivalent binding between
18 the phosphate and the Haemophilus and the aluminum.

19 Now, other aluminum adjuvants may not be
20 as effective in removing the polysaccharide from
21 solution, but I think that that's the major cause of
22 what you're seeing because if you inject it separately
23 and don't give a chance for that combination matrix to
24 form, they work quite well.

25 It's when you mix them and they have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chance to absorb that you reduce it. I think the
2 aluminum is an important problem.

3 With respect to the quality of the
4 antibody observed, I wouldn't want to prolong this.
5 The level of .15, I think, is an estimate. It's a
6 useful guide for predicting immunity on an individual
7 basis. When you do a field trial and you inject large
8 numbers of children, susceptibility changes because
9 you've induced herd immunity, and the subjects are
10 probably not exposed to the bacteria.

11 So interpretation of the relation between
12 antibodies induced after vaccine and the effect of
13 this is probably not valid.

14 I would like to give a personal opinion.
15 I'm a little concerned about the apparent decline in
16 levels of antibodies since these first studies were
17 tried. I think after a primary series of the three
18 major vaccines now, about a month later we got about
19 ten micrograms, eight to ten micrograms per mL and
20 then about 15 a month or 18 months later it went down
21 to about one or two.

22 But now we're seeing levels of three
23 micrograms after the primary series. Now, that may be
24 enough. That may be enough. In fact, it may even be
25 too much, but I think the note of caution is we can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 stop looking, and I just suggested looking in adults
2 for disease because that might give you a quick clue
3 that the herd immunity effect is waning with these low
4 levels.

5 CHAIRMAN GREENBERG: Other -- Dr. Fleming.

6 DR. FLEMING: I think that coming back to
7 the issue of the .15, certainly there is considerable
8 evidence, considerable evidence that if we're looking
9 at .15 as a measure of immunogenicity that will
10 predict protection or predict efficacy, there's
11 clearly a correlation. I mean the Finnish data are
12 one example to indicate that there's more going on.

13 The concern that I'm struggling with is
14 ultimately what is the question. The question I think
15 that we will face is we have two approaches. We have
16 combination vaccines versus separate administration.
17 We have a situation now where there's an estimate of
18 99 percent protection on a population base. How much
19 are we willing to back away from that?

20 We've had one prediction that for each
21 percent you back away, when you go from 99 to 98,
22 you're adding 90 cases of Hib disease. It's apparent
23 to me at least from all this discussion that there are
24 multiple factors going on that include the level of
25 protection for the individual, and it's not clear that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 .15. Maybe with the conjugate vaccines it's something
2 lower than that, which isn't necessarily reassuring
3 because I want to know what that lower level is, and
4 then I want to find out if it's 99 percent with the
5 separate vaccinations. Is it down to 90 percent with
6 the combination? And we haven't seen data on that.

7 And then the other issue is the pressure.
8 What is the impact of a different strategy using
9 combination vaccines on the pressure, and another
10 factor is in a disease such as this, a lot of the
11 incidence occurs even before the third dose, and
12 everything we're looking at is what's the relative
13 immunogenicity after the third dose.

14 So I'm struggling with the basic question:
15 how do we answer the FDA's issues here based on a
16 surrogate. Point, one, five is certainly informative,
17 but I'm convinced it's only a fraction of what we need
18 to understand.

19 CHAIRMAN GREENBERG: I'm going to --
20 that's a very good summary, and I'm going to just add
21 one little tidbit, and then I think we have one more
22 person who wishes to speak, and that is I hope that
23 somebody is going to address the advantages other than
24 just simply saying it's better to give fewer
25 vaccinations, but a clear understanding of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 advantages and how much they are worth versus the
2 risks.

3 And I think that's a side of the equation
4 that I'm not sure we're concentrating on as much. I
5 realize that less injections is an advantage, but is
6 it worth 90 cases, I mean?

7 CHAIRMAN GREENBERG: Dr. -- I'm going to
8 say this wrong -- Pichichero. The hour is late, Can
9 you make this really quick?

10 DR. PICHICHERO: I'm Mike Pichichero. I'm
11 here on behalf of the Rochester NIH BTEU, although our
12 site has numerous collaborations with all the vaccine
13 manufacturers whose products have been discussed
14 somewhat today.

15 I became a student of Hib disease in 1978
16 when I joined the discovery team of Smith and Anderson
17 and Insel and have remained a student since. In 1985,
18 it was our group who was among the first to put
19 forward the notion about priming and to use PRP as an
20 antigen to look at the issue of priming and memory.

21 And in '94 and '95, we participated in the
22 first trials of DTaP-Hib combos and were among the
23 first to observe this diminution.

24 Since that time we've continued to study
25 the issue along with others. We would point out that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the major features of immunologic memory are priming
2 as we see them are these four features, and the
3 differences between the unprimed and absence of a
4 memory response are shown on the transparency in
5 comparison to the primed.

6 Memory response, our group and others have
7 shown the DTaP-Hib combination by SmithKline Beecham
8 produce IgG with boosts by both PRP or PRP conjugates;
9 that the avidity is higher. Our group and Goldblatt's
10 group have shown this from the U.K., that the antibody
11 titres are higher. Our group, Goldblatt's group,
12 Eskola's group, Dagan's group, and Frez Zepp and Heinz
13 Schmitt's group has shown that data. And my brief
14 presentation will focus on the kinetics.

15 In the study which Peggy Rennels described
16 at the Rochester VTEU site, we were able to provide an
17 amendment through our NIH contract to not only
18 vaccinate these children with lowered responses
19 following the DTaP-Hib combination, TriHIBit by
20 Pasteur Merieux Connaught, but to look at the kinetics
21 of the response when we gave them a PRPT booster.

22 Here are the pre-boost antibody levels.
23 Of the 21 children who we studied, as you can see, two
24 thirds of them have antibody levels below one.

25 When we looked at their antibody levels on

1 day three, we could not see any evidence for a rise in
2 antibody by day three, but by day four-five, we were
3 able to detect rises in antibody, and this clearly
4 became the case on day seven and again on day ten to
5 14.

6 For the 21 vaccinees in this study, all of
7 the children showed evidence of priming, and all had
8 moderate to high levels of antibody.

9 Next transparency.

10 Dr. Siber mentioned his difference of
11 opinion with mine and others that polysaccharides have
12 a different kinetics for their memory responses than
13 protein antigens. He made reference to some data
14 which Dr. Dodson Madore of the same company kindly
15 shared with me.

16 These are six children who were given HbOC
17 vaccine at 18 to 23 months of age. You see their ages
18 here, their pre-vaccine titres, their titres on day
19 one, three, and here clear kinetic rises by day seven
20 in these children.

21 The question would be whether these
22 children are primed. In light of the natural priming,
23 could these levels of .2 and so forth actually
24 indicate that they are primed, and that with HbOC they
25 are showing a response on day seven, which is fairly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 consistent with our own observations.

2 Next slide.

3 We wanted to remind the audience of this
4 data by Bob Daum published in '90. This was following
5 the flurry of concern about a few cases of Hib disease
6 which occurred in the immediate post vaccination
7 period. Here we see the various vaccines in that
8 study by Daum, and you'll notice that PRP at 24
9 months, there were 29 children, 20 of whom showed a
10 decrease in antibody two to three days after the
11 immunization, but seven showed an increase in antibody
12 by day two to three, which would be suggestive of
13 natural priming followed by a memory response.

14 The PRP OMPC at two months, one of four
15 children had an increase two to three days after the
16 vaccine, and by four months, four of ten children
17 showed a measurable increase in antibody by day two to
18 three, and if you look over here at the day seven
19 data, the data would suggest to me that these children
20 were primed in this group, this group, and this group.

21 Next slide.

22 CHAIRMAN GREENBERG: Michael, you've got
23 a minute or two.

24 DR. PICHICHERO: Okay. These are data
25 also which were shown in brief by Dr. Siber. This is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the data from Porter Anderson and myself which we are
2 submitting.

3 Going back and looking at Boston's
4 Children's Hospital in 1971 to '73, Dr. Anderson
5 remembered that there were children who were admitted
6 with Hib meningitis in these age groups who seemed to
7 show antibody rises very early following contraction
8 of their disease, suggesting that they were primed,
9 but that their priming did not protect them from
10 disease.

11 A few comments. Unconjugated PRP vaccine
12 we recognize is preferred for study of memory and
13 priming. Initial antigen complexing with antibody
14 probably occurs and provides an under estimate of the
15 antibody as we measure it post vaccine.

16 Quantitation of antibody at low
17 concentrations is difficult, and even with any Hib
18 conjugate vaccine, a few children will not respond.

19 Next.

20 I'm at the end, Harry.

21 Preexisting antibody does complex with Hib
22 as a mechanism of inactivation and clearance. If
23 the preexisting antibody becomes complexed before
24 immune response ensues through memory, then disease
25 may occur, and preexisting antibody levels of .1

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 .15 in nonvaccinated children, as we've heard, is
2 associated with protection.

3 My last one. We think the antibody
4 quality -- we agree with Dan Granoff -- is very
5 important that genetic predisposition of the host, as
6 was mentioned by a committee member, is important and
7 that innate immunity and immunologic maturity are risk
8 factors in very young children, and so you cannot make
9 leaps when you compare two month olds to seven month
10 olds to 15 month olds, and so forth.

11 I have one more? That's it.

12 Thank you very much.

13 CHAIRMAN GREENBERG: Thank you.

14 I am going to call it quits now and give
15 all of you 45 minutes for lunch. So you'll meet back
16 here ten minutes later. The lunch room is reserved
17 for panel members. So there's no excuse not to be
18 back here at 1:30, and we're going to start sharply at
19 1:30.

20 (Whereupon, at 12:47 p.m., the meeting
21 was recessed for lunch, to reconvene at 1:30 p.m., the
22 same day.)

23

24

25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:34 p.m.)

CHAIRMAN GREENBERG: Okay. I hope you all have had a nourishing lunch, and I'd like to start off the afternoon with field experience with the Hib vaccine in high risk populations.

Dr. Jay Butler.

DR. BUTLER: Thank you.

CHAIRMAN GREENBERG: Again, all speakers, you'll get a gold star if you actually finish ahead of time.

(Laughter.)

DR. BUTLER: I'd like to use 20th Century technology. Could someone turn on the slide projector back there? Now if we could bring down the lights, thank you.

Already this morning we've touched several times on the experience with Hib disease among Alaska Natives, and what I'd like to do now is have a time to focus on the experience with conjugate Hib vaccines among Alaskan Natives.

In the pre-vaccine era, the rates of invasive Haemophilus influenza Type B disease among Alaskan Natives were among the highest that were documented anywhere in the world. The annual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 incidence in the early 1980s among children age five
2 years and less ranged from 400 to 600 cases per
3 100,000 per year.

4 This was a rate some five to tenfold
5 higher than the rate observed in other parts of the
6 United States. In addition to the high rates of
7 disease, there were other aspects of the epidemiology
8 of Hib disease in Native children which were unique.
9 Disease tended to occur earlier, with nearly a quarter
10 of cases occurring before age six months.

11 Additionally, some studies suggested that
12 carriage may be slightly higher among Alaska Natives,
13 as has been mentioned earlier, although these are not
14 spectacularly higher rates of carriage.

15 Now, the CDC has conducted statewide
16 laboratory-based surveillance for invasive Haemophilus
17 disease since 1980. This bar graph shows the number
18 of cases of invasive disease identified among Native
19 children each year since 1980.

20 In September of 1991, universal
21 immunization with PRP-OMP was instituted. Prior to
22 the universal vaccination program, in general anywhere
23 from 30 to even 60 cases of invasive disease occurred
24 each year.

25 During each year from 1992 through '95,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 only one to four cases occurred. In 1996, the
2 Haemophilus vaccine for routine immunization was
3 changed to HbOC combined with diphtheria, tetanus, and
4 the whole cell pertussis in order to reduce the number
5 of immunizations.

6 During the period from May 1996 through
7 September of 1997, 16 cases of invasive Hib disease
8 occurred.

9 Now, I will get these buttons straight
10 before this talk is over.

11 Now, for several slides, I'd like to be
12 able to present the epidemiology of Hib disease in
13 terms of incidence of disease, cases per 100,000
14 persons less than age five years per year, and I'd
15 like to present this in different time intervals.

16 The first is 1980 to '91, which I will
17 call the pre-conjugate vaccine era, although this was
18 a period when PRP was used. There was also a trial of
19 PRP-D as you're aware.

20 1992 to '95 is the PRP-OMP era.

21 And then '96 to '97 is the HbOC-DTP era.

22 Rates of disease in all eras were much
23 higher among Alaska Natives than among non-Native
24 children living in Alaska. For both Natives and non-
25 Natives there was a substantial reduction in rates of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disease during the PRP-OMP era, and this represents an
2 effectiveness of roughly 95 percent in both Natives
3 and non-Natives.

4 During '96 and '97, the increase in
5 disease is reflected here and occurred exclusively
6 among the Native population.

7 Now, this slide shows the area of
8 residence for the 16 cases, and they occurred
9 predominantly in the rural areas of the North Slope in
10 the western part of the state. The urban areas of
11 Anchorage, Fairbanks and Juneau are here in the
12 central, south central, and southeastern parts of the
13 state.

14 Now, this slide shows the incidence
15 disease in urban and rural areas of Alaska among
16 Natives younger than age 5. Even in the pre-vaccine
17 era, rates of disease were higher in rural areas.
18 Both urban and rural areas enjoyed a substantial
19 decrease in rates with the onset of the universal
20 immunization policy with PRP-OMP.

21 The increase in disease which occurred in
22 '96 and '97 occurred primarily among people in the
23 rural areas of the state.

24 Now, I'm not a long time resident
25 Alaska, and I want to define a little more what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mean by rural because I realize most people here have
2 not lived in Alaska and many have not even visited
3 yet.

4 When I say "rural," it may conjure these
5 kind of agrarian images, but this is not what we're
6 talking about.

7 (Laughter.)

8 DR. BUTLER: This is a more accurate
9 picture. Villages of roughly 200 to 1,000 people,
10 houses clustered together in remote parts of the
11 state. Houses tend to be small. Families tend to be
12 large. Most all villages have electricity, but most
13 still do not have running water or flush toilets.

14 Public gathering places tend to be small,
15 as you might surmise from the size of the Russian
16 Orthodox Church, which was the largest public
17 gathering place in this village.

18 The life style is primarily subsistence,
19 and the weather can be harsh, the winters long. You
20 know, this looks like the mall on Tuesday. This was
21 as May morning in a village.

22 (Laughter.)

23 DR. BUTLER: Most of the villages are not
24 accessible by road. Princess and Holland America
25 don't take in places like this when they go to Alaska.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 When the weather closes in several days may pass
2 before planes arrive with supplies and groceries and
3 take investigators back to Anchorage.

4 (Laughter.)

5 DR. BUTLER: The population is very young.
6 The median age among Alaskan Natives is 17 years, and
7 25 percent of the Native population is younger than
8 age ten years.

9 So in 1996 and '97, when the number of
10 cases increase, a multi-faceted and really ongoing
11 investigation was initiated which focused on the
12 factors required for Hib disease to occur, and these
13 factors were presumably the presence of susceptible
14 children, which would be presumably due to low
15 antibody levels, and also exposure to the organism
16 which suggested that perhaps there were colonized
17 persons in the community serving as the source of
18 infection.

19 Now, the obvious question when we start
20 talking about antibody level is is it possible these
21 children simply were not immunized. This slide shows
22 the distribution of Hib vaccination histories during
23 the period of '92 to '95 when there were nine cases
24 over a four year period versus '96 and '97 when 16
25 cases occurred. I'm going to say over a two year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period, although actually it was fairly focused within
2 15 months.

3 During the earlier period, the vast
4 majority of children who developed Hib disease were
5 unvaccinated. However, during '96 and '97, only one
6 child was unvaccinated, and in fact, more than one
7 third were under one year of age and had timely Hib
8 vaccination with the combination product given as the
9 first dose.

10 Now, in terms of antibody levels, I think
11 it's worth stopping and going back and reviewing some
12 data from about ten years ago. These are data from
13 the late 1980s up through about 1990. It was a
14 sequential, comparative study of immunogenicity of
15 five different or really four different Hib vaccines,
16 including two different preparations of PRP-T, with
17 HbOC, PRP-D and PRP-T administered at two, four, and
18 six months, PRP-OMP administered at two and four
19 months. No booster dose was given.

20 Blood was collected before immunization at
21 two months and then again at age four, six, seven,
22 nine to 12, and 15 to 18 months.

23 As has been reported for other
24 populations, PRP-OMP was the only vaccine which really
25 led to a high increase, substantial increase in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antibody levels after a single dose.

2 However, the highest antibody levels were
3 observed among children who had received three doses
4 of HbOC. The decline in antibody levels over time
5 were similar in each of the groups, although the
6 levels seem to be most closely related to the peak
7 levels after completion of the primary series.

8 Now, this slide shows the proportion of
9 children achieving antibody levels of greater than or
10 equal to .15 or greater than or equal to one. Among
11 the purple bars reflect the children who got HbOC, the
12 blue bars PRP-OMP.

13 Among the children who got one dose --
14 after one dose of PRP-OMP, nearly 90 percent had
15 levels of .15 or greater. Three doses of HbOC were
16 required to get these kind of levels when virtually
17 100 percent of children after three doses had levels
18 of .15, and the declines are shown here.

19 Using the higher cutoff, no children
20 achieved a level of one after one dose of HbOC. A
21 little over half achieved it after one dose of OMP.
22 However, after three doses of HbOC more than 30
23 percent had levels greater than one and more than half
24 maintained these levels during the year after
25 immunization, whereas the proportion maintaining these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 levels who had received OMP was substantially lower.

2 Now, taken together, these data suggest
3 that it is, indeed, very plausible that with a shift
4 to HbOC as the primary immunization antigen, it's
5 possible that there is a window of vulnerability here.

6 So the next question becomes where is the
7 organism coming from. As it was stated earlier,
8 regardless of antibody levels if children aren't
9 exposed to the organism, they're not going to develop
10 disease.

11 I'd like to describe three oropharyngeal
12 carriage surveys. The first was conducted in Bethel,
13 the regional hub of the Yukon-Kuskokwin Delta and five
14 rural villages, including two which had experienced
15 cases during '96 and '97. This occurred during the
16 spring of '97. These were community based surveys,
17 and it enrolled 496 Native children age one to five
18 years.

19 Overall these numbers represent anywhere
20 from 60 to 90 percent of all children living in the
21 villages.

22 A second survey was conducted in urban
23 Anchorage. Anchorage is a town of a little over a
24 quarter million people. The living conditions are
25 substantially different in Anchorage compared to rural

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 villages. This was conducted in late '97 and early
2 '98. This was a clinic based survey, and it was
3 conducted among 417 Natives, age one to eight years.

4 The final survey was in Barrow, where also
5 cases had occurred. Barrow might be thought of as a
6 village on steroids or a village after the impact of
7 oil money. It has a population of roughly 5,000.
8 This survey was conducted in September of '98. It was
9 school and clinic based and enrolled 541 Natives and
10 160 non-Native children age one to 16.

11 Now, the next several slides will
12 summarize each of those studies. The numerals for
13 each age group represent the number of children who
14 were swabbed. The height of the bars represents the
15 proportion who are colonized.

16 In the Yukon-Kuskokwin Delta, 9.3 percent
17 of children were colonized, and the proportion who are
18 colonized by village range from 2.2 to 13.2 percent.

19 Taking all of the villages in Bethel
20 together, the highest rates of colonization were among
21 the children entering school with 14 percent of the
22 five year olds having Hib recovered from their oral
23 pharynx.

24 Now, all of these slides, to make them
25 more comparable for you, have the Y axis set at 15

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent.

2 Now, the situation was very different in
3 Anchorage where slightly less than one percent of
4 children were colonized. In fact, only four of the
5 417 had Hib, and all of these were preschool age
6 children, although the sample sizes for school age
7 children were relatively small.

8 In Barrow, roughly four and a half percent
9 of children were colonized, and this was true for both
10 Natives and non-Natives. Although the colonization
11 rates were similar, the distribution by age was a
12 little different. Among Natives no children younger
13 than five years were colonized, whereas the highest
14 rates of colonization among non-Natives were in the
15 preschool and early school age.

16 Among the Native groups, we saw
17 colonization rates above five percent really going out
18 until we were up into the teenage years.

19 Now, I should add, having described a
20 little bit about the Native population -- say
21 something about who the non-Natives are in Barrow.
22 Roughly one-third of these people were of Asian
23 descent, and indeed, four of the seven kids who
24 carried Hib were Filipino. However, everyone who was
25 colonized had received at least three doses of Hib

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine.

2 Now, this also leads to what is the
3 vaccination coverage rates in this area for finding
4 evidence of reduced herd immunity. The question is
5 whether or not the herd is truly immune. This is data
6 from the Alaska Native Health Service, as well as the
7 State Health Department, showing the percent of kids
8 who had received two doses of Hib vaccine by five
9 months or three doses by 24 months, and this is from
10 1994 to 1998, and this slide shows the full range to
11 really give you a feel for what the worst case
12 scenario would be.

13 Coverage in rural areas ranged from 51
14 percent to 75 percent and was higher in urban areas.
15 Again, coverage is defined by two doses by age five
16 months, which is a fairly strict definition. However,
17 in all areas basically 90 percent of more had received
18 three doses of Hib by 24 months.

19 I'm told that there's more recent data
20 from the National Immunization Survey for '97 and '98,
21 showing that Alaska has rates that are now on par with
22 national averages, and in fact, the rates among
23 Natives are greater than that for the U.S. as a whole.

24 Additionally, I should point out that in
25 the -- among the participants in the carriage surveys,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 without exception, among children one to four years of
2 age over 90 percent -- in fact, more than 94 percent
3 had received three or more doses of a Hib vaccine.

4 So it's very difficult to attribute this
5 finding to low vaccination rates.

6 So the conclusion of these investigations
7 to date is that the convenience of combined
8 diphtheria, tetanus, whole cell pertussis with HbOC
9 had unexpected consequences for disease control among
10 residents of rural Alaska villages. Differences in
11 immunogenicity between PRP-OMP and HbOC were
12 clinically significant in this population because of
13 previously unrecognized colonization and ongoing
14 transmission.

15 Additionally, I'll raise the question of
16 whether or not at least for the youngest children the
17 modest rates of on time immunization during the first
18 six months of life may have played some role, although
19 I would point out again the large number of children
20 who develop disease who are completely age
21 appropriately immunized.

22 The factors contributing to ongoing
23 transmission in the face of a universal immunization
24 program is unknown. It may well include the same
25 factors that contributed to the high rates of disease

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 during the pre-vaccine era, such as household crowding
2 and low socioeconomic status.

3 Additionally, it raises the question of
4 whether or not there's some previously unidentified
5 reservoir. The rates of carriage among school aged
6 children in Barrow were surprising, and overall I
7 would have to say our experience in Alaska has made us
8 realize that we have to be very careful about looking
9 at data from other parts of the world and making those
10 assumptions for Alaska.

11 Next month we'll be returning to several
12 of these villages and enrolling basically the entire
13 community, including adults in these colonization
14 studies.

15 And, finally, I raise the question of the
16 role of the Hib vaccine used. We've had a number of
17 discussions this morning about the role of priming and
18 boosting for protection against invasive disease. I
19 think those same questions need to be raised for
20 protection against colonization and whether or not
21 there may be differences among the Hib vaccines for
22 natural and vaccine mediated boosting.

23 So what's happened since then? In late
24 1997, the routine immunization changed again to a
25 sequential schedule giving PRP-OMP for the first dose,

1 followed by HbOC monovalent for subsequent doses. As
2 you can see, the number of cases did reduce somewhat
3 after this change.

4 This slide, again, returns to the rates of
5 disease among urban and rural Natives younger than age
6 five years, and as you can see, during '98, through
7 the end of '99, rates dropped, but not to the same
8 level as they had during the PRP-OMP era.

9 And there is some data comparing the
10 immunogenicity of this sequential data to historical
11 controls. I was not going to review that during the
12 presentation, but the recently published data on that
13 topic is included in your packet.

14 Now, if we look at the distribution of
15 vaccine histories again, this data you saw earlier,
16 again, there's a large proportion of children who are
17 under one year of age and who are under immunized, and
18 I'm defining "under immunized" as kids who received at
19 least one dose of Hib vaccine and who are more than
20 one month behind schedule for their next dose.

21 And I think it's notable that three of
22 these six children were inadvertently given monovalent
23 HbOC for the first dose. I realize there's a very
24 small and denominatorless piece of data, but at least
25 anecdotally it suggests that the problem in Alaska was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with the Hib antigen and not with the fact that it was
2 included in a combination vaccine.

3 And I thank you for your attention, and
4 I'm sure there will be questions, and I wanted to also
5 acknowledge the people who actually did the work in
6 getting this data together.

7 CHAIRMAN GREENBERG: Thank you, Dr.
8 Butler.

9 We have time for just a few questions.
10 Any questions here?

11 Dr. Edwards.

12 DR. EDWARDS: That was very nice, Jay.

13 I have a question about the fourth dose.
14 Certainly there are very high rates of carriage in the
15 older population. What is the coverage of a fourth
16 dose, or were the children that were getting OMP --
17 did they get two doses and then a third dose at a
18 later time or did they have fourth doses?

19 I guess booster doses in terms of
20 carriage.

21 DR. BUTLER: You mean booster dose.

22 DR. EDWARDS: Right.

23 DR. BUTLER: At one time they were getting
24 OMP and were just getting the two doses.

25 DR. EDWARDS: Right, right. I don't know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those data off the top of my head.

2 Orin, do you?

3 DR. LEVINE: No, I think that during the
4 era when they were giving PRP-OMP for the primary
5 series, they were getting the two doses at two and
6 four months of age, and then they were getting a
7 booster between 12 and 15 months of age, and the
8 proportion of kids who were up to date by that regimen
9 would be equivalent to what they were when they were
10 getting three doses in the primary series and a
11 booster.

12 So the coverage rates of primary plus a
13 booster dose were very high.

14 DR. BUTLER: Or to put that another way,
15 most of the data that shows the number of kids age 24
16 months who had received three doses is from the OMP
17 era. So I think that's a reasonable surrogate to
18 answer your question for the period up through the end
19 of the routine use of OMP.

20 CHAIRMAN GREENBERG: Dr. Fleming.

21 DR. FLEMING: If the pressure remains in
22 this community, which is, I know, an issue which is
23 difficult to really sort through, could a clue for the
24 doubling essentially in the incidence that occurred
25 between '92-'95 and the '96-'97 associated with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 HbOC where the immune response seemed to be higher
2 after a year, but it was discernably lower at four
3 months and still lower at six months, and you had
4 reported 25 percent of the incidence in this community
5 as before six months.

6 Could that, in essence, be a major clue as
7 to where the increase might be occurring?

8 DR. BUTLER: The increase in colonization?

9 DR. FLEMING: The increase in cases that
10 occurred between the '92-'95 era and the '96-'97 era.

11 DR. BUTLER: I'm not sure I followed the
12 question.

13 CHAIRMAN GREENBERG: I think Dr. Fleming
14 is looking for a serologic correlate of the increased
15 rate of cases in Alaskan Natives that was associated
16 with the change in vaccine. Can you --

17 DR. BUTLER: Right. I mean that was the
18 data I presented from the comparative study. I
19 thought that was what you were making reference to
20 initially. So it sounds like the answer to your
21 question was in the question, if I'm understanding it
22 correctly.

23 DR. FLEMING: The data that you had show
24 earlier at the beginning of your presentation referred
25 to the incidence of the 31 that occurred between '92

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and '95, and then with the HbOC vaccine in '96-'97
2 there was the recurrence at a higher rate, and it was
3 at about 60.

4 You also presented immunogenicity data for
5 those two vaccines, and it appeared that the percent
6 that achieved .15 was actually higher with the HbOC
7 vaccine for children after one year of age, but it
8 was discernably lower at -- very low at -- four months
9 and low also at six, and given that there's a high
10 incidence of disease, of Hib disease, in this
11 community, 25 percent of the cases you noted occurred
12 by six months, could the lack of an adequate immune
13 response after the second dose and early after the
14 third dose be causally inducing this increase?

15 DR. BUTLER: I think that's very likely.

16 CHAIRMAN GREENBERG: We have only a few --

17 DR. BUTLER: I hope that message came
18 through in the presentation.

19 CHAIRMAN GREENBERG: We have only a few
20 more questions. So please keep them very brief.

21 Dr. Estes.

22 DR. ESTES: I was struck by the apparent
23 difference in age for the Native and non-Native
24 children for carriage. Is it possible that there's
25 repeated introduction of people coming in from Asian

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for the non-Native population? And are they bringing
2 in this organism?

3 DR. BUTLER: That's possible in Barrow.
4 I think that's very unlikely in the more remote areas
5 that were sampled in the first survey.

6 CHAIRMAN GREENBERG: Dr. Stephens, Dr.
7 Ferrieri, and then Dr. Robbins, and that's it.

8 The carriage rates of non-Natives in
9 Anchorage, do you have those data?

10 DR. BUTLER: No, we don't.

11 DR. FERRIERI: Ferrieri.

12 I gather that you don't have any serologic
13 data from these patients, these cases.

14 DR. BUTLER: No, we don't, and we don't
15 have serologic data yet from the carriage studies.

16 DR. FERRIERI: Thank you.

17 CHAIRMAN GREENBERG: Dr. Robbins.

18 DR. ROBBINS: Alaskan Eskimo children are
19 also susceptible to other respiratory pathogens. Was
20 there a change in the incidence of pneumococcal or
21 meningococcal disease during this time?

22 DR. BUTLER: Not really. In fact, if
23 anything, pneumococcal disease was a little bit down
24 that year in '96 and back up in '97.

25 DR. ROBBINS: I notice that all of your

1 data for disease are in less than five year olds. Is
2 there any data for over five year olds?

3 DR. BUTLER: Yes. You must know the
4 answer to this question.

5 (Laughter.)

6 DR. BUTLER: There is. I mean there has
7 been an analysis of adult disease that was mentioned
8 earlier which showed a decline in rates of Hib disease
9 in Alaskan Native adults, which was certainly
10 temporally related with the reduction in the rates of
11 Hib disease in young children.

12 It certainly would seem plausible that
13 that's because of reduced colonization with Hib among
14 the young children.

15 The survey data raises a bit of a
16 conundrum as to whether that's true or not. We've
17 gone back to the adult data to see if there's a
18 difference between rural and urban adults and could
19 not find any.

20 So, again, it's not clear to me why with
21 the persistent colonization among young Alaskan
22 Natives transmission seems to be occurring in somewhat
23 selective situations.

24 CHAIRMAN GREENBERG: I'm going to end it
25 there, except to ask a question myself.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I just want to follow up on Dr. Robbins'
2 question. I think Dr. Robbins was saying that adults
3 might serve as sentinels in the future if we change
4 vaccines. We just had a circumstance here where a
5 change in vaccine was associated with changes, it is
6 felt, with children, and I think he was trying to get
7 at does the sentinel theory work, that is, was there
8 a rise in adults in that time frame.

9 DR. BUTLER: Yeah, and I think the answer
10 to the question is at least in this population it does
11 not work, although keep in mind the numbers are
12 relatively small.

13 CHAIRMAN GREENBERG: And I would just like
14 to push you a little bit, Dr. Butler. So the question
15 before this committee is to consider changes in
16 vaccines and what the risks are, and you just
17 presented data on changes of vaccines that you are
18 associating with elevated risk. Is that relevant to
19 the question at hand or not?

20 DR. BUTLER: I think very much so. I mean
21 even using the currently defined definitions for
22 licensure, the differences between two different
23 products had a big difference in terms of the clinical
24 impact and the public health impact of changing
25 vaccines.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So changing the criteria, I think,
2 potentially at least for the Alaska Native population
3 could be very problematic.

4 CHAIRMAN GREENBERG: Okay. The next
5 speaker will be Dr. Heinz Schmitt, and he's going to
6 talk to us about data from Germany.

7 And again, Dr. Schmitt.

8 DR. SCHMITT: Mr. Chairman, ladies and
9 gentlemen, first of all, I'd like to thank the FDA to
10 invite me to this meeting here and to present our data
11 from Germany, which is a low Hib titre concentration
12 country in a birth cohort of 800,000 children.

13 I was involved with DTaP-Hib combination
14 vaccine since 1994, and we first presented these data
15 in 1995 at ICAC, showing that the combination with
16 DTaP and Hib vaccine in a mixed syringe leads to GMCs
17 around 2.0 micrograms per mL, and this was similar
18 with you combined DTaP with Hepatitis B, Hepatitis B-
19 IPV and Hib. The titres here are between two and 2.6
20 micrograms per mL.

21 Now, 800,000 children per year get either
22 this vaccine or this later vaccine, this five
23 component vaccine, without actually HPV, and the
24 uptake of DTaP-Hib combination vaccines is 90 percent
25 in our country.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In this study, DTaP-Hib was given at a
2 three, four, five schedule to prime children -- next
3 slide -- and what you can see here is that the
4 magnitude of the antibody response when a plain PRP
5 was given is much higher than what is observed in
6 unprimed children from published data.

7 Now, this has all been discussed this
8 morning -- next slide -- and there are some -- I
9 contribute some material for your briefing material.
10 I want to concentrate on this unpublished study.

11 The rapid and high uptake of Hib
12 combination vaccines in Germany prompted us to more
13 closely follow the incidence of invasive Hib disease
14 in our country.

15 I am the study coordinator, and Dr. von
16 Kries from the University of Munich and Dr. Siedler
17 and Dr. Niessing from the Robert Koch Institute at the
18 Ministry of Health in Berlin are collaborators.

19 Now, the story of Hib vaccination in
20 Germany is somewhat different from your experience in
21 the United States. In '99, the PRP-D vaccine was the
22 first to be licensed in our country with the two dose
23 schedule and the booster in the second year of life.
24 In 1992 only, other Hib vaccines were introduced.

25 Now, at this point I have to remind you of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a difference in the health care systems in our
2 countries. In Germany, private pediatricians
3 administer all vaccines available at no cost to each
4 child, and they are free to choose among any of these
5 vaccines once they were licensed, and they were all
6 licensed in 1992.

7 In 1995, ACER (phonetic) pertussis
8 vaccines were introduced with three dose schedule and
9 a booster in the second year of life. November 1996,
10 introduction of combined DTaP-Hib vaccines, and in
11 January 1991, the DTaP-IPV-Hib vaccine, a five
12 component vaccine, was introduced.

13 Next slide.

14 What we wanted to show is effectiveness of
15 our vaccinations, and in order to calculate vaccine
16 effectiveness, you need to know about the frequency of
17 invasive Haemophilus influenza Type B disease. You
18 need to know vaccination history of cases, and you
19 need to know vaccine coverage in your population, and
20 I'm going to show you how we got these data in the
21 next couple of slides.

22 First of all, this was a population based
23 survey of invasive H. influenza disease with active
24 follow-up of reported cases. The reporting was done
25 by ESPED, which stands for Surveillance Unit for Rare

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 Pediatric Disease in Germany, and it has a clinical
2 arm and a laboratory arm, which work independently,
3 and I will show you that in a minute.

4 The case definition of an invasive H.
5 influenza disease was compatible disease in a child
6 and isolation of the bacterium from a normally sterile
7 body site.

8 Now, the laboratory ESPED -- the clinical
9 ESPED system is based at pediatric departments.
10 Surveys solicits the incidence of up to 12 rare
11 diseases. It was established in 1992, and it works
12 under the auspices of the German Pediatric Society,
13 and report cards are sent monthly to all 485 pediatric
14 departments in 416 hospitals nationwide.

15 And I have to say here another important
16 information. There's this strict separation in
17 Germany between children in private practice and
18 children in hospitals. They have totally different
19 doctors. So once you have suspected Hib disease, you
20 automatically go to a hospital. You would never see
21 a pediatrician. If so, he would send you to a
22 hospital and the doctors are different.

23 Follow-up was done with a questionnaire in
24 case there was a report of invasive Hib disease. Now
25 the laboratory ESPED system works at the Robert Koch

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Institute at the Germany Ministry of Health in Berlin.
2 It was established in 1997. H. flu was introduced in
3 1998, and again, postcards are sent monthly to all
4 microbiology laboratories nationwide which are 303
5 altogether.

6 Now, this is a map showing each dot, a
7 clinic and laboratories involved, and you can see that
8 they are scattered all over the country. There is no
9 unexpected clustering there.

10 The response rate for postcards in both
11 systems is above 94 percent, and the return rate for
12 questionnaires, where we ask for additional questions
13 on the case, is above 98 percent.

14 Now, how did we get the vaccination status
15 of children?

16 In clinical ESPED, we called the
17 pediatrician. We first of all looked at the
18 questionnaire that we sent out, and if this didn't
19 help, we got calls to the vaccinating physician.

20 Laboratory ESPED got vaccination history
21 by telephone follow-ups done by the Robert Koch
22 Institute to pediatric departments and/or to office
23 pediatricians and/or to parents.

24 And actually I can say at this point we
25 got vaccination card copies of all children who were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cases.

2 Now, how did we come up with vaccination
3 coverage data? We had a random digit dialing
4 telephone survey done by a professional organization
5 which is Infratest in Munich. The vaccination history
6 of 600 children eligible to have received at least one
7 dose of Hib containing DTaP combination was to be
8 documented, and actually it were 668 in the end, to
9 give a precision of five to ten percent for the
10 vaccination coverage with single vaccines.

11 Ascertainment of the vaccination status
12 inclusive of brand names was done through interview of
13 parents, copies of the vaccination certificate and
14 also in cases, interview of the pediatrician.

15 Now, what are the results? These are the
16 results for the vaccination status of age eligible
17 children. Age eligible children is an important
18 definition in this study. It means a child was born
19 in a time frame so that it could have received a DTaP
20 Hib combination vaccine.

21 What you can see here, over the whole
22 three years or in this study period, 55.5 percent of
23 children had received a SmithKline Beecham DTaP-Hib
24 combination vaccine. Three, point, six percent had
25 received the Pasteur Merieux MSD, five component Hib

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 combination vaccine, and actually the second most
2 common single vaccine used in Germany is PRP-D with
3 five percent, and the others are shown here in this
4 slide.

5 Next slide.

6 Now, we do know the vaccination status of
7 children with single vaccines by the age of 12 months.
8 For Infanrix-Hib combinations, zero dose was 1.9
9 percent. That means children receive the first dose
10 of Hib vaccine in the second year of life. One dose,
11 three percent; two doses, 24 percent; and three doses,
12 around 70 percent.

13 For PRP-D these numbers are 2.7, 5.4, and
14 70 percent for the recommended two dose schedule of
15 this vaccine.

16 Now, these are data from the clinical
17 ESPED only. We probably had something between 1,200
18 and 1,600 cases of invasive Haemophilus influenza B
19 disease prior to the introduction of Hib vaccination.
20 Hib was licensed in 1991 as PRP-D. Hib was introduced
21 into the ESPED system as one of the first rare
22 diseases we studied, and in 1993 we had 120 cases.

23 And this went down to 54 in 1995. In
24 1996, then combination vaccines were introduced, and
25 in 1998 we followed up with clinical ESPED, and we had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 30 and 13 cases in the first six months of last year.

2 So you see a continuous decline of
3 invasive Hib diseases since the introduction of
4 combined vaccines.

5 Now, I have to give you the total number
6 of cases we observed was 74, and that actually comes
7 down to a number of 1.1 per 100,000 children to the
8 age of five years. So that's the incidence per year.

9 We had 36 cases, Type B, 20 not typed, and
10 18 typed not B.

11 Now, 13 children were too young to have
12 received any DTaP-Hib combination vaccine, and some
13 were too old to have received any DTaP-Hib combination
14 of vaccines, and we have to eliminate these from these
15 numbers. So we have 24 children and eight children in
16 the not typed group and five in the not typed B group.

17 Now, actually ten of these 24 children had
18 received a DTPa-Hib combination vaccines, and 14 had
19 received no vaccine.

20 So as the Chairman of the German
21 Vaccination Advisory Committee, I worry about these 14
22 and not so much about these ten, and I will show you
23 more data on these ten now.

24 Now, this is a busy slide, and I'll walk
25 through it slowly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One child had received Infanrix-Hib
2 combination vaccine, one dose at age four months, and
3 it got the disease at five months.

4 Four children had received a DTa or had
5 received a Hib-PRP-D vaccine with different brand
6 names mentioned here with two doses as recommended,
7 and they came down with a disease later on as shown
8 here.

9 One child had received Hib titre, two
10 doses. Three children had received Infanrix-Hib at
11 the time shown here, and they came down with a disease
12 as shown here.

13 One child had received three doses of
14 Infanrix-Hib, and it got disease at age 17 months.
15 There was no case in any child who had received four
16 doses of a Hib combination vaccine in our country.

17 Fourteen children had received no vaccine
18 at all, and if you look at this table, 19 of these 24
19 children were not vaccinated or under vaccinated, and
20 that is the problem, I think, in many populations, and
21 the potential of having one percent less efficacy, I
22 guess, is by far outweighed if you have a well
23 effective vaccine, and if you can do something about
24 these children who don't get their vaccines or don't
25 get them on time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next slide.

2 Now, we do know the proportion of children
3 which were vaccinated with a given vaccine. We know
4 the proportion of children or of cases vaccinated, and
5 with this formula published by Dr. Orinstein
6 (phonetic) we can calculate vaccine efficacy.

7 Next slide.

8 One dose of DTaP-Hib or DTaP-IPV-Hib
9 combination vaccine had a vaccine efficacy of 82.5
10 percent. Two doses had a vaccine efficacy of 93.6
11 percent, and with the PRP-D it was 70.4 percent only.

12 Also, if you look at the lower end of the
13 95 percent confidence interval, it's 89 here, and the
14 upper end of the interval is 83.6 here. So there is
15 a huge difference between them.

16 Somebody asked the question this morning
17 what's the difference between one and two -- between
18 two and three doses. Actually it's six percent, and
19 I think it's very important to get this third dose,
20 and if a combination of vaccines help to accomplish
21 this, this may by far outweigh the potential risk of
22 one percent less efficacy.

23 If you look at any child who had received
24 at least one DTaP-Hib combination vaccine, vaccine
25 efficacy was 97.4 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, the first question that will come to
2 your mind is were cases complete. Did we lose any
3 cases or not find them?

4 We had two independent sources reporting
5 to two independent institutions. So we could do a two
6 source capture/recapture technique to look for cases
7 we have missed, and theoretically, we have missed nine
8 cases.

9 Capture/recapture came up with 83, and we
10 found 74. If you assume that like with the other
11 population with a 74 two-thirds of cases are Hib
12 actually, then there would be six additional cases
13 that we would have missed.

14 And assuming that all of these six cases
15 would have received DTaP-Hib combination vaccine,
16 vaccine efficacy would still be at 94.8 percent.

17 Now, how about other biases? I spoke
18 about case ascertainment. Under reporting of
19 vaccinated cases is highly unlikely because the
20 reporting physician is different from the vaccination
21 physician. This is out patient, non-hospital doctor
22 This is always the hospital doctor.

23 Misclassification of vaccination service
24 is impossible. We do have copies of all vaccination
25 cards.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Estimation of coverage, we did a
2 sensitivity analysis. Even if you assume that the
3 number of unvaccinated cases was twice as high as we
4 had calculated, then vaccine efficacy still would be
5 98 percent.

6 Now, also you might worry about the
7 proportion of Type B cases in the not typed cases. If
8 all untyped cases were Type B, then vaccine efficacy
9 would still be the same because none of these untyped
10 cases had really received three doses of a DTaP-Hib
11 combination vaccine.

12 And this brings me to my conclusions. I
13 think we have a reliable reporting system, which is
14 ESPED. We see with the use of DTaP-Hib combination
15 vaccines a continued decline of invasive H. flu
16 disease in Germany. The field effectiveness for three
17 doses of combination vaccines was 99.3 percent, and
18 thus I conclude that for our country the lower
19 antibody response to combined vaccines is not
20 clinically significant, and the potential or
21 hypothetical risk that one percent less efficacy might
22 be due to the combinations I cannot see this from the
23 data I presented, but other benefits are less
24 injections, less visits to doctors, reduced costs, and
25 very important, no delay in the busy immunization

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 schedule since other vaccines are coming up, and I
2 think at least in Germany parents wouldn't accept five
3 vaccines given at one well baby visit.

4 Thank you very much.

5 CHAIRMAN GREENBERG: Thank you, Dr.
6 Schmitt.

7 We have time for just a few questions.
8 Dr. Kohl.

9 DR. KOHL: What is the age distribution of
10 Haemophilus disease in Germany? Is it more like the
11 Finnish distribution or is it like the --

12 DR. SCHMITT: I have a slide with me now.
13 I have a slide with me and can show you the actual
14 data.

15 The peak incidence is after the first year
16 of life. There's a peak very early, before children
17 can get vaccinated, and then there is one in the
18 second year of life. I have the age distribution, and
19 I can give you the exactly data if you want to.

20 CHAIRMAN GREENBERG: Dr. Stephens.

21 DR. STEPHENS: Do you have any data on
22 carriage rates in your population?

23 DR. SCHMITT: No.

24 CHAIRMAN GREENBERG: Any other questions?

25 DR. LEVINE: I wonder --

1 CHAIRMAN GREENBERG: Identify yourself,
2 please, Dr. Levine.

3 DR. LEVINE: Sorry. Orin Levine.

4 I wonder if you could just clarify for me
5 again what your overall immunization coverage rate
6 was.

7 DR. SCHMITT: Yeah, there was that one --
8 yeah, I mean, it depends on how you look, and which
9 method you look. What is for you overall vaccination
10 coverage?

11 I showed you that one slide. This is
12 actually slide number --

13 DR. LEVINE: Just what proportion of kids
14 got --

15 DR. SCHMITT: Three, point, seven percent
16 were not vaccinated.

17 DR. LEVINE: With even a single dose.

18 DR. SCHMITT: With a vaccine.

19 DR. LEVINE: Okay.

20 DR. SCHMITT: Fifty-five had received
21 DTaP-Hib combination with these plus .6 from a
22 different manufacturer who had just low coverage. So
23 it's about 60 percent had received a Hib combination
24 vaccine.

25 DR. LEVINE: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GREENBERG: Okay?

2 DR. FAGGETT: Harry, one question.

3 CHAIRMAN GREENBERG: Dr. Faggett.

4 DR. FAGGETT: Does the hospital physician
5 refer the patient back to the community physician in
6 terms of communication?

7 DR. SCHMITT: I guess what usually happens
8 is they just write letters. Once the patient is
9 discharged you get a letter indicating what happened.
10 It's unusual that you call the treating -- the non-
11 hospital based physician who delivered the vaccine.

12 DR. FAGGETT: Okay.

13 DR. SCHMITT: I mean, I'm working in a
14 hospital, a university hospital, and I never call
15 pediatricians outside. We don't have affiliations.
16 It's a totally different system.

17 DR. FAGGETT: So you don't have the
18 primary care provider set-up. Okay.

19 CHAIRMAN GREENBERG: Dr. Frasch.

20 DR. FRASCH: A clarification. In Germany,
21 do the children receive a booster dose in the second
22 year of life?

23 DR. SCHMITT: Yes, but since we had no
24 case after fourth dose, we didn't -- I mean this is
25 100 percent, but there's nothing we can calculate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 here. So we don't have this. It's recommended to
2 give a fourth dose, but there was no case.

3 CHAIRMAN GREENBERG: If there are no other
4 questions, we'll move on to Dr. Paul Heath, who's
5 going to talk to us about Hib disease in the U.K.

6 DR. HEATH: Good afternoon, ladies and
7 gentlemen. Thank you for the invitation to present
8 data from the United Kingdom on our Hib vaccination
9 program, and I hope that these data may be of
10 assistance in your deliberations today.

11 First slide, please.

12 By way of introduction, as we've heard
13 this morning, the current serological correlates of
14 protection against Hib disease are derived from a
15 variety of studies in unvaccinated populations,
16 studies of passive immunization, and populations
17 vaccinated with the plain polysaccharide vaccine, and
18 the question that's being addressed is whether or not
19 these correlates are relevant in the context of the
20 conjugate vaccines.

21 And amongst the issues to consider, the
22 evidence from the PRP-D experience of efficacy and
23 effectiveness despite low antibody levels, the data
24 we've heard about the quality of antibody post
25 conjugate vaccine, and also the field efficacy of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 conjugate vaccines other than PRP-D, and so I'm going
2 to present data on the field efficacy of predominantly
3 PRP-T, but also HbOC in the U.K.

4 If I had to summarize the experience in
5 the U.K., it would be that we have a vaccine schedule
6 which is completed early at two, three, four months of
7 age at no booster dose and as a result, our anti-PRP
8 antibody concentrations through the first five years
9 of life are relatively low.

10 Yet despite this, we have a vaccine
11 program which has resulted in a rapid decline in the
12 incidence of Hib disease, a decline in Hib carriage,
13 and clinical protection until at least preschool age.

14 In more detail, the antibody that we've
15 seen in the United Kingdom is shown here. These are
16 the U.K. published studies of conjugate vaccines,
17 either PRP-T or HbOC given at two, three, four months
18 of age.

19 And you can see that there are a variety
20 of studies here, but generally one month after three
21 doses at five months of age the geometric mean
22 concentration is somewhere between three and six.

23 Two studies have followed antibodies
24 through to 12 months of age and shown the expected
25 decline, and then we followed a cohort of children

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 through until six years of age, and you can see that
2 there is a further decline through until six, and this
3 is about .5 micrograms per mL.

4 Next slide.

5 In terms of the correlates of protection,
6 here are the proportions greater than or equal to .15
7 micrograms per mL in two studies, the Oxford studies
8 and studies by David Goldblatt, who's here in the
9 audience.

10 After three doses nearly 100 percent of
11 children have a portion above .15. This is declined
12 60 percent in this study. Ninety percent in this
13 study have levels above .15 at 12 months of age, and
14 then a further decline about 60 to 70 percent above
15 .15 at six years of age.

16 However, if we look at the proportions
17 above one microgram per mL, after three doses it's
18 around 90 percent. Then there is a significant
19 decline so that out here we have anywhere between 30
20 and 50 percent greater than or equal to one microgram
21 per mL.

22 On that background, the U.K. Hib
23 vaccination program. Well, this began in October
24 1992. Two Hib conjugate vaccines have been used, PRP-
25 T for children under the age of 12 months, and HbOC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 for children over the age of 12 months.

2 The primary vaccination schedule I've
3 alluded to. There was a catch-up component for the
4 first year of the program from '92 to '93 in which
5 children between the ages of 12 and 48 months of age
6 were offered vaccine, and they were offered one dose
7 if they were 12 to 48 months of age, and if they were
8 less than 12 months of age, they had three doses.

9 The vaccine coverage over the eight years
10 or so since the introduction of the vaccine has been
11 high. Ninety-two to 94 percent have achieved -- have
12 received three doses by 12 months of age with a small
13 increment to 92 to 96 percent having received three
14 doses by 24 months of age.

15 In terms of surveillance for Haemophilus
16 influenza disease, there are two major components via
17 pediatricians and via microbiologists, via
18 pediatricians through the British Pediatric
19 Surveillance Unit, a similar system to that described
20 in Germany.

21 This is a system in which all
22 pediatricians in the United Kingdom receive a card
23 every month on which are listed a number of rare
24 pediatric conditions, and there are asked to indicate
25 whether they have seen such condition in the previous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 month. They tick the box, send the card back. If
2 they've seen no cases of any of the conditions, they
3 tick the "no case seen" box and send the card back.
4 So the cards are send back regardless of whether
5 they've seen a case.

6 The case definition from the start of the
7 routine vaccine program in October 1992 was for them
8 to report Haemophilus influenza disease occurring in
9 any vaccinated child, and we extended this in November
10 1995 to include Haemophilus influenza in all children
11 regardless of vaccine status.

12 The second component of surveillance is
13 via microbiologists and public health physicians, and
14 they notify cases and send isolates to the National
15 Haemophilus Reference Unit in Oxford, and they do so
16 for all Haemophilus influenza regardless of whether
17 the child is vaccinated or not.

18 You're familiar with these sorts of
19 graphs. This simply shows the laboratory reports in
20 England and Wales since vaccination began here in
21 October 1992 in the different age groups. The light
22 purple line is children one to four. This is children
23 less than one year of age, and the dramatic decline
24 that we and others have seen.

25 This shows the same figures, but for older

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 children and adults. So individuals five to 14 and
2 individuals over the age of 15, and clearly these are
3 individuals who have not be vaccinated and, thus,
4 demonstrate herd immunity.

5 In terms of incidents, pre-vaccination we
6 had an incidence of around 30 per 100,000 per year
7 less than five. This fairly rapidly declined, and in
8 1998 the incidence of Hib disease was .6 of 100,000
9 per year in children less than five.

10 As another example of Herd immunity, since
11 November 1995, as I mentioned, we've been capturing
12 all cases regardless of vaccine status. I had the
13 opportunity to look at the incidence in unvaccinated
14 children. This is pre-vaccination, and this is post
15 vaccination, and clearly the incidence in unvaccinated
16 children less than 12 months of age is much, much
17 lower than it was in the pre-vaccine era. Thus, these
18 children are being protected by herd immunity.

19 Now, I think you'll have this. Many of
20 you at least will have this table with you, which is
21 good because it's very hard to see from wherever you
22 are. This is the comparison between the incidence,
23 the age specific incidence pre-vaccination, and this
24 comes from an enhanced surveillance program in the
25 Oxford region over six years before vaccination began.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is the age specific incidence by year of age.

2 Here we have the numbers of cases of
3 vaccine failures. So these are children who have
4 received three doses of Hib conjugate vaccine, yet
5 despite this developed invasive Hib disease, and again
6 by age at which they developed Hib disease. So 96
7 cases over this nearly seven years.

8 And based on the comparison between the
9 incidences from the pre-vaccine to the post vaccine
10 era, we can calculate the vaccine efficacy or, more
11 exactly, the vaccine effectiveness.

12 The figures show that the vaccine efficacy
13 in the first year of life is very high, 99.4 percent,
14 with very tight, 99 percent confidence intervals, and
15 it remains high out through and including the sixth
16 year of life. Here we have 97.3 percent, but the
17 confidence intervals here are wider at 79 to 99
18 percent.

19 In fact, if we compare the first year with
20 the subsequent years combined, there is a small, but
21 statistically significant decline in vaccine efficacy
22 from 99.4 to 97.6 percent, but as you can see, the
23 actual efficacy out here to six years of age remains
24 very high.

25 If I take the liberty of comparing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data on antibody with that from clinical protection
2 and compare the clinical protection up here from the
3 surveillance study with the proportions protected by
4 using the classic serological correlates of
5 protection, you can see that those greater than
6 predicted by those who have an antibody level above
7 .15 micrograms per mL is certainly closer to clinical
8 protection than that of the one microgram per mL
9 level.

10 Indeed, this, too, is a conservative
11 estimate of prediction as it's higher than this,
12 particularly out towards five and six years of age.

13 Now, if our ascertainment through our
14 surveillance is not as good as I think it is; in fact,
15 if there were twice as many vaccine failures in the
16 U.K. as those that we've captured, it makes very
17 little difference. So that's this dotted graph or
18 line here. So, in fact, the same conclusion applies.

19 I mentioned the decline in Hib carriage in
20 the United Kingdom. These are studies performed by
21 the Public Health Laboratory Service, children age one
22 to 48 months of age. They did a study in '92, '94,
23 and '96, and the data here are provisional in that
24 these may be nought or one.

25 But clearly, there has been a significant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decline in carriage in this age group over the period
2 of the vaccine program.

3 This looks at the same thing in a slightly
4 older group of children, children 52, 54 months of
5 age. This was done in '91, and this in the same time
6 of year in '95, using the same methods, and again, a
7 statistically significant decline in Hib carriage in
8 this age group with no difference in carriage of
9 Serotypes E and F as one would predict.

10 Now, why is there this difference between
11 clinical protection and antibody levels? Well,
12 immunological memory had been discussed and is clearly
13 important. David Goldblatt here demonstrates
14 immunological memory at at least 13 months of age or
15 12 months of age, these data you saw earlier falling
16 to this level here of about .4, I think, but this huge
17 increase in antibody in these children who receive a
18 booster dose at 12 months of age, clear evidence that
19 these children have been primed.

20 This is in the younger age group.

21 We've addressed a similar question, but in
22 older children, three and a half and four and a half
23 years of age. In this study there were 160 children
24 and eight percent of them had undetectable antibody
25 level. At least it was less than .15. They were all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 given plain polysaccharide. They all had blood taken
2 at a meeting of 23 days after the booster, and all
3 responded. All had antibody levels greater than two.
4 The geometric mean was 8.8, and the median-fold
5 increase was 52.

6 But there were several who had very, very
7 large increases, one of 390. Now, the obvious
8 question is what would be the response in unvaccinated
9 children in this age group, and we don't have that
10 data from the U.K. There were very few unvaccinated
11 children in Oxford.

12 In fact, looking through published data,
13 I find it very hard to find a similar age group.
14 There is Makela from Finland back here in 1977 looked
15 at a similar age group, 51. These children had
16 antibody prior to receiving the PRP booster, and they
17 had a 23-fold increase. That's just demonstrated
18 here.

19 So these are our children here, with these
20 two showing very, very large increases, and the rest
21 around just under ten or six to ten, and perhaps
22 similar to that in this group who had not, who were
23 unprimed, unprimed in the sense that they had not
24 received the conjugate vaccine, but they did have some
25 antibody, and clearly this was done at a time in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Finland when there was Hib circulating. So maybe they
2 were primed.

3 So this comparison, I think, is difficult.
4 So whether or not these children are having
5 immunological memory I think is a moot point. I think
6 these two certainly do.

7 In this same study, we also looked at
8 carriage, and these children were followed over 12
9 months. They had three monthly swabs, and five
10 children carried Hib over this 12 month period, and
11 you can see that those children who carried Hib were
12 boosted, had asymptomatic boosting of the Hib antibody
13 levels. It was clearly significantly higher than
14 those children who did not encounter Hib over that 12
15 month period.

16 Of interest, two of the five carried
17 between the two blood samples that were taken, and
18 they had very large increases in their Hib antibody
19 level, again, I think, demonstrating that these
20 children were primed.

21 So I'd like to conclude that in the United
22 Kingdom vaccination with the Hib conjugate vaccines
23 have resulted in a rapid and dramatic decline in both
24 Hib disease and Hib infection or carriage, and this
25 has been achieved in a vaccine program which results

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in the persistence of low anti-PRP antibody
2 concentrations, at least low by the classical
3 correlates of protection.

4 For example, between 12 and 72 months of
5 age only 30 to 50 percent of children have levels
6 above one microgram per mL, and that if one was to
7 compare the antibody data with the clinical vaccine
8 failure data, it would suggest that in the U.K. a
9 concentration of .15 correlates best or better with
10 clinical vaccine failure data.

11 Why is this? Well, there is certainly
12 evidence that the U.K. vaccine program results in the
13 induction of immunological memory which persists up
14 until at least school age, but clearly there's also
15 good evidence that herd immunity is an important
16 factor in maintaining control of Hib disease in the
17 U.K. and sorting out whether these children with low
18 antibody concentrations at four to five years of age
19 are not getting disease because of their prime; they
20 have immunological memory, or whether they're
21 protected by herd immunity, I think, is a difficult
22 one.

23 There are other factors to consider
24 though. In fact though we say the antibody levels are
25 low, clearly they're satisfactory through the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 year of life when the disease risk is or at least was
2 highest with 88 percent above one and 99 percent above
3 .15.

4 David Goldplatt has demonstrated that over
5 the first year after the primary schedule there is
6 avidity maturation, and we've been in a population
7 which has high vaccine coverage.

8 The catch-up program has probably also
9 been very important in reducing Hib circulation early
10 on in the vaccine program, and this is probably an
11 important point in the success of the program.

12 I do think that there is a need for
13 further studies in the United Kingdom and, in
14 particular, to look at memory and avidity in older
15 children, preschool, and compare vaccinated with
16 unvaccinated.

17 Carriage studies, I think, with older
18 children should be done. The last carriage study was
19 in 1996.

20 I'd also make the point that avidity
21 measures and booster responses are at best surrogates
22 of protection, and the disease surveillance should
23 continue, particularly if combination vaccines
24 incorporating the acellular vaccines are introduced
25 into the United Kingdom.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And I'll just finish by acknowledging the
2 collaborators in these studies, in particular, members
3 of the Oxford vaccine group headed by Professor
4 Richard Moxon.

5 Thank you.

6 CHAIRMAN GREENBERG: Thank you.

7 We now have a moment or two for committee
8 members to catch their breath and ask questions or
9 make a statement if they want.

10 Dr. Fleming.

11 DR. FLEMING: Dr. Heath, as I recollect
12 earlier in your presentation, you had given figures
13 for the incidence, and I recollect that you had
14 referred to the pre-vaccine incidence as being 109 per
15 100,000.

16 DR. HEATH: Correct.

17 DR. FLEMING: And in '96, seven, and
18 eight, it was 14, 15 and ten.

19 DR. HEATH: This is in unvaccinated
20 children.

21 DR. FLEMING: Okay. So what would it be
22 if you looked at the global population?

23 DR. HEATH: In all children, regardless of
24 vaccine status --

25 DR. FLEMING: Exactly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HEATH: -- it was .8 per 100,000 in
2 1998.

3 DR. FLEMING: Okay. Regardless of
4 vaccination status.

5 DR. HEATH: Correct, yes.

6 CHAIRMAN GREENBERG: Dr. Edwards.

7 DR. EDWARDS: I actually had a question
8 that's very much the same as Dr. Fleming, and I think
9 we're not going to get an opportunity to hear the U.S.
10 data, but the information that was given by Dr.
11 Bisgard's nice paper suggests that the incidence in
12 five to 11 months in the U.S. is 1.16. So, again, it
13 may not be different, but looks a little higher.

14 However, the data suggests that your rate
15 of disease in the 12 to 23 months is one per 100,000,
16 whereas in the U.S. it's .1 per 100,000.

17 So I guess one question that I -- it looks
18 like your series of two, three, four or three, four,
19 five works very well, but the question of a booster,
20 it seems that in your older children you have higher
21 rates of disease than were seen. Is that a correct
22 interpretation?

23 DR. HEATH: I think probably that it is a
24 correct interpretation, although -- and certainly the
25 peak age of Hib disease now in vaccinated children is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the second and third years of life, whereas clearly it
2 used to be in the first year of life.

3 I think from our perspective it comes down
4 to at what point should we introduce a booster, given
5 that though there clearly is a drop off of vaccine
6 efficacy between the first and subsequent years, it's
7 very small in terms of numbers of cases, and one would
8 have to debate the cost effectiveness of introducing
9 a booster, and I think that's a debate probably for
10 others, but that's what it comes down to, whether, in
11 fact, it's worth vaccinating 700,000 children a year
12 extra to save a relatively few cases.

13 DR. BISGARD: Could I address the U.S.
14 data? Kris Bisgard, CDC.

15 In '97-'98, the incidence of zero to five
16 month old of Hib cases was 2.8 per 100,000 and six to
17 11 months of age was 1.1 per 100,000, and then the one
18 to four year old age was .4 per 100,000.

19 CHAIRMAN GREENBERG: Dr. Ferrieri.

20 DR. FERRIERI: Could you elaborate on one
21 of your conclusions that a serum antibody level of 0.5
22 micrograms per mL correlated best with vaccine failure
23 data, quote, unquote?

24 So you don't mean --

25 CHAIRMAN GREENBERG: Point, one, five.