

1 However, when you test people, a lot of people
2 have had markers of viral hepatitis, and again this tends to
3 increase with age, so somewhere between 30 and 40 percent of
4 people had markers for either hepatitis B or C or hepatitis
5 B and C. So, basically, history of hepatitis is a very
6 insensitive way of finding out whether people actually had
7 hepatitis B or C previously.

8 However, if you take this group of people who
9 said, "Yes, I have a history of hepatitis," there is
10 actually one person here, 60 here and 20 here. So if you
11 look at this group of people and say, how did they do at
12 reporting history of hepatitis? So if they say report it,
13 did they actually have a history of hepatitis?

14 Well, actually they do quite good. Again, there
15 is only one person here so you can discount him, but roughly
16 about 80 percent of people who reported a history of
17 hepatitis actually did have a history of hepatitis, and
18 roughly about 95 percent of people over 40 who reported a
19 history of hepatitis actually had a history of hepatitis.
20 So, basically, if people report a history, it is reasonably
21 believable.

22 So what conclusions can you draw? At least among
23 cases of acute hepatitis A in the Sentinel Counties, very
24 few people report a history of hepatitis, and this increases
25 with increasing age. Many people with serologic markers of

1 hepatitis B and C do not report a history of hepatitis.

2 And, finally, most people who report a history of hepatitis
3 do have serologic markers, at least of hepatitis B and C.

4 And that is my presentation. Thank you very much.
5 I will be happy to entertain questions.

6 DR. HOLLINGER: Thank you, Ian.

7 Any questions for Dr. Williams? Yes, Marion?

8 DR. KOERPER: I am curious, is there a lower age
9 limit? Are you excluding children, for instance, under 18
10 or under 12?

11 DR. WILLIAMS: We take all comers, although we
12 rarely see children with acute viral hepatitis, I mean.

13 VOICE: (Inaudible.)

14 DR. WILLIAMS: That's right, and again, that's
15 what I mean. Those people would never make it into our
16 study because they have to be acute and symptomatic, so
17 basically they are excluded by the nature of that. But if
18 they are symptomatic, they are in the study, but we have
19 less than 2 percent are actually under 15 or so, but we do
20 see them occasionally.

21 DR. KOERPER: Right, right, right. And my second
22 question is, what is your definition of chronic hepatitis?
23 You were comparing the hep C's versus the non-A to E, and
24 you said there was a greater incidence of chronic hepatitis.

25 DR. WILLIAMS: They had to have at least two

1 follow-ups where the ALTs were more than 2.5 times the upper
2 limit of normal. That is biochemical evidence of chronic
3 hepatitis, and I used a relatively conservative one.

4 DR. KOERPER: Okay.

5 DR. HOLLINGER: Mary?

6 DR. CHAMBERLAND: Do we have, in the Sentinel
7 Counties Study, especially for people who have given a
8 history of hepatitis or maybe routinely, has CDC ever tested
9 for other agents that cause hepatitis? EBV, CMV, whatever?

10 DR. WILLIAMS: WE don't specifically test for
11 them, although a lot of physicians sometimes will test for
12 it and will note that, but there is not a specific testing
13 form, and that is one of the limitations of especially our
14 non-A through E group. They are not all tested for EBV and
15 CMV, although there is a physician--there is consultation
16 with the physician to help rule those out.

17 DR. HOLLINGER: Any questions?

18 [No response.]

19 DR. HOLLINGER: I guess for purposes of this
20 discussion there would not be a concern with chronic disease
21 anyway, would there not, if they had EBV and CMV?

22 DR. WILLIAMS: Yes.

23 DR. HOLLINGER: Thank you, Ian, and there may be
24 other questions a little later..

25 The next presentation is by Dr. Harvey Alter, and

1 I think Harvey is going to talk on SEN-V. Is that right,
2 Harvey?

3 DR. ALTER: Partly, yes. Actually, Robin first
4 asked me to talk on the history of hepatitis question, and I
5 agreed to that. Then he asked me to talk about SEN-V, and I
6 agreed to that. Then he asked me to talk about both, so we
7 compromised and he gave me an hour and a half for my
8 presentation today. So I will be talking about both, and I
9 first want to address the history of hepatitis, and what I
10 want to do is put the history of hepatitis into historical
11 perspective.

12 Now we have to be aware that history is changing,
13 and therefore that we have to change questions about
14 history. I have to move closer to the mike? Okay. This is
15 going to be hard.

16 DR. HOLLINGER: It is going in the Federal
17 Register, so we want to get this.

18 DR. ALTER: So that is really the bottom line of
19 my message. We have to be willing to change these questions
20 at some point, and to do that I wanted to go with the
21 historical perspective.

22 Now in the 14th century, we asked, "Have you ever,
23 even once in your life, had the bubonic plague?" Now, this
24 was a dynamite question in the 14th century, but by the 15th
25 century you already knew that the yield of this question was

1 not very good, and by the 16th century the forerunner of the
2 FDA, then called the DAF, which I will explain in a moment,
3 or the "daf", was willing to drop this question, so we
4 haven't asked this question since the 16th century. Now the
5 DAF actually stood for "Don't ask, for God's sake." And so
6 the former FDA was a lot more liberal in adjusting their
7 questions.

8 Now this is actually to address the question.
9 Robin really has gone over this, and I am going to go
10 through it very rapidly. This is hard to do. Basically,
11 this is the virus. We can maybe clear that slide a little
12 bit. The virus, here we have the virus, and here we have
13 the evidence, how we detect the virus, and then the relevant
14 residual value. And I think we would all agree that for
15 HAV, HEV, there are no carriers, transmission is rare, and
16 the value is nil.

17 For HBV we have superb tests, almost no evidence
18 of transmission for almost a decade. There is the question
19 whether there are seronegative viremic individuals, but
20 there is no proof as yet that these have ever transmitted
21 disease. So I think we are not making it nil, but near nil
22 for B.

23 For HCV, we know that only about a quarter of the
24 patients have a clinical history, so the history has limited
25 value there, and we have superb testing now with NAT

1 testing. The residual risk is less than 1 in 500,000, I
2 think, maybe closer to a million, and I think we have really
3 no residual benefit of a history question for HCV.

4 So we are down to non-A to E, and we know that the
5 vast majority of these cases, if you take all comers, are
6 subclinical, but the CDC data shows the other end, that
7 there are some people who have clinical disease, and I think
8 Ian's presentation is very valuable in that respect. We
9 don't know much about the severity or the frequency of
10 chronic hepatitis in non-A to E.

11 And we know that there is some value of existing
12 assays. I will show you from my own data. Anti-HCV and
13 perhaps HBV markers overlap with the non-A, non-B cases, so
14 there is probably a surrogate value of our existing assays
15 in preventing non-A, non-B. So the value of our history
16 question in regards to non-A to E is really the crux of the
17 issue. It is a theoretic value at this point, and I don't
18 know that we will be able to resolve that in this talk or
19 this session.

20 This is something I used in the workshop last
21 time, trying to project the impact of the question, and I
22 think we know that about .1 percent of people give a history
23 of hepatitis, so if we take 1 million donors and .1 percent
24 give the history, it would be 1,000 donors who would have
25 the history. And using the CDC data, a 3 percent change

1 that, of these 1,000 donors with a history of hepatitis,
2 that they might have had non-A, B, C. So that would get us
3 down to 30 people.

4 From our data, and this is very soft data, but we
5 usually estimate about 30 percent of people with non-A to E
6 might become chronic, and in Ian's data it was 22 percent,
7 and I think it is probably closer to the lower. But even if
8 we said 30 percent, a maximum number, we would then have
9 nine potential carriers out of that original 1 million
10 people, or .0009 percent of the 1 million.

11 The chance that these carriers would be
12 interdicted by some other history question, by other viral
13 testing, I think is around 50 percent, but that is a guess.
14 So there would be 4.5 eligible non-A to E carrier donors.
15 We don't know the transmission rate, but all the other
16 viruses seem to be about 90 percent, so we have four
17 potentially infected recipients.

18 The risk of overt hepatitis in those four people
19 is, again, 3 percent, so about .12 recipients might have
20 overt hepatitis, and the risk that they would develop
21 chronic hepatitis is about 30 percent, so there might be one
22 recipient who would develop chronic hepatitis out of each
23 million people screened.

24 And therefore we would exclude 1,000 donors based
25 on the history, to theoretically prevent one case of chronic

1 hepatitis, and each 1,000 donors means 2,000 donations and
2 6,000 products. So the impact is quite high, but you can't
3 say that it would never prevent a case. I mean, that is the
4 conundrum that I will get back to at the end. It is hard to
5 say you would never prevent a case by dropping the history
6 question, but the yield is going to be exceedingly small and
7 the loss quite considerable.

8 So I would propose, really, that we have to bite
9 the bullet and say you can never say never, and not stay
10 here to tweak the question and to liberalize it a little
11 bit, but actually drop the question. At some point we will.
12 I am always jumping ahead.

13 But I say we drop the question now and ask the
14 question, "Have you had hepatitis, or been closely exposed
15 to somebody with hepatitis in the last year?" And if they
16 say yes, then you defer them for another year, and then you
17 depend on your serologic markers and your NAT testing. It
18 is skipping the issue of non-A to E, I grant you that, and
19 that is the sticking point of this argument. So we are
20 going to get back to the importance of that potential.

21 So I still feel, though, that we have to be able
22 to move with the times, perhaps even with the New York
23 Times, and that leads us into the SEN-V discussion. So I
24 have been allowed at this time to present some of the SEN-V
25 data, and this is coming primarily from Danieli Primi, who

1 was the discoverer of this agent, working with a company,
2 DiaSorin.

3 And what we now know about SEN-V is that it is
4 really a family of viruses, at least we think it is a family
5 of viruses, and these are DNA viruses. It is not clear yet
6 whether they are single-stranded or double-stranded or
7 perhaps, like hepatitis B, both single-stranded and double-
8 stranded. They can't figure that out. But Dr. Primi feels
9 that this is a linear virus. Everything he has tried to do
10 to show that it might be a circular virus has not worked out
11 in that regard. So that would make it different than TTV,
12 which they now feel pretty certain is a circular DNA virus.

13 It is a small virus. Average length is 3,000
14 nucleotides. Each of the SEN viruses--and I will show you
15 this in a minute--encodes for three open reading frames, so
16 there is a potential that a protein can be expressed and a
17 serologic system set up, but right now that has not worked
18 out. And the length of the ORF1, ORF2 and ORF3 varies with
19 each of these different agents.

20 This is not very clear but it just shows you the
21 general structure there. There is an untranslated region
22 like with hepatitis C, there is a long ORF1, a smaller ORF2,
23 and an ORF3, and another untranslated region on the other
24 end. And this is the different variants. Well, I will show
25 you that better here.

1 So there are now, if you thought bringing SEN was
2 bad, we now have actually multiple SENs. SEN is the name of
3 the patient, the initials of the patient, S-E-N. On the end
4 of each of these is ORF1. This is all ORF1. And then we
5 have SEN-C, SEN-H, SEN-B, SEN-A, SEN-G, so essentially it is
6 A to H. SEN-C and SEN-H are closely related, but the others
7 are quite variant from one another. These differ from each
8 other by 35 to 45 percent. These are very distantly
9 related.

10 And to put this into perspective, this inner
11 circle is the total range of the variation of hepatitis C,
12 the various strains of hepatitis C, the subtypes of
13 hepatitis C. If you went from the furthest ones apart, it
14 would be encompassed in that circle. But here we have
15 divergence that is markedly greater, and it is even hard to
16 say these are just a single family, but they have strikingly
17 similar characteristics, and that is--so that is the one
18 point to bring across.

19 Now, we have focused, because of the initial work
20 with our transfusion study, we have focused on SEN-C/H,
21 counting this as sort of one agent, and SEN-D, because those
22 two variants, if you will, or those two members of the
23 family seem to have the closest association with post-
24 transfusion hepatitis. So just go, go down.

25 This is--I think the only thing I want to point

1 out here is that this is a dense agent. On seizing choride
2 banding it bands at 1.4 grams per centimeter, meaning it is
3 a heavy agent. This is not typical of an envelope virus.
4 This is not proven to be a non-envelope virus but is
5 probably a non-envelope virus, a dense DNA non-envelope
6 virus.

7 When the company originally looked at different
8 populations, they found if they looked a blood donors, and
9 these were primarily European blood donors, that the vast
10 majority of blood donors tested negative for this agent, but
11 that some had SEN-B, some had SEN-A. The rates of the two
12 viruses that we are interested in, SEN-CH or SEN-D, were
13 very low in the European donor population, around 1 to 2
14 percent.

15 When they looked a interven--I am just going to
16 concentrate now on C, and I will call it C and D for ease of
17 it--when they looked at drug users, they found that 15 to
18 near 30 percent of drug users had one or the other of these
19 agents, suggesting it was a parenterally transmitted virus.
20 And when they looked at polytransfused patients, they also
21 found rates of 10 to 15 percent among thalasseemics. So this
22 was consistent with this being a transfusion transmitted and
23 an IV drug use transmitted agent.

24 One last piece of data from the company that I
25 think is important but as yet unconfirmed, and this was a

1 study designed to see if this virus replicated in the liver.
2 They used liver tissue from patients who had hepatocellular
3 carcinoma, not looking for a relationship to hepatocellular
4 carcinoma, just that was the liver tissue that they had
5 available.

6 And what they did is, they extracted the nucleic
7 acid from the liver, they treated it with DNase in the hopes
8 of destroying any liver DNA, any DNA present. They then
9 activated the DNase. They then reextracted the nucleic
10 acid, so presumably the only thing that is left is RNA.
11 They then converted this to complementary DNA, and then
12 amplified using specific SEN primers, and entered a
13 detection system by an EIA method.

14 So essentially they are looking for cDNA, and they
15 found cDNA in these two different patients. They found cDNA
16 in the liver, both in the tumor and around the tumor, and
17 finding the cDNA implied that they were picking up a
18 replicative intermediate of a DNA virus, so they were
19 picking up an RNA that was converted to cDNA. So this
20 suggested that the virus was in the liver and that there
21 were replicative intermediates in the liver, and that is the
22 best piece of evidence that we have that this might actually
23 be a hepatitis virus, but it needs to be confirmed. We need
24 more livers, and we are working with Ed Tabor, in fact, to
25 look at this.

1 So now I want to go into our own data. Would it
2 help to get this podium out of the way? Is this blocking
3 people's view? No? Okay.

4 If you look at our patients, this is in our
5 prospective transfusion studies, looking at people, we had a
6 group who were actually transfused and a control group who
7 were not transfused, and among people who were not
8 transfused but were prospectively followed, we found new
9 SEN-V infections, that is, they were negative before
10 transfusion, became positive. This is a six week post-
11 transfusion sample. They became positive after their
12 surgery, 3 percent, but among those who were transfused it
13 was 40.6 percent. This was a highly significant difference,
14 and it suggested that this is a transfusion transmitted
15 agent, although there might also be a nosocomial
16 transmission because 3 percent seemed to acquire the
17 infection in the hospital without getting a transfusion.
18 That is similar to what happened with the TTV work we did.

19 The relationship to transfusion is shown here.
20 There is a seeming step-wise gradation from no units, to one
21 to two units, to three to four units, to five to six units,
22 but after six units it levels off, for reasons I am not
23 totally clear. We don't know who is susceptible among the
24 donor population, who isn't susceptible, but at least in
25 this range the number of units seems to correlate with

1 whether or not you get infected with SEN-V.

2 And here are the prevalences in donors. We have
3 tested 436 voluntary donors, current NIH donors. Eight of
4 them or 1.8 percent were positive. This number keeps coming
5 up. The number I think in both Europe and the U.S. among
6 volunteer donors is somewhere between 1 and 2 percent,
7 possibly higher in Japan.

8 We have tested now some donors prior to 1990, and
9 we just started doing this. We will get a bigger number.
10 Because that is when these cases occurred, we want to know
11 what the donor population was then. The rate may be a
12 little bit higher, but the numbers are so small, we can't
13 say.

14 We also--this is the rate of SEN-V in patients
15 before they were transfused, so this is sort of the
16 background population, background prevalence in the
17 population coming to a hospital. So it is a relatively low
18 prevalence agent compared to TTV, for instance, perhaps in
19 the range of what HGV was, but that proved not to be a
20 hepatitis virus.

21 So the crux of our study is here. When we looked
22 at the cases, and we had 13 cases of transfusion-associated,
23 non-A, non-B hepatitis, one of those patients had
24 preexisting SEN, so we could not determine anything in
25 relation to SEN in that patient. But of the other 12

1 patients with non-A, B, C, 11 seroconverted, 11 became SEN
2 viremic, and everything I am talking about here is viremia,
3 we don't have an antigen, antibody test. So 92 percent of
4 the acute post-transfusion, non-A to E cases were acutely
5 viremic for the SEN agent.

6 Among the group who were transfused and
7 identically followed but did not develop hepatitis of any
8 kind, the rate was also high. Thirty-four percent developed
9 a new SEN infection. This difference is highly significant.
10 It is p less than .0001, so there is a relationship to non-
11 A, B, C, but a disturbingly high background among people who
12 don't get hepatitis.

13 When we looked at cases who had transfusion
14 associated hepatitis C, the rate was 41 percent; again,
15 different from this rate. The rate of those who didn't get
16 hepatitis, got hepatitis C, was the same. And among the
17 non-transfused group I just showed you, only 3 percent had
18 SEN infection. So there is a strong, a very strong--I mean
19 this is dramatic, with TTV it was like 25 percent in all
20 three of these groups--so there is a dramatic incidence in
21 the patients who get non-A, B, C hepatitis, but this high
22 background that confounds the interpretation of this
23 information.

24 So we tested all the patients who got non-A, B, C,
25 so that's the 92 percent who were positive, but we only

1 tested 94 people who were not already--we started with 100,
2 but 6 of them had antibody ahead of time. So then we tested
3 94 of 776 patients who didn't get hepatitis. So we have to
4 make an extrapolation. Thirty-four percent of them were
5 positive, I just showed you.

6 So I have said that if the sampling is random,
7 then among this 776 we would have expected 34 percent or 264
8 patients who did not develop hepatitis to be SEN-V infected.
9 I am just trying to equate the numbers. So there would have
10 been a total, if this assumption is correct, there would
11 have been a total of 275 post-transfusion SEN infections, of
12 which only 11, that is 11 out of 12, would have developed
13 non-A, D hepatitis or 4 percent.

14 So what we are saying, then, is that in
15 probability, we are saying the probability is that the vast
16 majority of people who get infected with SEN do not develop
17 hepatitis. I have another slide about that. I thought it
18 was right there, but we will probably come to it.

19 This is just to show you some of the cases where I
20 have tried to equate the ALT level shown in blue, the ALT
21 level in blue versus the level of virus in yellow. Now,
22 this is a very crude level. I am giving copy numbers here
23 and the copy numbers are quite low, but we don't, the
24 quantitative assay, we don't really know what it means. But
25 I think it gives you a relative level of virus. Just take

1 it as that, and not as an absolute copy number.

2 And what we found, for instance in this case there
3 were some low-level early ALT elevations that we often find
4 in these post-operative cases, but the hepatitis actually,
5 for our definition of hepatitis, the hepatitis actually
6 began here. You see the virus was present at that point,
7 and virus sort of came up with the ALT, and the virus went
8 down as the ALT went down. So this is a nice correlation
9 between viremia and ALT level.

10 There is another case where again it is sort of
11 these early, low level, but when the actual true hepatitis
12 began, the virus was coming up at the same time. Here there
13 was a different ALT and a different virus, a rise in virus,
14 a rise in ALT, and then again. So this was the best
15 example. I am picking out some nice examples here.

16 And here is another case where the virus was there
17 first, which is what you see often in hepatitis C, but
18 really actually not there first. Actually the two came, the
19 ALT was going up as the virus was there. In this case the
20 virus came down but there was a lag before the ALT came
21 down. Again, this is something you can see in hepatitis C
22 as well. So these are all consistent with a temporal
23 relationship between viremia and ALT level, but not always a
24 perfect correlation.

25 And here is one that is seemingly less perfect.

1 Here the level of virus is low, the level of ALT is high.
2 This was the patient with the highest ALT level, but the
3 virus was there when the ALT went up, and then the viremia
4 seemed to go up and actually be present longer than the ALT.
5 The ALT came down very fast.

6 So this is a little bit off skew, but I think the
7 important point is that in all these cases, there were no
8 cases where we considered the hepatitis blip of ALT, there
9 were no cases where the ALT went up before the virus was
10 present. In other words, there was always viremia at the
11 time the virus was present.

12 And here are the cases of this non-A, D hepatitis.
13 Out of these 11 cases, none of them were icteric. The mean
14 peak ALT, because these were all comers, these were not
15 presenting as clinical cases, the peak ALT was 396. If you
16 take out one patient who went up to 1,740, the mean was 262.
17 The range was from here to here, but the median was only
18 200. So this was a very mild hepatitis. Not a single case
19 was symptomatic. Two cases had what I would call
20 substantial ALT elevations, but as a whole the ALT
21 elevations were quite low.

22 Now, in judging how many of these went on to
23 chronic hepatitis, the data is soft. These cases were all
24 mild. We have no biopsy data. Looking at ALT elevations, I
25 would say that two of the cases clearly went on to chronic

1 ALT elevations and two others had sort of intermittent lower
2 level ALT elevations which might have represented chronic
3 hepatitis but there is no way to prove it. I will show you
4 on the next slide, this is kind of interesting, this two
5 versus these two.

6 And here is some persistence data that we have
7 just come up for. This is combining cases who had SEN-V
8 positive non-A, B, C hepatitis and those who had it in
9 coexistence with HCV. I have included the HCV cases because
10 we followed them longer. We had longer serial samples. But
11 what you see here is that the majority--this is pre-
12 transfusion. They are all negative. This is six weeks
13 post-transfusion. They are all positive.

14 And this is six months post-transfusion. So by
15 six months post-transfusion, more than half the patients
16 have lost SEN-V, so it tends to be a predominantly transient
17 agent. Here is a case, we don't know because we didn't get
18 follow-up, but here is cases that lost it some time after
19 one year, and these cases here lost it after four years, but
20 cases down here that are persistently viremic after 12
21 years.

22 So this definitely is an agent that can be
23 persistent. It may be associated with chronic hepatitis, at
24 least in two cases. And interestingly, in the two cases
25 where the ALT elevations were substantial, into the chronic

1 phase, these two cases had prolonged viremia that went along
2 with the ALT elevations.

3 I will run through this. It was just to show that
4 the virus had no apparent impact on hepatitis C. In the
5 cases of hepatitis C that were SEN positive or SEN negative,
6 the ALTs were the same, and the rate of chronicity was the
7 same. So we have no evidence that this makes hepatitis C
8 worse.

9 We have now looked at some cases with acute liver
10 failure, using the fulminate hepatitis repository of Will
11 Lee, and found no association of this agent with acute liver
12 failure. Among 17 cases of non-A, B, C acute liver failure,
13 none of them were SEN positive. There were nine positives
14 in those who had acute liver failure of other etiologies.

15 We are looking at a lot of groups with chronic
16 non-A to E hepatitis. I am only showing you one slide from
17 Japan, where the rate of SEN in the chronic hepatitis
18 patients, chronic non-A to E, was 25 percent, but the
19 background rate is higher in Japan and it is more difficult
20 to evaluate. So I don't really have any generalizable data
21 as yet on the frequency of this agent in patients with
22 chronic non-A to E hepatitis outside of the transfusion
23 setting.

24 So what do we conclude? Well, SEN-V is a novel
25 agent. It is not in the gene bank before. It is small, it

1 is linear, it is non-enveloped. It is clearly transmitted
2 by blood transfusion, possibly spread by other nosocomial
3 routes. It is found in relatively low prevalence in Western
4 nations but in seemingly higher prevalence in Japan, just as
5 the TT virus is.

6 The incidence is significantly higher, 92 percent,
7 in patients who develop transfusion associated non-A, B, C
8 than in those who don't develop hepatitis, where it is 34
9 percent, and that is .001. I think there should be another
10 zero in there. And is much higher than those who aren't
11 transfused, as I have shown you. In patients who develop
12 hepatitis, there is an apparent temporal association of
13 varying degrees of validity between the appearance of the
14 virus and the appearance of ALT elevations.

15 But here is the key. Because the hepatitis
16 population is small and the non-hepatitis population is
17 large, it is projected that less than 5 percent of those who
18 are SEN-V infected actually develop hepatitis. And that may
19 not be surprising because the virus seems to be present at a
20 very low level, and it is possible that there is a threshold
21 for causing hepatitis.

22 So how do we explain the absence of hepatitis in
23 most cases of SEN-V infection? Well, one explanation is
24 that this is not a hepatitis virus; that despite the
25 statistical associations, this is just a fluke and it really

1 has nothing to do with the hepatitis we are observing. That
2 is certainly a viable option.

3 The second possibility is that the development of
4 hepatitis may reflect either the titer of the virus or the
5 particular virulence of the infecting strain, and implicit
6 in this assumption would be the fact that most SEN agents
7 are low titer and/or not virulent.

8 And, lastly, that there is some host
9 susceptibility factor that determines clinical outcome of
10 any given SEN infection, and this, there are parallels to
11 this such as CMV or EBV. How often do people who get EBV
12 actually get infectious mono? It is a minority of people.

13 This is just about the clinical part. I won't go
14 through this again, but I think to prove this is a virus, in
15 addition to developing more epidemiologic data, we really
16 need more data that this goes to the liver and replicates in
17 the liver, that you can detect the virus and replicate
18 intermediates in the liver.

19 We have some preliminary evidence for that. If
20 this were confirmed, I would have a heightened level of
21 appreciation for this virus as a hepatitis agent. If we
22 really can't find it in the liver, then I am not so
23 convinced about the other data I have shown you. But thus
24 far, it makes a picture that could hold up as a hepatitis
25 virus.

1 So I would conclude one of two things: SEN-V is
2 definitely an etiologic agent of non-A, B, C hepatitis, or
3 secondly that SEN-V is definitely not an etiologic agent of
4 viral hepatitis, and feel very strongly that the probability
5 is, this conclusion will hold up. And I have here at the
6 bottom that p equals "please be pathogenic."

7 But I just want to end, I have often, for most of
8 the audience, you have seen my slide of the storing of
9 British warheads with the top at the bottom, and labeling
10 the top at the bottom, a very confusing picture. Well, I
11 want to bring you a new quote from a very famous individual
12 that is actually in relationship to this particular issue.

13 And the quote is: "We have a dilemma because we
14 can't study that without removing it, and then that gets you
15 into a circular logic because you want to be sure you can
16 remove it before you remove it, but you are not sure you can
17 until you remove it."

18 Now, this sounds confounding, and this issue is
19 confounding, and I would like to bring you the author of
20 this quote, who is one Jay Epstein. And in reality, as
21 always is the case, Jay is right, because what you want to
22 do, just getting back to the donor history question, what
23 you really want to do is stop asking the question to see if
24 it makes any difference, but you can't stop asking the
25 question until you have the data that says you can stop

1 asking the question.

2 And that is the circular argument that we are in
3 in the hepatitis history question. But I would lean towards
4 whether or not SEN-V is real or not real, that we may be at
5 the time where actually the value of the question is so
6 minuscule. You will never be able to say it won't prevent a
7 case, but we have so many other measures in place, and we
8 are losing precious donors, that I think it takes a little
9 guts, but the guts is just to change the question to say,
10 "Have you recently had a history of hepatitis?" and then
11 depend on all your other screening measures to prevent
12 transmission.

13 We know we are down to zero transmission, virtual
14 zero of not only C and B but also non-A, non-B. It has
15 disappeared, along with C. So get your courage up. Okay,
16 that is my presentation.

17 DR. HOLLINGER: Thank you, Harvey.

18 Yes, Dr. Tuazon?

19 DR. TUAZON: Do we have any information on the
20 histopathology of these patients with SEN-V?

21 DR. ALTER: No, we don't, because they are all so
22 mild that we never did biopsies. Now, you know, we have
23 biopsies on those who were SEN-V and HCV infected together,
24 but there is no evidence that it makes HCV worse, and you
25 assume that the damage is due to the C.

1 DR. SCHMIDT: Harvey, Paul Schmidt. I presume
2 that if you followed the rules, the donors with viremia had
3 no history of hepatitis, but how about these patients?
4 Would they have given a history of hepatitis?

5 DR. ALTER: No, no, no, no. Not a single one, no.
6 And I knew you were Paul Schmidt. I used to work for you.

7 DR. McCURDY: Harvey, can I assume that you have a
8 high degree of confidence with these observations?

9 DR. ALTER: Yes, I have a high degree of
10 confidence in what we have done. I don't have a high degree
11 of confidence in the meaning of the data, but I think I have
12 moved from being real down on this to being kind of level on
13 it, and I am--you know, when 11 of 12 cases are positive,
14 and when the temporal relationships are there, if the liver,
15 if further evidence shows it replicates in the liver, then I
16 would lean towards accepting it. And I don't know whether
17 it is the only agent, or there could be another agent that
18 is there at the same time.

19 DR. HOLLINGER: But, Harvey, if you had asked
20 these--you said if you had asked these 11 or 12 whether they
21 had ever had a history of hepatitis, they would have
22 answered--

23 DR. ALTER: They would have answered no, because
24 these were mild. Even the one patient who had the 1,740, I
25 actually went to his house to draw his blood at that time,

1 and he was bouncing around. He didn't feel sick at all. So
2 he would never have known he had hepatitis.

3 DR. HOLLINGER: Yes, Marion?

4 DR. KOERPER: It sounds like not only was it
5 clinically a mild hepatitis, but that everybody got over it.

6 DR. ALTER: No, everybody didn't necessarily get
7 over it. There were two who had chronic ALT elevations and
8 persistent viremia, and two who had--

9 DR. KOERPER: Oh, they had persistent viremia?

10 DR. ALTER: Yes.

11 DR. KOERPER: Okay.

12 DR. ALTER: And two who had up and down ALTs
13 without persistent viremia, that may be--you know, it is so
14 hard when the ALT values are low, you never know what they
15 are due to.

16 DR. KOERPER: And what was the follow-up period?

17 DR. ALTER: Well for some of them, out to 12
18 years. But mostly--of those 11 cases, however, only 2 of
19 them were followed out a long time.

20 DR. HOLLINGER: And it is true, I believe, that
21 some--that the test has actually been improved somewhat, so
22 it is much more sensitive now and may be detected, so some
23 of those that may not have had persistent viremia may now
24 have persistent viremia. Is that a correct statement?

25 DR. ALTER: The sensitivity of the test hasn't

1 changed much. What has been termed the robustness of the
2 test has changed, in that the answer is more believable. I
3 will say that on these you can--because I think what is
4 happening here is, you usually, at a very low level of
5 virus, under any given assay, you may or may not pick it up.
6 So all of these data, particularly on the cases, have been
7 repeated over and over again, and they are not just based on
8 PCR, they are based on cloning and sequencing. So each of
9 those 11 cases are proven to have the virus. That there is
10 no doubt about. We didn't clone and sequence all the
11 controls.

12 DR. HOLLINGER: Yes, Dr. Stroncek?

13 DR. STRONCEK: Harvey, what about the viremic
14 patients, the 34 percent that didn't get elevated ALTs? Did
15 their viremic levels just spike, too, or did they go up and
16 stay up, or did they--

17 DR. ALTER: Yes, that is a very good question.

18 DR. STRONCEK: --or was it an error? You know, so
19 did they have one up? And did you follow ALTs for some time
20 periods to make sure you didn't miss it?

21 DR. ALTER: Well, we only have the ALTs where we
22 have the ALTs, so the way this study was designed, we had
23 them at least every 2 weeks for the first 12 months and then
24 once a month after. But that is a very good question, and
25 we haven't done that yet. And one thing, I would wait now

1 until we have the quantitation better, but that is
2 definitely worth doing, Dave. It is a good question.

3 DR. STRONCEK: And did you say--what did you say
4 about the donors?

5 DR. ALTER: The donors, we haven't done the
6 donors. We are having trouble finding the donor samples.

7 Jay?

8 DR. EPSTEIN: Harvey, I just want to make clear
9 that I stand by my previous statement.

10 DR. ALTER: I know, that's right. I was using it
11 as a joke, but you are absolutely right

12 DR. EPSTEIN: I do have a question for you,
13 though. Ian Williams' data suggested that for community
14 acquired acute non-A through E hepatitis there was not a
15 strong association with a history of IV drug use, which
16 would indirectly suggest that you are not dealing with a
17 transfusion transmissible agent. And I just wondered if, in
18 putting together your data which clearly show a blood
19 transmissible agent, that there is then the implication that
20 there is yet some other cause of non-A through E hepatitis.

21 DR. ALTER: Exactly. There is no way to say that
22 SEN, if it is an agent, is the only agent of non-A through
23 E. And in fact, if we look at these failures, it doesn't
24 seem to be there, and these other chronic cases, they are
25 clearly not positive. So this could--at best, I think, is

1 only a piece of the non-A to E, but sort of a striking piece
2 within our small population. And I think a very important
3 thing is, what about the CDC cases?

4 DR. WILLIAMS: We are actually in the process of
5 testing that non-A through E group to see how many actually
6 have SEN-V, and we are sort of in the midst of testing so I
7 can't tell you what the answer is. But in about a month
8 there is going to be, at the International Viral Hepatitis
9 Meetings, there is supposed to be a whole session where this
10 whole topic is going to be addressed for, I guess, a whole
11 afternoon, and hopefully our data will be ready for release
12 then.

13 But we should be able to answer that question.
14 But I think the early look is that they are not--that not
15 all of these are SEN-V positive, that there probably is
16 another route, would be my guess, at least preliminarily.

17 DR. HOLLINGER: Yes, Dr. Koerper, and then--

18 DR. KOERPER: Maybe I missed something here. Do
19 you have both an antibody test and a viral test?

20 DR. ALTER: No, just a viral test right now.

21 DR. KOERPER: So when you said that 92 percent of
22 those who showed TAH, they were viremic--

23 DR. ALTER: Viremic.

24 DR. KOERPER: --and 34 percent of the transfused
25 who did not have chemical hepatitis were also viremic--

1 DR. ALTER: Right.

2 DR. KOERPER: --then are you saying that all of
3 those individuals except for two cleared the virus?

4 DR. ALTER: The problem--let's see--we haven't
5 looked at that group, the group who didn't get hepatitis,
6 for long term. See, because we picked out the group--we
7 were just trying to address the issue of persistence of the
8 agent, and we took the people who had the transfusion-
9 associated non-A, B, C and the people who had transfusion-
10 associated SEN plus HCV, and it is in the HCV group that we
11 had the long-term samples because we were following HCV, so
12 we could go out 10, 12 years.

13 We didn't look at the other group. We could do
14 that. I don't think--I think that answer is probably
15 pretty--we have answered the two parts of it, that a lot of
16 people clear it and some people have persistence. If you
17 want to look at what is the relative portion of those who
18 persist, more numbers would help. But I think we really
19 answered the question. This is, can be a persistent virus,
20 and it generally clears within six months to a year, two
21 years, three years.

22 DR. KOERPER: So that is based on those that had
23 the combined HCV--

24 DR. ALTER: That is based on both those who had--
25 had SEN alone and had hepatitis, or those who had SEN plus

1 HCV and had hepatitis, so it was based on only hepatitis
2 cases.

3 DR. KOERPER: So that was 31 cases?

4 DR. ALTER: Yes, something like that.

5 DR. HOLLINGER: And, Harvey how did you decide, on
6 the ones who did not have any ALT elevations, what samples
7 to test?

8 DR. ALTER: We took the six-week sample from
9 everybody. Now--

10 DR. HOLLINGER: Isn't it a little unusual that
11 every six week sample is positive? I mean, I agree it is
12 positive, but isn't that funny?

13 DR. ALTER: Well, I am assuming that it is
14 probably positive earlier. Well, I was basing it on
15 hepatitis C, that most people would already be viremic by
16 six weeks and they would hang onto it for a while. We
17 really should do another point, yes.

18 DR. HOLLINGER: Any other questions before we move
19 on. Oh, yes, Dr. Katz.

20 DR. KATZ: The question we are focusing on here is
21 the predictive value of a history of hepatitis, and I just
22 wanted to be sure that I am hearing correctly that you
23 screen your donors for a history of hepatitis and none of
24 them gave that history.

25 DR. ALTER: We do whatever the FDA tells us to do.

1 DR. HOLLINGER: Let's--oh, yes, Dr. Stroncek?

2 DR. STRONCEK: I am not sure how relevant, but Jay
3 mentioned that the CDC might have data to suggest there is
4 some non-blood transmitted, non-A, non-B hepatitis other
5 than SEN-V. Well, we don't really care, if it is not
6 transmitted via blood, because the blood transfusions won't
7 obviously transmit it, so we don't have to ask about it.

8 DR. ALTER: If I may say, I think SEN is sort of a
9 red herring in the issue we are discussing here, because if
10 it turns out to be a real virus, we will have a test, but
11 this still will be something else that you would have to
12 worry about. So the issue is really, how worried are you
13 about non-A, B, C? What is the likelihood that these people
14 who transmit non-A, B, C will give a history of hepatitis?
15 And that is where I think it is probably close to none, and
16 I think you have to base your decision on that and not
17 whether SEN is relevant or not.

18 DR. HOLLINGER: Dr. Nishioka?

19 DR. NISHIOKA: However, you showed that it is
20 (inaudible) a genotype of the SEN virus, and any particular
21 type associated with that (inaudible)--

22 DR. ALTER: Any what?

23 DR. NISHIOKA: Any particular type among
24 (inaudible).

25 DR. ALTER: Yes. These are actually beyond

1 subtypes. These are really so divergent that they are
2 different members of perhaps the same family. And the one--
3 we just focused on two of them because those were the two
4 that appeared to correlate with hepatitis in our patients,
5 so we have arbitrarily picked those two. Some of the other
6 ones are much more prevalent and therefore wouldn't show the
7 distinctions.

8 DR. HOLLINGER: It is like the difference between
9 HGBC and a FLA-B variety group and hepatitis C. It is about
10 that big, 45 percent, 35 percent, up to others, so it is
11 very wide, very major.

12 Oh, yes, John?

13 DR. BOYLE: Just one question, and that is, the
14 discussion has been really in terms of whole blood
15 transfusion. SEN-V is described as a non-envelope virus.
16 Does it represent, or can you say anything about what it
17 represents for plasma products?

18 DR. ALTER: Well, I can't specifically. I would--
19 I mean, there would be no reason not to think that it is in
20 plasma as well as whole blood, and theoretically it would
21 not be inactivated by a solvent detergent but would be
22 inactivated by a nucleic acid inactivating agent.

23 DR. HOLLINGER: Part of that awaits, I think,
24 finding a good antibody test, I think, John, to be able to
25 pull these other things in, because you are sort of limited,

1 even like looking at the hemophilia population from say 1988
2 to the present time, versus before, to see if there is a
3 difference; unless you assume that there is a viremia that
4 persists in many of these individuals, which, as Harvey had
5 pointed out, may exist.

6 DR. ALTER: The company is working hard to develop
7 an antibody assay, which would give you then more complete
8 epidemiology.

9 DR. HOLLINGER: Okay. Thank you.

10 DR. BOYLE: Thank you.

11 DR. HOLLINGER: All right. Now we are going to
12 the open public hearing, and there is only one who has asked
13 to respond at this time, I think Dr. Katz for the AABB.
14 Louis, are you? Yes.

15 DR. KATZ: We have the distinct advantage of
16 writing these statements without hearing the new data, but
17 everything I have heard today I think supports the statement
18 that AABB is endorsing.

19 We support eliminating the requirement to
20 permanently defer potential volunteer donors with a history
21 of viral hepatitis after the age of 11 years. Our rationale
22 is based on accumulated lines of evidence suggesting that
23 this action will not decrease recipient safety. Further, it
24 will reduce the unneeded loss of over 10,000 donors yearly
25 at a time when the demand for blood components is poised to

1 outstrip the supply.

2 We have reached a point where we believe donor
3 historical screening should focus on current rather than
4 historically remote risks, and when simplification of donor
5 historical screening can allow us to focus on material
6 threats to the blood supply and donor safety in a more
7 straightforward fashion.

8 In the 1960s, with paid donors of unscreened
9 blood, hepatitis was a common outcome of transfusion. Since
10 1990, using sensitive assays for HBV, with the
11 identification of HCV and implementation of successively
12 more sensitive and specific HCV screening tests, post-
13 transfusion hepatitis has become so rare that prospective
14 studies have had to be replaced with mathematical modeling
15 to estimate its frequency.

16 After the implementation of HCV RNA screening and
17 minipools under IND, credible estimates suggest a risk for
18 this virus as in the range of 1 in 1 million. The use of
19 current hepatitis B surface antigen screening for HBV
20 infections is far more sensitive than a history of hepatitis
21 for this virus, given the high rate of unsymptomatic and
22 unrecognized infection in that subset destined to become
23 chronic carriers.

24 Current rates of post-transfusion hepatitis are
25 exceedingly low. Ongoing prospective surveillance for

1 clinically significant post-transfusion hepatitis at the NIH
2 Clinical Center, Harvey's cohorts, in the interval after
3 effective anti-HCV screening was implemented, is unable to
4 demonstrate a persistent problem.

5 In the U.K., donors with a history of jaundice are
6 permitted to donate, provided they are hepatitis B surface
7 antigen negative and more than one year has elapsed since
8 acute hepatitis B. In addition, since 1997, donors who
9 provide a history of hepatitis B in the U.K. are tested for
10 anti-core. If the anti-core is negative, they are qualified
11 donors. If the anti-core is positive, an anti-HBs is done,
12 and if protective levels are present, they are qualified to
13 donate blood.

14 Recently published data from the U.K.--reference
15 has been provided to the committee, I believe--reported the
16 prospective evaluation of 5,579 recipients of almost 22,000
17 units of blood for post-transfusion viral infection. No
18 infection attributable to transfusion was found in this
19 ongoing prospective cohort.

20 With regard to the putative non-A through E agents
21 of viral hepatitis, the evidence that clinically recognized
22 hepatitis would allow deferral of these donors is lacking.
23 That is, the history of hepatitis is an insensitive test
24 that will miss the majority of these individuals who had no
25 clinically consistent illness, and are characterized only by

1 abnormal transaminase levels, if that.

2 It is estimated that the proportion of clinically
3 apparent non-A through E cases is very low, based on studies
4 both at NIH and at CDC. Also, there is as yet no convincing
5 evidence of clinically significant chronic sequelae. Data
6 from a number of sources have documented the non-specificity
7 of the history of hepatitis which defers donors with prior
8 HAV, or donors who have been told by their physicians that
9 they had hepatitis associated with CMV or Epstein-Barr Virus
10 infections. These donors represent no additional threat to
11 blood recipients.

12 In summary, the AABB recommends elimination of the
13 requirement to exclude donors with a history of hepatitis as
14 an insensitive and non-specific donor screening tool.
15 Failing this, adoption of a system modeled after that in the
16 U.K. might allow blood collection facilities the option to
17 salvage many thousands of safe donors yearly. Thank you.

18 DR. HOLLINGER: Anybody? Yes, Dr. Bianco?

19 DR. BIANCO: I would like quickly, representing
20 America's Blood Centers, to support both the proposal from
21 AABB and the proposal that Dr. Harvey Alter made, and I
22 would request that the committee think that we should not be
23 acting out of fear and keeping things as they are when we
24 have the best opportunity for change we ever had.

25 The entire Workshop on History of Hepatitis was

1 almost unanimous recommending that we drop the question
2 because there was no value that could be recognized to the
3 question. And again today we heard a lot of information
4 saying that this question does not contribute to blood
5 safety. Let's let our donors focus on important questions--
6 they are the questions about risk behavior and the questions
7 about drug use--and think about what they did last week, not
8 what they did many years ago or what happened when they were
9 11 and a half. Thank you.

10 DR. HOLLINGER: Thank you. Is there anyone else
11 from the public sector that wishes to make a statement or
12 comment? Yes, please, and state your name, affiliation.

13 MR. HEALEY: Hi. My name is Chris Healey, and I
14 am the Director of Government Affairs for ABRA, the source
15 plasma collection trade association. I just want to say
16 that we support elimination of the question, as well. There
17 has been a lot of science discussed today that makes it
18 pretty clear, at least to us, that the question doesn't add
19 anything in terms of public health.

20 What hasn't been touched on is the donor screening
21 issue and the donor history questionnaire. I think Celso
22 started to go down that road. But we think that is an
23 important issue that needs to be taken into account as you
24 deliberate on this.

25 Our donors are overburdened with an overly lengthy

1 and confusing and complex questionnaire that is often a
2 turn-off to them, and we see fewer and fewer donors coming
3 in the door. We can attribute some of that to the
4 questionnaire. We think the history of hepatitis is sort of
5 a win-win in this case, because not only will it give us an
6 opportunity to streamline the questionnaire a little bit, it
7 won't do anything in terms of negatively impacting the
8 public health. Thanks.

9 DR. HOLLINGER: Thank you. Anyone else?

10 [No response.]

11 DR. HOLLINGER: If not, I am going to close this
12 portion of the session to the public for comments, and we
13 are going to open this up for committee discussion.

14 I will tell you a couple of things I might take
15 the liberty to do, if I may. Robin has had, on the back
16 page of the information you have, has four different
17 options. I would prefer, I would like to go down through
18 these options in terms of taking a vote on 1 through 4,
19 because I think it follows a little bit longer.

20 And here is how I would like to do it. I would
21 like to get a vote on whether we should keep the exclusion
22 the way it is. And then, depending on what that vote comes
23 out, then I would like to vote on entirely eliminating the
24 exclusion. And then I would like to come and talk about
25 modifying the exclusion by excluding donors with a history

1 of hepatitis that occurred during a limited period of time,
2 that is, during the past year. And then finally the
3 question which is before us, which is modifying the
4 exclusion by accepting donors whose previous viral hepatitis
5 could be documented.

6 Is there any objection on the committee to going
7 through these four, voting on each one of them specifically,
8 and seeing where we are? Anybody have any objections to
9 that?

10 [No response.]

11 DR. HOLLINGER: Okay, so I would be glad to open
12 this up for discussion right now, or we can just sort of
13 start to vote and go with that one.

14 Yes, John? Please.

15 DR. BOYLE: Just one thing, and it is the year
16 exclusion. If it really is a year that you are concerned
17 about, if you do surveys and you ask questions about
18 recency, people telescope. They telescope bad things
19 further away and they telescope good things closer to. So
20 if you really are aiming to make it a year, then you better
21 ask about two years, because otherwise you are going to get
22 people within a year. That is the way people answer
23 questions.

24 DR. HOLLINGER: Yes. I think their feeling is
25 that six months is probably what they want, and that is why

1 they ask a year. But your point about telescoping is
2 exactly right, and I think that was the reason for it.

3 Yes? Toby.

4 DR. SIMON: I would just like to make a couple of
5 comments. I did also participate in the workshop, and I
6 wanted to reiterate what other people have said, that from
7 the expertise in the field there was, if not unanimity,
8 there was certainly a strong sense that this question does
9 not add to safety, and it is a step that we could take to
10 add more donors and to simplify the screening procedure
11 without impacting the safety of the product.

12 But I think another point that I don't think has
13 come up, and at the workshop Dr. Bianco had presented his
14 data from the survey and there was this estimate that we are
15 losing 13,000 donors a year, I believe, and that number has
16 kind of now managed to make its way through a number of
17 presentations, I would just like to make the point that that
18 is then every year.

19 So presumably the people we are excluding from
20 hepatitis this year are not the same ones we excluded last
21 year and the year before, so just from people who have
22 excluded themselves or who have been excluded in the
23 interview from asking that question, we are probably well
24 over 100,000 blood donors in the last 10 or so years, and
25 maybe an almost similar number of plasma donors. So the

1 gain could be quite considerable.

2 And I would also like to point out that both blood
3 and plasma centers for many years in their recruitment
4 materials have always had that little item, that if you have
5 had hepatitis, or more recently hepatitis since age 11, you
6 cannot donate. So this information has been given out to
7 people before they come in, so it is likely that the number
8 of people excluded by the question underestimates what the
9 gain would be in donors if we put out the information that
10 you could donate with a history of hepatitis, depending on
11 how you wanted to handle that. So I think the gain in
12 donors here could be very, very significant.

13 DR. HOLLINGER: Thank you, Dr. Simon.

14 Yes, Dr. Macik?

15 DR. MACIK: Yes. I am not a blood banker, and I
16 am always amused by what I find out, because I until today
17 didn't know about this 11-year-old, and so I have been
18 donating blood for years, having had hepatitis when I was
19 15. I don't know why, I just turned yellow. So there is, I
20 think--and I am a doctor. So there must be a lot of people
21 we don't catch that have been donating for a long time, so
22 you know, these questionnaires become so long that you don't
23 really think about what goes on. Maybe I haven't donated
24 since they have added age 11 in, to think back that far.

25 DR. HOLLINGER: You are still yellow.

1 [Laughter.]

2 DR. HOLLINGER: Go ahead, Dr. Chamberland.

3 DR. CHAMBERLAND: I just had a question that
4 pertains to option four on the list, which I guess is the
5 option that FDA actually presented preferentially before us,
6 in the--when Robin went through the pros and cons,
7 acknowledged that it would be perhaps difficult for people
8 to produce documentation as to the type of hepatitis they
9 had. And I was just curious if anybody has ever attempted
10 to do that, if any of the blood banks have attempted to
11 probe further and find documentation, and what can you tell
12 us about how successful people are in producing the
13 documentation, and what is it that you find with it?

14 DR. KATZ: At the risk of speaking for my
15 colleagues, this comes up particularly in reference to the
16 donor that comes in, "Well, I think I was told it was when I
17 had mono," which are by the way unacceptable donors without
18 an exemption from the FDA, anyway. And less than half of
19 them in fact can, if the doc is still alive, dredge up the
20 records and bring us the documentation of what laboratory
21 studies, looking strictly at laboratory documentation. It
22 is very unusual. Not zero, but--

23 DR. CHAMBERLAND: So what we would be asking for,
24 for example, in that history of the infectious mono, you
25 would be--the documentation that you would be seeking is not

1 only serology for EBV but also elevated ALTs?

2 DR. KATZ: Well, I won't speak for the agency, but
3 I would suspect that they would be interested in
4 seroconversion or IgM--

5 DR. CHAMBERLAND: Right.

6 DR. KATZ: --or something of that nature that
7 would have identified the acute episode as in fact related
8 to that agent.

9 DR. CHAMBERLAND: Okay.

10 DR. HOLLINGER: Yes, Dr. Schmidt?

11 DR. SCHMIDT: I think you might have to wait with
12 that last one until somebody buries that little chip in your
13 head with your medical history, but then be sure nobody
14 sells counterfeit chips, so it is difficult.

15 DR. SIMON: Just as another example, with the dura
16 mater question for CJD risk, we get a lot of people, "Well,
17 I had neurosurgery, but I don't know whether I had it."
18 Often it is with childhood, and it has been very frustrating
19 to try to get the information from some source, whether they
20 did or did not have a dura mater graft. So I think it would
21 be extremely difficult to get documentation.

22 DR. HOLLINGER: Okay. What I would like to do is
23 --yes, Marion?

24 DR. KOERPER: It seems to me that this kind of
25 question was appropriate back in the days when we didn't

1 have good serologies, but now the serologies for hepatitis
2 itself are so good. Probably a lot of people like Dr. Macik
3 had mono, but probably nobody even did serologies back then
4 to see if she had mono. And so I think we have really good
5 serologies for hepatitis, for the kind of hepatitis that we
6 are worried about, and I think that is much more important,
7 that the testing is being done, rather than making people
8 dredge up old medical records from 20 years ago.

9 DR. HOLLINGER: Thank you. Yes, Robin? Please.

10 DR. BISWAS: I just wanted to say that in regard
11 to the fourth option, where we would be sort of looking for
12 documentation, I mean you remember that when people go say
13 to a restaurant or they go to a picnic, or they eat a lot of
14 strawberries or something and then turn yellow, many of
15 those people are going to go to their physicians, and if
16 they are good, sharp physicians, you know, they would be
17 doing an HAV IgM. So what we are saying is that, yes,
18 getting the documentation might be difficult, but in some
19 cases, in a few cases one might be able to eventually
20 reenter these people.

21 The other thing I would just like, you know, to
22 say before you, you know, before you vote on it, is that
23 Harvey has shown us a lot of very, very interesting data,
24 but remember that the data gathering is still carrying on,
25 and in fact Ian Williams has not yet tested all his--is that

1 what I understood?--has not tested all his non-A through E's
2 for SEN-V, and that data will be presented, hopefully, at
3 the Atlanta meeting next month. So I just wanted to remind
4 you that there is still data gathering going on, and that is
5 what I wanted to say.

6 DR. BIANCO: If the chairman allows me, I would
7 like to ask Dr. Biswas, how do you think this data will
8 contribute to the value of medical history?

9 DR. BISWAS: Could you--Celso, what exactly do you
10 mean?

11 DR. BIANCO: I mean this subject that we are
12 trying to discuss. I think that all the evidence that I
13 heard from Harvey and the other presenters is that these
14 people, there is no medical history, and the people that are
15 being studied by the CDC, they all have a medical history.
16 And if they have SEN-V or not, 2 percent of them have, but
17 what is the relationship with the question about hepatitis
18 and medical history? The only way we are going to eliminate
19 these people is if we find that this is important and we
20 have a test and we do that.

21 DR. BIANCO: Well, I think that maybe, you know,
22 Ian can answer that. I mean, one thing that I heard him say
23 is that 22 percent of these, of the acute non-A through E
24 cases become chronic.

25 DR. WILLIAMS: I think the point is, is that we do

1 see people who really are acute, acute non-A through E
2 hepatitis, and we know a small portion of them, around 4 to
3 5 percent of these non-A through E's, are transfusion-
4 related, so there is probably an agent out there that may be
5 a blood-borne pathogen. There may be multiple agents left
6 in that small part of the 3 percent. So the question is,
7 are there other agents out there? And we are looking
8 through our group of non-A through E's to see, maybe some of
9 these are SEN-V. I don't know. That is all I am saying.

10 DR. BIANCO: Well, we defer individuals that
11 received a transfusion for a year from donating blood.

12 DR. WILLIAMS: The people in our study are people
13 who got acute hepatitis and they had a blood donation within
14 the six weeks to six months prior to their onset of illness.

15 DR. HOLLINGER: What percentage also were chronic?
16 I want to be sure--

17 DR. WILLIAMS: Of the whole non-A through E group,
18 about 22 percent. About 22 percent went on to develop
19 biochemical evidence of chronic hepatitis.

20 DR. HOLLINGER: That persisted for at least--

21 DR. WILLIAMS: At least two follow-up visits,
22 which--because not everybody comes back--so it would be at
23 least a year, but some people, it is longer than that.

24 DR. HOLLINGER: And they have been biopsied?

25 DR. WILLIAMS: No.

1 DR. HOLLINGER: So why hasn't somebody biopsied
2 them at this stage?

3 DR. WILLIAMS: We would have to go back and find
4 them and biopsy them. It is not part of our protocol to
5 biopsy people.

6 DR. HOLLINGER: Mary?

7 DR. CHAMBERLAND: Ian, I think that is like one of
8 the sticking points in terms of the universe of data that is
9 being examined to address this question, is that 3 percent
10 in the Sentinel Counties, and I think maybe with this
11 nagging question, what is it that these 3 percent have? And
12 I guess I just wanted to ask you to clarify one more time,
13 besides evaluating these individuals for SEN-V, is there
14 anything else that either we at CDC do systematically to
15 further evaluate them, or through a more passive approach of
16 trying to pursue medical records, at least to review the
17 medical records, either prospectively or retrospectively, to
18 just try and get a better answer on what is it?

19 DR. WILLIAMS: Not beyond their acute phase. I
20 mean, these people are acute symptomatic cases. I mean,
21 really epidemiologically they are different. They tend to
22 be more white; they are not drug, they tend to be less drug
23 users; they are not transfusion related. I mean, they look
24 like a different group when compared with hepatitis C. So
25 epidemiologically they are different than people who have

1 hepatitis C. That is about what I can tell you.

2 But we don't do systematic follow-up on these
3 people. That is not the point of the study. So I can't
4 tell you a whole lot about the natural history of non-A
5 through E. We do have a small group of people, about 20
6 people, that is part of this 1985 or '86 cohort we are
7 following, and those people are as well being tested for
8 SEN-V, but I mean we are only talking about a handful of
9 people, so it is hard to make conclusions based on a couple
10 of people.

11 DR. HOLLINGER: And I presume all of the other
12 things have been ruled out, Wilson's disease and autoimmune
13 hepatitis, and how about obesity?

14 DR. WILLIAMS: Yes, we try to. We consult with
15 the physician. We do extensive medical chart reviews. We
16 do everything we can to try to rule out other causes.

17 DR. HOLLINGER: Marion?

18 DR. KOERPER: Has anyone looked at a group of
19 patients who have either chronic active hepatitis or
20 cirrhosis, to see if they are SEN positive?

21 DR. ALTER: We are looking at that now. We have--

22 DR. HOLLINGER: Harvey, could you use a
23 microphone, please?

24 DR. ALTER: We are looking at a lot of groups of
25 patients with chronic cryptogenic hepatitis, cirrhosis,

1 liver cancer, etcetera, and we have already done some. I
2 don't have the data in a clean form to present. There is no
3 dramatic picture that this is the agent of typical
4 cryptogenic hepatitis or cirrhosis. The best data are
5 really in the transfusion study, and the rest are much more
6 equivocal.

7 DR. WILLIAMS: We actually are looking at a
8 similar group from Harlem, from the previous data that was
9 published in Hepatology last year, and hopefully will have
10 results from that in a month, as well.

11 DR. HOLLINGER: We have looked at 360 patients
12 with hepatocellular carcinoma in this group, and the odds
13 ratio is about 2.8 so far in early studies, in patients who
14 do not have B or C, in which the odds ratio is running
15 between 19 and 30 that--and the confidence interval is above
16 1 in this group. So there does appear to be, at least in
17 the early studies, perhaps some relationship with
18 hepatocellular carcinoma.

19 Anybody else? Any other comments?

20 [No response.]

21 DR. HOLLINGER: Okay. If not, let me just start
22 by, and you can ask questions any time you want to, but let
23 me start with at least the first one. First I would like to
24 have the vote on how many of the committee members would
25 vote on keeping the exclusion the way it is. So the

1 question is, how many would vote for keeping the exclusion.
2 All those in favor of keeping the exclusion, raise your
3 hand.

4 [No response.]

5 DR. HOLLINGER: All those opposed?

6 [A show of hands.]

7 DR. HOLLINGER: - All right. Abstaining?

8 [No response.]

9 DR. HOLLINGER: And Dr. Simon and Ms. Knowles?

10 MS. KNOWLES: I would vote to--with the rest.

11 DR. SIMON: Also, same.

12 DR. HOLLINGER: Okay. Yes, please.

13 DR. SMALLWOOD: The results of voting on keeping
14 the exclusion as it is: There were no "yes" votes and there
15 were 13 "no" votes. The consumer and industry rep both
16 agreed with the "no" votes.

17 DR. HOLLINGER: The second question I would like
18 to bring up is, how many here would be in favor of entirely
19 eliminating the exclusion for a history of hepatitis? This
20 would be for entirely eliminating the exclusion for a
21 history of hepatitis. All those in favor of entirely
22 eliminating the exclusion for a history of hepatitis, raise
23 your hand.

24 [No response.]

25 DR. HOLLINGER: All those opposed?

1 those in favor of that, raise your hand.

2 [A show of hands.]

3 DR. HOLLINGER: All those opposed?

4 [No response.]

5 DR. HOLLINGER: Abstaining?

6 [No response.]

7 DR. HOLLINGER: Dr. Simon?

8 DR. SIMON: I vote for it, yes.

9 DR. HOLLINGER: Ms. Knowles?

10 MS. KNOWLES: Yes.

11 DR. SMALLWOOD: Results of voting for modifying
12 the exclusion by excluding donors with a history of clinical
13 hepatitis for a limited time period, e.g., for one year
14 after disappearance of symptoms: There were 13 votes in
15 favor. There were zero "no" votes, no abstentions, and the
16 consumer and industry rep agreed with the "yes" votes, those
17 in favor.

18 DR. HOLLINGER: Thank you, Linda.

19 With that in mind, I don't see any reason to vote
20 on the last question, then, at this point. So I think that
21 probably concludes this issue here. We are going to take,
22 let's see--yes, we had better. Can we take about a 15-
23 minute break, and then we are going to come back and start
24 dealing with the nucleic acid, HBV DNA and nucleic acid
25 issue. Thank you.

1 [Recess.]

2 DR. SMALLWOOD: May I ask the committee members to
3 please return to your seats? May I have the cooperation of
4 the audience? May I ask that everyone please be seated so
5 that we may continue? Since I now know you like to stay
6 late, we can start the meetings later in the morning.

7 DR. HOLLINGER: Thank you, Dr. Smallwood. You see
8 Dr. Chambers actually eating her supper here, so she expects
9 to be here until about 9:00 tonight. I hope the rest of you
10 got supper.

11 Okay. This session, we are going to discuss HBV
12 Nucleic Acid Testing, and Ed Tabor is going to give us the
13 introduction, the background to the issues here, and then we
14 will have several presentations following this. Ed?

15 DR. TABOR: Throughout the blood and plasma
16 industries in the United States, investigational testing
17 systems under INDs have been put in place during the past
18 two years to test minipools for HCV RNA and HIV RNA by NAT.
19 By the end of 1999, approximately 95 percent or more of
20 blood and plasma collected in the United States was being
21 tested by NAT on minipools for both HCV and HIV.

22 Although some plasma donations are being tested by
23 HBV NAT in minipools at present, screening by HBV NAT was
24 not implemented at the same time as for HCV and HIV because
25 the benefits of HBV NAT were initially thought to be much

1 less than those that would result from HCV and HIV NAT
2 screening.

3 For instance, although the prevalence of window
4 period donations was known to be higher for HBV than for HCV
5 or HIV, 1 in 63,000 donations for HBV compared to 1 in
6 103,000 for HCV and one in 493,000 for HIV in the classic
7 paper by Schreiber et al.; NAT for HBV was expected to
8 detect fewer positive donations. HBV titers are lower
9 during the window period than during subsequent months of
10 infection, whereas HCV and HIV titers in blood are highest
11 in the window period. The lesser sensitivity in general of
12 HBV NAT when compared to HCV NAT and HIV NAT would also
13 contribute to its lesser utility.

14 Concern had been felt that removal of HBV NAT
15 positive donations might inadvertently reduce the anti-HBs
16 concentration for plasma pools, if it transpired that some
17 resolving infections had HBV DNA in serum as well as anti-
18 HBs, as suggested by some recent publications.

19 Another point of view, proposed by a panelist at
20 the CBER workshop on NAT implementation held in December
21 1999, was that setting a more sensitive detection level as a
22 requirement for release of HBsAg test kits might achieve a
23 similar reduction in the number of window period cases as
24 would NAT screening on minipools for HBV DNA. The relative
25 benefits of more sensitive HBsAg immunoassays and minipool

1 NAT testing cannot be stated precisely without additional
2 studies.

3 Some currently licensed HBsAg screening tests are
4 already so sensitive that they can detect samples in which
5 the viral load is 1,000 copies per mL. Thus, the only
6 undetected window period cases for units screened with those
7 tests would contain fewer than 1,000 copies per mL. For
8 this reason, NAT minipool testing for HBV would have to be
9 very sensitive to be useful as an adjunct to better HBsAg
10 assays, and even testing a 20-sample minipool would so
11 dilute the positive sample that the testing would be
12 inadequate in many cases.

13 However, just as the implementation of HCV NAT and
14 HIV NAT, particularly HCV NAT under IND, occurred sooner in
15 the United States than would have occurred otherwise due to
16 the requirements of the European regulatory authorities,
17 there was concern that pressure for HBV NAT screening might
18 occur sooner than would be practical if the Japanese
19 regulatory authorities required it for plasma or for plasma
20 derivatives imported to Japan.

21 However, during the December 1999 workshop, an
22 official of the Japanese regulatory agency stated that Japan
23 would not require HBV NAT for plasma until U.S.
24 manufacturers were able to do such testing. In contrast,
25 Japan has been requiring HBV NAT testing on minipools for

1 whole blood since October 1999, and we will be hearing more
2 about that in some of the coming presentations.

3 Preliminary data from a number of studies suggest
4 that the rate of detection of HBV by NAT screening has been
5 higher than was expected, and these data have caused a
6 reexamination of the possible benefits of HBV NAT screening.
7 This is, in part, the reason for placing this topic on the
8 agenda today. The present session of the March meeting of
9 the Blood Products Advisory Committee was designed to
10 address this issue, and we are grateful to the speakers who
11 will be presenting recent data from the use of HBV NAT
12 screening of minipools. Thank you.

13 DR. HOLLINGER: Thank you, Ed.

14 The first presentation, then, is going to be by
15 Dr. Susan Stramer.

16 Yes, Dr. Simon?

17 DR. SIMON: One quick question. I gather this is
18 just to inform us and keep us--there is no specific--

19 DR. HOLLINGER: Yes. There are no questions, to
20 my knowledge, associated with this session. To inform us,
21 but also to provide some questions for them, too, and
22 answers, hopefully.

23 DR. STRAMER: Thank you, and thank you for the
24 tolerance of the committee, for allowing me to rearrange the
25 schedule a little bit so I can catch a flight.

1 Thank you. In keeping with Dr. Tabor's
2 introduction, what I am actually going to show, even before
3 you see the results of pooled mini testing for hepatitis B
4 DNA, I am going to show you alternatives to that, and Dr.
5 Tabor alluded to those in some studies with improved
6 sensitivity HBsAg tests. This really will involve three
7 studies, but just to allow you to keep in mind that there
8 are alternatives to HBV DNA pool testing that will achieve
9 equal or better sensitivity.

10 So my outline includes three presentations, as I
11 said. One will be an evaluation that we did with pooled PCR
12 with National Genetics Institutes tests versus HBsAg using
13 the Abbott Auszyme test. Then the second two studies were
14 actually two different protocols with HBsAg tests. One was
15 the Ortho current test, versus the Genetic Systems newly
16 licensed test that uses a Shaker protocol, and in that way
17 allow much enhanced HBsAg sensitivity. And then the last
18 study I am going to show is from the U.S. clinical studies
19 of the current Abbott test versus PRISM.

20 Now all of these studies used different panels and
21 each of these tests has different sensitivities, so I hope
22 this isn't too confusing as I go through the 2 by 2 studies.

23 Firstly, I have shown this slide probably at Blood
24 Product Advisory Committee meetings before, but it shows the
25 results of seroconversion samples, 13, I should say

1 seroconversion panels or 13 seroconverting donors with 181
2 samples, and it shows the different stages during HBV
3 seroconversion and HBV marker development in seroconversion.

4 The first stage here is the stage that we are
5 primarily interested in. This is the stage where DNA is
6 positive for hepatitis B but all other markers, that is,
7 HBsAg, are negative. This next stage, now, represents HBsAg
8 positivity, and you can see the median of these first
9 positive panels is at about 100,000 copies per mL, so quite
10 a high viral load. But in contrast I am going to focus
11 first on these samples, because these are the samples that
12 we are talking about in minipool testing.

13 Firstly, when we did the study with NGI, we looked
14 at a pool size of 500. At a pool size of 500, this would be
15 a 6,000 copy per mL sensitivity. So if you look at the
16 population, these represent the outliers or those samples
17 outside 95 percent confidence. We would not detect 95
18 percent of the population.

19 If we used a more conservative cutoff of 1,600,
20 which is comparable to what the Red Cross screening program
21 is using, in that if we used a test that had 100 copies per
22 mL sensitivity and a 16-member pool size, we would have
23 1,600 copy sensitivity cutoff, and then you can see we would
24 detect more samples.

25 So to go through that in a little bit more detail,

1 of the 13 panels I showed you, the median viral load in the
2 first samples DNA positive was 600 copies per mL. If you
3 look at all of the samples from these 13 panels in that
4 first category, that is, DNA positive, HBsAg negative, they
5 had a median copy level of 750 copies per mL.

6 So if you look at what the cutoffs would be, at a
7 6,000 per mL cutoff for a pool size of 500, only 3 out of 13
8 individuals would have been detected. The three that would
9 be detected, that would have concentrations above the cutoff
10 of 6,000, have copy numbers of 6,500, 8,000, and 10,000.
11 But again, the other samples of these 32 would not be
12 detected.

13 If you drop the cutoff now by using a smaller pool
14 size and going to 1,600 copies per mL, you would detect 5 of
15 13 of these seroconverting individuals. Now eight samples
16 would be positive, so in addition to these three
17 concentrations, we also would pick up samples that had this
18 viral load, 4,400 to 6,000. Of these eight samples, there
19 would be a four-day median window period reduction of a
20 nine-day total.

21 However, the panels not detected at a 1,600 copy
22 per mL cutoff still included the majority or eight, and
23 involved 24 samples that had a concentration of 100 to 1,500
24 copies per mL, or a 550 median copy level.

25 DR. HOLLINGER: Sue, before you go on to that,

1 just one minute. That should be five, should it not, under
2 the number apparently detected at 1,600, five samples, not
3 eight?

4 DR. STRAMER: Not detected at 1,600.

5 DR. HOLLINGER: No, the one above that.

6 DR. STRAMER: No, these are five donors with eight
7 samples.

8 DR. HOLLINGER: Okay. Thank you.

9 DR. STRAMER: Right. I don't mean this to be
10 confusing, but we are still talking about detection of
11 individuals and then how many samples that these individuals
12 contribute to the study. But the main points here are just
13 to look at the viral load that we are dealing with pre-
14 HBsAg, in this particular study with these particular
15 samples.

16 Now to look at the profiles of some of these
17 representative seroconverters, looking at the two different
18 cutoffs so you can see what would be detected. The pink
19 line here represents HBV DNA. The orange line represents
20 HBsAg. Looking at a period of time this long, these two
21 almost exactly virtually coincide, and actually in this case
22 the first day detected by a pooled test at a cutoff of 1,600
23 would equal the first HBsAg sample positive, even with
24 current testing.

25 Looking at another sample, another donor, you see

1 the same thing. This again is a very long period of time.
2 Here you see a little shoulder of HBV DNA, but as Dr. Tabor
3 mentioned, this is a very low DNA copy level. First samples
4 detected by pooled NAT or HBsAg would in fact be on the same
5 day.

6 This is a profile in a chronic carrier. Here you
7 actually do see a four-day difference, although you can see
8 it is very short, four days, where DNA is detected before
9 HBsAg.

10 Moving on to the next study, we looked at
11 increased sensitivity HBsAg tests that have recently been
12 licensed. We use the Ortho test currently, and for this
13 evaluation we looked at Ortho versus the Genetic Systems
14 assay which uses a Shaker mode. We looked at a total of 21
15 seroconverting individuals. They weren't necessarily the
16 same panels I just showed you, but they were 21 that were
17 commercially available for the study.

18 Interestingly enough, of these 21 panels, we
19 looked at 184 total samples. There were 57 discordant
20 samples, meaning that they were positive by Genetic Systems
21 but negative by the current Ortho test. Interestingly
22 enough, even using Neat PCR, which in this case had a 400
23 copy per mL cutoff--we used the Roche test--56 of 57 were
24 PCR positive, so one wasn't even PCR positive that was
25 detected by the Genetic Systems test.

1 Calculating window period reduction and based on
2 incidence of HBV, what we think we would detect looking at
3 the Ortho test, Genetic Systems, and PCR in a qualitative
4 mode, we would see a 9-day with a range of 2 to 18 day
5 window period reduction just by going to a different test
6 for HBsAg, leaving only 7 days or less than half of the 16-
7 day window period available for single donation testing,
8 even at a 400 per mL copy. And we expect that when single
9 donation testing is available, it will have better
10 sensitivity than 400 copies, but from the results of this
11 study, this is the break-out.

12 If you look at incidence based on--if you look at
13 detection based on 9.5 per 100,000 incidence, we predict per
14 million donations screened, this would be an additional
15 pick-up of 2.3 per million and then leaving an additional
16 1.8 for single donation PCR, if it has this kind of cutoff.

17 Now to show you some of these representative
18 panels, that is what I have in the next couple of slides.
19 The blue bars here represent HBsAg concentration. The
20 orange line represents the Ortho test. Green, Genetic
21 Systems; and pink, DNA quantitative copy level. We only had
22 quantitation for 8 of these 21 panels, and this is a
23 sampling of those 8.

24 So here you can see that using an EIA cutoff, this
25 is the first positive sample. Using a pooled NAT cutoff of

1 1,600, which would be a 100 copies per mL sensitive test in
2 a pool size of 16, we would detect DNA at the same time that
3 we detect HBsAg, so no improvement. In this panel we would
4 actually see DNA come up after HBsAg, since this sample is
5 above the cutoff and this sample is above the pooled cutoff
6 by PCR. And then this is the first sample positive by the
7 current test. Lastly, here is another panel that shows
8 equivalence, DNA, Genetic Systems, and the Ortho test.

9 Of the eight that we could do quantitative
10 analysis on, Genetic Systems picked up HBsAg using
11 quantitation of nanograms per mL, which is the convention
12 used for purified HBsAg, but in these panels detected at .14
13 to .34 nanograms per mL, and in the Ortho test at greater
14 than 8 nanograms per mL.

15 Looking at the DNA testing that was done in
16 quantitation with a 400 copy per mL cutoff, the first sample
17 per panel that was detected by Genetic Systems, that was
18 missed by Ortho, had a median copy concentration of 6,500
19 with a range of 800 to 20,000. Now, 800 is below the cutoff
20 of the 1,600 pool size, so that equates to missing or not
21 detecting two out of the eight panels by pooled PCR that
22 first would be detected by the improved EIA.

23 Similarly, if you look at another study looking at
24 another group of seroconverters, in this case 25
25 seroconversion panels analyzed by a five-stage Markoff model

1 that was developed by Glen Satten, or applied by Glen Satten
2 to these data, here we are looking at seroconverters over
3 time. The yellow line here--excuse me--the orange line here
4 shows you days theoretically of HBsAg EIA positivity by the
5 current test.

6 If you look at PRISM, which is an improved HBsAg
7 detection automated system, you see a 12.6 day extension
8 into the period of time where anti-core becomes positive,
9 but more importantly, you see an extension of 6.8 days
10 forward into the pre-HBsAg positive window period, and this
11 entire window period here is covered by DNA. So we see 6.8
12 days on the front end of seroconversion and 12.6 days on the
13 back end.

14 The green bars show you the DNA concentration
15 performed out of a subset of these 25 panels. The
16 quantitation was only done in this case on seven panels.

17 Now to look at the copy concentrations of
18 relevance, if we look at the DNA positive samples that were
19 PRISM positive, current test negative, the mean detection in
20 copies per mL from the seven panels was 3,340 copies with a
21 range of 100 to 8,000, and I mentioned the 6.8 day earlier
22 detection. But again you see this recurrent theme that not
23 all would be detected by pooled NAT testing using a cutoff
24 of 1,600 copies per mL.

25 Looking at the anti-core positive samples, that

1 is, the back end window, we would see a 12.6 day extension
2 of HBsAg detection, and again very low copy numbers here.
3 But again these are anti-core reactive samples, so these are
4 not necessarily the samples of interest.

5 If we look at the DNA positive samples that were
6 PRISM negative, the very early seroconversion samples or the
7 very early positive samples, the mean copy level was 240
8 copies with a range of 120 to 500. Therefore, none of these
9 would be predicted to be detected by NAT testing, that is,
10 in pools.

11 To apply the last two studies kind of side-by-side
12 to see how they rank against the two HBsAg subtypes, Ad and
13 Ay in the U.S., we have the first study of Ortho and GS and
14 then the U.S. clinical trials, Abbott current and PRISM, and
15 this shows you a comparison of purified nanogram per mL
16 detection, so clearly these two tests have greatly improved
17 sensitivity over the two tests that most blood banks use.

18 So, in summary of the three studies, and I have
19 one slide for each study, pooled NAT testing in our first
20 study offered little improvement in sensitivity versus the
21 current test that was the comparator. Now in the NGI study,
22 even when we dropped the cutoff to 1,600, we only saw 5 of
23 13 individuals who were detected by pooled NAT, with a
24 window period reduction of four over the nine-day total.

25 The second two studies, looking at improved tests

1 for HBsAg, actually showed a slightly better sensitivity
2 than pooled NAT testing. In the Ortho versus Genetic
3 Systems Shaker assay, we saw all 21 individuals studied had
4 improved detection over the current test, with a 9-day
5 window period reduction over the 16-day total, significant
6 improvements in the concentration detected of HBsAg, and the
7 first discordant samples or the first samples detected had a
8 median of 6,500, with a range going down to 800 to 20,000.
9 But again, two of eight of these would not have been
10 detected by pooled NAT testing, whereas the EIA would have
11 detected all.

12 And then lastly, in the last comparative study
13 where 25 individuals were looked at, all 25 had improved
14 detection over the current test with a 6.8 day mean window
15 period reduction, but in this study the mean copy level was
16 3,440 and the range was 100 to 8,000. So, again, not all
17 would have been detected by pooled NAT testing at a cutoff
18 of 1,600.

19 And lastly, looking at the DNA-only positive
20 samples, none of these would have been detected by pooled
21 NAT testing, again at a 1,600 copy per mL cutoff, because of
22 the very low viral copy number in these early positive
23 samples. So, again, alternates to pooled NAT testing do
24 agree if we move to more sensitive HBsAg tests.

25 Thank you.

1 DR. HOLLINGER: Thank you, Sue.

2 Anyone have questions of Sue? Because she will
3 not be here, probably, later on. Yes, Dr. Simon?

4 DR. SIMON: I didn't quite understand that last
5 comment. You mean we need a better test than the pooled
6 NAT?

7 DR. STRAMER: I am saying more benefit could be
8 gained from simply going to HBsAg tests of improved
9 sensitivity versus doing pooled NAT, even in small pool
10 sizes of 16.

11 DR. SIMON: So some sort of test that is not
12 nucleic acid based, is that?

13 DR. STRAMER: Right. This is just substituting
14 our current HBsAg test that the whole blood industry and the
15 plasma centers use for another test that has better HBsAg
16 sensitivity, so I am saying we would decrease the viral
17 burden, in your case in plasma pools, greater by just doing
18 HBsAg testing that had improved sensitivity over doing a
19 pooled NAT test.

20 DR. HOLLINGER: Any other questions of Dr.
21 Stramer? Yes, Dr. Conrad?

22 DR. CONRAD: I hate to contradict ourselves, but
23 when we went and looked for--there maybe something wrong
24 with those panels, because when we go look forward now, I
25 think from Centeon and from us, you will see that we are

1 finding a ton of people that are persistently viremic but
2 not coming up positive with the antigen tests. Now, we are
3 using PRISM or Auszyme, and it is contradictory to that,
4 and I don't know if it is because those panels are somehow
5 modifying what we found, because de facto we knew they
6 seroconverted.

7 And so there is something strange about it,
8 because we are seeing prospectively many more than we ever
9 thought we would, because looking at that, we thought, well,
10 it is not going to yield very much, but somehow it is
11 yielding because we are only doing PCR and then later going
12 to do the other tests. So--

13 DR. STRAMER: I am not saying we wouldn't detect
14 samples. I showed 5 of 13 that would be detected in a pool
15 size of 16. I can't comment on what "tons" mean, and we
16 have to put some number around "tons".

17 DR. CONRAD: Tons are 1 in 3,900 donors. I mean,
18 we will show that.

19 DR. STRAMER: I understand that, and again, we
20 can't compare incidence in perhaps plasma versus whole
21 blood, and again, we have to look at the factor of anti-core
22 testing, because I didn't mention but we also do anti-core.
23 And I believe many of the plasma samples that are positive,
24 we would have picked up with anti-core testing.

25 DR. CONRAD: We did anti-core testing, and about

1 half of them were.

2 DR. STRAMER: Okay. Well, that is half ton, then;
3 half a ton.

4 DR. HOLLINGER: Any other questions?

5 [No response.]

6 DR. HOLLINGER: Thank you, Sue.

7 The next presenter, then, will be Dr. Nishioka
8 from Japan, to talk about their experience.

9 DR. NISHIOKA: Thank you, Blaine. It is my great
10 pleasure to be invited here as the only non-U.S. citizen in
11 this important meeting. I would like to present our
12 experience of the NAT screening of hepatitis B, hepatitis C,
13 and HIV simultaneously, using a triple experience. May I
14 have the first slide, please?

15 Yes. You know the serological marker is a rather
16 indirect measurement of hepatitis B, and the seroconversions
17 are rather late, but the NAT marker is a direct measurement
18 of hepatitis B. We don't worry about a non-viral protein or
19 something, and the NAT conversions are early. Next.

20 The growth curves of hepatitis B, that is based on
21 a BBI panel. It is (inaudible) it against the date,
22 starting from the extrapolated 10 to the zero copies per mL,
23 it shows exponential growth in the early stage of infection,
24 and if we could shorten that window period, that means the
25 virus load escaped from screening is exponentially reduced.

1 The doubling time or log time to hepatitis B, based on this,
2 calculated 6.5 days for hepatitis B, and hepatitis C is 1.0
3 days, and HIV is 1.9 days.

4 Well, we can change that, not 100 percent, but NAT
5 narrows the window period as well as exponentially reduces
6 the virus load escaped from the screening tests for blood
7 transfusion and plasma sources. We start the NAT screening
8 for the plasma derivatives in 1997, but we have (inaudible)
9 to the window period blood transfusion of HIV last October,
10 and since then we shift our system to the entire blood
11 transfusion, very rapid screening, and to the entire blood
12 transfusion, two days after the blood transfusion.

13 For that, the NAT screening for blood, the
14 serological prescreening, it is faster, very fast, to avoid
15 the carryover in the NAT test and automatic agglutination
16 test using PK7200. That is very rapid screening out,
17 although the sensitivity is not for--it is very (inaudible),
18 rather lower than EIA, but for the rapid screening and to
19 screen out the high titer of HBV it is very important.

20 And then shipped to the NAT center by air freight
21 service, and we have an automated pooling system, excluding
22 the seropositives with ALOKA. And the testing reagent is
23 multiplex prepared by Roche Japan and Roche United States.
24 And hepatitis B, hepatitis C, HIV, is at one time extracted
25 in that test. The automatic extraction system using a GT-

1 12, now we are using GT-X by Roche and a PRISM 7700. Then
2 the reporting system to blood centers through NEC network,
3 and resolution by individual NAT and notify to the donors.

4 This is in Japanese, but I don't have time to.
5 That day is the blood donation, and during the night time
6 that transportation, and then the screening later went to
7 the NAT center, and then it eliminates--eliminates screening
8 positive sample, and then pooled the next morning, then the
9 NAT is done. That next morning we can get the answer to
10 each blood center, and they are ready for patients, and the
11 total is two days.

12 This is our transportation system. Now all the
13 donated blood is through air freight or surface and
14 transported to the two NAT centers right now in Tokyo and
15 one in Hokkaido. This is a sample. Then the (inaudible) is
16 excluded from--to prepare the NAT, and then centrifuged, and
17 then the automatic pooling system by aliquot. Only a few
18 people can do hundreds. The human resources, not much
19 required, but the expert can do a hundred very smoothly.
20 And this is GT-X, 7700 PRISM. Then in that day we can get
21 the answer.

22 And first we start with 500 pool size, then reduce
23 to 50 pool size, and as for the hepatitis B, 96 percent
24 sensitivity is 25 copies per mL, and so we can pool 50 right
25 now going on. And we found 26 of HBV DNA and 9 of HCV DNA,

1 unfortunately none of HIV, they are all prescreened by
2 (inaudible) assay.

3 Then we analyze 22 cases, it is a wild type of
4 hepatitis B, and that is 16 is EIA HBs antigen. This is 16,
5 and one is wild type anti-HBs and anti-HBc, the present
6 (inaudible). Then the other four is infection with a pre-
7 core mutant, analyzed, and then all of them gave no
8 reactivity of the (inaudible) by Ortho overnight tests,
9 zero.

10 Well, just (inaudible), this is current state of
11 the (inaudible) we start our (inaudible) to screen high
12 titer of the HBs antigens, but this (inaudible) we detect by
13 EIA (inaudible), overnight EIA. But this (inaudible) is not
14 detected by Ortho overnight EIA, and (inaudible) of the
15 copies are (inaudible). I would like to emphasize, this is
16 very interesting question, and these are that wild type but
17 the green line is pre-core mutant exists.

18 Well, you know the hepatitis C copy is rather high
19 than hepatitis B. These are tested, and then we follow up
20 all these positive cases of the donor because it is
21 important to know whether this type is transient infection
22 or chronic infection, and to identify this quality of data
23 is really virus itself, not non-viral protein or non-viral
24 (inaudible), so we start (inaudible) study.

25 And among the wild type, I would like to

1 emphasize, 17 of them all seroconverted IgM anti-HBc, and
2 this is anti-HBc, all seroconverted anti-IgM, anti-HBc and
3 anti-HBc, and some of them are anti-HBs seroconverted. And
4 among them so far we followed that, that 10 cases this HBV
5 DNA disappeared during the observation period. And one
6 interesting case is hepatitis DNA present with anti-HBc and
7 anti-HBs present together. That is a very unusual case of
8 the wild type.

9 And pre-core mutant, we can follow three cases.
10 All are IgM, anti-HBc, no seroconversion, showing some, this
11 is persistent infection, and a very low level of anti-HBc
12 continued.

13 Well, this is, I put this here in the January
14 meeting in (inaudible) Japan, and you can see this increase
15 in the (inaudible) blood donor of HBs antigen. I just show
16 the slope. It is very similar to what we observed in the
17 BBI panel, lower limits increase, and many going down, the
18 HBV DNA going down, undetectable level, without any
19 elevation of ALT. So we have a two types, going up, or
20 going down, and later we showed a pre-core mutant is going
21 persistent infection like that. And also some of that, I
22 (inaudible) for this, and remember we saw all (inaudible).

23 This is one of the cases, the EIA negative cases.
24 For that 111 days HBV DNA disappeared, and (inaudible) 160
25 days it disappeared, so these cases can be--have

1 specifically the (inaudible) of this patient, of this donor
2 to (inaudible), and this is again show going down,
3 (inaudible), and this is again 43 to 87 days that it will be
4 DNA not observed, and all these cases of anti-HBc responds
5 like this. This is again that same patient after 25 days or
6 13 days, HBV DNA disappeared.

7 Well, one of the cases that it wild type, and then
8 after (inaudible) donations the ALT is normal, and the ALT
9 is going up 44 days, 58 days, and then at this time we
10 recommended to hospitalize this patient, and then the donor
11 is (inaudible) now and he is now going--his HBV DNA is going
12 down like this.

13 Well, this is wild type, but very interestingly
14 here ELISA negative but here anti-HBs, anti-HBc and anti-HBs
15 present together. But for the immunological assay, the
16 antigenicity of this donor was broked but in the presence of
17 anti-HBs or anti-HBc, broked by immunological activity. So
18 it comes out that immunological activity nothing, but the
19 titer of the virus is rather high and continues in that day.
20 Such a case cannot be detected by immunoassay.

21 Well, another point important is, so for the four
22 cases of pre-core mutants, and then we hold up in this way
23 that virus continues and no anti-IgM core anti (inaudible)
24 at all, so all these three cases (inaudible) the past
25 (inaudible) and may be a later stage, not early stage, and

1 antibody reactivity is not shown in this station, but the
2 virus continues. It may be an (inaudible) mutant. We
3 confirm that is a mutant, is a pre-core mutant, and such a
4 case continues in the viremic state. And this kind of donor
5 can be detected only by NAT testing, and antibody testing
6 cannot detect. This is very interesting virus (inaudible)
7 here.

8 Well, I can say the NAT screen detects HBV DNA in
9 persistently infected individuals with extremely low level
10 of HBV antigen and antibody often observed in case of HBV
11 mutant. Next one, please.

12 So another point of interest is, we have to
13 consider about the health care for the (inaudible) donors,
14 and as I said before, we can notify at very early stage of
15 viral infection, before clinical manifestation. And the
16 follow-up study shows a difference, whether transient or
17 chronic infection, and disclose the virus dynamics in early
18 stage of infections, and further maybe reentry into blood
19 donorship can be when they are in a transient viral type
20 infection.

21 Finally, I want to say, I already mentioned that
22 hepatitis B post-transient infection is much higher than
23 hepatitis C in the United States, like discussed today also,
24 and also that situation is the same in Japan. But after
25 reconsidering the prevalence of hepatitis B in Japan, it is

1 much higher than in the United States, but the incidence of
2 the HBV infection I think is not much higher. And so the
3 hepatitis B NAT screen is highly (inaudible) donor, high
4 incentive, much (inaudible) be recommended with, and that,
5 that screen, on the basis of hepatitis B, hepatitis C and
6 hepatitis I together is very time--the time short, a limited
7 time, and cost of testing much lower than in individual
8 test.

9 And finally I would like to thank Dr. Tabor and
10 (inaudible) for inviting me to this meeting. And I am
11 working as (inaudible) of (inaudible) Japan hepatitis panel
12 for 21 years, and (inaudible) and sometimes very (inaudible)
13 study is make much progress on both sides, and I appreciate
14 in this time future progression, exchange of information.
15 Be beneficial, and I hope some of you interest, please visit
16 our NAT center in Tokyo or in Hokkaido. Thank you very
17 much.

18 DR. HOLLINGER: Thank you, Dr. Nishioka.

19 Any questions for Dr. Nishioka? Yes, Dr. Katz?

20 DR. KATZ: Louis Katz, Mississippi Valley Regional
21 Blood Center. Can you describe the sensitivity of the
22 routine hepatitis B surface antigen assay that you are using
23 in your system?

24 DR. NISHIOKA: I said 25 copies per mL.

25 DR. KATZ: That is the NAT. I was more interested

1 in your surface antigen sensitivity.

2 DR. NISHIOKA: Oh, I don't have any interest in
3 that immunoassay. That is a shadow, or maybe you might
4 worry about non-viral protein or something like that.

5 DR. HOLLINGER: Any other questions? Yes, Dr.
6 Tabor?

7 DR. TABOR: So you have detected four pre-core
8 mutant HBV infections that would not have been detected
9 without HBV NAT, but two of them would have been detected by
10 anti-core as we--

11 DR. NISHIOKA: Anti-core, it is a very, very weak.
12 The titer is 2 to the 3, and our original screening, if the
13 anti-HBC is higher than 2 to the 4 or 5th, it is proved.
14 That is within the limit of that negative value of the
15 titer.

16 DR. TABOR: If I read the graph correctly, these
17 four with pre-core mutant viruses were not very low titer,
18 right? They were--

19 DR. NISHIOKA: Yes. The one is very high titer.

20 DR. TABOR: --high titer of HBV DNA.

21 DR. NISHIOKA: Yes. (Inaudible) reactive
22 (inaudible) of the DNA level can be detected. If it is wild
23 type, it should be screened by immunoassay.

24 DR. TABOR: So we can hypothesize that at least
25 the high titer ones could very well have been infectious for

1 a blood recipient.

2 DR. NISHIOKA: Well, that is proven. We have, you
3 know, in Japan the anti-HBc high titer and the HBs antigen
4 low titer, sometimes called the fulminant type of hepatitis,
5 and by screening by sole high titer anti-HBc, we can exclude
6 that potential fulminant hepatitis B. I don't know whether
7 this is a real pathogenic (inaudible), but I am just
8 reminding, some of the (inaudible) hepatitis B virus were, I
9 don't know were identical to the so-called hepatitis B virus
10 (inaudible), hepatitis B type 2 that was discussed often at
11 some previous (inaudible) by the European (inaudible). May
12 be that we have to analyze why this mutant not show the
13 antigenicity, and this kind of antigenicity deficient strain
14 exists, we should be very careful of that. That what I want
15 to say, and why this kind of virus did not show good immuno
16 (inaudible), I think have a molecule (inaudible) would make
17 it (inaudible).

18 DR. HOLLINGER: Any other questions? Yes?

19 DR. MIMMS: Perhaps you answered this. Larry
20 Mimms, Gen-Probe. You had mentioned you are a molecular
21 biologist. Have you sequenced the S gene? What would be, I
22 think, more interesting than anti-core mutants--

23 DR. NISHIOKA: Yes.

24 DR. MIMMS: And there was no mutation in the S
25 gene that would have led to lack of reactivity in the

1 Fujirabio hemagglutinin assay? Is that correct?

2 DR. NISHIOKA: Yes. We like to make it clear we
3 found at this time, just this year, and analysis yet
4 underway.

5 DR. MIMMS: So you did find an S gene mutant that
6 was not reactive?

7 DR. NISHIOKA: Not related in this (inaudible).
8 We have yet another (inaudible).

9 DR. HOLLINGER: Kusuya, you are still using
10 hemagglutination. Is that correct?

11 DR. NISHIOKA: Yes. And the hemagglutination test
12 for hepatitis C is much better than EIA, by following-up
13 (inaudible), and the hemagglutination (inaudible) of anti-
14 HBc is more quickly picked up, the IgM anti-HBc. But also
15 the (inaudible) for the HBs antigen, it is about one order
16 below the EIA. That, I showed some of the tests
17 (inaudible), but the rapid screening is very important to be
18 in time for blood transfusion, so (inaudible) this way.

19 DR. HOLLINGER: Okay. Thank you, Dr. Nishioka.

20 The next speaker is going to be Michael Busch on
21 infectious HBV window period and its projected reaction by
22 Nucleic Acid Testing.

23 DR. BUSCH: Thanks, Blaine. I hate to do this to
24 people--

25 DR. HOLLINGER: We are not going to see that

1 window period again, Mike?

2 DR. BUSCH: Similar analysis, but with a different
3 modeling strategy to something Sue presented, but then
4 applying it to full testing. This is a collaboration with
5 the REDS group, Buput Rawal in my group, along with Mary
6 Kuhns at Abbott and several others I will allude to.

7 This is kind of the general theme. You know,
8 there is infection, and we think there is about a 50-day
9 period, based on transfusion infection, from inoculation to
10 the detection of surface antigen, and then anti-core comes
11 up and usually persists for the lifetime of the individual.
12 And certainly in the U.S., where we screen for anti-core,
13 some of the concern, some of the data you are hearing about
14 from Japan and you will hear about from Europe that are
15 driving them to introduce DNA, relates to the persistence of
16 viremia after the loss of surface antigen.

17 And that is not an issue here in the States at
18 present because we retain anti-core. Most of the focus here
19 in terms of deciding whether HBV NAT should be brought
20 forward quickly, and we have been focused on this now for a
21 number of years, has been on the front end, and how much can
22 HBV nucleic acid testing close this early window, and what
23 proportion of this window from exposure to this antigen is
24 infectious?

25 To address that, we have been doing a series of

1 studies to quantify the kinetics of HBV replication during
2 the early seroconversion phase prior to surface antigen
3 detection; and understanding the ramp-up rate or the
4 doubling time of HBV during this pre-antigenemic phase; and
5 then developing a model to back-project both prior to the
6 ability to detect it and during the early DNA-only phase,
7 what the levels of HBV DNA would be over time and how much
8 NAT could reduce that, either in the pooled or single
9 donation context; and, importantly, trying to understand
10 further, when does infectivity occur relative to the
11 detection of nucleic acids during that early phase of
12 primary infection.

13 So to study this we have worked on 17 HBV
14 seroconversion panels from BBI, 173 specimens, tested them
15 with surface antigen tests, HBV DNA tests, and then done
16 regression analysis to estimate the HBV DNA level at the
17 conversion point of surface antigen, and then slope and
18 doubling time analysis to derive an estimate for the rate at
19 which virus replication is increasing.

20 A few representative panels. So here you can see
21 surface antigen coming up, and what you can see is, for
22 typically several bleeds prior to surface antigen, we can
23 detect HBV DNA. This is the cutoff of the surface antigen
24 test. This was the quantitative Roche assay, which has a
25 400 copy sensitivity, so perhaps with more sensitive assays,

1 and as I will show you, indeed with more sensitive assays
2 you can detect earlier specimens. But to do the modeling I
3 will talk about, we relied on the data that was quantitative
4 during this early pre-surface antigen phase.

5 So in this example you can see that we detected
6 HBV DNA with this test perhaps two or three bleeds prior to
7 antigen, about 10 days earlier, and that the slope yielded a
8 doubling time estimate for the virus levels in the plasma of
9 about three days, so the virus is increasing in
10 concentration twofold every three days.

11 This is an extreme different--another panel that
12 showed many more bleeds prior to surface antigen that had
13 detectable HBV DNA and a very slow ramp-up. In this case
14 the doubling time estimate was 17 days, and we saw several
15 panels like this that had slowly rising HBV DNA levels.

16 So in developing a model, the way we approached
17 this was to first try to estimate the concentration of HBV
18 DNA at the surface antigen assay cutoff, and that is
19 obtained by doing a regression of the HBsAg signal to cutoff
20 ratio against the concentration of HBV DNA. And you can see
21 that during primary infection there is really a very nice,
22 tight relationship, and it suggests that during primary
23 infection, that all of the circulating virus is probably
24 particles with DNA inside of a capsid with surface antigen.

25 And that regression analysis allows you to derive

1 an intercept and confidence bounds around that intercept,
2 which tells you the concentration, the estimated
3 concentration of HBV DNA at the point that the antigen test
4 would become positive. In this particular example the
5 estimate came out at about 2,500 copies of HBV DNA. It
6 varies with the different antigen assays between about 2,500
7 copies and 12,000 copies. As Sue showed you, there is
8 substantial difference in the sensitivity, and I am talking
9 about U.S. HBsAg EIA assays, not the particle agglutination
10 assay. But the bottom line is that antigen detects HBV DNA
11 once levels achieve in the range of 2,000 to 3,000 copies up
12 to 11,000 or 12,000 copies.

13 Now, the next parameter was this doubling time
14 parameter which, as I indicated, in most of these
15 seroconverters the doubling time was in the range of three
16 to four days, and the median was four days. However, there
17 were some outliers that on average ramped up relatively
18 slowly, with doubling times of 10, 11 and 17 days.

19 Now from those two parameters, the concentration
20 of HBV DNA at surface antigen seroconversion and the
21 doubling time, we can develop a very simple model that
22 estimates the concentrations of HBV DNA at serial time
23 points prior to the detection of surface antigen.
24 Basically, since it is a four-day doubling time, you reduce
25 the concentration of HBV DNA in half every four days. So

1 now we can model back the levels of HBV DNA prior to the
2 detection of surface antigen.

3 The next question we asked was when during that
4 theoretical increasing levels of HBV, during that pre-
5 antigen phase, does infectivity begin. And to look at that,
6 Mary Kuhns made available to and in her own lab we
7 characterized 20 replicates at multiple dilutions that were
8 previously pedigreed in chimps. And so we looked at 50
9 copies, 30, 20, 10, et cetera, and across several different
10 tests.

11 And it is a little bit complicated, but the bottom
12 line from this analysis, looking at these replicates, was
13 that one chimp infectious dose is believed to represent in
14 the range of about 10 to 20 genome equivalents. So as soon
15 as you have about 10 to 20 genome equivalents in your
16 transfusion, you probably have an infectious dose of virus.

17 So with that piece of information we can add to
18 this very simple model at what time point during the early
19 development of viremia would infectivity occur, and it
20 probably occurs in the range of 30 to 40 days prior to
21 surface antigen, because you really need very little virus
22 to transmit HBV in an inoculum.

23 So then what we wanted to do was really look at
24 some real tests, and we have this theoretical model of how
25 much a test with a particular sensitivity could close the

1 window, and we wanted to look at some actual specimens and
2 then also calculate the sensitivity of pool testing. So
3 this is an actual example of an in-house PCR research assay
4 at Roche--I mean, sorry, at Abbott--where they looked at a
5 series of panels and they calculated how much prior to the
6 detection of antigen could they detect HBV DNA. And in this
7 example it was a mean of 14 days prior to antigen that they
8 could detect it.

9 This was an assay that has a 20 copy sensitivity.
10 There were several other data sets that were available. The
11 Roche 400 copy assay closed the window by an average of
12 seven days, and I think you will see later some data from
13 Gen-Probe with an assay that also has about 20 copy
14 sensitivity, that also closed it by about two weeks. And
15 suffice it to say that this is very compatible with our
16 model estimates of how much an assay of a specific
17 sensitivity could theoretically close the window, so it
18 makes us confident that this model strategy for estimating
19 concentrations prior to surface antigen is consistent with
20 empiric observed data.

21 Now those were individual donation testing using
22 those three different NAT assays. Now the problem we have,
23 as Sue alluded to, is once we start to pool, we lose
24 sensitivity. So theoretically, taking an assay that has 20
25 copy per mL sensitivity--which is kind of where we could get

1 to best case, with realistic assay inputs, about .5 mL, at
2 least in the whole blood sector today--and if you have an
3 assay that you test undiluted with a 20-copy sensitivity,
4 you would project a window period reduction of 24 days.

5 And if you then take this out through the
6 incidence projections, you would estimate that with that
7 assay we could detect, in the whole blood sector, about 75
8 donors per year who would be DNA positive and surface
9 antigen negative. But as soon as you start to dilute that
10 to pools of 20, or certainly pools of 100, the analytic
11 operating sensitivity is diluted out, and you are then
12 operating with a test that only has 400 copy sensitivity,
13 which reduces the window closure to 7 days and diminishes
14 your theoretical yield down to about 20 per year in the
15 whole country in the whole blood sector.

16 So it is data like this that led us to recommend
17 that HBV DNA testing for window period closure not be
18 introduced in the context of pooled screening because of the
19 relatively low sensitivity of pool testing and the high
20 sensitivity of the antigen assays and the dynamics of the
21 window.

22 Two other points that I think are important is,
23 one is, you would think like with p24, once we add HIV RNA,
24 we can stop doing p24 antigen. That is not the case with
25 hepatitis B. That is illustrated here.

1 We took 200 surface antigen positive, anti-core
2 positive donations, and we then looked at them with HBV DNA
3 assays, and whereas in the window phase you have a very nice
4 relationship between HBV DNA and surface antigen, in chronic
5 carriers that completely falls apart. Most chronic carriers
6 have actually very high levels of surface antigen relative
7 to the DNA load. That is well known. Excess antigen is
8 often produced.

9 But down here you actually see there were about 20
10 percent of these surface antigen positive donations--these
11 are whole blood donors--who are actually negative for HBV
12 DNA with a 400 copy sensitivity assay. We sent these on to
13 Mary Kuhns at Abbott, who with the research 20 copy tested
14 them, and we were still left with about, I think, about
15 eight or nine surface antigen positive carriers who were
16 negative for DNA.

17 So what this tells us is, we are probably not
18 going to be able to replace HBV DNA--I am sorry, surface
19 antigen--even once we bring in single donation NAT. We will
20 have to retain the surface antigen test.

21 The last point is, right now we are screening with
22 anti-core, which protects us on the back end with respect to
23 a problem illustrated here. This is, again, I think Mary
24 Kuhns was involved in this study, and what is known is that
25 some people in very late stage infection, after they have

1 lost surface antigen, they will then, in the context of
2 persisting anti-core, they will still have HBV DNA
3 detectable in the plasma for periods of months or even years
4 following loss of detectable surface antigen. And even
5 after they lose the HBV DNA in the plasma, they may still
6 have HBV DNA in the liver.

7 And this is well known to transplanters, as
8 summarized in this paper. The critical point here is, if
9 you take a liver from a person who is surface antigen
10 negative but anti-core positive, and has low level or absent
11 anti-surface, those livers will transmit--this is the
12 example here--they will transmit HBV 70 percent of the time.

13 So this is again to point out that right now these
14 people are being picked up in the whole blood sector because
15 we are screening with anti-core, but a critical question as
16 we look at adding HBV DNA that we are studying is, will we
17 have to retain the anti-core test or can we get rid of it?

18 And the last slide just summarizes a study that is
19 ongoing now of the REDS group led by Steve Kleinman, where
20 we have identified over 5,000 donations that are anti-core
21 reactive in our repository, and those have now been tested
22 with a confirmatory anti-core test that has reductant as
23 well as quantitative anti-surface. We identified 1,200 of
24 these anti-core only donations that are corroborated by an
25 alternative anti-core test and have low level anti-surface,

1 and those are now being tested by HBV PCR to bring forward
2 data that can address the question of can we get rid of
3 anti-core once we add single donation HBV.

4 So I think the big message here, we are getting a
5 lot of, if you will, noise from Europe saying we should be
6 doing HBV PCR, some data coming from other countries that
7 suggest there is yield. But we have to remember that is
8 generally in countries that are not doing anti-core testing,
9 and many of these countries are using sub-optimal surface
10 antigen tests. So at least our data supports the conclusion
11 that HBV NAT really won't buy us anything significant until
12 we have the capacity to bring in single donation screening.

13 Thank you.

14 DR. HOLLINGER: Thank you, Mike.

15 Questions? Yes, Dr. Fitzpatrick?

16 DR. FITZPATRICK: Your comments about core, can
17 you make a comment about the U.K. policy, and there are some
18 other countries that have the same policy, that if you are
19 core antibody positive and surface antibody positive and
20 antigen negative, you are a good donor. And the U.K. data
21 showed they have had no transmission using that policy.

22 DR. BUSCH: Yes, I agree with that. That is also
23 what the Japanese do. The subset of anti-core positive
24 individuals who may harbor infectious HBV, who are surface
25 antigen negative, usually have absent or very low level

1 anti-HBs. So if you have good levels of anti-HBs, you are
2 almost certainly safe with respect to HBV, and I think the
3 experience from Japan and Britain is that those donors,
4 those units are safe. If you transplant an organ from
5 people like that, it has been pointed out that those livers
6 don't transmit HBV either.

7 DR. HOLLINGER: -And, Mike, on that same issue, you
8 mentioned that there were about eight or nine samples at 20
9 copies per mL that were HBs antigen negative, anti-HBc
10 positive, I believe. Do you expect those to be
11 transmissible?

12 DR. BUSCH: No. Those specimens were all HBsAg
13 positive and anti-core positive, but they were negative for
14 HBV DNA.

15 DR. HOLLINGER: Right, and I guess my question to
16 you is, my assumption is that these may not be infectious,
17 and the fact that you don't find it may actually speak to
18 that. I think those kind of samples need to be tested, at
19 least in some way or other, whether it is a chimpanzee or
20 otherwise.

21 DR. BUSCH: Yes. I like that thought, yes.

22 DR. HOLLINGER: Any other questions of Dr. Busch?

23 [No response.]

24 DR. HOLLINGER: Thank you very much, Mike.

25 Okay. We are going to go to the open public

1 hearings now, and the first one is going to be Charles
2 Watson from Centeon. Dr. Watson?

3 While we are looking for some material, we will go
4 to the second person, Dr. Andy Conrad. Andy?

5 DR. CONRAD: What I am going to talk about is a
6 prospective study, and again, it is such an interesting
7 thing, because when we look back at some of these plasma
8 seroconversion panels, it is just bloody different than the
9 data that we are getting and I think you will probably see
10 in these prospective studies. I want to remind you that
11 these are in paid donors, plasma phoresis donors, which may
12 be a slightly different population. Certainly the frequency
13 of donation is going to be dramatically different.

14 And I guess this is the first thing. When we
15 began doing this, we sort of postulated that what we would
16 see is two sort of pathways down here to the bottom, and I
17 apologize for this complicated slide. But, basically, since
18 we screen, everybody in the plasma industry is screened for
19 antigen, we figured that the only people that we could ever
20 see would be antigen negative, and they could have surface
21 antibody and core antibody, and those would just be the
22 sequelae of people who had resolved their infection, going
23 through the normal course, and that they would be DNA
24 negative, but indeed that is not what we found.

25 So what you theoretically think that you could get

1 is, you could get people who are negative for core antibody,
2 negative for S antigen, and because we are screening, the
3 first line of screening of nucleic acids, all of these
4 people would be positive for HBV DNA. The other class of
5 people you could see would be HBC core antibody positive, S
6 antigen negative, and those people could be S antibody
7 positive, thus masking the antigen. You could have the
8 opposite with people antigenic, which would be the acute
9 people with no core antibody, or you could have people that
10 had both.

11 And we thought, well, this is going to be hard to
12 find, it is going to be rare, but here is what happened. So
13 what we thought this model would tell us is that there
14 should be a rather rapid conversion from S antigen--to S
15 antigen positive in acute cases, and Mike Busch talked about
16 four-day doubling time. But then there was these weirdos
17 that took 17 days or longer, and those confuse us too. It
18 isn't clear from the kinetics why some people do it so
19 differently than others.

20 And then we also thought that we must be very
21 careful because we had people coming into the system, they
22 could be so viremic that they could contaminate the pools
23 and cause us to be a lot of false positivity, so we had to
24 be very sure that we pulled out the S antigen samples. And
25 some of the confusion that we may be seeing may have

1 something to do with, at the donor center, with the
2 durability of HBV, and these highly viremic samples may be
3 contaminating at donor centers, and that has been one of the
4 things we have noticed.

5 So we did two studies. The first study we did was
6 a pilot study where we took samples that had been run for
7 HCV and HIV, re-randomized them and tested them for HBV.
8 This is, again, the same general donor population that we
9 have been looking at for years with the HCV and HIV.

10 And then we also conducted, under IND for our
11 friends at the FDA, a prospective study to screen a minimum
12 of 300,000 donations from at least 10,000 donors with HBV
13 DNA by PCR. All the donations were to be surface antigen
14 negative, and screened in this case with the Auszyme, the
15 Abbott surface antigen test. And then we were going to use
16 pools at 512, where you would think from, again, Susan and
17 Mike's presentation that there wouldn't be very much yield.
18 The assay that we have has a mean sensitivity of about 3
19 copies and 95 percent detection at 18.

20 Again, the three-dimensional matrix, I know you
21 are tired of this, but just so you know, we take 512
22 samples, we stick them together in a machine that sort of
23 puts them in a cube. If the cube is all negative,
24 everything is negative. If the cube is positive, we look at
25 the row, layer and column and it isolates the single

1 positive sample.

2 So all the data I am going to show you was first
3 screened with nucleic acids. First the S antigen positives
4 were pulled out, then screened with nucleic acids. That is
5 just the column stuff. That was a refresher, and here we
6 go. So here is the first donors that begin to see.

7 These were donors where it was available for
8 quantitation, and those are numbers that are cut off. But
9 we started getting viral loads--maybe if we could shrink
10 that down a little bit, people could get these numbers,
11 because that is sort of relevant. There you go.

12 We were detecting a great many people with very
13 low viral loads. Now, I am not quite sure how we got them,
14 but we were getting these in the screen, and when we
15 quantitated them, they had 750, 950, all the way up to
16 levels of 46,000, which again is different than the models
17 would have predicted. And I don't know if it is because
18 those panels were old or not stored correctly, but we found
19 people with viremia, nearly 50,000, that aren't antigen
20 positive, and we have now started sending them out to get
21 other antigen tests, but they have been repeatedly antigen
22 negative, all the way down to these low ones like Sue
23 Stramer showed originally.

24 The second class of people that we saw was the
25 other end of the arrow. These people are all HBC or HB core

1 antibody positive, HBs antigen negative, and they have a
2 transient viremia, a low level viremia, exactly what Dr.
3 Busch showed. This class of people tend to have lower viral
4 loads, it is transient, but somehow it is being detected and
5 that is what keeps bothering us, because we keep finding
6 them through the pooling.

7 This is the last slide that I just wanted to
8 mention, because in this person here we actually followed
9 them out. This one was reactive for 18 days, remained
10 positive for PCR, and ephemerally negative and positive,
11 negative for the antigen, positive for both core antibody.
12 And here is a person that stayed negative for 13 days for
13 core antibody and core antigen--I mean and S antigen, but
14 viremic for 13 straight days, no S antigen coming up. And
15 this is probably like the person that you thought, Dr.
16 Busch, that they can go longer than people think. And so it
17 has been very surprising.

18 Finally, I am going to give just some numbers on
19 what the prevalence has been, and I feel awkward using word
20 "prevalence" because in some ways these people who are core
21 antibody negative, S antigen negative, are sort of incidence
22 cases in a way, in that they are somewhat--you would
23 postulate that they are proximal to the event of infection,
24 but the numbers we got in that pilot study were 11 positive
25 donors out of 43,000, which works out to be around 1 in

1 4,000, roughly, 1 in 3,900.

2 And so far in the ongoing study that we talked
3 about, the new study, we have gotten 4 donors, a total of 4
4 donors out of 24,663, for a prevalence of about 1 in 5,000,
5 statistically the same. PCR positive, S antigen negative,
6 and half of them were anti-core negative and the other half
7 were anti-core positive, so it went for both directions on
8 the arrow. And that is all for those.

9 So, in conclusion, what we have is, we have the
10 prevalence of HBV infection in our two studies has ranged
11 between 1 in about 3,900 and 1 in about 5,100, with the low
12 end that is obviously probably the same. It would appear
13 that the donors fall into two distinct groups, what we would
14 call the acute group, which is the group that is core
15 negative, and the chronic group, which has HBV S antibody
16 but remain viremic, S and core antibody but remain viremic.

17 And, lastly, there is data to support the notion
18 that there is a sustained window period. By sustained
19 window period I mean more than what one would figure with
20 the rapid doubling time that was originally postulated for
21 HBV, probably that second class of people that have 15- and
22 20-day doubling times, not the 4-day doubling time. And it
23 is odd, there is no real clear explanation for the viral
24 mechanism for the slower doubling time in the absence of
25 immune suppression, unless it is an antibody mediated immune

1 suppression that is absent.

2 And one of the things that I worry about is that
3 there clearly may be issues with the sensitivity and
4 specificity of current HBV antigen kits, like Sue showed,
5 because we think that it seems odd that this is happening.
6 And that is all.

7 DR. HOLLINGER: Thank you, Andy.

8 Any questions for Dr. Conrad? Yes, Dr. Tabor.

9 DR. TABOR: Do you think, do you know yet whether
10 there are any mutations in these individuals you detected?

11 DR. CONRAD: Yes, that is such a good question.
12 N, we don't know yet, but 34 we fortunately have up to 850
13 mLs of plasma on them all, and hopefully we will be
14 sequencing them soon and looking for that.

15 DR. HOLLINGER: Okay. Now we will go back to Dr.
16 Watson.

17 DR. WATSON: Thank you for finding these. You
18 would not want to hear this without seeing the slides. It
19 wouldn't work.

20 I am Chuck Watson. I am from Aventis Behring. We
21 are a new company. We used to be Centeon, so if I say
22 "Centeon" please forgive me. I appreciate the invitation.
23 We have been wanting to talk about our hepatitis B testing
24 for a while. That is what I am going to present. I may
25 slip from NAT to PCR because that is what we work with. I

1 would also like to recognize Dr. Fielder, our medical
2 director, for helping with the interpretation. Could I have
3 the next slide, please?

4 I am going to go through just a quick summary of
5 our test system. If you want, later I will go into our
6 pooling scheme, but I left that out to present the data. We
7 now test for five viruses. All of our samples are serology
8 screen negative. We also do all of our tests--all of our
9 samples are tested for ALT. Remember, this is plasma, so we
10 don't do the anti-core test. Our screening system was
11 developed in-house.

12 Next one will show our start times. We have been
13 doing tests for hepatitis B, C, and HIV-I since April of
14 1998, and that is what I am going to report on here, for the
15 approximately 21 months. HAV and B19 high titer have been
16 implemented this month. We started pooling for these today
17 --as long as the lab is still open.

18 Our hepatitis B detection limit is, in our largest
19 pool, is 2.4 times 10 to the 3 international units. Now,
20 when we go to smaller pools and we follow up the large
21 pools, our detection limit is 27 international units per mL.

22 As part of the IND we have a clinical study where
23 we invite those positive donors to come back and visit us
24 monthly. We started this at once a month for six months but
25 we have extended that to 12 months, where we do both a

1 serology test and an NAT test for hepatitis B
2 seroconversion, if we have an HBsAg reactive or we have the
3 anti-core positive.

4 Now, let's look at some of our results. This is
5 strictly based upon what we have done in the United States.
6 This excludes all of our testing in Europe. Okay? Of the
7 3.25 million samples, we have 42 donors that are positive;
8 62 units are also positive. Now, our donors can donate
9 twice a week, and it takes time, since we have a large pool
10 size, to do the testing, so these donors have contributed
11 272 units after that donation.

12 This turns out to be 19 donors per 100,000 donors.
13 And, Dr. Hollinger, I am glad to finally be able to answer
14 your question from last March. Which is very similar to
15 what Andy Conrad showed from NGI. I think that was about 25
16 or 26 per 100,000. As far as per donation, we 2 units
17 positive per 100,000 units that we test. Okay? Could I
18 have the next slide, please?

19 Let's look at the pattern of these positives based
20 upon the NAT result. We basically have the donors falling
21 into three patterns. One is, on a subsequent donation, do
22 they end up with an HBsAg reactive? And you can see that we
23 have 10 donors in that category. The next category is a
24 single NAT positive. Some of those donors don't come back,
25 so there is no subsequent donations. Some of those donors