

1 come back, and all of the subsequent donations are negative.
2 And then we also have the category where we have multiple
3 NAT positives in subsequent units.

4 So we have a total, a total of 42 donors positive.
5 This is just the number of the positives. This is the
6 number that entered the clinical study, that accepted our
7 invitation, and this is the number that met the definition
8 of seroconversion while they were in the study. So we have
9 13 of the 15 who entered the study who seroconverted.

10 This is a graph of all 10 of the positive HBsAg,
11 the subsequent positive HBsAg donors, and you can see that
12 by the red triangle, and this charts their donation history.
13 You notice we have some that never came back; some that gave
14 multiple donations that were PCR positive but then became
15 negative. And this is a pattern that we have seen, we have
16 seen normally, I guess we could say now. We didn't
17 understand it in the beginning. We probably still don't
18 understand it.

19 What we also did is, we calculated the time
20 between the first PCR positive and the last serology
21 negative donation, and that was 10 days in this group of
22 five donors. Remember we talked about the multiple positive
23 donors on the previous slide. Those eight donors remained
24 positive for an average of 11 days. Okay?

25 Now, one of the questions we have been asked was,

1 what does it look like when you find the PCR positive? What
2 is the antibody, the neutralizing antibody characterization?
3 So we first started obtaining information based upon the
4 anti-HBs, and then we decided we need to look also at the
5 anti-core, since we don't do that as a normal test. What
6 are we finding at the time--this is a snapshot--at the time
7 of the NAT positive? And we find the majority of our donors
8 do not have high antibody to HBs. We have some, we have 3
9 that do, 19 that do not.

10 Now we also wanted to characterize this again into
11 how many of these entered the clinical study, and from each
12 of these categories, how many actually seroconverted? And
13 you can see that we have a large majority, both anti-HBs and
14 anti-core, who entered the clinical study and also
15 seroconverted. We had some with no additional data, and we
16 did not consider the HBsAg positives in this analysis.

17 Well, here is my one research slide. These are
18 panels that we tested for hepatitis B, and if you remember
19 when I spoke about the multiple positives, approximately 11
20 days positive, when we had the 10 donors, we looked at the
21 10 donors that were HBsAg positive and we found that the
22 time from the first PCR signal to the last serology negative
23 result was 10 days. That is in the pool system. Looking at
24 this, we see that you are basically looking at anywhere from
25 14 to 20 days with the PCR signal being positive before the

1 HBsAg.

2 So what does this mean to us? As far as from the
3 plasma point of view, we have 32 donors out of the 42 that
4 did not have a subsequent HBsAg reactive unit, so these
5 donors would not have been identified by the normal serology
6 look-back notification. They also donated approximately 300
7 units. Thirteen of these 32--we are going to forget about
8 the 10 serology positive donors, we are going to concentrate
9 on only this grouping--13 donors entered the clinical study
10 from this category. The other two entered, they were HBsAg
11 positive. And we find, of the 13 that entered, 11
12 seroconverted. Eleven developed antibody to core, eight
13 with IgM. Only one became HBsAg reactive in the clinical
14 study; the rest did not.

15 This is a time graph of one of these donors. This
16 donor donated multiple times for the first seven months of
17 1999. All of a sudden we got a PCR positive in July. A
18 couple of negative units, then two more positive units.
19 This donor continued to donate while we were testing, and
20 continued to donate negative, serology negative and PCR
21 negative units over time.

22 They entered the clinical study, and they were
23 anti-core positive. This sample here, which we sent out,
24 was one of the ones in the category negative for anti-HBs,
25 negative for anti-core. We also sent these two units and

1 this unit over to our research laboratory in Germany. We
2 did this in a pool system, so one of the questions is, well,
3 maybe because you used the pool system, that is why you got
4 a negative and you lost the signal. We tested these units
5 straight, no dilution. They got the identical results that
6 we got in the pool system. The positives were still
7 positive and the negative unit was negative. Next slide,
8 please.

9 So as far as our conclusion, we find that the PCR
10 testing for hepatitis B increases our safety margin by
11 removing units that we would not normally remove before they
12 go into production. We find that the signal, the PCR
13 signal, is earlier than the serology signal. We are able to
14 identify units that contain virus that are not otherwise
15 going to be identified. And we also found that the positive
16 signal seems to disappear and is not consistent.

17 So, as far as the bottom line goes, as far as we
18 are concerned, we believe that NAT testing is a benefit to
19 both our donors and the recipients of our product, and it
20 does increase our safety margin. And I thank the committee
21 for letting us present this data.

22 DR. HOLLINGER: Any comments to Dr. Watson?

23 [No response.]

24 DR. HOLLINGER: Okay.. The next presenter is Larry
25 Mimms from Gen-Probe. Larry?

1 DR. MIMMS: I know it is getting late. In the
2 interest of time, I will try to move through this relatively
3 quickly. I am Larry Mimms from Gen-Probe. We have been in
4 business for about 17 years, dedicated to Nucleic Acid
5 Testing. We have been developing an HIV-1/HCV assay for
6 donor screening. I think most of you are aware of that.
7 About 70 percent of the U.S. blood supply is being tested
8 today using that test. We have also been working on a high
9 throughput TMA assay for HBV DNA detection. Next slide.

10 Let me go right away to the data. The technology
11 and the methodology is similar to that currently--that test
12 that is being used under IND. That is the HIV-1/HCV assay.

13 Two ways we look at sensitivity for the HBV
14 transcription mediated amplification assay. We look at
15 sensitivity panels. We also look at seroconversion panels.
16 And let me show you the sensitivity panel data first.

17 We use the Euro Hep panel to quantify our panel.
18 This is obtained from the Euro Hep agency. I think there is
19 also being developed a World Health Organization standard.
20 So like all of the Nucleic Acid Testing, we really need to
21 develop standardization so that we can compare sensitivity
22 across assays.

23 A recent publication showed that one Euro Hep unit
24 is equivalent to three copies per mL, so you can see that
25 our positivity rate--we run a large number of replicates,

1 that is what the N represents at each of these levels of
2 panel--you can see that at 18 6 Euro Hep or about 18 copies
3 per mL, we have a 44 percent hit rate or positivity rate.
4 At 75 copies or at 25 Euro Hep units per mL, we are about 92
5 percent hit rate. Next slide.

6 That is the Ay panel. We also had similar results
7 from the Ad W panel. Specificity is obviously critically
8 important. We have run 1,034 samples. These were surface
9 antigen negative fresh frozen plasma from Gary Tegmeier's
10 lab at Kansas City. We had an initial reactive rate of 4.8
11 percent and a repeat reactive rate of zero percent. Next
12 slide.

13 We have run a large number of--these are 18
14 commercially available seroconversion panels that Mike Busch
15 referred to earlier. Some of these are from Bioclinical
16 Partners; others are from BBI. And this is a comparison on
17 the Y axis, the number of days earlier that we can detect
18 HBV by the TMA assay compared to the monoclonal Auszyme
19 dynamic assay from Abbott, and anywhere from 4 to 27 days
20 earlier for most of those bleeds.

21 There was one interesting sample, and I will show
22 you further data on that later, 93 days earlier detection.
23 So if you exclude the 93 days, on average we can detect HBV
24 DNA 16 days earlier than the current licensed monoclonal
25 Auszyme test. Next slide.

1 We believe the most sensitive surface antigen test
2 that is likely to be out there probably in the near future
3 is the PRISM surface antigen assay, and in this case we
4 detect HBV DNA earlier in all cases, anywhere from--or
5 equivalent--from zero days to 27 days earlier, again with
6 one interesting sample at 93 days. So on average 14.4 days
7 earlier. Next slide.

8 Let me just show you, this particular donor we
9 obtained, these are serial bleeds, a total of 26 over the
10 period from December of '95 through April of '96. You can
11 see that the surface antigenemia is detectable at bleed 20
12 with low level S/CO values by both Abbott PRISM and Abbott
13 monoclonal Auszyme.

14 However, you will note we ran in replicates of
15 two, and I am showing you the S/CO values for HBV TMA for
16 those two replicates, at each of those bleed dates, and you
17 can see that there is sporadic positivity, but it is
18 positive even from the earliest bleed that was available
19 from this donor. We don't know how much earlier, obviously,
20 we would have been able to detect HBV DNA, but the
21 difference in time from 12/17 to 3/20 is 93 days.

22 And, as you can see, low level S/COs with some,
23 either two out of two or one out of two, reactivity rate.
24 So we would judge that the concentration is less than 100
25 copies per mL in this early viremia period. And I think

1 Mike has reported earlier some interesting low level
2 sporadic viremia in that so-called eclipse phase for HIV and
3 HCV.

4 So, in conclusion, we believe that the
5 seroconversion window can be substantially reduced using an
6 HBV DNA test, by an average of 14 to 16 days compared to
7 current surface antigen technology, and that that window
8 period can be a very extensive length of time, even up to
9 three months in this case. And then finally I would like to
10 thank National Heart, Lung and Blood, who is partially
11 funding this project, and also Wesley Stringfellow, whose
12 group generated these data.

13 Thank you.

14 DR. HOLLINGER: Larry, you showed, on the last
15 slide you showed two sample cutoffs again. I mean, what
16 were the differences between those two?

17 DR. MIMMS: Those were just two replicates.

18 DR. HOLLINGER: So it--

19 DR. MIMMS: It is the same test, just two
20 replicates.

21 DR. HOLLINGER: So one was very positive and--

22 DR. MIMMS: One can be negative.

23 DR. HOLLINGER: --like the second one down, one
24 was very positive and one was negative.

25 DR. MIMMS: That is what we see in these kinds--

1 when you get down to these very low levels of viremia. We
2 see an all-or-nothing type of reaction. It is kind of a
3 quantum effect. The virus is there. We detect it with high
4 S/CO. If it is not captured, if it is not amplified, then
5 we get no signal. And this is typical of what we see in,
6 whether it is a PCR assay or a TMA assay, you tend to see an
7 all-or-nothing, especially in these qualitative assays. You
8 know, in a quantitative assay you can modulate the signal,
9 but we have chosen not to do that for the qualitative
10 assays.

11 DR. HOLLINGER: But 25 sample to cutoff is pretty
12 high. I mean, that is not a low cutoff.

13 DR. MIMMS: I should say that we get saturating
14 signals in this assay at 25 S/CO, even at a 10 to 20 copy
15 level.

16 DR. HOLLINGER: I hear you. Thank you.

17 Any other questions for Dr. Mimms?

18 [No response.]

19 DR. HOLLINGER: Okay. Thank you, Larry.

20 Several other people have asked to speak here on
21 this issue. The first is Celso Bianco.

22 DR. BIANCO: We heard a substantial amount of very
23 good information. However, I think that the concern that
24 ABC members would like to express here is the need for many
25 more studies that would define the benefits that the

1 hepatitis B testing, DNA recognition by NAT, would bring,
2 before we place a tremendous amount of effort into a
3 research study of that type. We are very happy with the
4 ways HIV and HCV have developed, but we would like to
5 continue placing our efforts in those where the benefits
6 have been measurable and excellent before we jump into the
7 next test. Thank you.

8 DR. HOLLINGER: Thank you. Dr. Katz? You had
9 your name down to say something. Just give us your name and
10 title, if you want, and you can sit down.

11 DR. KATZ: No, I have no prepared statement from
12 AABB. As a blood center medical director, I think I have
13 heard conflicting data here that I am having a difficult
14 time reconciling. But the take-home point seems to be that
15 the sensitivity is laying in single donor testing, and I
16 don't believe that we are prepared to do that as an industry
17 yet.

18 DR. HOLLINGER: Thanks, Louis.

19 Mike, do you have something?

20 DR. BUSCH: Just one comment. There is some
21 disparity in what we have been looking at, but I think it
22 may be explainable. The panels that we have classically
23 studied for all these viruses have been classic
24 seroconversion panels where the donors, these plasma donors
25 have evolved to antibody for HIV or HCV or to full surface

1 antigen conversion, and those are the panels that a lot of
2 the data we initially generate focus back on and try to
3 understand the early dynamics.

4 And one of the other issues is, those historical
5 panels, because the plasma industry didn't previously have a
6 requirement that they save panels for months prior to
7 release into pooling, they tended to date back only several
8 weeks or so prior to antibody or antigen conversion. Now
9 what we are seeing is a different kind of panel. As the
10 industry has implemented antigen for HIV or now Nucleic Acid
11 Testing, they are picking up donors who are no longer
12 seroconverting, they are NAT converting. Many of them
13 aren't even followed through seroconversion.

14 And now we have samples available for months prior
15 to NAT conversion, and we are beginning to see some
16 surprising findings of low level viremia that can extend
17 back several months or weeks and be transient. So I think
18 that, you know, I am not--I personally think that there is
19 more to be learned as we move on, and we would hopefully
20 understand that. On the other hand, most of what we are
21 seeing in this early low level viremia for all three
22 viruses, sort of unexpected, is very low titer and is not--
23 for HBV--is not the kind of signal that we could pick up
24 with minipool testing.

25 DR. HOLLINGER: Thank you.

1 There is a Mr. Nathan Kobrinski.

2 DR. KOBRINSKI: Mr. Chairman and committee
3 members, I am Nathan Kobrinski. I am the hemophilia
4 director for North Dakota, and I would like to thank Aventis
5 for sponsoring my travel here.

6 The reason I wanted to come is, I want to go back
7 to my experience in Canada, where I was the hemophilia
8 director from 1980 to 1989, and I want to focus on the
9 question of fail-safe, specifically on the question of a
10 tragedy that happened in Canada in 1985. At that time in
11 Canada, certainly in Manitoba, there was a general suspicion
12 of concentrated blood products. We used cryoprecipitate
13 primarily for hemophilia patients, and we had a very low
14 level of seroconversions for HIV.

15 And as this problem became increasingly evident,
16 we decided that we would tell our hemophilia patients they
17 should only treat for life-threatening bleeds and absolutely
18 necessary surgery. And that was the scene in 1985 when I
19 faced the first heat-treated product. I treated two young
20 children with heat-treated product. They were very--you
21 know, the family was very excited about it because it was
22 now finally safe. They both seroconverted, and they have
23 since both died.

24 What relevance is that to today? Very simply put,
25 all of the inactivation processes have the potential for

1 human error, just as my two patients lost their lives
2 because of human error, or shall we say industrial error, or
3 whatever. And so I make a plea to you, in thinking about
4 the importance of the safety of the blood resource as it
5 goes in to testing. Before it is disseminated to our
6 patients, it must be pure. I don't want to see any more of
7 those patients die in that way.

8 These methods are very exciting that we have heard
9 this afternoon on PCR testing, and I am very reluctant to
10 see these antigenemic phases that we are discovering, even
11 in the absence of standard serologic safety measures, as
12 being just dismissed. Please consider keeping them on,
13 developing them and instituting them, for all of the
14 patients' benefit.

15 DR. HOLLINGER: Thank you.

16 I have a Mr. Mark Ballow?

17 DR. BALLOW: Mr. Chairman, ladies and gentlemen of
18 the advisory panel, my name is Mark Ballow. I am Chief of
19 Allergy and Clinical Immunology at Children's Hospital in
20 Buffalo, which is part of the SUNY-Buffalo system in New
21 York. My travel was supported by Aventis Behring, as well.

22 I am here as a clinician who takes care of
23 patients with primary immune deficiency diseases. These
24 patients encompass children as well as adults, and I can
25 tell you that the number one question is, "Can these plasma-

1 derived products give me an infection?" And you know what?
2 I can't guarantee them. I can't say 100 percent that these
3 plasma-derived products like IVIG cannot potentially
4 transmit some infectious agent. And I think that was
5 emphasized today in a number of presentations, both in early
6 afternoon and what we have recently heard, that in medicine
7 you can never say never.

8 Now, I do tell them there have been a tremendous
9 number of advances over the past decade, particularly over
10 the past five years, in plasma-derived products, in making
11 them safe. This is a partnership between the FDA and many
12 of the manufacturers. But I have to say that, you know,
13 whatever more we can do to make these plasma-derived
14 products safer, we owe it to the public. We owe it to the
15 patients, we owe it to their families, and we owe it to the
16 public to do whatever we can.

17 And here I am talking about back-up, redundancy,
18 duplication, overlap, whatever you want to call it to try to
19 minimize, by the technology that we have--if we have the
20 technology, and that is obviously the critical question, and
21 something that you all as experts have to address. If we
22 have the technology, then we should use it to try to make
23 the blood supply as safe as we can for the public sector.

24 Thank you for this opportunity to address you.

25 DR. HOLLINGER: Thank you, Dr. Ballow.

1 The next person is Edgar Gonzales. Mr. Gonzales?

2 [No response.]

3 DR. HOLLINGER: Okay. Tom Moran?

4 [No response.]

5 DR. HOLLINGER: Okay. And Jason Babcock?

6 VOICE: That is for tomorrow.

7 DR. HOLLINGER: Well, that is what I thought, too.

8 I was thinking that this was for tomorrow, since we talked
9 about it, but I am just reading what is on the sheet here.

10 I can continue to go ahead just introducing everybody in the
11 audience. Sorry about that.

12 Well, then, is there anyone else from the audience
13 that wants to make a comment here?

14 [No response.]

15 DR. HOLLINGER: How about from the committee,
16 anybody?

17 [No response.]

18 DR. HOLLINGER: One think I just want to mention--
19 excuse me, Toby--is I think we have to remember with
20 hepatitis B, as distinct with some of the others, that
21 because somebody receives a unit of blood that may be
22 infectious, that doesn't--and I have said this before--it
23 doesn't necessarily mean that something bad is going to
24 happen to that person. Now, we know that only about four-
25 tenths percent might develop fulminant hepatitis, and these

1 are people who are hospitalized.

2 So, if you look at these numbers and you say that
3 perhaps 200 would be infected each year, and we have learned
4 some data today that might suggest that with the newer HBs
5 antigen tests, that perhaps you could pick up three-fourths
6 of those, you will end up with about 50 per year. Four-
7 tenths of those would be about two-tenths of a person, or
8 about 1 out of 20 million recipients might die of fulminant
9 hepatitis B by virtue of getting a positive unit of blood.

10 And then there are other scenarios. Half of the
11 people are probably going to be dead within, will expire
12 within three to five years, and we know that from many other
13 studies, anywhere from 50 to 70 percent. Only about 1 to 5
14 percent of people who are actually infected will become
15 chronically infected, and only about 20 percent of those
16 will develop cirrhosis over 20 to 30 years.

17 So all of these things, I think, have to be taken
18 into account, and sometimes we lose sight of the fact of
19 thinking that just because a person gets an infected unit of
20 blood, that that person is going to die, because a vast
21 majority will probably not die. And it is really a
22 relatively small number in many cases, particularly with B.
23 That is what I am really talking about here, is hepatitis B.
24 So we should never lose sight of that, that without
25 downplaying that there are still risks here, but we ought to

1 be realistic about what these risks really are at this stage
2 of the game.

3 Dr. Simon?

4 DR. SIMON: Well, this is only the follow-up to
5 the question I asked before. It wasn't completely clear
6 what the FDA was hoping to get out of this discussion, but I
7 think it has been useful in terms of sensitizing us to the
8 issues.

9 It would appear to me that the plasma industry is
10 moving ahead, and I would assume that they are doing this
11 under IND and, you know, with the approval and input of the
12 agency. And from what we have heard, the blood centers wish
13 not to move ahead, at least at this time, and I think could
14 use the time to observe or learn from the experience in the
15 plasma industry. And I guess I would think the status quo,
16 if you will, is acceptable from that point of view, because
17 progress is being made, and as we learn from it, then the
18 blood centers can decide at what point they want to re-look
19 at this issue.

20 DR. HOLLINGER: Dr. Boyle?

21 DR. BOYLE: I am going to prove that I didn't
22 understand what I was listening to for the last three hours
23 by asking two questions I would like to take away from this.
24 Was I seeing that in the tests of the plasma using NAT, we
25 were picking up cases that would not have been picked up

1 using standard non-NAT testing for each--for hepatitis B?

2 That is question one.

3 Question two is, are we seeing the differences
4 between the two sets of presentations because one is dealing
5 with plasma and one is dealing with whole blood, or are we
6 seeing it because we are comparing different tests? So
7 those are the two questions I would like answers--

8 DR. HOLLINGER: Or different populations, plasma
9 population, volunteer, versus whole blood population.

10 Somebody like to respond to that, the question
11 first of all about the HBV DNA? You asked the question
12 basically as where there is window period blood being picked
13 up. Is that what you are asking? Ed? Dr. Tabor?

14 DR. TABOR: Well, let me try to answer that, but I
15 am speaking for other people who gave presentations. The
16 answer is yes, the HBV NAT is picking up some cases that are
17 among donors that would not otherwise be detected. That is
18 really the reason this is on the agenda, because we were
19 surprised by the number that we were hearing about through
20 the grapevine and through the INDs.

21 And in answer to your--but before I answer your
22 second question, I want to point out, partly to balance what
23 was said by one of the members of the public, that the
24 inactivation and removal processes for plasma derivatives
25 now are safe, obviously, when they are done correctly. But

1 there has been no transmission of hepatitis B virus by any
2 plasma derivative for quite a number of years in the United
3 States. Because even though some of the inactivation
4 procedures that were put in place in the mid-'80s turned
5 out, not due to human error but due to misjudgment of the
6 efficacy of the processes.

7 In contrast to those, the processes that are in
8 place now have been shown to be effective in inactivating
9 and removing hepatitis B virus titers far greater than the
10 amount that is present in any of the plasma, that could be
11 present in any of the plasma going in. In fact, most of the
12 products are made by processes that include at least two
13 processes for removing and inactivating these viruses.

14 And in answer to your second question, I think I
15 have to say we don't know. It is certainly a fascinating
16 difference. These are fascinating differences that we have
17 heard between the two groups of data, and I think we need
18 more study, and studies are being done, as you have heard.

19 DR. BOYLE: Just the one question or one response
20 is, although I am reassured about the no transmission, each
21 of these meetings we hear about the problems of the GMPs,
22 and all of it assumes GMPs are working well and so on, so--

23 DR. TABOR: Well, you are absolutely right. It
24 does--I just thought that, to put it in perspective, it is
25 important to say that we have not seen transmission; that in

1 addition to the test methods, we have the inactivation and
2 removal. Obviously, everything depends on the GMPs being
3 done correctly, and that is an area that the FDA is of
4 course working on.

5 Dr. Koerper?

6 DR. KOERPER: I am not sure of the question that
7 we are being asked. I wonder if--

8 DR. HOLLINGER: There is no question.

9 DR. KOERPER: Because I haven't seen a specific
10 question.

11 DR. HOLLINGER: There is no question. There is no
12 questions here. This is just information.

13 DR. KOERPER: Okay. Thank you.

14 DR. HOLLINGER: Thank you.

15 Dr. Macik?

16 DR. MACIK: With hepatitis B there is also another
17 major difference between this and hepatitis C and HIV, and
18 that is the vaccination program. And, as I understand it
19 now, all children are vaccinated against hepatitis B, and
20 unless somebody came back and gave me numbers of what the
21 failure rate was of the vaccination program, we are also
22 dealing with a process here that has a second fail-safe
23 measure, particularly in the generations coming up, that
24 doesn't presently exist with the other two products.

25 And I am not against, you know, screening and

1 trying to find and prevent blood from going through, but I
2 think if the effort was put into a more massive vaccination
3 process, that we wouldn't have hepatitis B or we should have
4 very low levels of hepatitis B infection in the blood to
5 begin with.

6 DR. HOLLINGER: I think the number is around 60 or
7 70 percent are being vaccinated. It is supposed to be
8 universal, but I think those numbers are around 70 percent
9 right now. But it is going up; they are moving up very
10 rapidly.

11 Dr. Stroncek?

12 DR. STRONCEK: You know, my understanding is the
13 tests done by the plasma groups were pooled HBV NAT assays,
14 and we have heard other people say, well, it won't do any
15 good to do pooled NAT HBV assays because we won't find
16 anything, yet here we see a lot of data suggesting we will.
17 My memory, it could be wrong, but as we went into NAT for
18 HIV and HCV, we didn't have a lot of data. We didn't have
19 studies saying it was effective. I think if we would have
20 had this data now, we would have said, "Why not do HBV,
21 too?" So maybe we need to say that we should quickly move
22 into HBV testing. You know, it doesn't seem consistent to
23 say, "No, we need to do more studies now."

24 DR. HOLLINGER: I think the issue, it seems like
25 to me, is not so much whether they can do it or not. The

1 difference is whether they would have to do single donor
2 testing versus pool testing. I think that seems to be one
3 of the major issues.

4 Yes, Marion?

5 DR. KOERPER: My recollection from that earlier
6 discussion was that the reason they weren't proposing NAT
7 testing for HBV was that the level of viremia was lower in
8 most individuals who were seroconverting, and therefore they
9 would have to do single donor testing. So unless that has
10 changed, I mean, it seems like from what I have heard, it
11 seems like there is a few individuals who may have a high
12 viremic load, but my understanding is that most individuals
13 are fairly low and that is why the pooled testing is not as
14 cost-effective.

15 DR. HOLLINGER: Yes, David?

16 DR. SCHMIDT: Well, I mean, from what I can tell,
17 a lot of this data--you know, it is the same thing you did.
18 They didn't want to do NAT testing on HBV because they
19 didn't think we would shorted the window. Most of what I
20 have seen from the REDS and other studies is extrapolation
21 of data, which is the best you can do.

22 But now that the plasma groups got real data, it
23 suggests that those extrapolations were wrong for whatever
24 reason. So I think if you look at the hardest data, it is
25 not good data, but the best real data we have, it suggests

1 that these assumptions that were made earlier about HBV or
2 hepatitis B virus were wrong.

3 Dr. Nishioka?

4 DR. NISHIOKA: Lower antigen test, and by
5 improving the testing reading, it double day by day, but in
6 this NAT screen, right, using the test in smaller pool
7 system at this point is critically important. That I wanted
8 to say. And the system how to supply the blood after NAT
9 testing is entered the system to be picked up.

10 DR. HOLLINGER: I want to call the committee's
11 attention also to what I think is a very good summary, if
12 you haven't already, many of probably already have. In
13 Transfusion, I think it is March issue 2000. It could be--
14 am I correct, it is March? February issue 2000. I think it
15 is a real good review on NAT testing, looking at all the
16 issues that are out there, talking about many of the assays.
17 So if you haven't read it, I would recommend everyone
18 looking at it, at least on the committee here.

19 I appreciate the committee's indulgence in going
20 over. We are about 20, 25 minutes over what we said we
21 would be, and I apologize for that. Tomorrow we are going
22 to take up, again, committee updates. There is a session on
23 a blood action plan, and then we have a session in the
24 afternoon on a report of the intramural site--oh, I am
25 sorry--donor deferral issues, which we have a question on,

elw

1 and then there will be a report on the intramural site
2 visit. We will start at 8:00 o'clock in the morning. Thank
3 you all.

4 [Whereupon, at 6:25 p.m., the committee adjourned,
5 to reconvene at 8:00 a.m. on Friday, March 17, 2000.]

6

C E R T I F I C A T E

I, **ELIZABETH L. WASSERMAN**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ELIZABETH L. WASSERMAN