

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE SIXTY-
SIXTH MEETING
VOLUME II

Friday, June 16, 2000

9:00 a.m.

Holiday Inn 8777
Georgia Avenue
Silver Spring, Maryland

P A R T I C I P A N T S

F. Blaine Hollinger, M.D., Chairman

Linda Smallwood, Ph.D., Executive Secretary

MEMBERS:

John M. Boyle, Ph.D.

Mary E. Chamberland, M.D.

G. Michael Fitzpatrick, Ph.D.

Richard J. Kagan, M.D.

Marion A. Koerper, M.D.

Jeanne V. Linden, M.D. B.

Gail Macik, M.D. Mark A.

Mitchell, M.D.

Kwaku Ohene-Frempong, M.D.

Terry V. Rice

Paul J. Schmidt, M.D.

NON-VOTING REPRESENTATIVES:

Katherine R. Knowles, Consumer Representative

Toby L. Simon, M.D., Industry Representative

TEMPORARY VOTING MEMBERS:

Paul R. McCurdy, M.D.

Kenrad E. Nelson, M.D. Carmelita U. Tuazon, M.D.

C O N T E N T S

Conflict of Interest Statement, Linda Smallwood, Ph.D.	4	
Committee Updates:		
Update on Requirement for Syphilis Testing, Martin Ruta, Ph.D., J.D.	5	
Regulation of HIV Drug Resistance Tests, Martin Ruta, Ph.D., J.D.	21	
Risk of HCV to Sexual Partners, Robin Biswas, M.D.	24	
Relative Sensitivity of HBsAG and HBV NAT TESTS, Robin Biswas, M.D.	31	
Open Public Hearing:		
Louis Katz, M.D., American Association of Blood Banks	32	Susan
Stramer, Ph.D., American Red Cross	36	
Open Committee Discussion:		
Proposed FDA Guidance on Leukoreduction: Current Thinking Introduction and Background	39	
Jong-Hoon Lee, M.D.		
Open Public Hearing:		
Linda Chambers, M.D., American Red Cross	74	
Merlyn Sayers, M.D., America's Blood Centers	77	
Larry Dumont, Gambro BCT	80	
Louis Katz, M.D., American Association of Blood Banks	83	Kay
Gregory, Coalition for Blood Safety	86	
Rich Gammond, South Florida Blood Banks	87	
Open Committee Discussion (resumed)	88	
Open Public Hearing (resumed)		
Mary Beth Saver, American Hospital Association	123	

1 P R O C E E D I N G S

2 MS. SMALLWOOD: Good morning, and welcome to the
3 second day of the 66th meeting of the Blood Products
4 Advisory Committee.

5 I am Linda Smallwood, the Executive Secretary.

6 On yesterday, I read the conflict of interest
7 statement that pertains to this meeting. I do have that
8 statement available if anyone would like to view it,
9 however, those things that were read on yesterday pertain to
10 today's session with respect to conflict of interest, and if
11 there are any declarations to be made regarding the topics
12 to be discussed today, please do so at this time.

13 May I ask that, if you are using a cell phone,
14 that you would turn it off, preferable, or have it on low
15 ring so that it will not interfere with the proceedings
16 here.

17 If there are no declarations, then I will turn the
18 meeting over to the Chairperson of our Blood Products
19 Advisory Committee, Dr. Blaine Hollinger. Thank you.

20 Committee Updates

21 DR. HOLLINGER: Thank you, Linda.

22 We have some most interesting Committee updates on
23 some topics that are of real interest to this group, and the
24 first one is an update on a requirement for syphilis
25 testing. And Dr. Ruta is going to give us the background

1 information.

2 By the way, after each one of these, there are
3 some public hearings--I mean, people who want to speak to
4 these issues, so rather than go through the three updates
5 and then have the issue discussed, we'll have the
6 individuals comments, if they would, afterwards.

7 Dr. Ruta?

8 Update on Requirement of Syphilis Testing

9 DR. RUTA: Good morning, Dr. Hollinger. Thank
10 you. Good morning, everyone.

11 10:05 I wanted to give a brief update on where we are
12 with some of the regulations that we published--last year's
13 committees--where, and the public's aware.

14 On August 19th of last year the FDA published, in
15 the Federal Register, two proposed regulations.

16 If I could have the overhead, it just has the
17 title of the one under discussion now. It's "Requirements
18 for Testing Human Blood Donors for Evidence of Infection Due
19 to Communicable Disease Agents."

20 Now, this proposed regulation would extend the
21 current testing requirements for HIV and hepatitis B, which
22 are currently in the regs, to include requirements for
23 testing for hepatitis C and for HLV 1 and 2. And it also
24 proposed to require supplemental testing whenever a donation
25 tested repeatedly reactive, or for one--by one of the

1 screening tests for the required infectious disease agent;
2 and, in addition, a proposed requirement that donors testing
3 repeatedly reactive would be deferred as donors.

4 Now, we also published a companion rule, is a
5 notification rule, that would require that donors who are
6 deferred would then be notified that they were deferred and
7 told why they were deferred.

8 And we initially had a 90-day comment period. This
9 was extended for another 30 days to allow for oral
10 presentation of comments at a public meeting. And, first, I
11 wanted to thank everyone who sent in comments. We
12 appreciate that. We received a total of 23 letters to the
13 testing regulation, with multiple--you know, with comments
14 included, and those were submitted to the docket.

15 Okay--now, specifically, within this reg, we asked
16 about the continued--or, this rule, we raised the question
17 about the continued utility of testing for syphilis. And as
18 the committee's aware, the syphilis test was first
19 introduced in 1938. Early on in the AIDS era, it was also
20 though to have value as a marker of high-risk behavior. And
21 the question of continued syphilis testing has come up
22 periodically. In January--last time was January '95, in
23 which an NIH Consensus Development Conference concluded--and
24 I'm quoting here--"Because the contribution of serological
25 tests for syphilis in preventing transfusions in admitted

1 syphilis is not understood, the panel concludes that testing
2 of donors for syphilis should continue."

3 So, as part of our rule-making effort, FDA
4 solicited comments, along with data, on the value of
5 donor-testing for syphilis as a marker of high-risk
6 behavior, as a surrogate test for other infectious diseases,
7 and in preventing the transmission of syphilis through blood
8 transfusion.

9 We received a total of seven letters containing
10 comments; five of the comments supported eliminating
11 syphilis testing, and two of the letters opposed eliminating
12 syphilis testing. We received some data on the subject, and
13 the ARC was kind enough to provide some data, which they
14 also presented at the public meeting in November, and it's
15 contained in the docket. And their data was of a
16 preliminary study which indicated that the DNA for T.
17 pallidum could not be detected in serologic-positive
18 samples, as with the STS positive as well as
19 FTA-positive--antibody-positive samples, and the conclusion
20 is that--their conclusion is that therefore the treponemas
21 were not likely to be circulating. And they used PCR
22 methods on a total of about a hundred samples. But because
23 the sample size was small the ARC has proposed conducting a
24 larger study.

25 In addition, the CDC has been reviewing national

1 surveillance data to learn more about cases of early
2 syphilis identified through attempted blood bank donations,
3 and the CDC is also conducting laboratory studies to assess
4 detection of the T. Pallidum DNA from persons with varying
5 stages of infection and reactivity by the traditional RPR
6 and microhemagglutination assays.

7 We are considering bringing the issue syphilis
8 testing to the BPAC in September meeting if the data is
9 ready for presentation.

10 That's all I had to say on the subject.

11 Questions?

12 DR. HOLLINGER: Any questions of Dr. Ruta?

13 Yes--Dr. Ohene-Frempong?

14 DR. OHENE-FREMPONG: Yes, with regard to high-risk
15 behavior, what's the current epidemiology of syphilis in the
16 U.S.?

17 DR. RUTA: Can I ask--well, I'll answer part of
18 the question, then I might see if Mary or someone from CDC
19 wants to address that.

20 With regard to high-risk behavior, that question
21 was addressed, in part, in the '95 Consensus Conference, and
22 the issue, more specifically, was raised about the role of
23 syphilis as a surrogate for HIV, and the panel concluded
24 that, in the face of the specific HIV test, that there
25 wasn't a value to syphilis testing.

1 The ARC also presented--or I guess it's the Rudd
2 Study also presented a limited amount of data suggesting
3 that the syphilis testing also did not have value for
4 other--only had value for--let's see--I'll just read what
5 they said, so it will be more accurate here--that "the STS
6 positive donors have a--"--excuse me--it's their conclusion
7 that "--the probable risk associated with STS-positive
8 donors is largely due to STS-related risk factors. And when
9 STS-related risk factors are not considered, STS has no
10 significant value as a surrogate indicator of behavioral
11 risk."

12 The actual data is contained within the docket,
13 which is publicly available.

14 I don't know if Mary or someone from CDC or NIH
15 wants to add to that.

16 DR. CHAMBERLAND: I think the only think that I'll
17 add is that the Division of STD Elimination is really
18 putting forward a push to try and eliminate syphilis from
19 the United States. There has been a concern that has been
20 brewing for the last year or two of a resurgence of
21 syphilis--clusters of syphilis cases--that have been seen in
22 places like Los Angeles, and Florida and Seattle-King
23 County. And these cases have been occurring in these areas
24 in--among men who have sex with men. And I think we heard
25 yesterday concerns that this may reflect--ahh--sort of a

1 return to risky behaviors, or new populations of young
2 adolescents--young men--who have not been part of the
3 earlier HIV epidemic. And so there is concern in that
4 arena, and a push to try and move towards prevention. But
5 it would clearly be a challenge.

6 If the issue is presented at the September BPAC,
7 CDC will have representatives from our Division to speak to
8 the epidemiology, as well as some of the data that may be
9 pertinent to the question about syphilis screening of blood
10 donations.

11 DR. HOLLINGER: Dr. McCurdy?

12 DR. McCURDY: This is just a comment. The NHLBI
13 co-sponsored with OMAR, the Consensus Conference that was
14 cited a few minutes ago, and one of the major
15 questions--although it was not specifically stated--was once
16 you start doing a test on blood donations, can you ever
17 stop? And the answer thus far has been "no." So I'm very
18 curious to see what happens if we go further on this.

19 DR. HOLLINGER: We may do that yet.

20 Any other questions? Yes, Dr. Epstein?

21 DR. EPSTEIN: I just wanted to add a comment.

22 CDC has published an MWUR, in which it is
23 described that the donor screening for syphilis is one of
24 the best existing mechanisms for picking up early cases.
25 And it brings to light the question of to what extent should

1 we practicing public health in the donor room?

2 In this country, we don't actually use the
3 donation process as a primary instrument of public health
4 screening --you know, for example, cholesterol screens, you
5 know, ESAs, genetic tests for a variety of inborn metabolic
6 disorders, etcetera. And I think that perhaps one of the
7 dimensions of the question, when we finally bring it to the
8 fore: if we're able to dismiss the issue of syphilis
9 screening to protect the blood product with respect either
10 to syphilis or, you know, co-incident risks, we'll still be
11 left with the question of are we willing to drop it,
12 recognizing its public health utility?

13 And that opens the door to a whole new dialogue
14 about what are we doing when someone walks into the donor
15 room? Again, in this country, we don't see it primarily as
16 a opportunity to practice public health, but there are many
17 countries where, in fact, they do.

18 DR. HOLLINGER: Then it also raises the issue of
19 who should reimburse that, as well, if that's the issue
20 going to be dealt with.

21 Yes--Dr. Simon?

22 DR. SIMON: I think it's interesting, because I
23 believe in the plasma donor centers it was instituted
24 historically as a matter of public health, since plasma
25 derivatives cannot transmit. We do the syphilis test every

1 four--initially, in every four months, and I think that it
2 was instituted primarily for that reason--as a public health
3 screen and donor issue. So I think that's an interesting
4 historical vignette, that there is a precedent, I believe,
5 if I'm correct, and it will be interesting to see how that
6 plays out.

7 In other words, we don't do syphilis testing on
8 the donated unit. We do it on the donor every four months.

9 DR. HOLLINGER: Dr. Schmidt?

10 DR. SCHMIDT: Just a comment that I don't think
11 the public health is a function of the FDA, and we get into
12 an awful lot of things if we adopt that attitude.

13 DR. HOLLINGER: If there are no other questions, I
14 do know that there are--there is one group, the AABB, that
15 has a statement that they would like to present, and I'll
16 have them do that, and then we can ask further questions at
17 this time.

18 Yes--Dr. Katz?

19 DR. KATZ: Does anybody at the table not know who
20 AABB is?

21 [Laughter.]

22 DR. KATZ: I'll skip the first paragraph.

23 We thank the committee for this opportunity to
24 comment.

25 The serologic test for syphilis has been retained

1 in the U.S. for two ends: prevention of transfusion
2 transmitted syphilis, and as a surrogate for risk behaviors
3 associated with HIV infection.

4 Transfusion-transmitted syphilis has not be
5 recognized in the United States for more than 30 years.
6 And, in fact, in 1985 an FDA committee recommended
7 eliminating STS for blood donors. This recommendation was
8 not implemented when the issue of the STS's value as an HIV
9 surrogate was raised.

10 The reasons for the disappearance of transfusion
11 syphilis are multiple, including the declining incidence of
12 infectious syphilis in this country and donor deferral
13 policies' reducing the presentation of those at risk for
14 infectious syphilis. Storage of red blood cells at
15 refrigerator temperature is probably an important
16 contributing factor as well. Still, there is transfusion of
17 fresh red cell components--albeit rare--and platelets are
18 stored at room temperature.

19 Receipt of antimicrobial therapy by those ill
20 enough to require transfusion support may also be important
21 in preventing either infection or recognition of transfusion
22 syphilis. From a biological standpoint, it must be
23 emphasized the spirochetemia associated with transfusion
24 transmissibility to *T. pallidum* generally occurs before the
25 STS is reactive.

1 At the NIH Consensus Conference in January '95
2 that's been referred to a couple of times, it was
3 concluded--and I quote--:current blood storage conditions
4 would not appear to provide an adequate margin of safety
5 against transfusion-transmitted syphilis. Should the donor
6 screening test be eliminated. Further information
7 concerning T. pallidum survival under blood and platelet
8 storage conditions, and the application of molecular
9 techniques to assess the presence of T. pallidum DNA in
L0 serologically positive units, would allow better assessment
L1 of this question."

L2 Data presented at the AABB Annual Meeting in the
L3 fall of 1999 addressed this recommendation. Orton, et al.,
L4 tested platelets from 82 PK-TP positive, FTA-ABS confirmed
L5 donors using two PCR methods, and found none with detectable
L6 DNA.

L7 Regarding the value of the STS as a surrogate for
L8 other transfusion-transmissible diseases, even prior to the
L9 implementation of sensitive NAT assays for HIV and HCV, the
L0 Consensus Development Conference concluded--and I
L1 quote--"Cross-sectional studies and examination of prior
L2 donations from donors undergoing HIV seroconversion indicate
L3 that serologic tests for syphilis have very little value as
L4 a surrogate marker for HIV infection in recently infected
L5 persons who have not yet developed detectable antibodies to

1 HIV. Syphilis testing is likely to identify less than one
2 such donor annually within the United States. This low
3 efficacy of syphilis testing as a surrogate marker of HIV is
4 not sufficient by itself to warrant its application to all
5 blood donors. Low positive predictive values for HBV, HCV,
6 or HTLV infections similarly do not support retention of
7 syphilis testing as a surrogate for these infections."

8 Ramsey and Sherman reviewed FDA-reported blood
9 component recalls in the United States from 1990 through
10 '97. Of an estimated 241,800 components recalled, 57
11 percent--or 137,000, were for incorrect syphilis testing.
12 These were primarily in a single large recall of units where
13 weakly reactive STS results might have been called negative.
14 This recall was classified by FDA as a class III
15 recall--quote--"not likely to cause adverse health
16 consequences."

17 With these points in mind, AABB supports the
18 elimination by FDA of the requirement for performing an STS
19 on each whole blood donation.

20 DR. HOLLINGER: Louie, before you leave--and I
21 also want to ask Dr. Simon about this--could you tell us
22 what the--give us some numbers of donors that are eliminated
23 each year? First-time donors and repeat donors that have
24 positive tests, that are removed from the donor
25 screening--in the blood banking industry and then the plasma

1 industry, as well?

2 DR. KATZ: In my center, we turn up between 100
3 and 120 reactive RPRs a year, less than 5 percent of which
4 confirm with FTA. Those--the components from those
5 donations are generally lost, because of the time-frame
6 involved in completing confirmatory testing. The donors are
7 not deferred if their FTA is negative. But, as I said, of
8 100 to 120, less than five a year at my center, out of
9 55,000 donations confirm.

10 And I can't give you accurate first-time versus
11 repeat, but most of ours are repeat donors. As a matter of
12 fact, we have probably 25 or 30 donors, I believe, who have
13 repeatedly reactive STS on repeated donations, and we're
14 able to salvage their red cells, but not their platelets.

15 DR. HOLLINGER: Is Dr. Stramer here? Can she tell
16 me--from the American Red Cross standpoint? And then I'd
17 like to ask Toby?

18 DR. STRAMER: For Red Cross donations, I just have
19 percentages, and we collect 6.2 million. So one just needs
20 a calculator to convert.

21 Our reactive rate for FTA is .18 percent. And of
22 those--of the total, then, .08 percent--or about half of the
23 FTA positives--are also RPR-reactive. So our algorithm
24 includes a total treponemal confirmatory test by
25 florescent-antibody test, and that was the reactive rate of

1 .18 percent, and then we take the FTA reactives and test
2 them by RPR.

3 And, again, of those--of the total donations, .08
4 percent are RPR-reactive.

5 Sharon Orton from the Holland Laboratory is also
6 here, and can add a little bit more about the
7 characteristics of donors who are FTA and RPR-positive. So
8 I would suggest that we let her make a comment as well.

9 DR. HOLLINGER: If I look at these numbers, from
10 what you just said, that's about, then, 62,000--correct me
11 if somebody has also done this--but it looks like about
12 62,000 that are then reactive with the RPR. And these were
13 deferred, is that correct?

14 DR. STRAMER: Correct.

15 DR. HOLLINGER: Okay. And they haven't been
16 tested for DNA or anything like this at the present time.

17 DR. STRAMER: Well, just the subset that was
18 described by Dr. Ruta and Dr. Katz.

19 DR. HOLLINGER: Okay.

20 Yes?

21 DR. RUTA: So, I'm a little--I have a question, if
22 you don't mind. I'm a little confused, because I'm looking
23 at the data, and the data that you guys gave us said there
24 were 1.8 million donations between May '93 and September
25 '95. Of those, 2,151 were STS reactive, and 1,274--0.7

1 percent--were confirmed by FTA. And then you go on to say
2 that you have 6 million donations annual, 7,200 lost
3 components, and 4,200 temporarily deferred donors.

4 I was wondering how you get a number of 60,000?

5 DR. STRAMER: Well, I believe the percentages on
6 the data--okay, well then they do--

7 [Pause.]

8 DR. HOLLINGER: It looks like, from mine, it's
9 12,400 and 6,200, then--approximately. Yes.

10 Dr. Nelson:

11 DR. NELSON: The public health benefit was
12 mentioned, given that despite the loss of donors the
13 screening might detect some infected cases or people who
14 don't otherwise know they're infected.

15 Are there any data on how many of these .1 percent
16 or so already know that they're--are already aware, and how
17 many are really--have public health significance; are new
18 cases, unknown cases?

19 DR. ORTON: Yes, I'm Sharon Orton, from the Red
20 Cross, that has done the work the infectivity. I've also
21 done a case-control study of blood donors who are
22 PK-TP-positive, and both FTA-positive and FTA-negative. And
23 in that case-control, 50 percent of individuals who do have
24 a confirmed positive FTA do report a previous history of
25 syphilis, and knew that they had a previous history.

1 And, interestingly, there was also about 40
2 percent of individuals who have a negative FTA who report
3 having a previous history with positive screening tests in
4 the past. So even the serology is not consistent over time.

5 DR. HOLLINGER: Thank you.

6 This is really just an update here, but it gives
7 us some idea of what we're going to be discussing, probably,
8 in the future.

9 Louie, do you have another question--comment?

10 DR. KATZ: Well, the numbers from Red Cross and my
11 center sound a little difference, which is because they
12 screen with a confirmatory test--PK-TP--and we screen with
13 the RPR, which is substantially less specific, I think, than
14 the PK-TP.

15 DR. HOLLINGER: Thank you.

16 Colonel Fitzpatrick?

17 COL. FITZPATRICK: The DOD rate for RPR is about
18 .03 percent--0.3 percent--for the screening. And I don't
19 have the confirmatory. And those are mostly first-time
20 donors.

21 But our confirmatories are very low. I'll see if
22 I can--I think I have those.

23 DR. HOLLINGER: Okay. Thanks.

24 Yes--Gail?

25 DR. MACIK: I wanted to get--with these positive

1 tests, but there has not been a documented transmission? Is
2 that what I heard when you started off--in 30 years?

3 DR. HOLLINGER: Well, documented transmission but,
4 of course, it's tested--I mean, one would argue it's tested
5 for syphilis. So--

6 Louie, do you want to comment on that? You made
7 the statement.

8 DR. KATZ: I actually didn't say "documented." I
9 said "recognized"--number one. And I think that's a legit
10 issue, and gave some reasons.

11 In the 15 years I've been doing STS, 50,000 times
12 a year, and I also happen to run the STD clinic in our local
13 health department, and we've not picked up an early syphilis
14 through that testing in 15 years. And it's only early
15 syphilis. Often, prior to seroconversion, in fact, where
16 spirochetemia that would be transmissible by transfusion
17 occurs.

18 DR. HOLLINGER: Dr. Schmidt?

19 DR. SCHMIDT: I reported that last case in the
20 United States, and I can bring the picture next time. It
21 was a florid, secondary syphilis, with multiple skin
22 problems. So it became very obvious, very soon.

23 DR. HOLLINGER: Thank you.

24 Thank you, Dr. Ruta. I think--oh--

25 DR. RUTA: If you don't mind, I had one more

1 update.

2 DR. HOLLINGER: Yes. Yes.

3 Regulation of HIV Drug Resistance Tests

4 DR. RUTA: Thanks. It looks like there's a lot of
5 interest in the syphilis question, and thank you for your
6 comments.

7 There's one more update that I wanted to give, and
8 that is on regulation of HIV drug-resistance tests, and the
9 committee remembers that we brought this for discussion in
10 September, and we've been getting a number of letters on the
11 subject, and so I just wanted to make a statement, both for
12 the Committee and for any interested public, as to what our
13 current thoughts are on the subject.

14 As of now, we have not yet approved any tests, but
15 as the Committee knows, drug resistance--HIV drug resistance
16 tests are tests that detect mutations in the HIV virus and
17 may be useful in monitoring infected patients and in their
18 treatment. And such tests may be provided in several
19 different formats, and those would included as an intact
20 finished tests manufactured by a company that's then shipped
21 to a laboratory for use; two, it can be presented as an
22 anilide-specific reagent--that is, a company would make
23 primers or probes, and that they would be shipped to a
24 laboratory for use at the clinical laboratory; or, three, it
25 can be provided as an in-house test by the clinical

1 laboratory, using only in-house developed reagents, and the
2 primers and probes. And as the Committee is aware, if a
3 manufacturer makes a--or produces a finished HIV drug
4 resistance test that's shipped to a laboratory for use, they
5 are required to obtain FDA approval. And last September we
6 brought the issue of approval of drug resistance tests to
7 the BPAC for discussion, and the Committee voted that they
8 thought such tests could be re-classified from Class III to
9 Class II.

10 I wanted to talk a little bit about the other two
11 categories, because we've been getting some questions about
12 it.

13 So, as I mentioned, the HIV drug resistance tests
14 can be performed using in-house developed tests. And FDA
15 believes that ASRs--anilide-specific reagents, or primers
16 and probes, using tests intended for post-diagnosis
17 monitoring and treatment of patients infected with HIV,
18 including ASRs using HIV drug resistance assays, fall within
19 the definition of a Class III device that's described in the
20 ASR regulation in our regulation. And just for purposes of
21 anyone who wants to know, the cite of the regulation is 21
22 C.F.R. 864.4020. And manufacturers of ASRs would be
23 required to obtain FDA approval.

24 A clinical laboratory that develops an in-house
25 test using an anilide-specific reagent that is in commercial

1 distribution is required to append the following statement
2 to their test result--and I'm going to quote now. This is
3 also in the regulations--but I'm quoting: "This test was
4 developed and its performance characteristics determined
5 by--"--and you fill in the laboratory name--"It has not been
6 cleared or approved by the U.S. Food and Drug
7 Administration."

8 And moving on to the third category in which we're
9 talking about in-house tests using in-house developed
10 reagents: "The FDA also believes that clinical that develop
11 in-house tests are acting as manufacturers of medical
12 devices and are subject to FDA jurisdiction under the
13 Federal Food, Drug and Cosmetic Act. Currently CBER is
14 exercising its enforcement discretion in electing not to
15 require pre-market approval for in-house tests developed by
16 a clinical laboratory for its exclusive use in the
17 monitoring of HIV, provided that claims made by the clinical
18 laboratory are only for the analytical capability of the
19 test. Clinical laboratories are advised to provide only the
20 actual results of analytical sensitivity testing conducted
21 on samples, and no clinical or medical claims about the
22 benefit of making treatment decisions on the basis of these
23 tests should be promoted, suggested or claimed.

24 "The FDA encourages clinical laboratories that
25 have developed the reagents for in-house use to append the

1 statement--"--and, again, it's the same statement that I
2 read before, I'll just read it again--and I'm quoting--"This
3 test was developed and its performance characteristics
4 determined by--"--fill in the laboratory name--"It has not
5 been cleared or approved by the U.S. Food and Drug
6 Administration." --end quote--"--to the test results."

7 I also wanted to let people know that while we are
8 not, at this point, requiring submission of applications
9 from clinical laboratories that develop their in-house tests
10 for HIV drug resistance, we are--will accept submissions on
11 a voluntary--if they're submitted on a voluntary basis for
12 such HIV drug resistance tests.

13 Thank you.

14 DR. HOLLINGER: Any questions in regards to this
15 issue?

16 I know there's a lot of interest in both genotypic
17 and phenotypic testing for drug use and so on, and so I
18 think this is going to be an important issue to do--to
19 regulate in some regard, down the line anyway.

20 No other? Okay, thank you, Dr. Ruta.

21 The next update is on the risk of HCV to sexual
22 partners, and--Dr. Biswas.

23 Risk of HCV to Sexual Partners

24 DR. BISWAS: At the December 1997 Blood Products
25 Advisory Committee, the issue of whether or not sexual

1 partners of persons who test positive for antibody to
2 hepatitis C virus--anti-HCV--should be deferred was
3 addressed. At that meeting, scientists from NIH, CDC and
4 the Harvard School of Public Health presented data from
5 studies of anti-HCV-negative spouses or sexual partners of
6 individuals with anti-HCV. The data indicated that
7 transmission of hepatitis C virus between spouses and sexual
8 partners appears not to be a problem.

9 Under current procedures, at the discretion of
10 blood establishments' medical directors, prospective donors
11 who are sexual partners of anti-HCV-positive individuals may
12 donate blood, provided that their partner does not have a
13 history of clinically apparent viral hepatitis during the
14 year prior to donation.

15 A somewhat different approach has been taken with
16 regard to donors of tissues for transplantation. The July
17 29, 1997, FDA Guidance for Industry document entitled
18 "Screening and Testing of Donors of Human Tissue Intended
19 for Transplantation" states that persons who have had sex in
20 the preceding 12 months with any person suspected of having
21 hepatitis C infection should not be accepted as a tissue
22 donor. FDA will be reconsidering the policy of tissue
23 transplantation.

24 FDA is maintaining an awareness of results of
25 further studies that are designed to evaluate sexual

1 transmission of HCV. While sexual transmission of HCV, such
2 events appear to be rare. For this reason, history of
3 HCV-positive sexual partner is not a strong correlate of HCV
4 risk in a donor.

5 DR. HOLLINGER: Thanks, Robin.

6 I think I'll have the--I think, again, Dr. Katz
7 has a comment. Where did Louie go?

8 Any comments? Oh.

9 Yes, Dr. Simon.

10 DR. SIMON: I think it's worth making the panel
11 and the representative of the agency aware that in the
12 plasma industry, the comment practice is defer sexual
13 partners because of the global harmonization issues. And
14 most of the fractionators insist that we defer these
15 individuals. Scientifically and medically, I agree with the
16 agency and find this a troublesome practice. It also, I
17 think, gets into issues of privacy and so forth, when we
18 start intruding into people's sexual histories.

19 So it is a very hot issue, and if it were possible
20 to come to conclusive scientific conclusions here and to
21 seek harmonization internationally on this issue it would be
22 very beneficial to the plasma industry.

23 DR. HOLLINGER: Thank you.

24 Yes--now, Dr. Katz.

25 DR. KATZ: Thank you for your patience while I

1 practice medicine.

2 The AABB opposes addition of deferral of
3 whole-blood donors as a consequence of sexual contact with
4 HCV-infected partners. Data from CDC and multiple other
5 published sources suggest that the prevalence of infection
6 in the steady sexual partners of HCV-infected people is at
7 approximately the population background. Although persons
8 with multiple sexual partners may be at increased risk, it
9 remains controversial whether this represents sexual
L0 transmission or un admitted and unrecognized parenteral
L1 exposures. Because the infection is uncommon, if not
L2 absent, among the steady sexual partners of HCV-positive
L3 persons, the CDC does not recommend that HCV-positive
L4 persons with a steady sexual partner need to change their
L5 sexual practices; nor is it recommended that such partners
L6 be routinely tested.

L7 If the risk is low enough that neither barrier
L8 precautions nor routine testing is the standard of care, it
L9 would seem illogical to recommend that such partners be
20 excluded as donors. As a result of the above
21 considerations, the FDA to date has not required donor
22 deferral for sexual contact with HCV-infected persons. There
23 is even less reason to consider such deferral at this time
24 because the implementation of NAT testing has reduced an
25 already low risk of HCV transmission by blood transfusion to

1 virtual zero.

2 Thus, the AABB feels that donor deferral based on
3 contact--sexual contact--with an HCV-positive individual is
4 inappropriate and a wasteful use of limited donor resources.

5 Thank you.

6 DR. HOLLINGER: Thank you.

7 Any other comments from the public on this issue?

8 And how about comments from the committee? Or questions?

9 I'll just share with you, if I could, the little
10 bit of data that we've done, because we've had an interest
11 in this issue about sexual transmission. We've looked at
12 about 400--over 450 couples that--in which the index case
13 had hepatitis C. And of those, all but four--all but
14 four--admitted to a potential parenteral risk factor. So
15 only four didn't admit to one potential risk factor that was
16 parenteral.

17 5:00 In this group there were 30 couples--approximately
18 30 couples that were both positive; in which both partners
19 were positive. We've looked at 18 of these--or 19 of these,
20 so far--for--by single-stranded confirmation polymorphism
21 evaluations to see how close they were to each of the
22 individuals. Nine of these, or 10 of these had different
23 genotypes. So, clearly, they didn't get it from each other.

24 Of the remainder, only one was sufficiently close
25 to each other to suggest that they might have acquired it

1 from that individual. And when discussing that with that
2 individual--they'd been married 20 years, I think since
3 1982, and this was about 1998--they'd been married about 18
4 or 20 years, and the woman had shared needles only with her
5 husband during that period of time. So it's been our
6 contention most of the time--I think Dr. Nelson and their
7 group has had lots of experience with the issue also--that
8 it's very unlikely, or very uncommon for sexual transmission
9 to occur from one partner to the other. You can never
10 really exclude it. If you assume that they're getting it
11 from the parenteral source, then you never can really say,
12 well, they might have also gotten it from a sexual
13 transmission. So, I mean, that's--you have to sort of take
14 that into account. But it must be very uncommon.

15 Now, I believe--I think there probably is a
16 difference in acute disease, where there is very high
17 concentrations of RS in the window period, with very little
18 antibodies and other things, and I think that may be part of
19 the difference that we've seen with the comments that have
20 come from the CDC initially, where they were looking at
21 acute transmission, and felt that there was some
22 transmission going on at that time. But outside of that
23 source, I think it's very uncommon, at least in our
24 experience.

25 Yes--Dr. Nelson?

1 DR. NELSON: I think, you know one issue that--the
2 biology of HIV is pretty well known, in terms of receptors,
3 and where the receptors are. And receptors for hepatitis C,
4 actually a couple, have just been identified. And it's not
5 clear--I mean, it doesn't make logical sense as to why
6 hepatitis B and HIV should be readily sexually transmitted.
7 And this debate was--you know, early on, the feeling was
8 that HIV was only transmitted by male-to-male sex, and it's
9 obvious now that that's not the primary transmission
10 worldwide.

11 But I think that, you know, a lot more needs to
12 been done on the biology of infection with hepatitis C.
13 It's conceivable that its related to receptors in the
14 genital tract or something like that, and I think that, you
15 know, more needs to be done on this.

16 But I think that, really, all studies have shown
17 it's rare. The real question is, you know, is it absent?
18 And I don't think it's absent. Because, you know, if it
19 requires a blood-to-blood transmission, that can occur with
20 sexual transmission, as well. So there's a lot we don't
21 know about the biology of hepatitis C transmission.

22 DR. HOLLINGER: I would agree with that. It's
23 probably not zero, and that's what we tell our patients.

24 Any other comments?
25 Okay. Thank you, Robin.

1 Colonel Fitzpatrick?

2 COL. FITZPATRICK: I just need to go back and
3 correct those figures, since it went into the record.

4 For 1996, the deferral rate in DOD for FTA
5 positive donors was .039 percent, which equates to about 429
6 donors. In '97, it was .037 percent, which is about 407
7 donors, and in '98 it dropped to .023 percent--about 250
8 donors.

9 DR. HOLLINGER: Thank you for that correction.
10 It's on the issue of syphilis.

11 Okay. Thank you, Robin.

12 The final update is on the relative sensitivity of
13 HBsAG and HBV NAT tests. And, again Dr. Biswas.

14 Relative Sensitivity of HBsAG and HBV NAT Tests

15 DR. BISWAS: Data presented at the March 16, 2000
16 Blood Products Advisory Committee meeting indicated that
17 hepatitis B virus nucleic acid testing--HBV NAT--of source
18 plasma donations using the format of testing mini-pools
19 containing 512 donations currently being performed under
20 IND, might offer little improvement in sensitivity compared
21 to hepatitis B surface antigen--HBsAG testing--of individual
22 donations, using some of the more sensitive HBsAG tests. In
23 regard to this, FDA is organizing studies in collaboration
24 with NIH, NHLBI, that directly compare: one, HBsAG testing
25 of individual samples using various HB-AG screening assays

1 to, two, HBV NAT testing using the 512 sample mini-pool
2 format for testing source plasma.

3 At the present time, whole blood and components
4 for transfusion in the United States are not tested by HBV
5 NAT assays. HBV NAT testing of all blood donations has been
6 implemented in Japan and is being discussed in Europe. FDA
7 is also reviewing the lower limits of detection of all
8 currently licensed HBsAG tests and their various incubation
9 times, tests that are used to screen the blood supply.
10 After completion of this review, FDA will decide whether to
11 change the lot-release requirements of licensed HBsAG tests
12 in regard to lower limits of detection.

13 We welcome the submission of any existing data on
14 high-sensitivity tests for HBsAG. The data should contain
15 sufficient details so that meaningful head-to-head
16 comparisons between tests can be made. And, in fact, we're
17 beginning to receive such data.

18 DR. HOLLINGER: Thank you, Robin.

19 There are two people who have asked to speak to
20 this issue. Again, the AABB, Dr. Louie Katz, and then
21 followed by the American Red Cross.

22 Open Public Hearing

23 DR. KATZ: The review of the relative sensitivity
24 of approved and pending hepatitis B surface antigen assays
25 versus HBV NAT by FDA is timely, as U.S. blood collection

1 facilities are being asked to instituted mini-pool NAT
2 screening for HBV by European plasma fractionators within
3 the next year. Major U.S. blood banking organizations are
4 resisting this request, based on a combination of
5 cost-benefit considerations and the general, but not
6 universal, benignity of HBV infections acquired by
7 transfusion.

8 The European fractionators are being asked to
9 consider the use, instead of NAT testing in mini-pools, of
10 more sensitive HBsAG assays, detecting less than .1
11 nanograms per mil of antigen, that are pending FDA
12 consideration and approval. In addition, some U.S.
13 suppliers of recovered plasma to the European market have
14 proposed the exclusive use, when a donor is found to be
15 antechoir-positive of anti S-reactive units, and perhaps HBV
16 NAT when acceptable assays are available. The use of
17 choir-positive donors positive for anti-S would minimize the
18 fractionation of HBV-DNA position units in the interval, and
19 preserve levels of anti-HBS in plasma derivatives.

20 Preliminary data from the Red Cross and Blood
21 Systems, Incorporated, indicate that new highly sensitive
22 surface antigen tests will detect antigen at levels
23 equivalent to approximately genome equivalents per
24 milliliter or higher per donation. This is equivalent to
25 the sensitivity, NAT HBV testing in pools of 100 to more

1 than a thousand that are currently proposed.

2 We would consider supporting HBV NAT assays in
3 mini-pools if an ultra sensitive assay were developed and
4 validated in a multiplex format to be combined with the
5 current HIV and HCV assays. NAT HBV mini-pool sizes of 16
6 to 24 samples as currently performed in the U.S. on whole
7 blood donations for volunteer donors for HIV and HCV may
8 improve HBV detection to 500 to 1000 genome equivalents per
9 mil--per donation, using current technology. This would
10 result in about a five to ten day closure of the HBV window
11 period based on the observed HBV doubling time of 2.5 to 4
12 days in the pre-surfacing antigen ramp up phase.

13 The estimated cost for this additional benefit is
14 roughly \$36 to \$48 million annually in the volunteer sector.
15 Given the current incidence of HBV among U.S. volunteer
16 blood donors--9.5 per 100,000 person years of
17 observation--and this estimated window period reduction by
18 mini-pool NAT relative to highly sensitive B surface antigen
19 assays of five to ten days, we project that the yield of HBV
20 NAT, compared to the unlicensed but more sensitive surface
21 antigen assays will be between 1.3 and 2.6 HBV detections
22 per million volunteer donations per year; that is
23 NAT-positive B surface antigen on an ultra sensitive assay.

24 From data presented at recent meetings, it appears
25 that the majority of HBV DNA positive, surface antigen

1 negative units detected in Europe and Japan are found to be
2 positive in tests for antechair. All volunteer blood
3 collected in the U.S. is screened for antechair, so
4 detection with HBV NAT will be substantially less than in
5 those countries where such screening is not routine,
6 especially the countries of the European Union.

7 Most recipient exposed to such units will have
8 sub-clinical, transient HBV infections, with no long-term
9 sequelae. Additionally, as all blood derivatives are
10 subject to one or more highly effective viral inactivation
11 procedures, the goal of testing plasma for further
12 manufacturers to ensure as small as possible a viral load in
13 the starting material. Thus, the practical benefit of
14 mini-pool NAT for HBV is exceedingly poor.

15 We recognize that the blood community's commercial
16 relationship with plasma fractionators is not of regulatory
17 interest to the FDA. Still, we encourage FDA to give
18 expedited considerations to applications for more sensitive
19 hepatitis B surface antigen testing, both for enhanced
20 safety of the U.S. blood supply, and to help the membership
21 of AABB answer the concerns of fractionators on both sides
22 of the Atlantic.

23 Thank you.

24 DR. HOLLINGER: Thank you, Dr. Katz.
25 And then let's follow this with the comment from

1 the American Red Cross--Dr. Stramer?

2 DR. STRAMER: Okay. Thank you. I'll make the
3 comment from here.

4 I will skip the first two paragraphs. You know
5 who I am, and you know who the Red Cross is.

6 THE American Red Cross supports the continuing
7 efforts to increase the safety of whole blood components and
8 plasma derivatives, and therefore supports the effort to
9 examine methods to reduce the small residual risk of
10 hepatitis B virus transmission through blood, blood
11 components and plasma derivatives.

12 Currently the risk of hepatitis B through
13 transfusion from whole blood donations is estimated to be
14 one in 63,000, based on an incidence of 9.5 per 100,000.
15 Those are the figures that Dr. Katz just presented. More
16 recent data from the American Red Cross for 1997 to 1999
17 demonstrate incidence of 4.5 per 100,000, which reduces the
18 risk to one in 135,000.

19 Studies performed by the American Red Cross and
20 presented at the last Blood Products Advisory Committee
21 meeting highlighted the low concentration of HBV DNA in
22 seroconverting HBsAG-negative individuals early in
23 infection. The median concentration of virus was reported
24 to be 600 copies per mil, in 13 individuals studied. Of
25 those 13 individuals, five would have been detected by

1 pooled HBV NAT if one assumes comparable test sensitivity
2 with current HIV and HCV-NAT tests used today in mini-pools.
3 This translates to a window-period reduction of four days of
4 a 25 total--25-day total.

5 Data were also shown at the BPAC documenting that
6 HBsAG assays having sensitivities of .1 nanogram per mil or
7 less are able to detect samples having DNA copy
8 concentrations in the range of 100 to 8,000 copies per mil,
9 with a median detection of 3,440 copies per mil. This
10 sensitivity is comparable with the sensitivity of NAT
11 testing currently performed in the source plasma industry,
12 using relatively large pools. Therefore, it would seem
13 logical to follow a step-wise pathway to decrease an already
14 small risk from hepatitis B virus as follows.

15 One--implement sensitive HBsAG assays with a yield
16 comparable to HBV NAT that is performed in large pools of
17 100 to 1,200 donations; two, develop ultra-sensitive HBV NAT
18 methods, having 20 to 50 copies per mil sensitivity that can
19 be multiplexed with the current HIV and HCV nucleic acid
20 tests in the mini-pool environment.

21 It is worth noting that due to the low incidence
22 of hepatitis B in whole blood donors, long inter-donation
23 intervals, and therefore the possibility of only one
24 window-period donation from any positive donor, and
25 antechair screening of all whole-blood donations, that even

1 upon the implementation of HBV NAT testing the yield will be
2 very low--approximately 1.2 per million, using the Red Cross
3 1997 to 1999 incidence data.

4 The American Red Cross's proposed current strategy
5 for the management of hepatitis B virus in the context of
6 manufactured plasma products is designed to assure the
7 absence of detectable HBV DNA in the final products. As I
8 discussed yesterday for hepatitis A virus, hepatitis B virus
9 PCR will be performed on pools of plasma prior to
10 fractionation. In the event of a positive result, the
11 manufacturing pool would not be used and would be destroyed.

12 Red Cross has performed a qualification run to
13 determine the logistics and feasibility of this strategy. A
14 pilot study involved the equivalent of 540,000 donations
15 that were pooled into 45 manufacturing pools of 3,200
16 liters. Each pool was tested for HBV DNA by PCR at National
17 Genetics Institute. It is no surprise that all pools tested
18 negative for HBV. Although this strategy allows the
19 detection of only high titre units, there is no evidence
20 that high titre units are not being detected currently.

21 As part of the strategy, consideration is also
22 being given to HBV DNA screening of antechair reactive
23 donations, and use of only those units that test HBV DNA
24 negative. We believe that this strategy for HBV screening
25 for recovered plasma from volunteer whole-blood donors is

1 the most reasonable approach until sensitive pooled HBV NAT
2 methods are available.

3 Thank you.

4 DR. HOLLINGER: Thank you, Susan.

5 Questions? Anybody else from the public wish to
6 make a comment?

7 Committee members--comments?

8 Okay. Thank you, Robin.

9 We're going to move on, then to the next item,
10 which is proposed FDA Guidance on Leukoreduction: the
11 Current Thinking, and Dr. Lee will give us an introduction
12 and background to the issues.

13 Open Committee Discussion

14 Proposed FDA Guidance ON Leukoreduction: Current Thinking

15 DR. LEE: Thank you, Mr. Chairman, and good
16 morning.

17 I believe you're on the home stretch now. This is
18 the last topic before we adjourn, so hang in there.

19 This is a topic that we've visited several times
20 before, and we will do so once again this morning, with the
21 aim of shaping a future FDA guidance on this topic:
22 leukoreduction.

23 Let me give you a brief introductory background
24 about leukoreduction; the regulatory milestones associated
25 with that topic--although much of this is probably familiar

1 to most of you.

2 I guess I'll start with the March 1995 FDA
3 workshop on leukoreduction, where the topic of
4 leukoreduction as a process to generate a special class of
5 products was discussed. All of the blood
6 components--cellular blood components, more specifically,
7 red cells and platelets--could be leukocyte reduced for
8 increased product purity, which had certain clinical
9 benefits in--at least at that point--selected,
10 well-recognized clinical cases. And as a result of this
11 workshop, in May 1996 an FDA memorandum was written on the
12 topic of leukocyte reduction, and that memorandum focused on
13 manufacturing issues, and left the use of this class of
14 products to medical discretion for those patients that were
15 recognized to potentially benefit from that product. And
16 that memorandum basically stated that--recommended the
17 specific term "leukocyte reduction" or "leukocytes reduced"
18 as the proper term to use for these class of products;
19 recommended that the residual white blood cell threshold to
20 be no greater than 5.0×10^6 residual white blood cells per
21 unit, that 85 percent of the original therapeutic blood be
22 retained in the leukocyte reduction process, and the whole
23 process be conducted in a GMP setting to assure a quality of
24 the product that are subjected to this process.

25 The indications for use of those products were

1 left to medical discretion, and clearly recognized
2 indications were few: febrile nonhemolytic transfusion
3 reactions were one, and that claim made its way into the
4 circular of information which is blood product labeling; a
5 product insert for blood and blood components. And the
6 indications were beginning to be broadened, and the first
7 effort at that was in September of 1997, when the topic was
8 discussed by this committee for the specific indication of
9 whether or not leukocyte reduced blood products are
10 effective in reducing the potential for CMV transmission by
11 blood. And the committee, by overwhelming majority, voted
12 in favor that leukocyte reduction is effective in reducing
13 the transfusion-transmitted CMV, and also noted that the
14 different methods for reducing leukocytes--I'll use the fly
15 as my pointer--

16 [Laughter.]

17 --I guess I don't have my pointer any more.

18 That's all right--that the different methods for leukocyte
19 reduction were not equivalent, however all methods were
20 effective, probably to different levels that were too
21 difficult to demonstrate clinically.

22 Now, one would anticipate that additional
23 discussion about indications for use would be brought to
24 this committee, such as the effectiveness in reducing the
25 potential HLA alloimmunization which complicates patient

1 management. And, most importantly, the potential for
2 leukocyte reduction to reduce the transfusion-related
3 immunosuppression that has a very significant clinical
4 effect yet--although it is a very difficult effect to
5 demonstrate clinically.

6 The fact that leukocyte reduction might--the fact
7 that blood transfusion might suppress the immunity of the
8 recipient such that tumor progression or post-operative
9 bacterial infections--things of this sort--can--that effect
10 of transfusion, if demonstrated, can also be diminished by
11 leukocyte reduction if demonstrated--those are very
12 important clinical indications that are currently being
13 discussed, but the magnitude of the clinical trials that are
14 necessary to demonstrate these effects is such that it is
15 probably not possible to demonstrate that effect in a
16 reasonable--in reasonable recent future, other than by
17 consensus of accruing experience over time.

18 Nonetheless, that's an important indication, and
19 along with many others, those indications could have been
20 discussed. However, that topic was sort of short circuited.
21 In September of 1998 this committee was charged with the
22 question of whether or not leukocyte reduction is effective
23 in--whether or not universal leukocyte reduction--that is,
24 the use of leukocyte reduction for all red cells and
25 platelets at least--would be a scientifically sound thing to

1 do, in view of the fact that it has several clear-cut,
2 demonstrated clinical benefits and a whole multitude of
3 other controversial, yet nonetheless important, clinical
4 indications.

5 And this committee supported, by overwhelming
6 majority, that on a clinical, scientific basis, that
7 leukocyte reduction is to be recommended for all cellular
8 blood products; more specifically, red cells and platelets.

9 Based on that outcome, in December of 1999, FDA
10 sponsored a public workshop on the implementation of
11 universal leukocyte reduction as to how this transition
12 might be best accomplished. Of course, the scientific
13 issues are not the only ones affecting leukocyte reduction,
14 and in April of 2000 the PHS Advisory Committee discussed
15 the issue of reimbursement; that is, although it is clear
16 that scientifically this is desirable, on a broader public
17 health level is it also desirable, given that cost is an
18 important concern, more specifically reimbursement is an
19 important concern which might have detrimental indirect
20 effects if universal leukocyte reduction were to be hastily
21 implemented.

22 And, to close the loop, in June of 2000 this topic
23 was brought before the Transmissible Spongiform
24 Encephalopathy Advisory Committee with respect to the
25 effectiveness of leukocyte reduction in reducing the

1 potential infectivity of variant CJD. The charge to BPAC in
2 September of 1998 was to consider all clinical indications
3 except CJD, and that last topic of CJD was brought before
4 the TSE Advisory Committee in June of 2000, and that
5 committee found that the current existing evidence was
6 insufficient to conclude that leukocyte reduction is
7 effective in reducing the potential infectivity of variant
8 CJD by blood transfusion. That was no surprise. We
9 anticipated that, but at least we visited the topic
10 thoroughly, and we know exactly where we are, given the
11 amount of information available.

12 And here we are today, in trying to shape an FDA
13 recommendation--FDA guidance to industry about leukocyte
14 reduction, as to how we might now proceed forward, given
15 this amount of discussion, and this amount of information
16 that is currently available.

17 Next slide.

18 Just to pick up where we left off in September of
19 1998, this committee voted 13 votes "yes," "no" votes zero,
20 with three abstentions to the following question: is the
21 benefit-risk ratio associated with leukocyte reduction
22 sufficiently great to justify the universal leukocyte
23 reduction of all non-leukocyte transfusion blood components,
24 irrespective of the theoretical consideration for
25 transfusion-transmitted CJD. And both the consumer and

1 industry representatives voted--were in agreement with the
2 "yes" vote; and just to remind you, the cost and
3 reimbursement concerns were not considered by this
4 committee.

5 Next slide.

6 And this scientific decision was then further
7 developed at the FDA workshop--as I briefly alluded to just
8 awhile ago, and three predominant themes were discussed at
9 that FDA workshop in December 1999 on implementation of the
L0 universal leukocyte reduction. We talked about the
L1 transition period, as to how we might--given that this is to
L2 be desirable--how we might best go about making the
L3 transition period, and generally the workshop participants
L4 favored a transition period of something like two years,
L5 where people are getting ready for a ramp up, making changes
L6 to their operating procedures, their personnel, adjustments
L7 to their scope of manufacturing to accommodate increased use
L8 of leukocyte reduction.

L9 At that workshop, another theme that emerged was
20 that the current method of monitoring the quality of
21 leukocyte reduced blood was insufficient; that the current
22 recommendation, which is really meant to be a minimum FDA
23 recommendation, but nonetheless taken as the recommendation
24 of testing only 1 percent, or four units per month, per
25 process variation of leukocyte reduction, that was felt that

1 that method is grossly insufficient to assure the quality of
2 leukocyte reduced blood.

3 The third theme that emerged was that of
4 streamlining licensing. The workshop participants agreed
5 that the current mechanism of licensing blood centers for
6 leukocyte reduced blood could be streamlined so that
7 reporting burden is diminished, without necessarily
8 jeopardizing public health.

9 So, having said that, I have identified three
10 issues--three fundamental issues; there are whole slew
11 of--at least several dozen specific issues that can be
12 discussed, but we only have this morning, and I think these
13 three fundamental issues are plenty for discussion, and it
14 will actually be very helpful if you can actually derive
15 some kind of a direction based on this morning's discussion.

16 The first--and I take this in the order of
17 increasing complexity, and reserve the most complicated
18 question for last. Starting out with a manufacturing issue,
19 and that is an issue of quality monitoring. How can we
20 better assure the quality of the leukocyte reduced blood, in
21 accordance with the previous discussions; the current
22 recommendations that are meant to be minimum are adhered to
23 as the recommendation are clearly insufficient.

24 The next issue is that of licensing, and how we
25 might streamline the licensing of leukocyte reduction.

1 And, thirdly, we can again revisit the dilemma of
2 leukocyte reduction as a clinical choice or as a
3 manufacturing requirement, and we'll see how much--what kind
4 of a resolution we can bring to that current dilemma.

5 Okay--so this is the first major topic--major
6 sub-topic of leukocyte reduction for this morning. And in
7 order to discuss this thoroughly, I think we might start
8 with a definition.

9 We talk about pre-storage leukocyte reduction. I
10 think we might begin with a definition so that we're all on
11 the same page. And this is a working definition, which can
12 certainly be modified. And I'll read this definition: the
13 reduction in the content of contaminant leukocytes in a
14 blood unit to 1.0×10^6 cells or fewer while retaining at
15 least 85 percent of the therapeutic product within 24 hours,
16 using a method which assures, at 95 percent confidence
17 level, that more than 95 percent of the units meet these
18 product specifications.

19 That's a long definition, however it has some key
20 words in it which are highlighted in orange. First of all,
21 the word "contaminant." I put that word in there to
22 indicate that we mean blood components that are meant to be
23 non-leukocyte blood components; certainly, granulocyte is a
24 blood component and is excluded from this definition. So
25 we're talking about red cells, platelets and, potentially,

1 plasma, because they are blood components and leukocyte
2 contaminants are present--to very low levels, but
3 nonetheless still present in units of plasma. So, first of
4 all the word "contaminant" appears in this definition.

5 Secondly the threshold of residual white cell
6 content per unit currently reads, in this definition "
7 1.0×10^6 ," whereas before, per the 1996 memorandum, which
8 is still in effect today it's 5.0×10 to the sixthXXXX.
9 And this is an adjustment that can easily be made, because
10 we know that we can get there with the current filtration
11 technology. This is the standard that's being used by
12 Europe. And really, from an operational standpoint, it is
13 not much different from 5.0×10^6 , but this is a slight
14 change towards increasing the stringency as the technology
15 permits. So therefore 1.0×10^6 was chosen.

16 Retention of product--85 percent. This is a
17 carryover from the previous memorandum. There is really no
18 reason to increase--although I suspect that we could. But,
19 for the moment, I decided to retain this 85 percent.
20 Certainly this can be discussed further by this committee
21 today.

22 So the 1.0×10^6 and 85 percent, those are numbers
23 that are geared at increasing product purity and product
24 safety, while retaining product efficacy.

25 Now the word--the time frame of this process

1 "within 24 hours of blood collection." A variety of
2 different time frames can be chosen for this. The committee
3 might be well reminded that the current leukocyte filtration
4 equipment, or more specifically, blood filters and
5 cytapheresis instruments--well, I guess more specifically,
6 blood filters--they had been approved under 510(k) for
7 pre-storage leukocyte reduction for periods that extend up
8 to five days. So--we don't want to say five days, however,
9 because clearly five days would be a fairly long period, and
10 it's not really pre-storage anymore if a product has been
11 sitting around for five days, then leukocyte reduced. Yet,
12 operationally, any time period more stringent than 24 hours
13 would be nearly impossible, and even 24 hours might be too
14 burdensome operationally. But for right now, we might go
15 with the 24 hour as a working definition so that we can
16 minimize cell degradation and cytokine release which
17 contributes to adverse transfusion effects.

18 And lastly, but most importantly, to process a
19 unit of blood for leukocyte reduction in a way that assures,
20 with a certain level of confidence--and what is that level
21 of confidence? Typically what's been used in clinical
22 trials is 95 percent, so we have consistent with that 95
23 percent confidence level was chosen. And what is the
24 process specification? With the product specifications--if
25 product specifications are defined as 1.0×10^6 residual

1 cells, with 85 percent of product recovery, then what are
2 the process specifications? You might say that the process
3 specifications is assuring that 95 percent--greater than 95
4 percent of the products subjected to this method are
5 actually acceptable units, and you know that to be the case
6 with 95 percent confidence. And those two numbers are meant
7 to be--meant to define the process specifications.

8 Next slide.

9 Okay. So we have--that definition does not
10 necessarily mean that--i's not necessarily recommended as
11 the one--as the final definition, but certainly recommended
12 just as a starting point for discussion.

13 Okay. Now, let's move to a case example. Just to
14 illustrate why current methods of QC testing, or quality
15 monitoring, is insufficient to assure product quality of
16 leukocyte reduced blood.

17 Let's go through this case example.

18 A blood center manufactures and leukocyte reduces
19 400 red blood cells units per month. Let's say that an
20 error in filter priming procedures used by a new staff
21 member results in achieving acceptable final product
22 standards in only 80 percent of leukocyte reduced units.
23 Per current FDA recommendations, the blood center performs
24 QC testing on four units, and the units are found to be
25 satisfactory. That's entirely possible; with a process

1 specification of only 80 percent it's entirely possible that
2 when you test four units, you might come across four units
3 that are quite acceptable.

4 So what is our sensitivity in detecting that a
5 procedural error has crept in and is jeopardizing many units
6 as unacceptable units? Well you might calculate that by
7 taking 80 percent--.8--and raising that to the fourth power
8 for the chance of occurring an acceptable unit four times in
9 a row, and that overall chance of seeing four units that are
10 acceptable, consecutively, is 41 percent.

11 Now if that's 41 percent, then 1 minus 41 percent,
12 or 59 percent, is your chance of detecting at least one unit
13 that is unacceptable. Now, notice I used .8 raised to the
14 fourth power than .2 raised to the fourth power because .2
15 raised to the fourth power would mean detecting an
16 unacceptable unit four times in a row, and we're not
17 interested in that statistic. We're interested in detecting
18 at least one unit, so we have to go with 1 minus .8 raised
19 to the fourth power for a 59 percent figure--which is really
20 the test sensitivity if you consider the entire quality
21 control and monitoring process as a test, then you might say
22 that the sensitivity of this test or this quality monitoring
23 program is 59 percent, which is really not sufficient at
24 all. And in this case I've defined that sensitivity as your
25 confidence level in assuring the quality of leukocyte

1 reduction process.

2 Next slide.

3 So as you can see that the current methods are
4 grossly insufficient, grossly insensitive, and does not
5 assure, with any level of confidence, that when you see
6 acceptable units only in your QC testing that the entire
7 process is working properly. That assurance cannot be
8 obtained.

9 Then we have to define some process specifications
10 in addition to product. I said before that the product
11 specifications are 1.0×10^6 residual cells per unit, and
12 the recovery of 85 percent of the product. And then, once
13 again, the process specifications are that the percent of
14 units that are acceptable be greater than 95 percent, and
15 that we know this to be the case with 95 percent confidence
16 that greater than 95 percent of the units are acceptable.
17 So those are process specifications on top of the more
18 familiar product specifications.

19 To achieve these specifications, we have to
20 increase our sample size. And we have to do so in a way
21 that's not overly burdensome for blood centers. And sample
22 size is closely related to the concept of a manufacturing
23 period. Obviously a large blood center has a large sample
24 size, and a small blood center will have only a small sample
25 size. However, if given enough time, a small blood center

1 will make lots of products, too. So we can't just think
2 about sample size as a number, we have to think of it in
3 terms of the time period, therefore the concept of
4 manufacturing period becomes important. And in order to
5 detect a procedural error as early as possible, whatever
6 sample size is chosen, that sample size be divided in
7 multiple aliquots, and that testing be performed as
8 frequently as possible within practical limits so that any
9 error in procedure can be detected at the earliest possible
10 time.

11 Okay. Now, this chart is meant to be a chart full
12 of numbers of confidence levels, or sensitivities of the
13 quality monitoring program. Across the top are numbers
14 which represent the percent of units that are acceptable.
15 So 50 percent, 60 percent, on up to 99.9 percent, that are
16 the percent of units that meet product specifications of 1.0
17 x 10⁶ cells per unit or less, and 85 percent product
18 recovery. And along the left-hand column are the number of
19 QC units that might be chosen.

20 The case example that I went through just awhile
21 ago--if you read across to 80, and then drop down to "4" for
22 QC units, you see the number "59," and that's the 59 percent
23 confidence level, or sensitivity, which I just explained.

24 12:35 And, recognizing that that is grossly
25 insufficient, what would we like? Well, we just defined, at

1 least for the purposes of discussion, 95 percent confidence
2 level, with 95 percent--more than 95 of the products meeting
3 product specifications. So if you move across the top
4 column to 95 percent, and then drop down to 95 percent
5 confidence level, and then read of the left-hand column of
6 the number of QC units, we arrive at 60 units. So it
7 appears if you quality control 60 units and do not find any
8 unacceptable unit in your 60--pool of 60 samples, then you
9 can be assured that more than 90 percent of the products
10 that you claim as leukocyte reduced are indeed leukocyte
11 reduced, and you can make that claim with a 95 percent
12 confidence level. So this is a way to put a handle on the
13 amount of uncertainty that necessarily accompanies the fact
14 that you're not testing every unit. If you're not testing
15 every unit, there's always room for some uncertainty, but
16 this way at least you know what the level of uncertainty
17 is.

18 Now, that chart that I just went through is really
19 for conceptual purposes, and it's not rigorously accurate.
20 In fact, as you decrease the number of sample--as you
21 decrease the total number of samples, or total number of
22 units that a particular blood center manufactures, the
23 number of units becomes a little bit smaller than 60. So if
24 you make 250 units or more, I think if you test 60 units the
25 process specifications hold. However, as you drop down, the

1 number of units that you actually need to test is slightly
2 smaller. Now, you might argue that this is not
3 significantly different from 60, and that's a point that can
4 be discussed further, but there is some small reduction in
5 the actual number of units that you have to test if you are
6 making less than 250 units.

7 Next slide.

8 Now, of course, as I said earlier, the sample size
9 has to be considered in terms of the manufacturing period or
10 some kind of time interval. Now if you define your time
11 period or more frequent, then the QC burden becomes quite
12 high. Now, if you define your time period as ten years,
13 then the QC burden is very, very low, but then you won't
14 know where you're at for a ten-year period. Only after a
15 ten-year period has elapsed you'll know that whatever you
16 did is whatever the process specifications you're aiming
17 for. So we have to strike some sort of a balance. And for
18 purposes of discussion, we chose three months. Anything
19 more frequent than three months would be fine, but
20 recognizing that this may be a significant QC burden, chose
21 three months as the upper limit.

22 And as I alluded to earlier, there are advantages
23 of a long manufacturing period; the longer it is, the
24 smaller the QC burden, obviously. The 60 units can be
25 spread out over three months is less burdensome than 60

1 units spread out over one month. There is a price to pay
2 for that, however, and that is the duration of uncertainty.
3 If you choose a short time period, such as one month or
4 shorter, with each passing of that time period you know for
5 sure that you've closed the loop; everything you've done,
6 provided that you haven't encountered an unacceptable QC
7 unit is per process specifications and all product
8 specifications are met. You know that at the end of that
9 manufacturing period. If you choose a long period, that
10 uncertainty continues until you close the loop by completing
11 QC testing for that period. And, of course, if you were to
12 uncover--discover an unacceptable unit, then you'll have to
13 perform some kind of investigation, not only to correct the
14 process, but to initiate action for all the products that
15 had been released under that process, in terms of product
16 retrieval and notifications. So the chances of that becomes
17 higher with lengthening the manufacturing period. So this
18 is a trade-off.

19 And whether you choose a short period or a long
20 period depends on your manufacturing scope. If you're a
21 large blood center QC burden is relatively small with
22 respect to your entire manufacturing production capacity,
23 and you might go with a reasonably short manufacturing
24 period; whereas if you're a large blood
25 center--sorry--whereas if you're a small blood center, you

1 might choose a longer period just so that the QC burden does
2 not overwhelm your operation.

3 Whatever the sample size is, and whatever the
4 manufacturing period is chosen, the number of total units
5 that are subjected to QC testing should be divided so that
6 testing can be performed at weekly intervals or more
7 frequently, towards the aim of detecting an unstable process
8 as early as possible. So although finding a portion of the
9 units acceptable does not assure that you're okay with your
10 process, finding something certainly tells you that
11 something is not okay. And to increase that possibility of
12 detecting as early as possible, you might divide that into
13 multiple aliquots and test them periodically.

14 Next slide.

15 In terms of process validation, right now the
16 Agency looks at the quality monitoring program as a
17 two-phase effort, and this is a concept that carries over
18 from the previous 1996 memorandum that's still currently in
19 effect. You might initially validate your process by
20 testing a certain number of units up front, consecutively
21 and, as per previous discussion, that number may be 60
22 consecutive units per process variation, and when you
23 discover no unacceptable units, having directly QC tested 60
24 units consecutively, then you can be assured that, at least
25 for the time being, your process is robust.

1 How do you ensure that your process remains robust
2 for the next ten years, or as long as you manufacture
3 leukocyte reduced products? That's where you have to
4 perform continuing quality monitoring through quality
5 control testing, and this depends on the--as I stated just
6 awhile ago--predefined sample size, and predefined
7 manufacturing period. And these are criteria that are
8 facility-specific, and you may choose your own, depending on
9 your manufacturing scope.

10 If every unit that you initially test as part of
11 process validation, and then you subsequently test as part
12 of continuing quality monitoring, if all the units turn out
13 to be acceptable per QC testing, then everything is fine.
14 But, of course, you will not. You will encounter an
15 unacceptable process every now and then. And that point,
16 revalidation of process will be necessarily.

17 But even without encountering an unacceptable
18 unit, you might just change your process, just because new
19 technology became available, you are now able to hire an
20 increase level of staffing. Whatever the change is, if you
21 introduce a change, you should revalidate the process
22 anyway. Even if you don't change your process, if you
23 encounter an unacceptable unit, then you should also
24 revalidate your process.

25 Next slide.

1 So--either a change in the process or discovery of
2 an unacceptable unit triggers revalidation. If the
3 revalidation is being performed as part of having detected
4 an unacceptable unit, then, of course, that has to be
5 preceded by process investigation; you have to look to see
6 where you--if your process went wrong somewhere. And if you
7 find it, of course, you will correct it and revalidate.

8 Even if you don't find anything, you'll have to
9 revalidate before you can determine that the unit that you
10 detected as unacceptable is sheerly by chance; everything is
11 fine; your process is fine, however, since your process
12 specification to begin with is only greater than 95 percent,
13 not 100 percent, then you might encounter a product that's
14 still within your process specification, it's just on a
15 statistical chance basis. Before you can arrive at that
16 conclusion, you should revalidate another 60 consecutive
17 units.

18 So the need for revalidation--you might think
19 that, based on chance, you might stumble onto an
20 unacceptable unit so frequently that you're revalidating all
21 the time. That is not necessarily true. The need for
22 revalidation is unlikely if your process is stable, and that
23 your process standard--whatever it is--exceeds the minimum
24 standard significantly. The minimum standard that we just
25 talked about is 95--more than 95 percent of the product

1 being acceptable. If you operate very close to that
2 standard, then, yes indeed, the chances are high that you
3 might, by chance, encounter an unacceptable unit and subject
4 yourself to a process revalidation requirement. However
5 current filtration technology allows operating at a standard
6 much higher than that. I suspect that it's easily 99.9
7 percent. And under that scenario where you are exceeding
8 the minimum standard by at least 50-fold, and provided that
9 your process is stable, your need for revalidation is highly
10 unlikely.

11 So, I just kind of, step by step, went through
12 what might be a reasonable alternative, in terms of quality
13 monitoring--alternative to the ones that are in place today.

14 So let's consider other alternatives. Well, the
15 first alternative is to simply retain what we have--four
16 units or 1 percent, whichever is greater, per month. The
17 problem with this, of course, is that it does not assure
18 product quality. But it is simple, and it's already in
19 place, and it's a reasonably low QC burden.

20 An alternative to this might be to simply increase
21 this number. While this is also simple, an the transition
22 for that would be easy, and it would likewise be a
23 relatively low QC burden, however it's only a marginal
24 improvement in assuring product quality, and you won't know
25 how much you've improved.

1 You can make a drastic change. You could switch
2 to device QC testing. Yesterday we talked about 510(k)
3 notification not being subject to product-release
4 requirements, whereas PMA is. Currently, blood filters are
5 clearly under 510(k). There is--typically, there has not
6 been a clinical trial requirement, and once cleared,
7 typically there is no continuing requirement from the filter
8 manufacturer to demonstrate continuously that all filters
9 are manufactured according to product specifications.

10 If we were to increase the burden, or shift the
11 burden to the device side, that might potentially relieve
12 the blood centers of having to perform any QC testing, since
13 QC testing is being done up front by the device
14 manufacturers. That's a drastic change, and I point that
15 out only for discussion, not necessarily as the Agency's
16 current thinking. The problem of that approach, of course,
17 is that everything that happens after the filter--all the
18 variables that are operational, that are associated with
19 training of the people that are actually performing
20 leukocyte reduction at the blood center, those are all
21 variables, and none of that would be captured under that
22 kind of paradigm.

23 In addition to these three alternatives, the
24 fourth is the one that I just went through in great detail.
25 The problem with that is that QC burden is high, especially

1 for small centers. But, actually it can be quite low for
2 large centers, not requiring 1 percent anymore, but
3 requiring simply 60, provided that you make enough products
4 within a reasonably short time period, that 60 may turn out
5 to be below 1 percent. The advantage of this is that it
6 gives you statistical confidence, and it tells you exactly
7 where you are in assuring minimum standards.

8 Next slide.

9 So, I think this sub-topic deserves an interim
10 summary. So, in terms of quality monitoring, we're shooting
11 for statistical confidence of an acceptable process. The
12 process performance might be defined as better than 95
13 percent of acceptable units. The confidence level might be
14 defined as 95 percent, and this is to be implemented with
15 respect to initial process validation and continuing quality
16 monitoring. Important concepts to incorporate in
17 implementing such a plan would be the sample size and the
18 definition of the manufacturing period. An the process
19 investigation is necessary whenever you discover one--even
20 just one--unacceptable unit, and revalidation will be
21 needed.

22 Alternative to this process are either retain the
23 current approach, or shift the QC burden to the device side
24 and accept the operational variables that exist at the blood
25 centers.

1 Next slide.

2 Okay. So I thought that was the most
3 straightforward and least controversial and least complex of
4 the three questions that we want to discuss. The second is
5 the licensing paradigm. And although this is more complex,
6 I have less to say about it.

7 Per 1996 memorandum, currently require up front
8 submission and review of the following elements: quality
9 control data, labelling, standard operating procedures,
10 manufacturing records, and all of those are elements--and
11 those are the major elements; there are others that are
12 minor--those major elements are to be submitted up front as
13 prior approval supplement--or PAS--for all submissions
14 requesting licensure of leukocyte reduction.

15 The proposed revision is as follows: retain the
16 requirement for submission of quality control data and
17 product labelling, however drop the remaining, and simply
18 require evidence of quality assurance oversight, and the
19 reporting can also be expanded. Not all submissions need to
20 come in as prior approval supplement, but may be submitted
21 in one of three ways, depending upon what it is--what
22 situation fits you best.

23 One might be CBE, or "Changes Being Effected." If
24 a blood center implements leukocyte reduction per FDA
25 recommendations, FDA recommendation can be regarded as a

1 protocol of leukocyte reduction implementation, and if
2 you're following that protocol then you may simply implement
3 at the same time that you report under licensure. So that
4 would be a CBE licensing. So you'll be submitting less, and
5 you will be able to implement your change quicker.

6 However, if you were to deviate from these
7 recommendations and propose an equivalent or better
8 manufacturing recommendations, those submissions are
9 certainly welcome. But in order for FDA to agree with you,
10 we would have to see that submission up front, and we would
11 review them in detail. Under that scenario, we would fall
12 back to all of the previous submission elements to be
13 submitted, and require and up front review as a prior
14 approval supplement.

15 For blood, there are often multiple sites that are
16 under control of a single applicant, but typically, multiple
17 sites under the control of a single applicant uses the same
18 SOP, uses virtually the same everything except for the fact
19 that they're at a different center. And for those centers,
20 you might simply report under CBE, if you do not deviate
21 from the current protocol--from the FDA protocol. But if
22 you do deviate from the FDA protocol, then your protocol
23 needs to be submitted as a prior approval supplement for up
24 front review. But once reviewed and accepted, the addition
25 of multiple sites under that protocol can be reported as

1 CBE. And this would be the "Comparability Protocol
2 Mechanism."

3 Next slide.

4 Let me go over two pieces of regulation that we
5 think will allow us to do this. Under 21 CFR 61.112(c), the
6 changes being effected in 30 days is first described--and
7 I'll get to CBE in just a minute, but this is a broader rule
8 that subsumes CBE; CBE 30 subsumes CBE.

9 And CBE-30 reads as follows: "A supplement shall
10 be submitted for any change in the product, production
11 process, quality controls, equipment, facilities or
12 responsible personnel that has a moderate potential to have
13 an adverse affect." So if you have a significant affect,
14 that's a PIS, if it's a minor affect, it's something even
15 less than CBE 30. If it's a moderate potential to have an
16 adverse affect on the identify, strength, quality, purity or
17 potency of the product as they may relate to the safety or
18 effectiveness of the product, that's a CBE 30.

19 Now, under this rule, CBE is explained further.
20 "FDA may determine that, based on experience with a
21 particular type of change, the supplement for such a change
22 is usually complete and provides the proper information, and
23 particular assurances that the proposed change has been
24 appropriately submitted, the product made using the change
25 may be distributed upon receipt of the supplement by FDA."

1 So, under the case of CBE 30, when experience has
2 shown that submission requirements are typically met, the
3 FDA has the option of designating that as CBE.

4 This rule goes on further to say, "These
5 circumstances may include substantial similarity with a type
6 of change regularly involving a CBE supplement of a
7 situation in which the applicant presents evidence that the
8 proposed change has been validated in accordance with an
9 approved protocol." So this allows the comparability
10 protocol provision for multiple facilities, while at the
11 same time this may serve as the basis for viewing FDA's
12 guidance to industry as leukocyte reduction as a protocol
13 which allows CBE submission to be made under that protocol,
14 if you follow that protocol.

15 And I tried to summarize this in a chart. On the
16 left-hand column are the submission elements, and across the
17 top are the different mechanisms of submission. For PAS
18 column--now this is referring to PAS submitted as an
19 alternate to FDA's guidance. If you deviate from FDA's
20 guidance--you're certainly welcome to do so, but it has to
21 be a PAS submission for up front review and approval. And
22 under that submission you would include all the elements
23 that are x-ed for FDA's close review.

24 Second two columns is for comparability protocol.
25 If you have multiple facilities and you want to deviate from

1 FDA's guidance, you're certainly welcome to do so, but you
2 should first provide a protocol. And in that protocol, you
3 should include the elements that are x-ed for FDA's up front
4 review and approval. Once reviewed and accepted by the FDA,
5 then you may report much less for multiple facilities under
6 that protocol, as CBE. And that includes the product
7 labeling, quality control data, and manufacturing records.

8 If you do not deviate--if you simply follow FDA's
9 guidance to the letter--then that can be viewed upon as a
10 protocol itself which allows the applicants to submit simply
11 product labelling, quality control data, and manufacturing
12 records in support of a CBE submission; you're implementing
13 the change at the time you're requesting licensure.

14 Next slide.

15 I think that's straightforward enough that I did
16 not do a summary for that, and I'll move to the most
17 complicated question, but I have the least to say about
18 that.

19 And this is the issue of product use. Is it a
20 clinical choice, or is it manufacturing?

21 In the 1996 memo, per outcome of the '95 workshop,
22 leukocyte reduction was left as a clinical choice, a medical
23 decision to be made. Physicians may choose from available
24 products, both leukocyte reduced and leukocyte not-reduced.
25 The proposed revision is as follows--once again, the

1 decision to use leukocyte reduced product remains a medical
2 decision. Once again, both leukocyte reduced and
3 non-leukocyte reduced products will be available. "If a
4 physician chooses to use a non-leukocyte reduced product for
5 a particular patient he may do so, and the product should be
6 available. However, the clinical benefits of leukocyte
7 reduction have been clearly recognized and the indications
8 for using them are continuing to expand. In recognition of
9 that, FDA encourages the use of leukocyte reduced product
L0 whenever feasible."

L1 Let's talk about that just a bit further.
L2 Pre-storage leukocyte reduction, as we defined about 20
L3 minutes ago, and let's consider the clinical benefits of
L4 increasing product purity through leukocyte reduction.
L5 These--the four indications that are listed up at the top,
L6 that much we already know. Pre-storage leukocyte reduction
L7 is superior to bedside filtration, and there is no
L8 controversy about that. It eliminates much of the
L9 inconsistency that accompanies a bedside procedure, and also
L0 it eliminates the often--the clinically very significant,
L1 although relatively infrequent, consequence of precipitous
L2 hypotension that has been associated with bedside
L3 filtration.

L4 In addition to that, the potential to virtually
L5 eliminate febrile reaction is well recognized through

1 pre-storage leukocyte reduction, but no necessarily by
2 bedside filtration. The potential to reduce CMV
3 transmission, perhaps comparable to the level of CMV
4 seronegative units is clearly recognized. The potential to
5 reduce HLA alloimmunization is clearly recognized.

6 And let me skip down to the bottom, with the
7 orange print, to further argue in favor of using leukocyte
8 reduced products whenever possible.

9 Although arguments against leukocyte reduction as
10 a routine use has pointed out that not every patient
11 benefits from leukocyte reduced blood, and on a cost
12 consideration, this should be reserved for only those
13 patients that are recognized to be beneficiaries, the
14 following arguments can still be made. Why should patients
15 suffer through several transfusion reactions before being
16 recognized as a candidate? Second question: HLA
17 alloimmunization may not necessarily be important for
18 current patient management, however you never know what's
19 going to happen to that patient in the future. He may
20 receive a transplant in the future. So we should probably
21 try to minimize that for all patients.

22 Also, even if everything works out perfectly, in
23 terms of recognition, there are medical and clerical errors.
24 A physician may order leukocyte reduced blood, yet a
25 leukocyte un-reduced blood may be sent to the floor. A

1 patient may not recognize the need for leukocyte reduced
2 blood when it's clearly indicated, and not prescribe it. So
3 there are errors that can be eliminated by routine use.

4 And, lastly, there are a whole slew of indications
5 that argue in favor of routine use, and although they remain
6 controversial at present, we might consider those as a
7 precautionary measure, even for these controversial
8 indications. And let's just quickly go through that in the
9 middle part of the slide. And those are: transfusion
10 associated immunosuppression--a very important effect,
11 almost impossible to demonstrate through clinical trial, but
12 a very important effect; red blood cell and storage
13 lesion--a very important effect in terms of product
14 manufacturing and product shelf life; bacterial growth
15 during product storage--although not clearly the sole answer
16 to the problem of bacterial contamination, perhaps a partial
17 answer; leukocyte-induced viral reactivation--another
18 important consideration; as is transfusion-related acute
19 lung injury--re-perfusion injury after cardio-pulmonary
20 by-pass procedures; and even the theoretical transmission of
21 CJD and variant CJD. TSE advisory committee found the data
22 is insufficient. The advisory committee did not conclude
23 that it is ineffective. It simply found that data was
24 insufficient to recognize effectiveness at this point.

25 Next slide.

1 Are there any drawbacks, other than cost, of
2 leukocyte reduction? There are, but those are
3 filter-specific--device specific. These are reactions that
4 are caused by filter failures, and provided that there is
5 enough scrutiny at the device manufacturing level, these can
6 be avoided. So far, two reactions have been reported, and
7 this is the red-eye reaction, I think most everyone in the
8 audience is familiar with. There's been more recent reports
9 of severe back pain associated with the use of certain
10 filters, and the circumstances around that is even less
11 clear than the red-eye, but nonetheless recognized as a
12 potential complication of filter failure.

13 But even with these failures, the numbers are
14 small, and the problems were readily controlled by
15 withdrawing particular lots of filters.

16 So, in terms of FDA's current thinking on the use
17 of leukocyte reduced blood components, they recognize the
18 advances in scientific understanding with respect to
19 leukocytes in transfusion medicine. The indications for
20 leukocyte reduced blood products are growing all the time.
21 And whether or not it's effective in reducing variant CJD
22 really remains unresolved at this point.

23 With respect to reimbursement, we recognize this
24 as the only, but very significant concern, and this problem
25 is being addressed at the Department level, with the PHS

1 advisory committee as the focal point. Of course the key
2 player is our sister agency of Health Care Financing
3 Administration. But these efforts are aimed at minimizing
4 the potential adverse impact on transfusion safety, if
5 routine use were to be implemented without thorough
6 investigation.

7 So the Agency continues to recognize both
8 leukocyte and non-leukocyte reduced products, however the
9 agency supports the use of leukocyte reduced products
10 whenever feasible.

11 You might ask when will leukocyte reduction become
12 a manufacturing requirement? Well, I guess there's two ways
13 that this can become a manufacturing requirement. The first
14 is the most obvious, straightforward one, and that is if the
15 agency moves towards changing the Code of Federal
16 Regulations to recognize leukocyte reduction as a
17 manufacturing step--as an integral manufacturing step in the
18 collection of blood; much like testing for HIV. If that
19 happens, then it is a regulatory requirement and is directly
20 enforcement all GMP.

21 There's an alternate pathway. The industry might
22 beat FDA to the punch; might decided that there is enough to
23 go with leukocyte reduced product, and adopt, as a voluntary
24 industry standard, the use of leukocyte reduction for all
25 patients. In that case, it is not a regulatory requirement

1 per se, however, once it is clearly recognized as the
2 industry standard, it will influence the agency's review
3 decision, in terms of licensing--licensure submissions and
4 review.

5 You might think, "Why not move directly to
6 rule-making?" Well, of course, there's the cost concern,
7 and there's the potential for indirect adverse impact on a
8 large scale of reducing transfusion safety. And, much as a
9 physician is taught not to do harm before that physician
10 intervenes in the management of a patient, I think the same
11 might be true for regulatory actions: do not over-regulate
12 when you don't have to, unless you're clear that the
13 regulatory action will be beneficial.

14 So that's sort of where things are. And I guess
15 at this point I will try to entertain some questions.

16 DR. HOLLINGER: Thank you, Dr. Lee, for this--what
17 I think is a well thought out and presented--the issues that
18 are available. Appreciate that.

19 Yes, there are some questions. There are some
20 groups that want to speak to this issue from the public, but
21 let's--what I'd like to do, maybe have them just talk just a
22 minute, and then we'll come back to that.

23 OPEN PUBLIC HEARING

24 DR. HOLLINGER: There are two groups that have
25 asked, again, to speak. One, again, is the American Red

1 Cross--Dr. Stramer, and is Susan--I'm sorry, Dr.

2 Chambers--Dr. Linda Chambers from the American Red Cross.

3 DR. CHAMBERS: Since I'm relatively new to these
4 meetings, I will read my first paragraph, but beg your
5 indulgence.

6 Thanks for the opportunity to speak regarding
7 leukoreduction of red cells. I'm Dr. Linda Chambers, the
8 Senior Medical Officer for the American Red Cross Biomedical
9 Headquarters. Red Cross, as you know, collects over 6
10 million units of blood from volunteers each year in the
11 United States, and is responsible for almost half of the
12 nation's blood supply.

13 In September '98, when BPAC voted in favor of
14 leukoreduction of all cellular transfusion components, the
15 Red Cross received a powerful message and took this as a
16 unanimous recommendation to convert our manufacturing
17 processes to universal pre-storage leukoreduction. We began
18 this process as soon as it was feasible for two specific
19 reasons. First, Red Cross is a very large organization; we
20 had to convert 36 regions, with physically different
21 manufacturing sites. In some instances, facilities had to
22 be remodeled and extensive new equipment designed and
23 purchased. We also needed to develop new procedures and
24 conduct training for thousands of staff involved.

25 More importantly, we regarded it as an ethical

1 responsibility to the patients we serve to help move
2 transfusion practice as quickly as possible to this
3 emerging standard of care. In November of 1998, during our
4 initial assessment of the implications of the BPAC vote,
5 about 13 percent of red cells Red Cross distributed were
6 leukocyte reduced at the request of the ordering hospital.
7 Six months later, and before Red Cross leukoreduction
8 initiatives had been implement, that number had risen to 25
9 percent; in other words, the requests for leukocyte reduced
L0 products were increasing, even before our conversion efforts
L1 were fully underway. At the end of April 2000,
L2 approximately 56 percent of red cells distributed were
L3 leukoreduced.

L4 Red Cross supports BPAC's 1998 decision and
L5 believes we've taken the right steps to increase the use of
L6 leukoreduced products. We support FDA's intentions to issue
L7 guidance and regulations on this matter for several reasons.

L8 First, a specific statement describing
L9 leukoreduction as a requirement would enhance the public's
L0 confidence in FDA. A document and related regulations would
L1 provide strong reassurance that the agency is maintaining
L2 their vigilance over the safety of the blood supply and the
L3 purity of these products.

L4 Second, a guidance is needed to help ensure that
L5 the product being manufactured meets quality expectations.

1 An FDA directive will help guide standardization across all
2 manufacturing process sites in the quality control
3 procedures, and establish appropriate expectations for FDA
4 inspections. All blood programs will have a more uniform
5 understanding of the measures that must accompany
6 appropriate manufacturing practices and can plan
7 accordingly.

8 It would be helpful to Red Cross to have
9 clarification of FDA's intentions and expectations. As you
10 can imagine, implementing universal leukoreduction in our
11 manufacturing practice is a resource intensive effort. The
12 longer FDA takes to issue its proposal, the more resources
13 we expend in a direction we believe is appropriate, but that
14 may not be exactly as expected by FDA.

15 And finally, an FDA guidance document and related
16 regulations will serve to clarify for customers the
17 deadlines and other specifications around which
18 leukoreduction is being performed.

19 Thus, we ask that the Committee affirm its
20 recommendation for leukoreduction of all cellular components
21 for all patients, and we encourage FDA to take specific
22 regulatory action by issuing related guidances and rules as
23 expeditiously as possible.

24 Thank you for the opportunity to speak, and I'll
25 be happy to answer any questions.

1 DR. HOLLINGER: Thank you.

2 Yes--Dr. Schmidt?

3 DR. SCHMIDT: Question--is this--in monitoring the
4 quality of the units, the Red Cross sacrifice whole units to
5 do the testing, or does it get alloquots from the units to
6 do their testing?

7 DR. CHAMBERS: I don't know. Is there someone
8 here from Red Cross who can answer that question?

9 I believe it's alloquots.

10 DR. LEE: Yes, I'm not Red Cross, but I know that
11 it's simply alloquots. There are sterile tubings that are
12 already attached to the blood units, and you simply express
13 to the blood in the tubing into the unit, mix the unit up,
14 and let it fill the tubing back up. Then you take the
15 tubing off--all sterilely. So the product integrity is not
16 breached when you take a sample off of it.

17 DR. HOLLINGER: Thank you.

18 The next person who would like to speak in the
19 public portion of this is Dr. Merlyn Sayers, for America's
20 Blood Centers.

21 DR. SAYERS: Thanks, William.

22 America's Blood Centers' position on universal
23 leukoreduction is simple: if implementation is required,
24 then ABC is going to participate and comply.

25 ABC members provide something like one-half of

1 this nation's blood supply, and the membership agrees that
2 many patients might benefit from leukocyte reduced products.
3 And it's even possible that patient outcomes from universal
4 leukoreduction, and those that--we've heard of them from Dr.
5 Lee--those outcomes might even offset some of the costs of
6 universal leukoreduction, and those costs are estimated at
7 somewhere upwards of \$500 million. Obviously that offset
8 would take some period of time to achieve.

9 Nonetheless, the data showing offsetting benefits
10 are inconclusive, and for that reason there's no consensus
11 regarding the value of universal leukoreduction among the
12 membership of ABC. Many members still consider the
13 selection of components for specific indications to
14 constitute the practice of medicine, and thus outside the
15 purview of the FDA.

16 If the FDA does go forward with a recommendation
17 for universal leukoreduction, ABC has several implementation
18 concerns. Firstly, the issue of reimbursement. The major
19 impediment to implementation of universal leukoreduction is
20 the delay in reimbursement adjustments for Medicare and
21 Medicaid, and they pay for over half of all blood
22 transfusions. Hospitals tell us loudly and clearly that
23 they cannot wait two or more years for reimbursements to
24 catch up with practice, and the time between an FDA
25 recommendation and adjustment of reimbursement has to be

1 shortened. The Health Care Financing Administration has the
2 authority to do this. Unless a public health emergency
3 exists, FDA must coordinate the timing of its
4 recommendations with increased reimbursement from HCFA.

5 New recommendations for blood safety should, then,
6 have two components: an FDA recommendation for
7 implementation, at the same time as a HCFA approach for
8 reimbursement, and ABC believes that a recommendation for
9 universal leukoreduction should be the subject of a joint
10 message from the Health Care Financing Administration and
11 the FDA.

12 Then there's the concern about the implementation
13 period. While universal leukoreduction does have some
14 patient benefits, it is not a compelling public health
15 concern. And in addition to the reimbursement
16 considerations, ABC is concerned that an FDA recommendation
17 concerning universal leukoreduction does have some
18 impediments. For example, there already are spot shortages
19 of filters, and a short implementation period is going to
20 aggravate those shortages. Also, the logistics of providing
21 filtered platelets from whole blood units have not yet
22 worked out, and a short implementation period may create
23 serious platelet shortages because random donor platelets
24 would not be available.

25 Taking all this into consideration, ABC believes

1 that any recommendation for universal leukoreduction should
2 allow sufficient time for these issues to be addressed both
3 nationally and locally.

4 And then, from a logistical point of view, any
5 recommendation from the FDA should allow blood centers to
6 design the implementation criteria that best serve the needs
7 of patients in their community, and allow emerging knowledge
8 to be quickly incorporated into current practice. In simple
9 terms, ABC membership asks that any recommendation from FDA
10 specify the goals and standards, but leaves implementation
11 criteria to be worked out between filter vendors, blood
12 centers and hospitals.

13 And, finally, FDA's recommendation must be
14 published as formal guidance or as a regulation. Anything
15 less sends ambiguous statements to the public, to the blood
16 industry, to hospitals and to the health care community; and
17 anything less than formal guidance or regulation will make
18 it far more difficult for hospitals to obtain proper and
19 timely reimbursement from third-party payers.

20 Thanks.

21 DR. HOLLINGER: Thank you, Merlyn.

22 Is there anyone else from the public that wishes
23 to make a statement?

24 Yes--please. And state your organization, name.

25 DR. DUMONT: I'm Larry Dumont. I'm with Gambro

1 BCT, and also a member of the Biomedical Excellence for
2 Safer Transfusion Committee of the ISBT.

3 These comments are not on behalf of either of
4 those organizations.

5 First, I'd like to congratulate Dr. Lee for his, I
6 think, excellent handling of a very complex, difficult
7 subject. And I've been working in this area for several
8 years, and I believe that his proposal is definitely a step
9 in the right direction, and brings some rigor and clarity to
10 these difficult issues.

11 A couple comments that I would like the Agency to
12 consider in their final document, whatever that might
13 be--first of all, in the definition of "pre-storage," where
14 you mention--"pre-storage leukoreduction should happen
15 within 24 hours," I think in many cases, that might be
16 logistically very difficult for some blood centers. And I
17 would suggest that the data available does not support that.
18 In fact, 48 hours or some number like that might be better.

19 First of all, there's--certainly in red cells,
20 there's no significant increase in cytokine production, and
21 even the studies that have been published in platelets that
22 are held at 22 degrees, there's no significant rise in
23 cytokine production until after 48 hours. As far as
24 degranulation or apoptosis in granulocytes, I think there's
25 very little data on that at all. So that I would suggest

1 that time frame be reconsidered.

2 Secondly, the data presented, as far as quality
3 monitoring with respect to sample sizing--actually I've seen
4 a lot of those tables before, so they look pretty familiar.
5 But I think--I wanted to remind the Committee that this is
6 a--what could be termed a "non-parametric" approach, that's
7 strictly a pass-fail. And it definitely does have
8 application in this arena, but that's driven by one's own
9 definition, I think, locally of what a failure is, or their
10 approach--also driven by the measurement method that a
11 particular blood center may choose to implement to look at
12 number of white cells. And while this is definitely a
13 viable way to go, there are also alternatives that should be
14 allowed in guidelines, where one would have adequate
15 measurement method, and they could apply parametric
16 statistics to the population and still be able to make
17 adequate and viable inferences regarding the distribution of
18 the product. And, in fact, if that's the case, then the
19 numbers that were presented to the Committee this morning
20 would actually be smaller, and the blood center could then
21 have a lower burden as far as sampling and ongoing process
22 monitoring.

23 So, I think those are all the comments I have.

24 Thank you very much.

25 DR. HOLLINGER: Thank you.

1 Dr. Katz?

2 DR. KATZ: Probably this is one I should read the
3 boiler plate in the AABB statements, only to point out that
4 of the blood banking organizations, AABB is certainly the
5 most diverse, and I think that that should be understood
6 from the comments I'm going to make.

7 The AABB appreciates this opportunity to provide
8 comments to BPAC. Universal leukoreduction of blood
9 components is a very controversial issue for our membership,
10 a diverse group, representing not only blood collection
11 facilities, but hospital transfusion services and
12 individuals and clinicians involved in transfusion medicine.

13 The Committee should be aware that there is
14 substantial divergence of very well informed opinion on the
15 propriety of FDA mandating universal leukoreduction. This
16 is based on the perception, after extensive review of the
17 scientific evidence, that there is inadequate scientific
18 proof that the benefit will be worth the cost; a cost to the
19 entire population of transfusion recipients. Viewed in this
20 light, there are many in AABB who consider the choice of
21 components to be the practice of medicine and, in some sense
22 then, beyond the purview of FDA.

23 Others agree that a preponderance of evidence
24 supports the benefit of universal leukoreduction, even if
25 that evidence represents diverse studies, often with

1 conflicting results.

2 In the end, we believe that opposition to
3 universal leukoreduction is rooted in economic concerns. If
4 additional costs, in times of contracting reimbursement were
5 not involved, opposition would likely be much more muted.
6 We are aware that FDA and its advisory committees are
7 charged to evaluate safety, efficacy and purity, and that
8 strictly economic arguments are beyond their charge.

9 Against this background--if, as we perceive--FDA
10 is not planning to reconsider the medical merits of
11 universal leukoreduction, we would ask for two things:
12 first, that formal guidance and regulation for
13 implementation be expedited, so that blood collection
14 facilities and hospital transfusion services they serve will
15 have time lines allowing proper planning and budgeting; and
16 second, that FDA, in cooperation with Blood Safety and
17 Availability Committee and HCFA be explicit about the
18 medical benefits of universal leukoreduction, so that the
19 adjustments in reimbursement required to support this effort
20 are in place before implementation is mandated.

21 Thank you.

22 DR. HOLLINGER: Thank you.

23 Is there anyone--yes, please. Dr. Sayers?

24 DR. SAYERS: Blaine, thanks.

25 I've come back here and I've taken off my ABC hat

1 and just speaking as a blood banker.

2 We've heard of all the potential benefits for
3 transfusion recipients, in terms of universal
4 leukoreduction, but I would like a word of caution to be
5 added to the record concerning what happens at the donor end
6 when universal leukoreduction becomes mandated.

7 This is going to be an intervention which might
8 well be in place well before we understand all the various
9 circumstances which influence whether universal
10 leukoreduction is consistently effective or not. And, in
11 particular, I'm referring to how universal leukoreduction is
12 going to be managed by those blood programs which have a
13 significant percentage of their donor population that are
14 African American. Something like 6 to 8 percent of African
15 Americans are sickle trait-positive, and we know there is
16 overwhelming evidence that these units either do not filter,
17 they filter inconsistently, or they appear to filter but on
18 quality control analysis, do not, apparently, have their
19 leukocytes removed.

20 This is an important donor issue. It superimposes
21 an obligatory deferral of another group of donors against
22 the background of an already compromised national inventory.
23 For those blood programs that have a significant of African
24 American donors--and, for some of them, it is upwards of 50
25 percent--the local blood supply is going to be dramatically

1 compromised.

2 And also, universal leukoreduction, at least as
3 far as the African American population is concerned, will be
4 a deterrent to identifying exactly those rare donors that
5 are required for the maintenance of patients who are in
6 sickle cell transfusion programs, and also those individuals
7 who are needing marrow transplants against a background of
8 difficulties in identifying individuals of similar ethnicity
9 who might have compatible marrows.

10 So these comments aren't in any way meant to
11 detract from the value of universal leukoreduction for
12 certain patients, but just a caution that the effect on the
13 donor population has also to be taken into account.

14 DR. HOLLINGER: Thank you, Merlyn.

15 Yes--Kay Gregory?

16 DR. GREGORY: Thank you, Blaine.

17 I'd like to say just a few words on behalf of a
18 group that you have not heard from before in this particular
19 committee, and that's a group that is known as the Coalition
20 for Blood Safety. This is a group that is comprised of the
21 American Association, including the American Red Cross and
22 the Department of Defense, ABC--America's Blood Centers--and
23 the American Blood Resource Association--or ABRA.

24 This group was formed explicitly at the request of
25 the FDA to work originally on what was known as regulatory

1 reform, and we decided perhaps it would be better to change
2 our name and be a little broader.

3 I'd like to comment specifically on the licensing
4 provisions that Dr. Lee spoke about, primarily because I
5 believe this may be the first time that we've heard them say
6 that whatever they're going to do will not necessarily be a
7 prior approval supplement. And we think that's definitely a
8 step forward.

9 Since we hadn't heard the proposal before, we
10 can't comment any more specifically, but it does appear that
11 this is a step in the right direction, and we will be
12 looking at the proposal carefully when it comes out.

13 DR. HOLLINGER: Thank you.

14 Yes, please. State your name and association.

15 DR. GAMMOND: Rich Gammond, South Florida Blood
16 Banks. I just have a couple brief comments here.

17 One, at our blood bank we feel that leukocyte
18 reduced products are medically superior products and should
19 be considered a standard of care. In that regard, we would
20 be in support of an FDA document requiring universal
21 leukocyte reduction, and we also want to emphasize that
22 reimbursement issues do need to be addressed.

23 Thank you.

24 DR. HOLLINGER: Anyone else?

25 So we're going to close the public hearing for

1 right now, and we'll open it up to Committee members for
2 discussion.

3 OPEN COMMITTEE DISCUSSION

4 DR. HOLLINGER: Yes--Dr. Simon?

5 DR. SIMON: I think--I'd just like to make sure I
6 understand correctly--from Dr. Lee's presentation, it would
7 appear that as of this point, FDA is saying that they are
8 not--I don't know what the right term is--they have not
9 determined that they are going to do any rulemaking to
10 require universal leukoreduction, but rather, I would use
11 the term "let it happen"--all the medical community to move
12 in that direction, and in the interim, sort of tighten up
13 the quality control issues, and maybe loosen up, or at least
14 facilitate the licensing issues.

15 Is that a correct interpretation?

16 DR. EPSTEIN: Not exactly. What Dr. Lee has said
17 is that the proposed guidance document would not contain an
18 explicit recommendation for universal or routine
19 leukoreduction. However, it remains FDA's current thinking
20 that we would move forward with appropriate rulemaking. The
21 only scenario in which that might be unnecessary, is if
22 universal or routine leukoreduction becomes a voluntary
23 industry standard; FDA could make a determination on that
24 basis, that it's now current good manufacturing practice,
25 and regard it as enforceable under existing GMP regulations.

1 But if it remains the case that only a part of the
2 industry adopts it, and not the predominance of the
3 industry, then we would not be able to make that
4 determination, as GMP.

5 So, what you're hearing is that we still think
6 that it is an advancement in the safety and the purity of
7 the products. We are seeking to facilitate centers'
8 ability to implement now voluntarily, both by clarifying the
9 quality control standard expected by the FDA For products
10 bearing those labels as leukoreduced, and by facilitating
11 the approvals mechanism. We will be encouraging voluntary
12 implementation by recommending that it be performed whenever
13 feasible, recognizing that there are implementation
14 concerns.

15 So, over time, one of two things will happen, and
16 I don't know which will be first. Either there will be a
17 continued industry voluntary implementation, and we may
18 determine that it's enforceable as a product standard under
19 GMP, or we will, in the end create a regulatory requirement
20 through rulemaking. But that's not a rapid process.

21 So the bottom line in what I'm saying is, that for
22 legal reasons we do not believe that we can simply create a
23 mandate through guidance. As you know, guidance, in its own
24 right, is not binding on the industry or the Agency.
25 Guidance is guidance; it expresses current thinking and

1 interpretations and expectations of the Agency with regard
2 to existing regulation.

3 So, we think we can continue to make progress in
4 guidance. We certainly are expressing the view that we
5 consider leukoreduced products to be superior from the
6 standpoints of purity and safety. We're seeking to
7 facilitate continued expanded use of routine leukoreduction,
8 but that process, in and of itself will not create a
9 requirement. But we remain committed to developing such a
10 requirement.

11 Is that clear enough?

12 DR. HOLLINGER: Dr. Schmidt?

13 DR. SCHMIDT: A couple of comments, and then sort
14 of a question.

15 The disadvantages of leukoreduction listed did not
16 include the reduction in potency. If up to 85 percent of
17 the red cells can be lost, that means that--

18 DR. HOLLINGER: Not up to 85 percent can be lost.

19 DR. SCHMIDT: I'm sorry--if only up to 15 percent
20 can be lost, that means that 15 percent of the blood,
21 potentially down the drain, and the patient who would
22 ordinarily have six exposures in transfusion to red cells
23 would have seven exposures.

24 Another comment on Dr. Lee's excellent analysis is
25 that there is something--quite a few things built on the

1 blood center that collects 400 units a month, and I think
2 that's totally unrealistic. I would guess there are not
3 very many blood centers that only collect 5,000 a year at
4 the present time.

5 But a larger comment is this: When we're talking
6 about the industry, I'm not quite sure if that needs a
7 definition, but there are the people who collect blood, and
8 the people who transfuse blood, and since the FDA has
9 requirements for the transfusers, I presume they're part of
10 the industry.

11 Now, the statements by the Red Cross and American
12 Blood Centers, who are the blood collectors, always sort of
13 remind me of architects. Architects win prizes, but they
14 win them at the expense of their clients. It doesn't cost
15 the architect anything to do this wonderful building and
16 design it, because somebody else pays for it. And when we
17 get into the other part of what I think you consider the
18 industry, for which Dr. Katz has mentioned, doesn't the
19 regulation--doesn't the attitude of the FDA have something
20 to do with that medical transfusion end, which is not just
21 concerned with the manufacturing, but the usage.

22 DR. HOLLINGER: Yes--one of the architects are
23 going to--

24 [Laughter.]

25 DR. EPSTEIN: Well, yes, you're right, Paul, but,

1 you know, you're talking about who pays the cost, and, as
2 you know, the FDA makes product decisions related to safety
3 and efficacy independent of cost. That's why we separated
4 the cost issue from the deliberations in September '98. We
5 feel that, you know, given a nearly unanimous recommendation
6 of the Committee--13 in favor, three abstentions--that the
7 benefits outweighed the risks of universal leukoreduction;
8 that independent of cost there was a fairly clear consensus.

9 Now, recognized, however, that cost was a daunting
10 implementation issue, and that's the real reason that we've
11 not moved forward more quickly. You know, everyone is
12 asking us: "Please consider the reimbursement issue before
13 you create any requirements." Well, that's what we've been
14 doing. It's just that we can't do it directly as FDA. We
15 have partners in the Public Health Service. We brought the
16 issue to the attention of the Department has been working
17 with Health Care Financing Administration. Progress was
18 made in creating a new fee structure for the unit
19 administered in the out-patient setting. The problem is
20 that Health Care Financing Administration is fearful that if
21 they start making DRG exceptions for in-patient
22 reimbursement, that there will just be, you know, a
23 watershed of requests for exemption.

24 And it's the current view of HCFA--and, I guess,
25 shared by the Department--that if that part of it is to be

1 fixed directly, through regulation, that there should be
2 some sentiment expressed by the Congress about creating that
3 exemption.

4 So that's kind of where the issue is.

5 Now, what we see is that now that there has been a
6 fee schedule established for the out-patient unit, it is at
7 least possible for blood centers to argue to hospitals--and
8 I would hope successfully--that the real cost of providing
9 an in-patient unit is no less. Because the argument that
10 HCFA has made repeatedly is that the problem is not lack of
11 funds; it's that the hospitals, which HCFA believes receive
12 adequate funds, simply are not electing to spend them on the
13 blood unit. In other words, they're not accepting that
14 that's the cost of what a filtered unit, or a NAT-screened
15 unit really is.

16 So we think that not all these problems belong to
17 the FDA to be solved; that there is more advocacy needed
18 directly with HCFA, and potentially even with Congress.

19 Where FDA stands is, that we understand that the
20 cost issue needs to be resolved before you could comply with
21 a mandate. We also have the problem that our attorneys tell
22 us, that even if we were to make a recommendation, but put
23 some future date of implementation, that that would also
24 have the force of rulemaking through guidance, and we
25 shouldn't do that.

1 This is different than other issues, because the
2 current products are defined in the regulations, and the
3 notion is that if we somehow make obsolete the products
4 defined in the regulations, aren't we doing rulemaking
5 through guidance? And, of course, under the Administrative
6 Procedures Act, we can't do rulemaking solely through
7 guidance.

8 So we simply have the convergence of the legal
9 constrains on how far FDA can go in guidance; the problem
10 that, you know, reimbursement won't be solved by FDA, but
11 needs to be solved; and then the issue of time-frame for
12 creating a regulatory requirement. And so we're simply
13 moving, you know--lurching forward at an uneven pace on
14 these three fronts.

15 But we are moving on all three fronts, and I think
16 that that's the major take-home message; that FDA remains
17 convinced at a scientific level, independent of cost, that
18 universal leukoreduction is a general improvement in product
19 purity and safety for the non-leukocyte-dependent products.
20 We remain committed to facilitating the expanded use of
21 leukoreduction on a voluntary level, and have taken the
22 actions that Dr. Lee--or the pending actions that Dr. Lee
23 has described, and we are cooperating with the Department
24 and other health care agencies to see if the reimbursement
25 problem can't be solved within a reasonable implementation

1 time-frame.

2 And I would suggest that progress is being made on
3 all three fronts. It's just that everybody is trying to
4 envision the end-point, and everybody recognizes we're not
5 there yet. And that's true. But it's not for lack of
6 awareness that these things play off against each other.

7 And I have to say that I think one of the
8 difficulties has been that a large number of very highly
9 respected experts in the field of transfusion medicine have
10 either written to the FDA or published articles, or made
11 public statements, in opposition to universal leukoreduction
12 on scientific grounds. And yet there's the nagging concern
13 that many of the same people are the ones who are in the
14 economic bind. And the thing that's unclear is: what would
15 they be saying if there weren't the same economic issue?

16 And so I think it's quite significant, what we
17 heard from Dr. Katz, that it's at least the view of the
18 AABB, as an umbrella organization, that if the cost issues
19 could be put aside, many of the arguments that we are
20 hearing would be greatly muted. And, you know, again this
21 is one of the numerous factors that we have to deal with.
22 We understand that people can't ignore the cost problem, but
23 the extent to which it's coloring the scientific
24 arguments--or purported scientific arguments--is confusing.
25 Again, when we brought the question, independent

1 of cost to, you know, a dispassionate, non-conflicted
2 committee, we got a very clear answer. And I'm not sure
3 that it was a wrong answer. I don't believe that it was.

4 And I can tell you that in private conversation,
5 even some of the signatories of the published letters
6 decrying requirements for universal leukoreduction have
7 stated to me that if the reimbursement problem were solved,
8 you know, that wouldn't be their position; they'd do it in a
9 flash.

10 So, you know, I mean, I guess that that's anecdote
11 and it's, you know, sort of not evidence, but it does tend
12 to convince me that we have at least muddied the debate.
13 But the bottom line is that the FDA is not seeking to impose
14 this in any way that could not be implemented at a practical
15 level.

16 DR. HOLLINGER: Yes, Dr. Linden, and then I'll
17 come back to the military.

18 DR. LINDEN: I'm concerned about the quality
19 control procedures that you're talking about, and I'm hoping
20 someone from the Agency can comment further, as they would
21 apply to small facilities.

22 Dr. Lee talked about "small centers," and Dr.
23 Schmidt, you know, mentioned "blood centers," but, in fact,
24 a significant amount of blood is collected by
25 hospitals--it's over 10 percent in New York State.

1 And it seems that if there is a burden, that
2 hospitals collecting only a few thousand, perhaps even a few
3 hundred, units had to quality control a significant portion,
4 or perhaps even all of their units, versus a large blood
5 center that might do a tiny, tiny fraction, there is really
6 going to be a burden that would be a tremendous disincentive
7 for those hospitals to collect their own blood.

8 So I'm--I guess I'm concerned about this approach
9 to the quality control. And we did hear from one of the
10 public commenters that there might be other approaches, so
11 I'm just wondering about the Agency's thoughts on this
12 issue.

13 DR. LEE: Yes, that's quite true. And we have
14 thought carefully about how we might impose only an
15 equivalent burden on small centers--hospitals--and still
16 come away with the same level of assurance that your process
17 is in control. And we haven't really been able to come up
18 with a solution any better than what I just presented this
19 morning.

20 One approach might be to reduce the QC burden
21 based on track record. Even though initially you might go
22 with a fairly high burden of QC testing if, over time--say,
23 a period of two years or so--that it's clear that your
24 process remains under control, perhaps that level can be
25 diminished. And from a statistical rigorous standpoint, I'm

1 not sure exactly how that can be justified, but that's to be
2 further debated. But I think there is room for using track
3 record as evidence for perhaps diminishing the burden.

4 DR. HOLLINGER: John?

5 DR. BOYLE: Just a question on the same issue, and
6 that is: I was sort of surprised by your sample sizes for
7 the small facilities. It didn't look like you were using a
8 finite-population correction factor in the selection of
9 those sample sizes.

10 DR. LEE: Actually, I have.

11 DR. BOYLE: You have?

12 DR. LEE: Yes--the initial chart, where I pointed
13 out the confidence level and the sensitivity, that did not,
14 and that was just to illustrate a point. But the subsequent
15 chart of 60 units as the upper limit for large centers
16 making 250 units or more, and then the numbers that are
17 smaller, for centers that are making a smaller amount of
18 units, those numbers have been adjusted for that.

19 DR. BOYLE: Well, what I don't understand is, with
20 the smallest unit you're basically requiring the full
21 population.

22 DR. LEE: Right.

23 DR. BOYLE: The question is: why? Because a
24 sample is a sample. You don't need the full population to
25 be able to detect the level that you're talking about,

1 unless I didn't read this stuff correctly--which is
2 possible. But it seems like you should be looking
3 at--there seems to be ways around those smaller
4 centers--there's no reason why you have to do 100 percent
5 quality control to be able to identify a process failure.

6 DR. LEE: That goes with the number of signals
7 that you're going to get. I mean, if you're making only,
8 say--let's say you're making 20 units. That's all you're
9 making. That means if you operate very close to the process
10 requirement of more than 95 percent units being acceptable,
11 then that means you're going to only have one unit. The
12 chances of you picking up that one unit alone, it's pretty
13 slim, unless you test every one of them. That remains a
14 problem.

15 DR. HOLLINGER: Yes, Dr. Linden.

16 DR. LINDEN: Yes, if I could just ask a follow-up
17 question: you're talking about lowering the acceptable
18 limit--right?--to $1 \times 1.0 \times 10^6$ instead of $5 \times 1.0 \times 10^6$?

19 So--and I think that's largely because it's
20 achievable, more so than the scientific data have shown that
21 that's absolutely necessary--at least we haven't seen those
22 data today, at least--

23 DR. LEE: Yes, it's--

24 DR. LINDEN: --to know where that figure came
25 from.

1 So, couldn't there be some flexibility? I mean
2 5x1.0 x 10⁶ has been okay all this time.

3 DR. HOLLINGER: The Europeans use one--less that
4 1x1.0 x 10⁶.

5 DR. LEE: That's true. I mean, I don't know, from
6 a medical standpoint, what 1 versus 5 means, in terms of the
7 benefits that you derive from increased product purity.

8 We can remain at 5x1.0 x 10⁶, but the Europeans
9 have gone forward with 1.0, and there is no reason for not
10 doing that, because that's readily achievable.

11 DR. LINDEN: Right--yes, I mean, I'm not saying
12 that I don't think 1x1.0 x 10⁶ is appropriate, I'm just
13 suggesting that there may be, I guess, a gradation of ones
14 that are close that--I mean, clearly you need to detect ones
15 that are unsatisfactory and that there's a real problem in
16 your system. But if you have only minor deviations, so
17 that, well, maybe one comes out to be 2x1.0 x 10⁶, that may
18 not be quite such a concern. And this has, again, to do
19 with sample size, and what type of deviations you're going
20 to be picking up.

21 DR. LEE: So you're referring back to the quality
22 control burden.

23 DR. LINDEN: Yes, yes.

24 DR. LEE: For instance, if you picked up an
25 unacceptable unit, but that's between 1 and 5--

1 DR. LINDEN: Exactly.

2 DR. LEE: --then you might kind of--I see. That's
3 another approach to perhaps diminishing the quality control
4 burden.

5 DR. LINDEN: Yes, I may not have been clear
6 with--yes, that's what I meant.

7 DR. LEE: I see.

8 DR. HOLLINGER: Yes--Marion. Dr. Koerper?

9 DR. KOERPER: Just a point of clarification with
10 all of your calculations.

11 If you're talking about a center producing 400
12 units, are you talking about 400 units a month, or 400 units
13 a year?

14 DR. LEE: That ties in with the concept of
15 manufacturing period.

16 DR. KOERPER: Exactly.

17 DR. LEE: The current recommendations--the 1996
18 memorandum--that are currently in effect today, that goes on
19 a monthly basis; number of units per month. So four units
20 per month, or 1 percent of the units produced in that month.

21 DR. KOERPER: No, what I'm going by is--you were
22 saying if a center produces more than 250 units, they only
23 have to test 60 units.

24 DR. LEE: Right.

25 DR. KOERPER: Is that "more than 250 units" a

1 month or a year?

2 DR. LEE: And as I've described this morning, I
3 left some room for facility-specific decision. You can
4 define it as a month if you choose to, but you can define it
5 as three months, if that will suit your QC burden better.
6 But it cannot be any longer than a month. There has to be
7 some--

8 DR. KOERPER: It can't be longer than three
9 months.

10 DR. LEE: I'm sorry--any longer than three months,
11 because there has to be an upper limit.

12 DR. KOERPER: Right. Exactly.

13 DR. LEE: If there is no upper limit, well you
14 might say, "I look at it every year."

15 DR. KOERPER: Right. That was the point I was
16 trying to pull out, because that was what I was having
17 difficulty with.

18 So, a center could say, "We produce 250 units a
19 month--in three months--"--

20 DR. LEE: Right.

21 DR. KOERPER: --"--therefore we only have to test
22 60."

23 DR. LEE: In that three-month period.

24 DR. KOERPER: In the three-month period.

25 DR. LEE: Right. Of course a disadvantage of that

1 is that you are question-mark for that three-month period.
2 Until you close the loop of all of you're testing, you're
3 not exactly sure that you are at the 95 percent--

4 DR. KOERPER: Right.

5 DR. LEE: --confidence level.

6 DR. KOERPER: But on the other hand, you have to
7 test at least one unit a week.

8 DR. LEE: Right.

9 DR. KOERPER: So--

10 DR. LEE: That allows you to detect an
11 unacceptable process earlier--

12 DR. KOERPER: Right.

13 DR. LEE: --but in no way does it assure an
14 unacceptable process any earlier, until you finish--

15 DR. KOERPER: Right.

16 DR. LEE: --the entire period.

17 DR. KOERPER: But on the other hand, this is a
18 continual, on-going process, because once that three months
19 is up, then you start the next three months, so you're going
20 to keep doing your QC every week.

21 DR. LEE: That's correct, but--

22 DR. KOERPER: And--

23 DR. LEE: --it's a matter of product retrieval--

24 DR. KOERPER: --it's a moving target.

25 DR. LEE: --it's a matter of product retrieval and

1 constancy notification. When you discover an unstable
2 process, what are the units that are expected by that
3 unstable process? If you define your period short, then you
4 have less products to worry about.

5 DR. KOERPER: Right.

6 DR. LEE: If you define it with a long period,
7 then you have more products to worry about.

8 DR. KOERPER: But if you want to be totally sure,
9 then you would have to test every unit.

10 DR. LEE: That's correct.

11 DR. KOERPER: So I think that you have to
12 compromise with what's reasonable for the blood banks to do.
13 And I'm thinking specifically of the hospital transfusion
14 services -- DR. LEE: Right.

15 DR. KOERPER: --that are not collecting as huge a
16 number. If they can at least aggregate over three months--

17 DR. LEE: Right.

18 DR. KOERPER: --then that might lessen their QC
19 burden somewhat.

20 DR. LEE: Right. And at this point, we think that
21 should be an option for small centers--right.

22 DR. HOLLINGER: Dr. Fitzpatrick?

23 COL. FITZPATRICK: May I expand on Dr. Schmidt's
24 comment for a minute, and ask the FDA to re-think it's 85
25 percent recovery level?

1 DR. LEE: Yes, I was trying to come back to that.
2 Go ahead, I don't want to--

3 COL. FITZPATRICK: If I could do an illustration
4 here: if we collect a unit at the top end of the scale--at
5 495 ml, with a 38 percent crit, and recover 85 percent,
6 we're recovering approximately 160 mls of red cells. If you
7 recover 80 percent, you're recovering 150 mls of red cells,
8 but that's unacceptable. And if you collect a unit of the
9 low end of the scale--at 405, at a 38 percent crit, you're
10 recovering at 85 per cent 130 mls of red cells, but that's
11 an acceptable unit.

12 DR. LEE: Right.

13 COL. FITZPATRICK: And that's a disparity.

14 I would encourage the FDA to act on other comments
15 that I know they've received from other people to determine
16 a minimum effective therapeutic does of red cells, and
17 establish that at 150 ml, 130 ml, or a grams of hemoglobin,
18 and do away with the recovery thought process that is
19 fraught with error anyway in many centers when you try and
20 determine recoveries, because there's--depending on your
21 method, there are difficulties in doing that.

22 And I think it would simplify the process a great
23 deal.

24 DR. LEE: Thank you for the comment.

25 COL. FITZPATRICK: And then just another, I wanted

1 to support Dr. Sayers in his comment on sickle trait, and
2 perhaps other hemoglobinopathies. I think that is a
3 potential problem for many of us, dependent on our donor
4 population, and that prior to this becoming either a
5 standard of care or a mandated requirement, we need to be
6 able to deal with those donors and utilize them as either
7 non-leukoreduced or leukoreduced products if an acceptable
8 method becomes available.

9 DR. HOLLINGER: Yes, Dr. Ohene-Frempong?

10 DR. OHENE-FREMPONG: Yes, I just wanted to react
11 to the last comment, about the sickle-cell trait donors.

12 Our sickle cell center, which I direct in
13 Philadelphia, probably has the largest chronic transfusion
14 program in the country. We also, in addition, have a very
15 aggressive partnership program with our local--regional
16 American Red Cross center to increase African American
17 donors, specifically for our sickle cell disease patients.

18 We--because we don't use sickle-cell trait blood
19 for donations for sickle-cell disease patients, all the
20 African American donors are--their blood, at least, is
21 screened for sickle-cell trait. So we know very well the
22 percentage of the donors who have sickle-cell trait.

23 I just stepped out to check with the blood center.
24 They have adopted universal leukoreduction now for a couple
25 of years, and they tell me that they have not, up to this

1 point, even though they've heard about it, they have never
2 had to reject any units because of sickle-cell trait
3 filtration. So whatever the technical differences may be in
4 different laboratories in the past, it's worth checking.
5 But I just checked with them, and they're collecting several
6 thousand units from African American donors, and the fat
7 that somewhere around 8 percent of them also may have
8 sickle-cell trait has not been a problem.

9 DR. HOLLINGER: Thank you.

10 Yes, go ahead, Dr. McCurdy.

11 DR. McCURDY: There have been several comments
12 about the potential loss of cells--red cells or
13 platelets--when you filter those. The
14 Institute--NHLBI--supported two studies looking at some of
15 the effects of leukoreduction. One of them was the TRAP
16 study trial to prevent alloimmunization to platelets, and
17 the other one was the VAT study--viral activation by
18 transfusion.

19 In both of those studies, they looked very
20 carefully at the--how many units of red cells or platelets
21 were given to these recipients, both leukodepleted arm and
22 non-leukodepleted arm, and there was no difference. So that
23 regardless of how much you use, this doesn't apparently
24 filter through--if you'll pardon--filter through to the
25 clinicians who actually order the transfusions. They still

1 give approximately the same number of units, whether they're
2 leuko-filtered or not.

3 DR. SCHMIDT: I think the usual surgeon still
4 thinks he's giving a pint of blood every time he gives a
5 unit of red cells--

6 [Laughter.]

7 DR. SCHMIDT: --and he calculates that out in the
8 quantity of fluids lost and replaced.

9 DR. McCURDY: These were all medical patients.
10 The VAT trial used patients with end-stage AIDS who required
11 transfusion, and the TRAPs trial used primarily acute
12 granulocytic leukemia patients. So they weren't surgeons.
13 They were hematologists, oncologists.

14 DR. LEE: If I could just add a comment. For red
15 cells, the recovery--although I've stated 85 percent
16 recovery--for red cells, the recovery is far higher than
17 that. In fact, it's close to 99 percent.

18 For platelets, there is probably more of a loss,
19 but it's still well below 15 percent.

20 DR. McCURDY: Blaine, I have one more comment.
21 The suggestion was made that we define a unit of red cells
22 as to how many ml of red cells, or something along that
23 line.

24 And that might be a reasonable thing to do. On
25 the other hand, there have been a number of people who have

1 made an attempt to define the transfusion trigger--that is,
2 at what stage should you give a unit of red cells, of
3 whatever size, and that's proved to be a very, very
4 formidable task. Nobody has been able to do the kind of
5 study that would clearly define at what stage should you
6 transfuse a patient with red cells.

7 DR. LEE: That question, of course goes--oh, I'm
8 sorry. I'm speaking out of turn.

9 That question goes, of course, beyond the problem
10 of leukocyte reduced. It's just about blood, in general.
11 And we could address it, at least in part, through leukocyte
12 reduction by defining the actual red cell content, or
13 therapeutic cell content rather than recovery. That's an
14 option that we could go, as a partial solution to the bigger
15 problem.

16 COL. FITZPATRICK: I'm not suggesting that we
17 standardize a unit of red cells and say "every unit is going
18 to be 250 ml," but let's set a floor--a minimum level,
19 knowing that there's going to be a range above that.

20 DR. LEE: Yes, with respect to leukocyte
21 reduction, we could do that. For instance, you could simply
22 take the weight and the hematocrit or platelet count of a
23 post-filtered unit, and establish a minimum standard for
24 that. That's one alternative--one of many things that
25 weren't particularly referred to in my talk.

1 DR. HOLLINGER: Dr. Lee, is the process--the
2 pre-storage leukoreduction, is that technician-dependent at
3 all, or is it device dependent, so that if you have
4 technicians who are doing this, and they change, that one
5 would--because it gets back to sort of what Jean was saying
6 about quality control. Is it--therefore is it
7 facility-dependent and device-dependent more than
8 technician-dependent?

9 DR. LEE: I'm afraid it is--

10 DR. HOLLINGER: Afraid what is?

11 DR. LEE: Technician-dependent.

12 DR. HOLLINGER: It's technician-dependent.

13 DR. LEE: Yes.

14 DR. HOLLINGER: Which means that if the technician
15 changes--if you get a new technician in that's doing it,
16 then that person needs to be validated in doing the
17 procedure then.

18 DR. LEE: That's part of training.

19 DR. HOLLINGER: Yes.

20 DR. LEE: Staff training.

21 DR. HOLLINGER: Ah--yes, Dr. Katz? You had a
22 comment.

23 DR. KATZ: I just wanted to give some data
24 perspective on the sickle cell issue. What's being seen at
25 centers that are looking at the problem now is that,

1 depending on a variety of circumstances, as many of 50
2 percent of sickle trait units will not go through a filter,
3 which is less problematic than the fact that those that do
4 go through the filter are not adequately leukoreduced.

5 We have no substantial data across the filter
6 manufacturers. We have no substantial data across multiple
7 centers. And so it's an issue that's being looked, and
8 hopefully in time for the fall meeting so that we have a
9 clue.

10 It doesn't surprise me that the Red Cross might
11 not have recognized the problem. The real issue is the
12 units that go through the filter, and when you count them,
13 they've just got white cells in them.

14 DR. HOLLINGER: And when you find -- when you do
15 quality control, and you find something that is a
16 problem--a validation--not a validation problem, but a
17 problem in the quality control, what do you do about the
18 units that are--I mean, do you assume that only units that
19 occur after that are going to be a problem, in terms of
20 filtration? Do you assume that units which are already in
21 the facility haven't been used are a problem then, and do
22 you do something about those--or what?

23 DR. LEE: Well, that again sort of ties back into
24 the concept of the manufacturing period. The way we
25 envision that working right now is that if you complete your

1 manufacturing period, and complete your full amount of QC
2 testing that we require for that period, you've closed that
3 period off, and you're okay.

4 Let's say you discover an unacceptable unit at
5 some point in the middle of a period. Then all units
6 manufactured since that period is subject to some question.
7 So for those units, you will have to either directly test
8 them, and re-label them properly--you'll have to first find
9 out what the process error is, and if you're able to narrow
10 down the units that are affected by the identified process
11 error, you may minimize the number of units that you have to
12 directly test.

13 DR. HOLLINGER: And should you change testing and
14 QC based upon getting a new lot--on the basis of a new lot
15 that comes in from a manufacturer or not?

16 DR. LEE: Right now there are no lot release
17 requirements. It's accepted for filters to perform in an
18 equivalent fashion, and if a lot problem does creep in, that
19 can only be detected through the quality monitoring process
20 . Hopefully, as a part of process investigation you will be
21 able to narrow your problem down to the lot. But right now,
22 after your initial validation of your process, you're
23 strictly relying on your quality control monitoring on an
24 ongoing basis to detect any problem in the lot.

25 DR. HOLLINGER: Because I would think that despite

1 the fact that there are lot releases, and so on, that are
2 required, it's been my experienced, at least with
3 things--that you should probably test each new lot that your
4 getting out, at least in some respects, and not just--unless
5 you have a weekly frequency of doing things.

6 DR. LEE: Well, with respect to lot-release
7 testing, if lot-release testing is to be performed, it
8 should probably be performed by the filter manufacturer, and
9 not--under current 510(k) clearance mechanism lot release is
10 not required.

11 DR. HOLLINGER: Okay. Thank you.

12 Dr. Chamberland?

13 DR. CHAMBERLAND: That raises the question--and
14 I'm not sure if FDA is planning to include this in its
15 guidance document, but what do you do with those units who
16 fail the filtration process, either up front--meaning, as
17 we've heard, some units just don't go through a filter--or,
18 let's say in the middle of your three-month manufacturing
19 period, or at the end of it, you discover that you have had
20 some QA problem and, in fact, in your inventory there are,
21 indeed, units that would not meet the required white blood
22 cell reduction level.

23 In this time of inventory shortages and
24 whatever--and let's say we move into an arena where,
25 essentially, it's require to have leukocyte reduced

1 products, would there be a role for the use of non-leukocyte
2 reduced if they were so labeled?

3 DR. LEE: Sure. Non-leukocyte reduced products
4 will continue to be available, and you can do one of three
5 things on the discovery of an unacceptable process, and
6 hopefully you are able to identify the number of units that
7 were potentially affected by the unacceptable process.

8 Either those units have been released to
9 consignees and have been transfused, in which the consignee
10 notification is all we can do; they have been released to
11 the consignee and remain under the control of the consignee
12 and have not yet be transfused, in which case you can ask
13 them to send them back; and, thirdly, the units are still in
14 the control of the blood center, in which case you should
15 directly test them. And it's possible to label them as
16 not-leukocyte reduced--simply label them as just regular
17 blood, because it failed QC testing.

18 DR. CHAMBERLAND: So FDA envisions that even if we
19 carry this to sort of the regulatory step of this--a
20 requirement for leukocyte filtration, FDA still envisions
21 that there still would be use, on a day-to-day basis of
22 non-leukocyte reduced units.

23 DR. LEE: I think that product should still be
24 available for physician choice.

25 DR. CHAMBERLAND: Okay. Because I was going to

1 ask--well, then the next question I had in my mind is,
2 practically speaking, will there really be--except for these
3 instances through QA failures or whatever--really be out
4 there available non-leukocyte reduced product if the
5 physician chooses to order such. I mean, those of us who
6 sit at the Advisory Committee on Blood Safety and
7 Availability heard testimony from a blood bank director that
8 he was, essentially, at this stage being forced into
9 accepting leukocyte reduced product, because that really was
10 all that his source was sending him. I think there was some
11 sense of the concern stemming from some economic
12 considerations, that he wasn't going to get--his hospital
13 wasn't able or willing to pay for this, and he didn't feel,
14 at this point in time, that he needed a hundred percent
15 inventory of leukocyte reduced product.

16 So I'm just wondering, again, if you carry this
17 out down the road to its natural end--it sounds like the Red
18 Cross, for example, isn't really going to have non-leukocyte
19 reduced product available, unless it's through a QA failure
20 or something like that. So I'm--

21 DR. HOLLINGER: Yes, Dr. Macik?

22 DR. MACIK: And actually that was kind of some of
23 the points that I wanted to get to, and these are more just
24 comments, being a treater--clinician--predominantly and not
25 a blood banker.

1 You know, until this issue came up recently,
2 leukocyte reduced has not been a big focus issue outside of
3 this arena--or blood banking arena--as far as the general
4 clinician out there. And I think if you would go out and
5 ask family medicine and various others, you know, "What
6 about leukocyte reduced? Are you going to be in support or
7 against this?" I don't think they would know what you were
8 talking about. And who orders the unit of blood? The unit
9 of blood is not ordered by the blood banker, it is ordered
10 by the physician who is treating a patient.

11 And so I think there's been a lack of education
12 across the board, and instead--and I don't think it's
13 entirely inappropriate that the people making the decision
14 to use this or not use this, or to implement this or not
15 implement this are--quote--"experts in the field." But I
16 think it's kind of being forced on the users without their
17 really even knowing it's coming. They, however, do feel the
18 impact--the financial burden--and there is absolutely no way
19 you can separate out the financial component of this, even
20 if you're going to say we're going to do it on scientific
21 method.

22 You know, I don't--there's just no way that you
23 can separate those two issues. And I know that we've been
24 told that we're working on three fronts and we're going to
25 try to cover that.

1 So the concern I have is if--you know, what is the
2 routine physician out there ordering? Are we going to be
3 put in a position which was brought up and has been hitting
4 some of the press, that the decision is already going to be
5 made, because the only thing you're going to get is
6 leukocyte reduced when you order 15 percent leukocyte
7 reduced and they send you 50 percent, you're going to have
8 transfuse that blood, and you're going to wind up being
9 caught in a bind about how are you going to pay for that
L0 blood, and how are you going to get people appropriately
L1 treated.

L2 So, I have great concerns about how things are
L3 moving forward, and what's happening, and what's really
L4 available out there.

L5 I don't--I am for the purest product possible, but
L6 I also don't trust processes that supposedly help to
L7 reimburse this, and I can see great problems coming along.
L8 Speaking just from the hemophilia standpoint, you know we
L9 all know there's a best product out there, and yet now
L0 facing government funding that says "We're not going to
L1 allow you to get the best product, because we're only going
L2 to give you enough money to buy the second-best product."
L3 And if we have that on a small front, how do we know we're
L4 going to get covered in this big front, to provide the best
L5 product?

1 And I was not privy to all of the information,
2 you know, about why this is so clinically important, and so
3 much clinically better. You know, I have to just take--we
4 weren't presented any of that information, because that was
5 in the, you know, previous Blood Products Advisory
6 Committees. But, you know, I think there's a lot of
7 information out there I feel like I don't know--being part
8 of the Committee now--that I've inherited from past
9 Committees, saying that this is obviously the best thing to
10 go forward with.

11 So--long-winded, but just some comments to make on
12 being a clinician and, you know, what kinds of things are
13 happening here.

14 DR. HOLLINGER: Yes--Dr. Simon?

15 DR. SIMON: Kind of coming back to the
16 beginning--because I think we've kind of gone around. And
17 with Dr. Chamberland's comments, there seemed to be answer
18 from FDA: yes, there would be leukocyte reduced blood
19 available. Well, I guess there is during the implementation
20 phase, but this time I think I did understand the FDA
21 position correctly, which is that at some point all red
22 cells, platelets--and now they've mentioned plasma as
23 well--would be leukoreduced, and that would be the only
24 component available to the clinician. It won't be tomorrow
25 or the next day, but at some point, if not by rulemaking, by

1 practice.

2 DR. HOLLINGER: I wasn't real sure why--did they
3 really say the plasma also? I mean, with its less than
4 $1 \times 1.0 \times 10^6$ --

5 DR. SIMON: I wrote that down. Yes.

6 DR. HOLLINGER: I mean, that would be kind of
7 unusual, if--to me it seems a little unusual, if you're
8 going to require red cells to be only less than $1 \times 1.0 \times 10^6$,
9 and my understanding is most plasma units are 1×10^4 or less
10 for--

11 DR. LEE: Yes, that's absolutely correct. And,
12 initially, the Agency felt that there is no need to
13 specially consider plasma components for leukocyte
14 reduction.

15 However, I think there is several groups that are
16 concerned about being able to label a plasma unit as
17 leukocyte reduced; again, sort of pressure from
18 international discussion of this topic, where other
19 countries are recognizing plasma, leukocyte reduced. And in
20 order to sort of interact with them, we have to
21 demonstrate--U.S. products have to be able to be
22 demonstrated as the same as the ones that are labeled as
23 leukocyte reduced in other countries. That's part of the
24 reason.

25 And also, manufacturing failures do occur. If you

1 were to manufacture a plasma product in accordance with GMP,
2 you should arrive at a product that's well below the
3 leukocyte reduction threshold already. However failures do
4 occur. You might consider them just as failures and treat
5 that separately as a failure case. But you might also
6 consider, well, if you want to demonstrate that plasma, if
7 it is indeed leukocyte reduced--as we assume--you might also
8 perform QC testing on them too, to ensure that some kind of
9 a GMP failure hasn't occurred.

10 So it's possible to recognize products--plasma
11 leukocyte reduced--based on the fact that if you perform the
12 appropriate QC testing as you would for other components
13 that you can label it as such, but the method of getting
14 there is no more burdensome than what you're using anyway
15 for manufacturing plasma. All you have to do is QC a few of
16 them to make sure that you're under control. You don't have
17 to filter them. We certainly don't want to encourage the
18 extra use of filters just to filter plasma through, because
19 it's not necessary.

20 DR. HOLLINGER: I think that's what--I mean,
21 that's what my understanding was, that it's not necessary to
22 filter them--

23 DR. SIMON: It wouldn't be necessary to filter,
24 but I assume that you would want some kind of quality
25 control program to make sure that you're meeting that

1 standard, if that's the case. Is that correct?

2 DR. LEE: That's sort of the current thinking,
3 that you would subject these plasma units that are
4 manufactured under routine procedures, not using a special
5 leukocyte reduction step, but simply subject them to the QC
6 testing process, and demonstrate that you're in control for
7 leukocyte reduction standards.

8 DR. HOLLINGER: Yes?

9 DR. EPSTEIN: Yes, I agree fully with what Dr. Lee
10 has said.

11 I wanted to come back to a different point about
12 sickle trait. There are documented problems with
13 leuko-filtration in the face of sickle trait, as Dr. Katz
14 said, and also the experience in Europe. But the idea that
15 you have to routinely reject the donor may not be
16 well-founded, because you can still prepare leukoreduced
17 platelet and plasma by apheresis in the face of sickle trait,
18 and also those donors may still be suitable for collection
19 of peripheral blood stem cells by apheresis.

20 So I don't think one has to think solely in terms
21 of filtration--that's my only point. Filtration is not the
22 only method of leukoreduction, although there's a recognized
23 problem with filtration in such persons.

24 DR. LEE: If I might just add a comment to that
25 topic.

1 One way to get at this would be just to screen red
2 cell donors up front. But there's no current thinking, at
3 this point, to require such sickle cell screening for
4 leukocyte reduction process. Typically, though, I think a
5 sickle train donor will become apparent during the
6 filtration step. I think we've heard something like 65
7 percent of them will just not filter, and you'll--it's
8 apparent that this is a filtration process failure--right
9 there--and you'll be able to recognize that product.

10 For the few units that do go through, I think the
11 time that it takes for that filtration to complete is
12 variable, but it's going to be far in excess of the typical
13 filtration time. So what you might choose to do is specify
14 a certain time limit within which the leukocyte reduction
15 filtration process must be started and completed. And
16 typically a unit will filter easily within 15 minutes or
17 less. In some cases, the filtration might be completed
18 within half an hour without any particular reason for that,
19 but in almost all cases, I think you will find that sickle
20 cell donor will exceed those typical time limits and you'll
21 be able to identify those units there.

22 So although you won't prevent the donation from
23 happening--and the filtration from happening--you'll be able
24 to retrieve the unit in advance of actually picking up them
25 up through random quality control testing.

1 DR. HOLLINGER: Yes--Dr. McCurdy?

2 DR. McCURDY: I have some incidental intelligence
3 that there's a representative of the American Hospital
4 Association that hadn't planned on commenting but might be
5 willing to. Would you reopen the public hearing for that
6 purpose?

7 OPEN PUBLIC HEARING

8 DR. SAVER: As indicated, I had not intended to
9 make a statement this morning--

10 DR. HOLLINGER: Could you--

11 DR. SAVER: My name is Mary Beth Saver, with the
12 American Hospital Association. But I did want to take a
13 couple of minutes to respond to some statements that have
14 been made this morning.

15 I will tell you that we have been hearing from our
16 members--transfusion medicine specialists within some of our
17 major institutions--about their concerns with universal
18 leukoreduced blood. They have no problems, and they believe
19 wholeheartedly in the targeted use of this blood, but they
20 do not believe that ULR is scientifically indicated. I know
21 Dr. Epstein and others have made comments this morning, is
22 that because of cost? Is it because of the science?

23 I can tell you, again, just what I'm hearing from
24 the members that are calling me is they have definite
25 concerns on the science, and they believe with limited

1 health care resources that the science should be followed.

2 So I just wanted to comment on that.

3 In addition, I know we've talked this morning a
4 little bit about reimbursement. With \$71 billion having
5 been cut out of the hospitals by the Balanced Budget Act,
6 contrary to what HCFA is saying, that we can cover our
7 costs, many of our hospitals cannot. And on the
8 reimbursement front, we are working toward two different
9 avenues: once is a cost-securing campaign, which--two bills
10 have been introduced. One is in the House and one is in the
11 Senate. This is a 2.9 percent increase in the update for
12 hospitals. The cost of blood is prominently featured in
13 this campaign.

14 In addition, we are working with the blood
15 groups--AABB, ABC, American Red Cross--to come up with,
16 also, a separate route just for blood. And we believe that
17 is going to culminate in legislation, hopefully, that will
18 be .5 percent increase in the overall update for blood.

19 As Dr. Epstein commented, it is very difficult to
20 target specific DRGs--it opens up the floodgates--and we
21 believe this was a better route.

22 Going back to the cost-securing campaign, I can
23 tell you that there is a lot of interest on the Hill. There
24 are 260 House sponsors of the bill. There are 48--I believe
25 48 Senate sponsors, which is pretty good for the Senate.

1 Typically, you may get 15, 20 co-sponsors on a bill.

2 So, again, I just wanted to make these comments
3 from the reimbursement side, and also what we are hearing
4 from our members. I will tell you that what drives AHA,
5 though, is first and foremost, is patient care and making
6 sure we're doing the right thing for our patients. But we
7 are hearing from our members: is this scientifically
8 indicated?

9 And the last question, if I might ask--and I go
10 back to some confusion I have had--I believe Dr. Simon
11 brought it up--is I'm still not understanding: will
12 leukoreduced blood, and non-leukoreduced blood be available?
13 I know, for instance, with what the American Red Cross has
14 been saying, they're converting all their manufacturing to
15 leukoreduced blood. And I suppose, I guess, a hospital
16 that's dealing with American Red Cross could purpose their
17 blood from someone else if they want non-leukoreduced, but I
18 guess that would just be a question I would like to pose, if
19 that's appropriate.

20 And thank you very much, and I'd be please to
21 answer any questions.

22 Thank you.

23 DR. HOLLINGER: Thank you.

24 Ahh - Marion.

25 DR. KOERPER: I just wanted to make a comment

1 about--first of all, I believe that we should have
2 leukoreduced blood to the extent that it's possible, because
3 I think our charge is the safety of the blood supply. And
4 while the financial considerations are, of course,
5 important, I think that--I applaud the way that this is
6 being handled in that, simultaneously, the Blood Safety and
7 Availability Committee has been asked to comment on this,
8 and the bringing in of HCFA at an early stage in this,
9 rather than waiting until it's reached a rulemaking thing
10 and then somebody saying, "Oh, wait a minute, we better
11 change the reimbursement structure."

12 So, I'm very encouraged by seeing this sort of
13 dualistic approach of two different agencies' trying to work
14 on this at the same time.

15 One comment about the requirement that it be
16 filtered within 24 hours: talking with the director of our
17 transfusion service at our hospital, and also at the blood
18 centers of the Pacific--one issue is that NAT testing takes
19 three days to get a result back. If the unit is
20 unacceptable and has to be discarded, but has already been
21 filtered, then there's--the blood bank has incurred an
22 additional expense that they can also not recover, because
23 they filtered the blood that they're not going to be able to
24 transfuse.

25 So there's been some sentiment expressed that

1 perhaps the timing of the filtration could be long enough to
2 allow for the results of NAT testing to come back, and the
3 units that were deemed acceptable could then be filtered at
4 that point. So 72 hours has been suggested as a more
5 manageable time frame, to help with some of the
6 cost-containment issues.

7 DR. HOLLINGER: Well, Marion, it's my
8 understanding--I mean, if you just look at the numbers for
9 HCV, now it's like 1 out of 300,000 units--our policy. So I
10 think we're talking--and correct me if I'm wrong here--I
11 think we're talking about pretty small numbers here.

12 DR. KOERPER: Oh, I agree completely. But this
13 has been an issue that I've heard raised by at least two
14 different blood center directors.

15 DR. HOLLINGER: Is there a problem--I mean, just
16 on what Marion has just said, for the American Red Cross, or
17 somebody speaking from one of the organizations--is 24 hours
18 a real problem for most blood organizations?

19 Somebody might want to comment about that.

20 DR. KATZ: It's really going to depend on which
21 specific center and system you're talking about, and how far
22 the unit has to move, physically, from point A to point B.

23 I think there are some places in the Red Cross
24 system--blood systems--where 24 hours might get pretty
25 tight: small centers like mine, or medium-size centers like

1 mine, 24 is not really an issue. But I think the big
2 systems are going to have problems, and you're really going
3 to want to talk to the Red Cross, and blood systems in
4 particular--perhaps New York Blood Center--to find out the
5 real burden.

6 DR. HOLLINGER: Somebody from the American Red
7 Cross? Can they just comment about that issue?

8 DR. CHAMBERS: I think that's correct, that the
9 testing issues are going to be a drop in the bucket compared
10 to just the practical issue of physically moving units that
11 have been collected at remote sites back to a processing
12 center, and having them hit the processing center at a time
13 when the component laboratory is prepared to do the
14 leukoreduction. 48 hours is operationally many fold better
15 than 24 in that regard, so that something collected, for
16 example, late on a Saturday could be the first thing on
17 Monday morning collection, whereas a 24-hour limit would
18 mandate that you be doing leukoreduction processing on
19 Sunday.

20 DR. HOLLINGER: And I don't hear you saying that
21 because somebody says it's going to be 48 hours, that
22 everything's going to be checked at 48 hours. Probably the
23 large majority--

24 DR. CHAMBERS: No--correct.

25 DR. HOLLINGER: == of them would be tested much

1 earlier than that.

2 DR. CHAMBERS: Testing completed and
3 leukoreduction completed.

4 DR. HOLLINGER: And it would allow you to do the
5 few that--

6 DR. CHAMBERS: I would encourage you to look very
7 carefully at what is known about the point at which the
8 cytokines kick in and the cell degeneration becomes
9 substantial, and I think you'll be reassured that even 48
10 hours builds in a large margin of safety, and is well early
11 enough in the storage period that you haven't compromised
12 the effectiveness of the leukoreduction.

13 DR. LEE: There's actually three camps within the
14 Agency as to the upper limit for leukocyte reduction. One
15 camp is to suggest a time limit that is consistent with all
16 filters that are already on the market, already approved.
17 They have--each filter came in with a particular
18 instructions for using that filter, and the time limit for
19 using that filter. So, pick a time limit that's consistent
20 with all the filters that are already out there--that's one
21 suggestion. And that would put the limit at something like
22 seven days.

23 The other, second, camp is to choose 72
24 hours--three days--and that's sort of the compromise
25 position; recognize that operational complexity, but also

1 try to minimize the time period within which cell
2 degradation and cytokine generation can be minimized.

3 The third camp is 24 hours. That's the most
4 stringent one. And if you do that, it's the best product,
5 but it obviously creates operational complexities.

6 So we're not decided, but certainly your comments
7 are well taken.

8 DR. HOLLINGER: Dr. Lee, have we answered many of
9 your questions? I think most of the comments have been, I
10 think important and pertinent?

11 DR. LEE: Thank you very much for the very helpful
12 insights.

13 DR. HOLLINGER: If that is all for today--I just
14 wanted to ask--just a question of the Committee. Well, no,
15 it doesn't matter. We'll discuss the time for the next
16 meeting. The next meeting has tentatively been set for the
17 usual time in September. I think it's the 14th and the 15th
18 for right now. Is that a problem for anybody on the
19 Committee?

20 Well, be thinking about it.

21 Yes--there's one person.

22 Okay. Anyway, I want to thank again the Committee
23 for its deliberations today, and we'll see you in September.

24 Thank you.

25 [Whereupon, at 12:20 p.m., the meeting was

1 adjourned.]

2

- - -