

1 use for the treponemal survival time in stored blood. There
2 are data in the literature but all of it is not very
3 consistent. We also didn't know the proportion of
4 transfused blood components with storage times that would
5 all survival of treponemes. Most people feel that the
6 current storage procedures will not allow prolonged storage
7 of treponemes but that, in some parts of the country,
8 fresher blood is transfused, blood that has not been stored
9 as long. This may vary and we really didn't know the
10 proportion of storage time of the different blood components
11 or of the different units that are used across the country
12 today.

13 We also did not have data on the component-
14 specific treponemal densities. I think that there are some
15 people who feel that treponemes can survive in platelets,
16 some people who feel that they can't, because of different
17 reasons. And so we felt that we really couldn't come up
18 with good specific estimates for this.

19 So this is by way of saying that the estimates
20 that we came up with have a lot of uncertainty in them.

21 [Slide.]

22 This is the first estimate we came up with which I
23 think I was questioned about so I have done a subsequent
24 one. But since this wasn't a memo that we sent to the FDA,
25 I am going to walk through this.

1 The first assumptions that we made were that, for
2 each donation, there would be two components. So the 46
3 bacteremic donors would result in 92 components. The risk
4 from transfusion of these components would range from 0.001
5 from stored, if it was a stored component, to 0.05 if it was
6 a fresh component. These estimates are not really based on
7 hard data so I can't really provide specific background
8 information to substantiate these.

9 But if we were going to use these estimates, then
10 we would have a low of less than one transfusion-associated
11 case occurring per year to a high of 4.6 transfusion-
12 associated cases per year.

13 [Slide.]

14 After talking with a variety of people in the
15 blood-banking industry, we decided to come up with the
16 worst-case scenario to estimate transfusion-associated cases
17 in the absence of screening. I think this is because the
18 blood-bank industry would assume, and this is what I was
19 told, that if a unit had treponemes in it, that we should
20 assume 100 percent risk.

21 So we came up with these assumptions. We were
22 assuming inadequate refrigeration or storage, if there was a
23 blood shortage and there was a very short storage time, so
24 inadequate to kill the treponemes.

25 And then we assumed that there were between 1 and

1 1.5 risk components per donations. Here we are assuming
2 that, perhaps, some of the components would not contain
3 spirochetes and would not be, therefore, at risk and that if
4 you got one of these units, your risk of getting a
5 transfusion-associated syphilis would be 100 percent.

6 So, using this estimate, the 46 donors per year,
7 100 percent risk, and 1 to 1.5 components per donation, one
8 would come up with between 46 and 69 cases a year. So,
9 again, I think we could plug different numbers in here and,
10 again, our assumptions are not very precise, but, just to
11 walk you through some of the process that we used.

12 [Slide.]

13 So, in summary, recent changes in surveillance
14 have allowed identification of syphilis cases detected
15 through blood banks or plasma centers. Again, we can't
16 distinguish those. We estimated that, from 1995 to 1998,
17 syphilis screening by blood banks and plasma centers
18 resulted in 927 cases of early syphilis being detected.

19 Some parameters that we need to estimate the risk
20 of transfusion-associated syphilis from these donors are not
21 really available. Survival of treponemes in the different
22 blood components under current storage conditions will
23 determine whether these cases pose any real risk for
24 transfusion-transmitted syphilis in the absence of
25 screening.

1 DR. HOLLINGER: Thank you.

2 Questions? Dr. Linden?

3 DR. LINDEN: I have one question. Could you
4 please clarify what you considered to be "fresh" because
5 fresh blood, per se, isn't really transfused anymore. What
6 time line did you consider to be fresh and what was stored?

7 DR. MARKOWITZ: In our first assumption, where we
8 used the fresh, stored by 0.001 and fresh being 0.05, I
9 think that that was probably not a good use of those words.
10 We meant like less storage and more storage. But, in
11 talking with the American Red Cross, it was our impression
12 that there are some units that are transfused relatively
13 soon after they are obtained.

14 But I can't really speak to that issue. It was
15 suppose to be a range.

16 DR. HOLLINGER: Do you have a comment about that,
17 Jeanne?

18 DR. LINDEN: I am still not sure what relatively
19 soon means because I am thinking platelets versus red cells.
20 You are talking three days, two days, one day, five days?

21 DR. MARKOWITZ: That is why I presented this
22 second analysis where we use a new terminology called
23 inadequate storage because I think that we don't really know
24 how long is long enough to kill the treponemes. So I
25 presented that first slide where we used the fresh and the

1 stored terminology because we had communicated that
2 previously to FDA and I wanted to walk through how we came
3 up with those calculations.

4 But I think it is better to use this term
5 inadequate storage because it is my understanding that the
6 data are not absolutely clear what adequate storage is.

7 DR. HOLLINGER: I thought Dr. Cable, in his
8 article, said something to the effect of five days, or three
9 to five days or something like that, seemed to be sufficient
10 to eliminate the infectivity of syphilis in blood.

11 Paul?

12 DR. SCHMIDT: I think those are all very old
13 experimental data when they first started putting citrated
14 blood on the shelf. That was all refrigerated. Into this,
15 you have to build the fact that platelets are stored at room
16 temperature so it is not only a question of time. But that
17 variable I don't think anybody has ever looked into.

18 I had a question. As you mentioned early on, not
19 all positive serologic tests in donors that are reported to
20 health departments are followed up. We find, for example,
21 that there are certain parts of town they like to follow up
22 and other parts of town they don't based on what they
23 usually think syphilis is due to.

24 Wouldn't, then, your estimates based on what
25 health departments report to the CDC be lower than the

1 actual? In other words, there are blood-donor cases that
2 don't get into that system.

3 DR. MARKOWITZ: I think there are several things
4 that could bias it to be lower than I reported. In addition
5 to what you pointed out, some patients who were referred
6 into the SDT clinic for evaluation if they have a positive
7 serologic test for syphilis, they might be coded, because
8 this is not totally standardized, as source of report being
9 the STD clinic rather than the blood bank.

10 So I think that is actually a larger issue than
11 the one you brought up. Most of the cases that don't get
12 followed up, there is actually a set algorithm they are
13 supposed to follow. In cities, where they have a lot of
14 syphilis serology to follow up, they focus on high-risk age
15 group, women of reproductive age, and things like that so
16 that they would actually follow up people--much older
17 people, for example, they would decide not to follow up.

18 DR. HOLLINGER: If I recall Roger's data, it would
19 appear that about 5,000 cases were positive by the FTA ABS
20 in the American Red Cross. If you then double that to
21 10,000, that is pretty close to the 10,000 or 12,000 that
22 you are reporting per year. I think you have reported 927
23 per month. Is that right--or 927 per year?

24 DR. MARKOWITZ: The 927 was over the four-year
25 period.

1 DR. HOLLINGER: Over a four-year period?

2 DR. MARKOWITZ: It was a four-year period. That
3 was a four-year period; yes.

4 DR. HOLLINGER: Sorry. Okay.

5 Dr. Mitchell?

6 DR. MITCHELL: So you are saying that your
7 estimates are from 0.09 cases per year to 69 cases per year?

8 DR. MARKOWITZ: I see you are looking at the
9 earlier estimate. I would say it is from 0, yes, from 0 to
10 69 cases per year.

11 DR. MITCHELL: But, in fact, we have seen 0 cases.

12 DR. MARKOWITZ: This is in the absence of
13 screening. What we are saying here is this is what we would
14 see in the absence of screening.

15 DR. MITCHELL: Okay.

16 DR. CHAMBERLAND: Lauri, just another area of
17 potential misclassification in the surveillance database,
18 classification of stage of disease, would it most likely be
19 done stage at presentation and evaluation to the Department
20 of Health as opposed to the stage of disease, let's say, for
21 purposes of discussion, when someone was presenting to blood
22 bank because, depending on the interval of time, and I don't
23 know if you collect data on interval of time between source
24 of report, meaning the time the patient was initially
25 tested, and their definitive evaluation at the health

1 department.

2 So is that another potential source?

3 DR. MARKOWITZ: Yes; that has come up again as to
4 how good are these classifications. I think that, if
5 anything, there will be people misclassified as early-latent
6 cases where they may have been primary, secondary, at the
7 time of donation because of the time lag between donation
8 and full evaluation by the health department.

9 DR. CHAMBERLAND: Just one other question about
10 the surveillance system. I wanted to ask you if the
11 implementation of the electronic surveillance system was
12 uniform in the country or, for example--because, certainly,
13 distribution of cases is not uniform with the focus in the
14 Southern states.

15 So is the electronic surveillance system--how is
16 that implementation faring in those parts of the country
17 where you see the most cases because then that factors into
18 this estimation factor.

19 DR. MARKOWITZ: I don't know the answer to that,
20 how it was roled out. I don't know that actually. But I do
21 know that, in 2000, all except four states are reporting
22 electronically. But, in 1995, during the '95-'98 period,
23 many fewer states were reporting electronically

24 DR. TUAZON: If you look at your estimate of
25 potentially infectious donors, you have the premise that the

1 primary and secondary are 100 percent bacteremic. In fact,
2 they are probably not so that is actually an overestimate
3 because I think the secondary or disseminated disease, those
4 are probably 100 percent spirochetemic but the primary
5 actually may not be.

6 So, in essence, your estimate of 0.09 is probably
7 an overestimate.

8 DR. MARKOWITZ: Yes; that could be. We based that
9 on maybe congenital syphilis.

10 DR. TUAZON: Because we really don't know the--

11 DR. MARKOWITZ: We don't know. We can't say for
12 sure. I could have put a range in there as well in terms of
13 the percent that were bacteremic.

14 DR. TUAZON: But even those who are bacteremic, we
15 really don't know the infectious dose, how many spirochetes
16 would be capable of causing disease.

17 DR. MARKOWITZ: Right. And that is why the
18 estimate of 0.001 and 0.05, that was supposed to represent
19 all of these different factors, the density of the treponeme
20 in the component. We didn't really know what to put in
21 there and it was really more of a guesstimate. We sort of
22 got together and said, what can we really use here? But
23 there are a lot of different factors that go into whether or
24 not a unit is actually really going to be a risk unit.

25 DR. ORTON: I am Sharyn Orton from the Red Cross.

1 I had a lot of conversation with Lauri and I think maybe I
2 can shed a little bit of light on the worst-case scenario
3 risk factor assessment that she did.

4 When we discussed the old papers which I went back
5 to, even some of the whole-blood studies that were done as
6 far back as 1927, the only thing that those papers really do
7 is discuss an inoculated concentration of treponeme and the
8 length of time it takes before that inoculation in a unit of
9 whole blood is no longer viable or no longer causes rabid
10 infectivity.

11 All of those authors state two things. One is
12 that we do not know what the concentration is in a person
13 who is truly infected so you cannot necessarily extrapolate
14 a certain concentration of inoculated material no longer
15 being viable at five days to necessarily what happens in a
16 human.

17 The second thing is that they do not state that
18 the refrigeration kills the organism. What they state is
19 this is the length of time that it is viable. There has
20 been some extrapolation to room-temperature platelets, but I
21 could find nothing in the literature that actually has a
22 comparison of the organism live, inoculated live, into a
23 component at room temperature and then rabid infectivity
24 studies being done.

25 The next part that I just want to mention is when

1 it comes to platelet concentrates, and this actually will
2 impact slightly the study I will be presenting to you, we
3 have, in the transfusion medicine field, always been
4 concerned about platelets because of room-temperature
5 storage for the reason I stated before.

6 However, the oxygen tension of the platelet bags
7 is dramatically higher than anything a spirochete can
8 withstand for even periods of hours. The literature clearly
9 states that anything above 3 percent oxygen tension, the
10 organization will be dead in a matter of hours.

11 In the current bags we use, the oxygen tension is
12 almost 16 percent. So when I talked to Lauri, I didn't feel
13 that a platelet is a large risk, if any risk at all, and
14 that I agree that, with primary syphilis, we don't really
15 know the concentration but we have to assume the if a human
16 is spirochetemic, a red cell has 100 percent transmission
17 risk.

18 So that is basically where we got those. They are
19 worst-case-scenario figures but I think they more clearly
20 represent if a donor walks in and they are spirochetemic, it
21 is closer to 100 percent than 0.1 percent for the
22 transmission. That is where those figures came from.

23 DR. HOLLINGER: Yes; please?

24 DR. WILLIAMS: Alan Williams, Red Cross, Holland
25 Lab. Many times, hospital blood banks are structurally

1 affiliated with clinical laboratories and, because of donor
2 testing, may have the best access to syphilis serology. Can
3 you, in fact, rule out that some of the reports made by
4 blood banks might represent non-donors?

5 In addition, have you considered the fact that
6 autologous donors may also be tested by both hospital and
7 community blood centers and are known to have higher levels
8 of infectious-disease marker rates, but would not represent
9 a threat to the community blood supply.

10 DR. MARKOWITZ: We don't have data on any of that
11 because the only thing we get is really this one piece of
12 information that says source of report. So, unless we went
13 back and interviewed, talked to the surveillance people in
14 the state, I think in the time frame that would allow them
15 to remember these cases to be able to follow up on them, I
16 don't think we would be able to distinguish those.

17 DR. KLEINMAN: Steve Kleinman. I know probably
18 each state does this differently but it might be a useful
19 thing if, if the case is reported to the health department
20 from a blood center or a plasma center, if they could
21 include, as part of their evaluation actually asking these
22 people whether they have been recent blood donors. That
23 might be something that you could prospectively collect over
24 the next year in evaluations done at state health
25 departments.

1 Because I agree with what Alan said, and from the
2 limitations you gave, we really don't know whether these
3 people--from a coding form, we really don't know for sure
4 whether they were active blood donors or whether they were
5 just an anomaly of the system and the type of reporting.

6 DR. DODD: Roger Dodd. One other thought here is
7 that we now are required to defer, for at least one year
8 after clinical syphilis, anybody who presents with a history
9 of syphilis, or we have to ask them about a history of
10 syphilis. Potentially, some of these may be reported back
11 without even entering the blood system.

12 DR. MARKOWITZ: I don't know if there is a
13 mechanism. You are saying that they wouldn't even donate,
14 blood then. But there is a legal requirement for reporting
15 positive serologic tests for syphilis. So that would be
16 much more likely to be reported than if somebody just said
17 they were a case. I don't think there is a mechanism for
18 those patients to be reported.

19 DR. HOLLINGER: As there is for hepatitis which is
20 very much underreported.

21 Yes; go ahead.

22 DR. BIANCO: Celso Bianco, America's Blood
23 Centers. The other part of the equation that would be
24 interesting. You said, in the absence of testing, this
25 would be the risk. But just following what Dr. Dodd just

1 said, we ask our donors for any history of venereal disease
2 and will defer them for a year if they reveal any history.

3 So that also would reduce the number of
4 individuals that ultimately, without testing, would be part
5 of your estimate.

6 DR. MARKOWITZ: I would assume that these cases
7 that made it through and got reported to CDC, I would have
8 assumed that they would have been asked that question and
9 would be deferred so they wouldn't even get into the system;
10 isn't that right?

11 DR. BIANCO: Possibly. Yes.

12 DR. HOLLINGER: Dr. Simon?

13 DR. SIMON: I thought I understood that there were
14 two issues here and from the discussion, I just wanted to
15 clarify. We are dealing with, really, two issues, one is
16 the safety of the blood supply and, if we stop testing for
17 syphilis, will that be impacted, and then whatever public-
18 health benefits we may be supplying by screening the people
19 who come into our centers.

20 So, even if we decide the blood supply is safe,
21 there is another issue, do we have a public-health benefit;
22 is that correct?

23 DR. HOLLINGER: Certainly, the blood supply is the
24 issue right now before the committee about the testing.

25 DR. SIMON: So we are not dealing with the issue

1 of whether we are performing a public-health benefit by
2 doing this testing?

3 DR. HOLLINGER: We are dealing with it, but I
4 don't think it is part of the agenda, as I understand it.
5 Paul?

6 DR. SCHMIDT: I would hope that we are dealing
7 with the public-health aspects unless the public-health
8 authorities are willing to put up some money for the blood
9 centers to do some of their screening.

10 DR. SIMON: Maybe Dr. Markowitz can respond to
11 that. Does the CDC and the public-health establishment see
12 a benefit from the reporting they are getting from blood and
13 plasma centers because, actually, in plasma centers, the
14 testing is done for the safety of the recipient because all
15 those products are frozen and it was retained in order to
16 get that information on the recipient and send them for
17 treatment if they were positive.

18 DR. MARKOWITZ: That is a separate issue, is the
19 benefit for the patient versus a global public-health issue.
20 One of the reasons I showed the slide that had the
21 information on the percent of all of our cases that
22 identified through this kind of screening was to illustrate
23 that it is actually a small percentage.

24 But it is still something. 1 percent of our
25 early-latent cases were identified through this mechanism.

1 We have not developed a formal stand on this on whether or
2 not CDC feels that this is a vital part of syphilis
3 surveillance. We don't consider it--we have many other ways
4 that we are trying to enhance syphilis surveillance because
5 of the syphilis elimination initiative that was started last
6 year. There have been a lot of additional efforts being
7 made to try to locate and treat all syphilis in the U.S.

8 So we don't feel that this should be a major
9 component of the syphilis elimination effort. But it is not
10 that it is not helpful. It is. But it is not a major
11 component.

12 DR. HOLLINGER: Dr. Boyle?

13 DR. BOYLE: Just a point of clarification. Early
14 in your presentation, did you say that 50 percent of all new
15 cases are coming from 26 counties?

16 DR. MARKOWITZ: Yes.

17 DR. BOYLE: So, with 3,000 counties in the United
18 States, basically 1 percent of counties were responsible for
19 50 percent of all new cases.

20 DR. MARKOWITZ: And they are not the same counties
21 every year. That is one of the tricky things.

22 DR. BOYLE: Okay. Thank you.

23 DR. MARKOWITZ: I think there are several endemic
24 areas, which is mainly the South, that occur for the bulk of
25 all cases, but then, every year, there are different

1 counties that are having outbreaks.

2 DR. HOLLINGER: Dr. Katz?

3 DR. KATZ: I am a clinical infectious-disease doc
4 so I have to have several jobs to make a living. One of
5 them is I run an STD clinic at the health department so we
6 get the referrals, and my blood center sends in
7 approximately two reports of RPRs for every ten we get from
8 the plasma centers, locally.

9 I don't know if that holds up nationally, but I
10 think that that is important data that we probably need to
11 have for this type of decision.

12 DR. HOLLINGER: Thank you.

13 We are going to take a ten-minute break and then
14 we are going to come right back and start again.

15 [Break.]

16 DR. HOLLINGER: We are going to continue on. The
17 next speaker is with the American Red Cross NAT Donor Study.
18 Sharyn Orton?

19 **American Red Cross NAT Donor Study**

20 DR. ORTON: I would like to thank you for inviting
21 me here today to present this data.

22 [Slide.]

23 I would also like to thank everybody who was
24 involved with the American Red Cross through the American
25 Red Cross ARCNET Program. This is an epidemiologic group of

1 four blood centers that do quite a bit of our surveillance
2 work.

3 I would also like to mention Dr. Hsi Liu who is
4 also here today and who will be speaking shortly from the
5 Centers for Disease Control and Prevention who collaborated
6 with me on this study.

7 [Slide.]

8 The scientific question that we started with was
9 do blood donors with confirmed positive syphilis tests have
10 evidence of circulating T. pallidum and, if so, what is the
11 prevalence. Theoretically, these confirmed positive
12 syphilis tests should represent antibody detection to either
13 current or past infection.

14 [Slide.]

15 Our hypothesis was the confirmed positive syphilis
16 tests in blood donors do not represent current infection.
17 How did we arrive at this hypothesis?

18 [Slide.]

19 There is quite a bit of anecdotal evidence from
20 blood donors who have been notified of confirmed positive
21 syphilis tests. One of the medical directors at the Red
22 Cross pointed out that the largest number of phone calls
23 they get from donors who receive counseling letters
24 complaining have to do with syphilis tests.

25 In addition, a later study that I did, a survey of

1 donors, both cases and controls, I got numerous, dozens, of
2 phone calls from these individuals.

3 Secondly, there is evidence in the literature that
4 in low-risk populations, most, if not all, positive results
5 represent antibody from previous disease or biological
6 false-positive reactivity. This goes quite to the
7 predictive value of the test in this population.

8 [Slide.]

9 Next, we know that conditions associated with
10 biological false-positive test results can affect all of the
11 tests currently in use for screening of donated blood and
12 these tests, as Dr. Dodd pointed out earlier, for the Red
13 Cross, are the PK-TP, the FTA ABS and the RPR.

14 [Slide.]

15 In order to do our study, we made a few
16 assumptions. Our first assumption was that an individual
17 with spirochetemia is not likely to present as a blood
18 donor, or, associated with that, a blood donor is not likely
19 to present with spirochetemia.

20 The reason that we made this assumption was that
21 syphilis is a rare disease in the United States. In 1998,
22 the CDC reported an incidence of 2.6 per 100,000 population.
23 This incidence in whites is actually 0.5 per 100,000.

24 Peak spirochetemia, from the literature, occurs
25 primarily during the secondary phase which almost always

1 presents as acute symptomatic disease with fever, lymph
2 adenopathy and macropapular rash.

3 [Slide.]

4 In addition, there has not been a documented case
5 of transfusion-transmitted syphilis in this country in over
6 30 years despite the fact that spirochetemia may occur
7 during the primary phase and this phase may be asymptomatic
8 and may be seronegative early in the course of the phase,
9 and transfusion-transmitted syphilis would likely result in
10 a secondary-phase syphilis that should be recognized.

11 [Slide.]

12 So our goal was to determine if there was any
13 evidence of circulating T. pallidum in the blood of donors
14 who are PK-TP reactive, FDA-ABS-positive by specific
15 detection of DNA or RNA as a surrogate measure of potential
16 infectivity.

17 [Slide.]

18 Our sample size that we used was a target sample
19 of 100 PK-TP-reactive FTA-ABS-positive blood donations. 50
20 of these would be from donors who were subsequently RPR-
21 positive and 50 from RPR-negative donors. We went ahead and
22 were using existing platelet concentrates from these
23 donations.

24 Now, this study was started several years ago and,
25 at that time, it was the first of the studies that I did in

1 syphilis, based on the literature and the concern by
2 transfusion-medicine experts about platelet transfusion
3 temperature storage, we decided to use the platelet
4 concentrate.

5 As I mentioned to you earlier, we now know that,
6 based on oxygen tension, this product is not likely to be a
7 product that is going to have viable treponeme. So there
8 our concern was were we using a component that was really a
9 legitimate component to use for this test.

10 There is evidence in the literature that T.
11 pallidum spirochetes are likely to segregate with white
12 blood cells. This goes back to some work that has been done
13 as far back as 1978.

14 On this slide, you can see the, in the preparation
15 of the platelet concentrate, the yields of white cells are
16 relatively high in the platelet concentrate, only one log
17 lower than in whole blood when you talk per ml. So we felt
18 that, while whole blood would have been a preferable
19 component, we don't have whole blood available to us because
20 of the component preparation and we did have the platelets
21 available.

22 [Slide.]

23 The PCR testing that we did for T. pallidum, we
24 did two different tests. Actually, the CDC did two
25 different tests. The first was a specific DNA test using

1 the polA gene target. This is work that Dr. Hsi Liu did for
2 us. They used capillary electrophoresis and a fluorescent-
3 detection system. It is read on an ABI 310 genetic analyzer
4 and the sensitivity of the test was 10 to 25 organisms per
5 100 microliters of platelet concentrate extracted.

6 [Slide.]

7 The second test that was used was a multiplex PCR
8 test which included testing for T. pallidum. It uses a
9 47 kD basic membrane protein-gene target for T. pallidum.
10 It is sensitive to ten organisms per 100 microliters of
11 platelet concentrate extracted. In the event that this test
12 would come up positive, another confirmatory test would have
13 been done with individual PCR which is sensitive to one
14 organism.

15 [Slide.]

16 The RT-PCR procedure that we used is a 16S rRNA
17 template for reverse transcription of production of cDNA.
18 We used detection by Southern Blot or, more recently, an
19 Agilent Biolanalyzer. This particular test can be quite
20 sensitive, down to 10^{-3} organism equivalents. We
21 consistently considered a one-organism per 140 microliter
22 platelet concentrate extracted for the control as an
23 acceptable run.

24 [Slide.]

25 For the DNA, both assays included internal and

1 external control samples. The positive external controlled
2 samples were provided by my laboratory and were diluted to
3 50 organisms per 100 microliter from stock T. pallidum,
4 Nichols strain, cultures from Sheila Lukehart's lab at
5 University of Washington.

6 RNA-positive controls diluted to 10^{-1} genome
7 equivalents per 140 microliters were prepared from the same
8 stock samples. All assays also included negative controls.

9 [Slide.]

10 Ultimately, we tested, as I counted yesterday, 101
11 of each. 101 samples tested negative for T. pallidum DNA by
12 both assays and 101 samples tested negative for T. pallidum
13 RNA. I do want to point out that, in all, more than 101
14 samples were run. There was an overlap of about 30 samples
15 that had both tests done.

16 [Slide.]

17 There were some study limitations, the first being
18 that the optimal sample for detection of T. pallidum is
19 fresh blood for two reasons. The test procedures work
20 better with fresh blood and the concentration of organism is
21 higher in fresh blood.

22 Also, because we can never prove a negative test
23 result, in a pilot study of this size, with a sample size of
24 100, and all-negative test results, there is up to a 3
25 percent chance that there is an incorrect interpretation of

1 no evidence of infectivity.

2 [Slide.]

3 There are differences in findings between this
4 study and a study that is going to be presented today by the
5 CDC and also from some of Dr. Markowitz' information. The
6 study that is going to be presented later has to do with
7 syphilis-infected individuals. I want to point out that the
8 differences in the population here is that these are blood
9 donors. The prevalence in disease in the two populations is
10 very, very different and, therefore, the predictive value
11 expected for the tests is very different.

12 As Dr. Dodd mentioned results of a case-control
13 study that I did, approximately 50 percent of blood donors
14 with confirmed positive test results report a previous
15 history of syphilis greater than one year prior to the
16 donation that we were addressing.

17 It turns out about 30 percent of them are repeat
18 donors who have had a previously seronegative. So it
19 appears that we also see some intermittent seroreactivity in
20 those panels.

21 [Slide.]

22 In conclusion, we did not demonstrate circulating
23 T. pallidum DNA or RNA in platelet concentrates of PK-TP-
24 reactive FTA-ABS-positive blood donors in this pilot study.
25 This data is not consistent with a diagnostic model.

1 [Slide.]

2 Further, it is unlikely that the blood of donors
3 with confirmed positive syphilis test results is infectious
4 for syphilis.

5 [Slide.]

6 Last, I would like to thank all of the ARCNET
7 staff, also Dr. Chen from CDC and Sheila Lukehart from the
8 University of Washington. Thank you.

9 DR. HOLLINGER: Thank you, Dr. Orton.

10 Dr. Mitchell?

11 DR. MITCHELL: On one of the later slides, you
12 reported that 50 percent of the blood donors reported a
13 previous history of syphilis. Does that mean that
14 50 percent did not report a previous history?

15 DR. ORTON: Yes; that is correct. What we did in
16 this case control is we identified all confirmed positive
17 donors in a time period from four regions that surveys were
18 sent to. We did not have 100 percent response rate. So, of
19 the ones that responded, it was 50 percent. And, yes,
20 50 percent of the confirmed positives reported no previous
21 history of syphilis; that's correct.

22 DR. HOLLINGER: Ms. Knowles?

23 MS. KNOWLES: You said this was an ongoing study,
24 but it sounds like it is now completed?

25 DR. ORTON: It was completed as of Tuesday.

1 DR. HOLLINGER: Dr. Schmidt?

2 DR. SCHMIDT: Confirming from the old literature,
3 DeGowin and Hardin published a book immediately after World
4 War II. Dr. Hardin was the blood officer for the European
5 theater where they collected a lot of blood by civilians,
6 but civilians and soldiers. The quote from that is,
7 "Wasserman-negative blood is more dangerous than that which
8 reacts in the test."

9 I don't know about the Wasserman test, but the
10 other thing, for the Red Cross, he says, "Physical
11 examination should be performed on perspective donors to
12 detect primary and secondary manifestations of the disease.
13 The male genitalia should be examined particularly for
14 chancres but, in most clinics, the female genitalia are not
15 inspected because of the difficulty of finding the lesions."

16 DR. ORTON: I think you would have to pay your
17 donor staff a lot more money if you want to do that.

18 DR. HOLLINGER: Dr. Epstein?

19 DR. EPSTEIN: How many of the samples tested were
20 from donors with a positive RPR. You said the target was
21 50.

22 DR. ORTON: Yes; the first group that were tested
23 with both DNA tests, there were 50 RPR-positives. Even
24 though there was some difference in the actual samples of
25 the RNA, we did 50 as well.

1 DR. EPSTEIN: Is it fair to deduce that about 25
2 of them lacked a history of prior treatment?

3 DR. ORTON: In these individuals?

4 DR. EPSTEIN: Of the actual 50 that were RPR-
5 positive, how many lacked a treatment history?

6 DR. ORTON: We have no information on the
7 individuals from the samples for the DNA and RNA testing.
8 The case-control study was done on an entirely different
9 group of individuals so I have no information on the RPR-
10 positives. Are you talking about the case-control study,
11 how many were RPR-positive?

12 DR. EPSTEIN: No. What I am trying to get at is
13 that if we think that the bacteremia may be in the fairly
14 acute untreated patients, with respect to donors, then the
15 piece that matters here is what percent of RPR-positives who
16 lack a treatment history were in the tested cohort.

17 DR. ORTON: I have no information on the actual
18 donors themselves from the tested cohort.

19 DR. HOLLINGER: That would have been very
20 important, wouldn't it? It seems like that is a very
21 important piece of information. You have done this study to
22 look at this. I would think you would want to know that.

23 DR. ORTON: At the time, we knew absolutely
24 nothing. So we didn't know if we would even find positives.
25 So this was, indeed, a pilot study just to find out whether

1 we would detect any DNR or RNA at all.

2 DR. HOLLINGER: But we still don't know anything
3 because we don't have the information that is critical.

4 Dr. Nelson?

5 DR. NELSON: Isn't the perinatal data persuasive?
6 These perinatal cases are extensively investigated with
7 regard to treatment, with regard to stage, and there is some
8 transmission in the latent stage so that, irrespective of
9 what the DNA results are, it suggests that transmission is
10 possible during the latent stage in the absence of
11 treatment. Isn't that true?

12 DR. HOLLINGER: Dr. Kleinman?

13 DR. KLEINMAN: I have two questions, Sharon. The
14 PCR assays; you didn't tell us anything about their
15 validation. I don't know much about T. pallidum strain
16 differences but if they have been substantiated across
17 different strains, if, in fact, they have been applied to
18 cases of secondary syphilis and do, in fact, yield positive
19 results, as you might expect them to, is that going to be
20 presented by the CDC speaker? Or, if not, can you tell us
21 something about it?

22 DR. ORTON: The RNA procedure that we do at the
23 Holland Lab was a procedure that was very extensively
24 validated by Sheilah Lukehart. It is used on spinal fluid.
25 She also used it on whole blood, a variety of stages, of

1 phases, as well.

2 Hsi, if you want to comment.

3 DR. LIU: This is Hsi Liu from the Center for
4 Disease Control. In terms of the PCR, I would say that we
5 have performed extensive sensitivity and specificity studies
6 on the DNA polymerase I target that we used for detecting T.
7 pallidum.

8 The reason we selected DNA polymerase I is that
9 this is a relatively conserved within T. pallidum. The
10 target we selected, later on, Dr. Markowitz will talk about
11 the two unique features. But I would just stress that not
12 only the sensitivity and specificity and, also, would stress
13 that we can detect approximately one organism per PCR
14 reaction, which is correlated very well to what Dr. Orton's
15 present, approximately 10 organisms per 100 microliter of
16 the sample.

17 Did I answer your question?

18 DR. HOLLINGER: Do we have any knowledge at all
19 about how many organisms are present in an ml of blood in
20 various phases of infection?

21 DR. LIU: This is a question probably in the mind
22 of all the committee members, how many organisms are there.
23 The answer is we do not know. However, because the
24 sensitivity of our test is close to about 10^3 to 10^4
25 organisms per milliliter of blood, and later on you will see

1 that we were able to detect some of the organisms,
2 therefore, therefore, there must be that many organisms in
3 the blood circulated in the patients.

4 Does that answer your question?

5 DR. HOLLINGER: In a way. In the plasma industry,
6 because of the development of infections, periodically
7 panels have been made, seroconversion panels, and things
8 like this. Are similar kinds of things available for
9 syphilis seroconversion? Do we know, for example, in the
10 plasma industry, what is the number of patients who have
11 some sort of seroconversion from RPR-negative to positive,
12 during follow up?

13 DR. SIMON: I don't know. We haven't collected
14 that data.

15 DR. HOLLINGER: But the tests are done on all the
16 individuals who have plasma donations; is that correct?

17 DR. SIMON: It is done on all donors when they
18 initially present the first time. And then it is done on
19 all donors every four months in conjunction with their serum
20 protein electrophoresis.

21 DR. HOLLINGER: What number seroconvert?

22 DR. SIMON: I don't know. We have not collected
23 that data. I guess it would be interesting. But we do a
24 screening test and then a confirmatory test and the donors
25 are reentered. We are allowed to reenter them if the

1 confirmatory test is negative. And the ones who are
2 confirmed positive are reported and referred for treatment.

3 DR. HOLLINGER: Steve?

4 DR. KLEINMAN: My other question, Sharon, was you
5 have made some statements about platelet concentrates and
6 oxygen tension, and I wonder--it is such an emphatic
7 statement that you made that we don't have to worry about
8 platelet concentrates because of the oxygen tension. Then I
9 have two questions. Is the oxygen tension in platelet
10 concentrates today substantially higher than when they were
11 first made years ago?

12 DR. ORTON: Yes.

13 DR. KLEINMAN: Secondly, is this medium the same
14 as applying oxygen tension to other preparations? If we
15 haven't done the experiment, how do we know for sure with
16 this?

17 DR. ORTON: It was actually 1985--I have a
18 reference, Steve, I can get you--there have only been two
19 references since the early '70's that I could find, both
20 addressing the oxygen tension in the platelet bag regarding
21 spirochetes. They are both in 1985. I don't have them
22 right at my fingertips.

23 So is there no risk? We don't know if there is no
24 risk. But I guess what I wanted to point out was we have
25 been really focussing on platelets because of room

1 temperature. I suspect that they are extremely low risk
2 versus a red cell that is refrigerated.

3 Actually, Dr. Liu made a very interesting
4 statement to me yesterday. He said, "Through all of this
5 handling of components, what is the first thing we do right
6 away when we are collecting these components so we can do
7 the testing is we stick them on ice so that we will organism
8 to do our DNR and RNA. We certainly don't leave them at
9 room temperature."

10 So, intuitively, along with, like I said, the
11 oxygen tension--yes; it has gone from less than 10 percent
12 in the early '80's to over 60.

13 DR. KLEINMAN: You also mentioned, I think partly
14 in passing, that there is some evidence that the spirochetes
15 segregate with white cells.

16 DR. ORTON: That's correct.

17 DR. KLEINMAN: I wondered how good that evidence
18 really is.

19 DR. ORTON: Dr. Liu, can you answer that as well?

20 DR. LIU: At the end of the presentation, we will
21 have some proposed experiments, but I will just let you know
22 the data we have collected so far--at CDC, we have separated
23 blood components into plasma and buffy-coat fraction after
24 we spike the whole blood with organisms. We were able to
25 detect an organism in all the fractions even though, for the

1 buffy coat, for example, you do a ficoll-hypaque and, and,
2 after that, you wash, like, three times and you can still
3 detect organism in that fraction.

4 The worst fraction that we used was the serum
5 which is put in the refrigerator for--actually, at room
6 temperature--for overnight and then refrigerated. That has
7 very, very little organism in the serum fraction.

8 DR. HOLLINGER: Thank you. Thank you, Dr. Orton.

9 The next speaker is Alan Williams on the REDS
10 Study of syphilis screening as a surrogate test.

11 **REDS Study of Syphilis Screening**
12 **as a Surrogate Marker Test**

13 DR. WILLIAMS: Thank you, Blaine.

14 [Slide.]

15 I was asked to provide some information about the
16 value of serological tests for syphilis in the context of
17 their surrogacy for behavioral-risk values. What I will do
18 is provide a couple of slides just to review data from the
19 literature primarily from correlation of marker rates
20 between syphilis and other markers but then spend some time
21 on a study from the REDS Survey Program in which we actually
22 looked at risks at blood donors and were able to correlate
23 them with both anti-hepatitis-B-core and serologic tests for
24 syphilis, both of which have been arguably associated with
25 behavioral risk in donors.

1 [Slide.]

2 Both anticore and STS, as I will refer to it,
3 result in a substantial loss of donors for anticore. The
4 current prevalence is 0.45 percent in the donor population
5 and we loose approximately 40,000 donations per year. For
6 the PK-TP, it is 0.18 percent. -- We loose about 16,000
7 donations per year.

8 That is the current situation. In fact,
9 historically, we have lost many more donors due to the
10 anticore test who, at some point, might be able to be
11 reentered as active donors.

12 Both reactivities exhibit marginal predictive
13 value for the specific infection in populations such as
14 blood donors who have low infection prevalence. And the
15 surrogate value for behavioral risk detection for these two
16 markers is speculated, but largely unknown.

17 [Slide.]

18 Specific to syphilis, the correlation of serologic
19 tests for syphilis with HIV and other infection markers in
20 risk populations has been known for many, many years and, in
21 fact, in the early days of HIV, syphilis positivity was felt
22 to be a fairly strong predictor or likelihood of developing
23 AIDS or having an HIV-positive test result.

24 In the blood-donor situation, there have been two
25 major studies, also describing test reactivity over labs,

1 the study by Herrera et al. from the CDC published in
2 Transfusion in 1997 and by John Aberle-Grasse in our group
3 at Holland Laboratory, both showed strong evidence of
4 correlation between STS and HIV as well as hepatitis and
5 other markers.

6 However, in both papers, these correlations were
7 then extrapolated to the likelihood of STS predicting a
8 window-period HIV infection and, due to the rarity both of
9 incident HIV and the shortness of the window period, which,
10 in fact, at that time was described by anti-HIV testing, it
11 was determined in both studies that the predictive value of
12 STS would be less than one window-period case per year.

13 As I mentioned, that was based on anti-HIV as a
14 screening test. One would expect that, with the advent of
15 p24 testing and now NAT testing, the that the predictive
16 ability would be cut in half or even lower than that.

17 But this summary was reflected in the NIH
18 consensus statement on infectious-disease testing for blood
19 transfusion and was generally accepted by that group as
20 representing an absence of surrogate value for this test.

21 [Slide.]

22 You have seen some of these dates before. I will
23 only mention it to the effect of saying that, in 1938, the
24 STS was indicated for syphilis purposes and then the test
25 was retained for its surrogate value in the early '80's.

1 This was then dismissed at the NIH Consensus Conference and
2 there was some question about residual value for syphilis
3 and we are debating both issues today.

4 So just in case this pendulum swings back, we took
5 the opportunity of the survey research program in REDS to
6 collect some further data which, I think, shed light on the
7 surrogacy value.

8 [Slide.]

9 So the objective of this program was to use
10 established measures of blood-donor risk behaviors to assess
11 the value of anticore and syphilis as surrogate indicators
12 of parenteral and sexual risk in the blood-donor population.
13 I think anticore is going to prove to be useful as a
14 comparative measure in this study.

15 [Slide.]

16 REDS has conducted several large-scale anonymous
17 blood-donor surveys. The first one was done in 1993
18 followed in 1995 and this most recent data that I will show
19 is from the 1998 donor survey. In addition to the five REDS
20 sites located in Baltimore-Washington, Detroit, Southern
21 California, San Francisco and Oklahoma City, for this survey
22 we added the New York Blood Center, the Blood Bank of San
23 Bernardino and Life Blood Blood Center in Memphis, and the
24 whole study is coordinated by the Medical Coordinating
25 Center in Westat.

1 Obviously, many people are involved with these
2 studies. I can't name them all but we are certainly
3 grateful for the cooperation.

4 [Slide.]

5 In terms of methodology, this was first
6 established with the 1993 survey. We used an anonymous mail
7 survey sent to donors approximately four to six weeks after
8 inactive donation. The donors are all allogeneic donors and
9 are selected to be over 18 years of age.

10 We have a very highly quantified database for all
11 of the REDS sites in our coordinating center and we can use
12 this as a sampling frame to do a very well-structured sample
13 for this survey. The data I will show you is from a monthly
14 probability of sample of donors, April through October of
15 1998.

16 This comprised a total of 92,500 sample donors at
17 the AIDS sites. In this survey, we had a 57 percent survey-
18 response rate. This is slightly lower than we have had in
19 the past and we attribute it to the fact that this
20 questionnaire was getting rather long because we were trying
21 to build a lot of additional things into it.

22 So we have had response rates up as high as
23 76 percent in the past.

24 [Slide.]

25 In addition to getting data from the survey form,

1 itself, we have the ability to tap into other data available
2 from the sampling frame and pre-code the surveys. Even
3 though they happen to be anonymous, you can take something
4 like an existing laboratory test result and pre-code the
5 survey. In the time frame in which we need to get these
6 out, we can only deal with the initial screening-test
7 result. The confirmatory tests are not yet available. But,
8 for anticore, the screening test is the only test that is
9 available and for STS, the PK-TP has at least a fairly high
10 level of confirmability with the FTA ABS, so we are
11 certainly in a better position than we would be with some of
12 the other viral screening tests.

13 So we pre-coded our outgoing surveys into those
14 that were anticore-positive and no other markers, STS-
15 positive and no other markers, any other marker and totally
16 seronegative.

17 We over-sampled this group to get a better
18 representation. We actually did not sample. We used the
19 whole population of anticore and STS-positive donors that
20 were available.

21 [Slide.]

22 The questionnaire, itself, captures demographics
23 about the donors, information about the donors and history
24 and experiences. There is an extensive behavioral-risk
25 assessment which goes beyond the actual screening done at

1 the blood center, but the questions are formulated so that
2 we can actually reproduce responses to the questions that
3 would be asked at the blood center.

4 This survey has been applied to multiple
5 investigations. The one I am describing today, surrogate
6 value of syphilis and anticore screening, or using it for
7 studies of incentives to blood donors, hemochromatosis and
8 studies of HIV test seeking.

9 [Slide.]

10 One concept that I think most of you have heard
11 before that is critical here is what we are calling
12 deferable risk. Deferable risk, in the context of a study
13 like this, is risk factors that have been self-reported by
14 an individual responding to a survey that, if identified at
15 the time of blood donation, should have resulted in that
16 donor's deferral.

17 [Slide.]

18 There is a long list of screening questions. The
19 latest count is some thirteen questions associated with
20 potential infectious-disease risk and the range from male
21 sex with males since 1977 to ear and other body piercing,
22 obviously different levels of specificity.

23 [Slide.]

24 Our results stratified by the testing we obtained,
25 the overall deferral estimate for the negative donors is

1 2.9 percent. Now, this is a little higher than the estimate
2 published for the '93 study, and this is largely due to the
3 addition of additional questions at the blood center
4 including things like incarceration, birth in Africa, and
5 ear piercing.

6 But our background rate here is 2.9 percent. The
7 rate for deferrable risk in anticore-positives is 8 percent,
8 STS-positives, 13.7 percent and other, 11.5 percent. We did
9 the appropriate odds ratios and then subjected these to a
10 logistic-regression model adjusting for gender, age, race,
11 ethnicity, education, center and first-time donor status.
12 You can see that the adjusted odds ratios really did not
13 vary too much after doing that.

14 [Slide.]

15 This, in fact, is a little bit of a teaser because
16 if you look at the next slide, one thing that is built into
17 our overall deferrable-risk measure are two questions
18 related to history of syphilis. So, clearly, we had to
19 correct for those. One; "In the past twelve months, have
20 you had a positive test for syphilis?" This is a group of
21 blood donors that has been found positive at the blood
22 center and, presumably, have been notified of that test
23 results so that creates a very muddy situation.

24 The other question; "In the past twelve months,
25 have you been treated for syphilis or gonorrhoea?"

1 [Slide.]

2 If you exclude those two questions and take
3 another look at the deferrable risk, the negative value
4 becomes 2.7 percent, the anticore value, 7.3, STS, 4.7 and
5 for any other markers, 11.5 percent with the appropriate
6 odds ratios.

7 Interestingly, once you do the adjustment for the
8 same variables, anticore stays relatively close at 2.5. The
9 STS variable becomes non-significant at 1.3, and the other
10 variables stay significant at an adjusted odds ratio of 3.6.

11 [Slide.]

12 Now, as I mentioned, there is a wide range of risk
13 behaviors that the blood center asks about. So we wanted to
14 pick some of those that we felt were, perhaps, the most
15 important and look at the specific values here.

16 So we looked at the proportion of MSM and
17 injecting-drug-use what I am calling "burden" associated
18 with anticore and syphilis test-positivity. What you can
19 see is the columns add up to a total burden of MSM risk in
20 the active panel population that we are surveying.

21 For MSM, 94 percent comes from the seronegative
22 group, 3 percent from the anticore, 0.3 from the STS and 2.6
23 from other test markers. You can see consistently the
24 anticore group is a little bit higher. For all the risk
25 levels, the STS remains rather low in proportional

1 contribution.

2 I think one observation that sort of validates our
3 method of looking at this is you see a fairly high value for
4 the other tests related to IDU and even sexual contact with
5 an IDU. We think this probably reflects hepatitis C
6 emerging as a test result. And that would make sense.

7 [Slide.]

8 So, in summary, when controlled for first-time
9 donor status and demographic factors, anticore-positive
10 donors have a 2.6-fold higher level of reported deferrable
11 risk than seronegative donors and the value of anticore, as
12 a surrogate, needs to be considered in the context of other
13 variables that have elevated levels of deferrable risk.

14 Now, we see differences between males and females
15 and first-time and repeat donors at a magnitude of about 2.
16 CUE panels have a deferrable-risk odds ratio of about 13.
17 And HIV-test-seeking donors an odds ratio of about 8. So I
18 think you need to keep the magnitude of some of these odds
19 ratios in mind when assessing the relationships to things
20 like anticore positivity and incentives and some of the
21 other variables that we determine.

22 [Slide.]

23 To summarize the STS data, when controlled for
24 first-time donor status, demographic factors and history of
25 syphilis, STS-positive donors do not report a higher level

1 of deferrable risk than seronegative donors when those STD
2 questions are removed from the formula.

3 [Slide.]

4 The results of the study indicate that when
5 measured directly, STS does not appear to have value as a
6 surrogate predictor of behavior risk in U.S. donors.

7 Thank you.

8 DR. HOLLINGER: Thank you, Alan.

9 Dr. Boyle?

10 DR. BOYLE: Alan, how did the response rate vary
11 by the four strata?

12 DR. WILLIAMS: I don't have the figures with me,
13 but they were lower in the seropositive group. They
14 traditionally are.

15 DR. BOYLE: A lot, lot lower or just somewhat
16 lower?

17 DR. WILLIAMS: Probably a half to a little bit
18 less than a half.

19 DR. STUVER: So are those categories, then,
20 mutually exclusive? In other words, the STS positive, they
21 were only positive for that screening marker?

22 DR. WILLIAMS: That's correct.

23 DR. STUVER: What was included in the other lab
24 reactivity category?

25 DR. WILLIAMS: Any screening test used by the

1 blood center would be initial reactives for HIV, for HTLV,
2 hepatitis B surface antigen, anti-HCV, any other screening
3 test that was available immediately found on collection.

4 DR. HOLLINGER: Dr. Mitchell?

5 DR. MITCHELL: When we are looking at HIV, we talk
6 about the test-seeking behavior. Is there any evidence of
7 that with regard to the syphilis or RPR? Have you looked at
8 that at all?

9 DR. WILLIAMS: We have not looked at that. The
10 test-seeking behavior analysis that we did was based on the
11 '93 data and we found a prevalence of 3 percent of
12 respondents who had sought testing in the past year in a
13 blood-bank setting.

14 We have correlated that with deferral risk but not
15 with the test positivity. We didn't have that as a variable
16 at that time and we have not done the '98 analysis on that
17 yet. That is good point.

18 DR. HOLLINGER: Alan, you make a comment about--
19 you didn't show a slide on this, but you said if parallel
20 molecular studies continue to show an absence of T. pallidum
21 in STS-positive donor, the requirement for STS testing of
22 donated donor should be removed.

23 Can you tell me sort of how much parallel
24 molecular studies would you need to feel comfortable with
25 that?

1 DR. WILLIAMS: I suspect the way to really hone on
2 the issue is to define the donors with seropositivity that
3 would be expected to have--if any group has active
4 infection, try your best to define those clinically and by
5 questionnaire and do the nucleic-acid technology on that
6 group.

7 We were limited to, essentially, a convenient
8 sample of platelet samples in first looking at this because
9 it was a first shot. Our presumption is that probably most
10 of the infection that is real is remote and that about half
11 of it is not real. But probably the ideal would be some
12 sort of a collaborative study between the CDC field sites
13 and a laboratory capable of doing infectivity studies to
14 look at those subjects specifically.

15 DR. HOLLINGER: Thank you, Alan.

16 I think, Dr. Kleinman, you have a few comments,
17 also, on the REDS study, too?

18 DR. NELSON: I don't know if it is in the database
19 that Alan presented, but you asked about the plasma centers.
20 I am interested in repeat donors, what is the frequency of
21 incident RPRs. Are those data available?

22 DR. KLEINMAN: I am going to present that for
23 REDS. I don't know about the plasma centers.

24 DR. NELSON: Oh; okay.

25 DR. KLEINMAN: As an independent analysis in REDS,

1 we decided to look at the frequency of PK seroconversion in
2 REDS donors. This is going to be presented at this year's
3 AABB and I am sorry I don't have any slides now. The
4 analysis is preliminary.

5 But we looked at four of the REDS centers from
6 1995 through 1997. They all followed the same testing
7 protocols, screening by PK-TP, on the Olympus, 7,100,
8 confirmation by FTA and then the RPR for the confirmed
9 positives.

10 We found 103 donors who went from PK-TP-negative
11 to PK-TP-positive, FTA-positive, on a subsequent donation.
12 When we calculated that as an incidence rate, we got 15.5
13 per 100,000 person years.

14 The mean time from negative donation to positive
15 donation was six and a half months in that two-year
16 database. When we took a look at the demographics of those
17 donors and compared them to PK-negative donors, the
18 positive, if you will, potential seroconverters, although I
19 am not sure that is the right interpretation--they were more
20 likely to be greater than 36 years of age, more likely to be
21 black or Hispanic, have lower educational levels and be born
22 outside the U.S.

23 Of these factors, race and age remain significant
24 in multivariable analysis. Interestingly, about three-
25 quarters of the seroconverting donors, when tested by RPR,

1 were negative. And one-quarter were positive, similar to
2 what Roger presented for the overall donor set. This is
3 quite confusing to us because we would expect, if they were
4 truly recent infection and they had not yet been treated, or
5 if they had only recently been treated since their coming in
6 within six months, that we might expect that they would
7 still be RPR-positive.

8 So it brings up a couple of alternative
9 explanations for these people, that some portion of the
10 dataset might be persons who are now false positive on both
11 assays since they both are T. pallidum assays, and from a
12 comment that Dr. Orton made earlier about her experience in
13 her case-control study, it also brings up the possibility
14 that, at first screening, some of these people were false
15 negatives, that, in fact, their old infection that
16 intermittently becomes positive.

17 So that is really as far as we can go now. Just
18 to recap, now, of 103 of these individuals in these four
19 centers, REDS collects about 9 percent of the blood in the
20 U.S., 8 to 9 percent. So you might say if this were done
21 nationwide, we would find about, in a two-year period, 1,000
22 serological conversions and only some portion of these
23 represent new infection. Others probably represent false-
24 negative or false-positive tests.

25 So that is as far as I can explain the data. But

1 the demographic data do indicate that these people fall into
2 categories that you might expect from clinical syphilis case
3 reporting which is at least being non-white and having lower
4 education level. So we have some confusion of explanations.

5 DR. HOLLINGER: Thank you, Steve.

6 Questions of Steve? Sherri?

7 DR. STUVER: So the overall mean between the
8 negative and the positive is 6.5. That is what you said?

9 DR. KLEINMAN: Yes; 6.7.

10 DR. STUVER: Did you look at whether there was a
11 difference in the mean time for the RPR-positives versus the
12 RPR-negatives?

13 DR. KLEINMAN: Yes; in fact I have that data. The
14 RPR-positives were 8.2 months and the RPR-negatives were
15 6.1. I don't have the confidence intervals. I don't know
16 if those were different numbers. Probably not.

17 DR. HOLLINGER: You don't know if they have been
18 treated or not, you said?

19 DR. KLEINMAN: No; these are just a review of
20 database information so all we have is the demographics. We
21 don't have the samples, so we can't do any further testing
22 to elucidate this. These are just historical data reported
23 by the blood centers which we retrospectively, as they
24 became interested in syphilis in the last couple of years,
25 decided we should go back and look at.

1 DR. HOLLINGER: Thank you.

2 Yes?

3 DR. NELSON: What about the geographic
4 distribution? Does it follow Lauri's map?

5 DR. KLEINMAN: Yes--well, none of the REDS centers
6 are located in the South. One of the centers is Baltimore-
7 Washington, D.C.

8 DR. NELSON: Baltimore has the distinction of, in
9 1998, being the city with the highest incidence and
10 prevalence of syphilis which has now been shifted to
11 Chicago.

12 DR. KLEINMAN: Ken, I am glad to hear about your
13 claim to fame, but actually we did look at that, and the
14 Chesapeake Region was not higher than the other three
15 regions, so, to that extent, we did have some geographic
16 information and it didn't follow the clinical-case
17 distribution.

18 DR. HOLLINGER: Mary?

19 DR. CHAMBERLAND: So my sort of variation on that
20 question is were they, then, uniformly distributed among the
21 five REDS centers?

22 DR. KLEINMAN: Unfortunately, I don't have that
23 data with me and I don't remember. I don't know if it was
24 uniform, but I don't think we saw--we suspected that we
25 might find a peak in Chesapeake, so I specifically remember

1 that we looked at that and didn't find that.

2 DR. MITCHELL: Were these repeatedly reactive?

3 Did they come back for further donations?

4 DR. KLEINMAN: The donors that we included were--
5 the ones that I gave you did not come back for further
6 donations, I think, in general, although a few might have.
7 We did have a few other donors who went from negative to
8 positive but then returned again and were negative, so we
9 excluded those. We figured those had enough evidence to be
10 false-positives since they were not consistent. But I don't
11 know, within this dataset, whether some of these people, I
12 think, did have multiple donations.

13 But most of them were one-time negatives to one-
14 time positives, lost-to-follow-up, no further information.

15 DR. MITCHELL: Also, you said that the prevalence
16 of foreign-born was higher?

17 DR. KLEINMAN: Yes; in the basic analysis, it was
18 higher, but in the multivariable analysis, that dropped out
19 as a risk.

20 DR. MITCHELL: Okay; because I was wondering
21 whether you would be able to distinguish something like yaws
22 from syphilis with the test.

23 DR. KLEINMAN: We could probably do more
24 demographics by country or origin, U.S. versus non-U.S. I
25 don't have that data, though.

1 DR. CHAMBERLAND: Just another question to help
2 sort through this, whether or not some of these represented
3 at least, on a previous donation, false-negatives, and I am
4 assuming that most of these are from repeat donors. Because
5 of the repository capacity of REDS, do you have the ability
6 to go back and pull samples from even earlier donations to
7 see if there is any--

8 DR. KLEINMAN: We haven't looked at that.
9 Unfortunately, the years in which these data were
10 calculated, we wanted to wait until people were well into
11 the PK which started in 1993 but really the protocols were
12 more established in 1995. Our repositories are general
13 repository collections go from 1991 through 1995 and scaled
14 off. So we might have some previous donations from a few of
15 the--and we only put about 15 percent of our donor samples
16 in the repository.

17 So I think we, unfortunately, while we might get a
18 few samples and it is worth looking for, it probably
19 wouldn't help that much.

20 DR. HOLLINGER: Dr. Nelson?

21 DR. NELSON: In some studies we have done in drug-
22 using populations in Baltimore, we have found some
23 fluctuations of the so-called treponemal tests and even
24 differences between different laboratories. So I think that
25 both false-negatives and false-positives are a reality with

1 these.

2 DR. KLEINMAN: Yes; my sense is these data are not
3 as useful as we thought they would be at first because I
4 think the explanation for them are across the gamut, new
5 infections, false-negatives, false-positives. I don't think
6 we will have any way to sort out what proportion were due to
7 each.

8 DR. NELSON: We found, since this cohort that has
9 been actually followed every six months since 1988, people
10 who the tests--with or without treatment, there is a
11 tremendous fluctuation. When we got the SCD records, who
12 also had another set of data done in the different
13 laboratory, we found that there was surprising--I mean, some
14 of it was pretty concordant, but there were much higher
15 rates of discordance, both in the treponemal and the non-
16 treponemal--particularly in the treponemal test.

17 DR. KLEINMAN: I was certainly surprised, and I
18 don't know if this would hold for people on the panel, but
19 you learn about syphilis diagnostic testing and read the
20 textbooks, and it seems fairly straightforward. And then,
21 when you actually go and talk to people who have experience
22 and see that the tests perform with a lot more fluctuation
23 than you would be led to believe.

24 So I think I am less optimistic about the ability
25 to interpret these data than I was when we began.

1 DR. HOLLINGER: Does the Red Cross have any data
2 on seroconversion in repeat donors?

3 DR. DODD: Dr. Katz advised me, just say no. It
4 is buried in a huge database. We haven't analyzed it, to
5 the best of my knowledge. But we can do that.

6 DR. HOLLINGER: Before we finish today?

7 The next; again, Dr. Markowitz is going to talk
8 about the Maricopa County STD study.

9 **Maricopa County STD Study**

10 DR. MARKOWITZ: Thank you.

11 [Slide.]

12 One of the things I want to say before I present
13 these data is I am going to present data on the
14 amplification of DNA polymerase gene T. pallidum from the
15 whole blood of persons with syphilis. This study was
16 conducted during the molecular subtyping of T. pallidum
17 during an outbreak investigation of syphilis in Maricopa
18 County, Arizona.

19 We were looking at a new subtyping scheme that had
20 been developed in our lab using amplification techniques of
21 the arp and tpr genes. It was not really designed to look
22 at this issue of the safety of our blood supply, and so
23 there is really, as Sharon pointed out earlier, some very
24 basic differences between our study population and the study
25 population that was presented earlier.

1 We actually decided to look at this only after we
2 had discussions with the FDA related to syphilis screening
3 in blood bank.

4 [Slide.]

5 As background, I wanted to show you the epicurve
6 of syphilis in Maricopa County since 1988. There was a
7 major increase in cases in 1990 that coincide with the
8 national epidemic of syphilis, then cases decreased and then
9 began increasing again in 1996. They have continued to have
10 this increase through 2000 despite declines in the rest of
11 the country.

12 Maricopa County, in case people don't know, is
13 basically Phoenix, the Phoenix, Arizona area.

14 [Slide.]

15 So our study population was persons attending the
16 Maricopa County SDT clinic who either had signs and symptoms
17 of syphilis or who had a sex partner with infectious
18 syphilis. These people are required to be investigated by
19 the health department.

20 [Slide.]

21 We used the following case definitions for this;
22 an incubating syphilis is a person with significant sexual
23 exposure to infectious syphilis but who, themselves, have no
24 signs or symptoms of syphilis and are non-reactive on RPR
25 and MHA-TP. For primary syphilis, it was someone with a

1 genital ulcer who had a positive dark field. This is a
2 definite case. The STD clinic had the ability to do dark-
3 field examinations.

4 Secondary was rash and/or lymphadenopathy with
5 reactive serologic test for syphilis and latent was someone
6 with no signs or symptoms but with reactive serology.

7 [Slide.]

8 Data was collected on exposure to syphilis and
9 clinical data from the medical records, serologic testing.
10 5 to 10 mls of whole blood were collected in tubes
11 containing EDTA, were stored at 4 degrees and then were
12 shipped to CDC for analysis.

13 [Slide.]

14 Prior to amplification for the arp and tpr genes
15 for subtyping, the samples were screened using a polymerase
16 chain reaction to amplify the DNA polymerase gene, polA.
17 Primers were designed based on the unique region of polA and
18 were used to amplify 378 base-pair product.

19 Appropriate positive and negative controls were
20 used for each set of replications. The samples were kept at
21 4 degrees until analyzed by agaros-gel electrophoresis. To
22 validate the polA PCR, we confirmed testing using two
23 additional targets, the arp and the tpr genes.

24 The choice of polA was made because it is a highly
25 conserved housekeeping gene for T. pallidum. The gene

1 target has unique properties and it contains four additional
2 inserts in sequence and it is high in cysteine content.

3 [Slide.]

4 The additional targets that were used for
5 molecular typing that were also used in this study include
6 the acidic-repeat protein, which has multiple repeats and
7 can be used to distinguish among clinical strains, and the
8 tpr gene, which is a multiple-gene family and is also used
9 to distinguish among clinical strains for our subtyping
10 scheme.

11 [Slide.]

12 These are our basic results. Of 32 blood
13 specimens that were obtained, polA was amplified from
14 thirteen, or 41 percent, and seven, or 22 percent, were
15 positive by at least one additional target, either the arp,
16 tpr or both.

17 [Slide.]

18 This slide outlines the clinical stage of persons
19 from whom PCR was done and for whom we had either polA
20 amplified and those who had at least two targets. As you
21 can see, polA was amplified from persons in every stage of
22 disease. We had eight people who were incubating--that is,
23 people who had contact but no evidence of syphilis
24 clinically or serologically, and polA was amplified in four
25 of these individuals.

1 There were seven cases of primary and polA was
2 amplified in one, one case of secondary and that person had
3 polA, and twelve latent cases and polA was amplified in
4 seven. There were four persons that were included that were
5 suspected syphilis but actually turned out to have other
6 ulcerative STDs diagnosed, and polA was not amplified from
7 any of those individuals.

8 We were able to get additional targets on fewer.
9 Part of the reason for this is that we went back later to
10 look at these samples, so the conditions may not have been
11 as good. We had two incubating, one primary. So both the
12 primary and the secondary were able to be--we had greater or
13 equal to targets for both of those. Latent, three. And,
14 again, none of the persons with nonsyphilis ulcers.

15 [Slide.]

16 This slide outlines the range of polA
17 amplification by the serologic tests. For those that were
18 nonreactive, RPR nonreactive, polA was amplified in three of
19 these. Rpr titer, one to one to one to four, four out of
20 seven, and those with greater or equal to one to eight, six
21 out of 14. For the MHATP, the treponemal test--well, these
22 were at ones that were not done. Fourteen were not done.
23 Of those that were reactive, nine out of fourteen and, of
24 those nonreactive, one out of four.

25 [Slide.]

1 Our conclusions; in this study, T. pallidum DNA
2 was amplified from whole blood; samples from persons known
3 to have untreated syphilis or exposure to syphilis; and the
4 viability of T. pallidum that yield the DNA from these
5 samples is really not known.

6 But the data do suggest that potentially
7 infectious spirochetes are present in blood during
8 incubating primary, secondary and latent stages.

9 [Slide.]

10 I mentioned this earlier, but there are two
11 fundamental differences between our study and the ARC study.
12 First, there is a major difference in the study population.
13 Persons in the study were patients with untreated syphilis,
14 seen at STD clinics or those who were recently infected.
15 And then, the ARC study, this was a donor population and
16 there was not actually good history on the treatment or the
17 disease status of any of those persons.

18 However, they were likely to have late-latent or
19 treated syphilis. There are also differences in the blood
20 component, as was mentioned earlier. We looked at whole
21 blood and the ARC study looked at platelets.

22 [Slide.]

23 The last slide here, I just wanted to mention
24 there is one other study that looked at this issue. This
25 study was recently published in 1999. It was conducted in

1 Italy and it has results somewhat similar to ours. In that
2 study, sera was used instead of whole blood. They looked at
3 patients in different stages of disease as well as treated
4 patients and they tested patients by a commercially
5 available nested PCR kit which they purchased from BioLine
6 which is produced in Turin, Italy.

7 The DNA extraction and amplification were
8 performed according to the manufacturer's specifications. I
9 have collapsed some of the categories from the paper for
10 this slide, but, basically, they had twenty seronegative
11 subjects. None of these were PCR-positive. They also had
12 twenty subjects who were previously treated, had syphilis
13 and were documented to have it previously treated, and none
14 of those were PCR-positive.

15 They also looked at six patients with PNS syphilis
16 and six of those were PCR-positive and nine patients with
17 latent syphilis, and six of those were PCR-positive. So, at
18 least there is one other study that has found results fairly
19 similar to what we found.

20 DR. SCHMIDT: In your methods and case
21 definitions, you told us the incubating people had a
22 nonreactive RPR, the secondaries had a reactive. What about
23 the primaries, the seven primaries? Was their RPR positive?

24 DR. MARKOWITZ: That wasn't part of the case
25 definition. Serology was done--I actually should have

1 brought those data, but, actually, the definition required
2 they have a positive dark field, dark-field examination of
3 their ulcer.

4 DR. SCHMIDT: But do you know the answer for the
5 seven?

6 DR. MARKOWITZ: Not all of them were positive.

7 DR. SCHMIDT: Okay.

8 DR. MARKOWITZ: Because, actually, if you look at
9 the serologies, there are more negative serologies than can
10 be accounted for. So some of them were RPR-negative, as we
11 know occurs in primary syphilis.

12 DR. HOLLINGER: The one that was positive, PCR-
13 positive, was what? Do you know that? Do you know the
14 reactivity?

15 DR. MARKOWITZ: No; I did not bring a line list of
16 the data so I don't have those broken down.

17 DR. MITCHELL: In the Italian study, you grouped
18 together the primary and secondary whereas we were expecting
19 in the secondary phase, they should always be viremic. Do
20 you know the differences? Do you know whether all of the
21 secondary stages were--

22 DR. MARKOWITZ: No; I have the paper. They also
23 lumped primary and secondary. The way that they broke it
24 out, which I think addresses your question, was they looked
25 at it by serologic status. They looked at the latent by

1 serologic status and the primary and secondary by serologic;
2 that is, they did not break it out by primary and secondary.

3 DR. HOLLINGER: Dr. Nelson?

4 DR. NELSON: You raised the issue that the PCR-
5 positive might not always equate with infectivity but has
6 anybody done rabbit inoculation of PCR-positive samples? I
7 mean, that is the model.

8 DR. MARKOWITZ: I was asking Dr. Liu or Sharyn
9 Orton to address this.

10 DR. LIU: Actually, if you just wait for a few
11 minutes, I will present some of the proposed studies.

12 DR. HOLLINGER: Dr. Tuazon?

13 DR. TUAZON: In your PCR results, those with the
14 two targets positive, are those the same ones with the polA-
15 positive, or these are different?

16 DR. MARKOWITZ: No; they are a subset of polA. So
17 everyone who is polA--we wanted to see, just to make sure
18 there were not issues, other issues, that could have
19 accounted for the polA positivity. So all those that are
20 greater than two are a subset of the polA-positives.

21 DR. HOLLINGER: Just for my understanding of the
22 polA and the other targets, is there a reason why some of
23 the polA are positive, for example four are positive, two
24 are just--the other targets are seven and three. Why--

25 DR. MARKOWITZ: Again, Dr. Liu may address these,

1 but I think they are harder targets to amplify because they
2 are larger targets. Hsi, do you want to--

3 DR. LIU: I believe you just answered the
4 question.

5 DR. MARKOWITZ: Okay.

6 DR. HOLLINGER: Thank you.

7 DR. LIU: Actually, I will just make it very clear
8 that the polA target is only about 400 base pairs. However,
9 the other two targets are over 1,000 base pairs, and are
10 relatively difficult to amplify.

11 DR. HOLLINGER: Thank you.

12 Did you have a comment? Please?

13 DR. NAKHASI: Hira Nakhasi from FDA. I am looking
14 at the data from CDC and from the Red Cross. The data which
15 is presented here which is the number of positives
16 incubating, primary, basically they are seropositive; it
17 that right? The number comes from where, the seropositive?
18 The eight, seven, one, twelve--

19 DR. MARKOWITZ: Let me go back--

20 DR. NAKHASI: PCR in whole blood by syphilis
21 disease stage.

22 DR. MARKOWITZ: Did you ask if those are
23 serologically--

24 DR. NAKHASI: Yes. They were selected on the basis
25 of serologically positive.

1 DR. MARKOWITZ: No. I put up our case definitions
2 and the incubating were not seropositive by definition. By
3 definition, incubating syphilis is someone who has been
4 exposed to infectious syphilis but doesn't have any evidence
5 of infection yet. And, for primary syphilis, in this
6 situation, we did not require a positive serologic test if
7 they were dark-field positive.

8 DR. NAKHASI: My question is where is the number
9 coming from, and then, when you have PCR-positive, only 50
10 percent in some cases, or one in seven, or seven in twelve,
11 does that mean that what the Red Cross found out that, even
12 though they were antibody-positive, but they could not
13 detect any treponemal DNA.

14 DR. MARKOWITZ: We did not detect--if I understand
15 your question, we did not detect treponemal DNA in everyone
16 who was--

17 DR. NAKHASI: Antibody-positive.

18 DR. MARKOWITZ: Was antibody-positive. I don't
19 know if you can get the slide back up there but, for
20 example, we did detect it 100 percent of the secondary
21 cases. There was one secondary case, that person. But, in
22 the latent cases--

23 DR. NAKHASI: It was seven out of twelve.

24 DR. MARKOWITZ: Yes; so the remaining ones, we did
25 not detect.

1 DR. NAKHASI: And they were antibody-positive.

2 DR. MARKOWITZ: Yes; they were antibody-positive.

3 DR. NAKHASI: So, therefore, it doesn't differ
4 that--we had projected there were differences but I don't
5 see that many differences because of the fact of--the more
6 cases are antibody-positive and then only a few are DNA-
7 positive. So what they found was they also found--in their
8 case, they found none, whereas you find some cases.

9 DR. MARKOWITZ: If we could go back to the slide.

10 [Slide.]

11 You are talking about this slide here?

12 DR. NAKHASI: Yes; I think so. Yes; that is one.

13 DR. MARKOWITZ: So you are saying seven of twelve
14 latent, for example, all of those were positive, had
15 positive serologic tests for syphilis, so five of the latent
16 cases who had a positive serologic test for syphilis, we
17 were not able to amplify polA in five out of the twelve.

18 DR. NAKHASI: Okay.

19 DR. LIU: I think I can clarify this a little bit
20 if you are looking for whether there is a direct relevance
21 between the serological test and the DNA amplification, I
22 think my answer would be you will not be able to see
23 100 percent correlation.

24 DR. NAKHASI: Thank you.

25 DR. SEN: I have one question. The ARC tested the

1 16S ribosomal RNA--

2 DR. HOLLINGER: Could you state your name, please?

3 DR. SEN: Yes. Keya Sen from FDA. They tested
4 the 16S ribosomal RNA ARC which is very high copy number RNA
5 and at the DNA level, too, there are several copies. So do
6 you have plans of testing the 16S ribosomal RNA, a third
7 target, with those samples. Maybe you will see better
8 correlation.

9 DR. LIU: Actually, Sharyn would be the person
10 better to answer that question, but in terms of our studies,
11 we did not use the 16S RNA for the test. Number one, is
12 that we are not using the test in the laboratory. Number 2,
13 there were some specificity problems with test. And we have
14 not been able to evaluate the specific test.

15 Thank you.

16 DR. HOLLINGER: Thank you. Dr. Liu, I think we
17 are going to go on, then, with the CDC-proposed studies.
18 Dr. Liu is going to start off.

19 **CDC Proposed Studies**

20 DR. LIU: Thank you very much.

21 [Slide.]

22 I would like to clarify that. I will not be able
23 to propose a study to answer all the questions that we have
24 talked about today, but the following proposed studies are
25 what we think is pertinent.

1 [Slide.]

2 CDC proposed to study four major questions.
3 Question No. 1, is which blood components contain
4 treponemes. We are already starting this study at this
5 point. Which fractions of the blood are infectious? What
6 is the prevalence of donated blood with treponemes and what
7 is the concentration of treponemes in the blood?

8 [Slide.]

9 In terms of which blood components may contain
10 treponemes, all these studies were done in collaboration
11 with ARC. What we propose to do is to spike whole blood and
12 then separate it into fractions in the American Red Cross
13 using their methods and perform either PCR or
14 semiquantitative PCR which is developed at CDC at this time
15 to determine whether we can detect organisms in the blood.

16 [Slide.]

17 In terms of what is infectious, this is a very
18 tough question and we propose to spike whole blood as
19 before, separate it into fractions and then perform the
20 rabbit infectivity test which will answer the question we
21 brought early on. But I would like to be cautious that the
22 rabbit infectivity test is very time consuming and
23 expensive, and we will not be able to do a very large
24 portion of this test.

25 [Slide.]

1 Furthermore, a very important question is what is
2 the prevalence of circulating T. pallidum in donated blood.
3 We propose to expand the ARC study that Sharyn has mentioned
4 early on to regions of higher syphilis incidence.
5 Unfortunately, or fortunately, in the United States, the
6 cases of syphilis are declining so we really think that now
7 would be the best, and maybe the only time, to perform the
8 study in this country.

9 Additionally, this will be collaborating with all
10 those sites including another location that is in South
11 Africa which has a very high prevalence rate of syphilis.
12 The method is very simple. You obtain blood and then
13 perform PCR which will be able to detect the DNA of the
14 organism.

15 [Slide.]

16 Then we also propose additional studies including
17 to test the different stages of syphilis and then these will
18 be validated with STS, the serological tests. And we will
19 extract DNA of CDC and then do either PCR or
20 semiquantitative PCR to answer those questions.

21 It is a long meeting. I am the last presenter, so
22 I think this will be all. Are there any questions? Yes,
23 please?

24 DR. McCURDY: You were talking about using
25 discarded blood for your spiking studies. Is that outdated

1 blood or fresh?

2 DR. LIU: In the original slide, I believe, the
3 committee members received a piece of paper outlining the
4 studies. No; we are not using discarded blood at all.
5 Actually, Dr. Orton and I have already started the study and
6 yesterday we separated some of the blood fraction, and then
7 I believe we used fresh blood.

8 DR. McCURDY: Because, if you are going to
9 separate it into components, you will get different results
10 if it is stored rather than separated fresh.

11 DR. LIU: We are using fresh blood, number one.
12 And, number two, in terms of the storage problem,
13 unfortunately, it is going to be really complicated because
14 the different components will be stored a different length
15 of time. We will not be able to answer all the questions,
16 but we will design a study to answer part of the questions,
17 like, for example, platelets we may just leave at room
18 temperature for one hour, two hours, or maybe a day and then
19 test for the DNA.

20 However, remember that whether we can detect the
21 DNA or not has nothing to do with infectivity. So it has
22 nothing to do with the transmission of disease.

23 DR. McCURDY: I might point out, also, that
24 whereas random donor platelet concentrate from whole-blood
25 donations are often, if not usually, stored for a couple of

1 days and may be subject to oxygen tensions that would
2 destroy the treponema. Pheresis platelets are much more
3 likely to be given fairly promptly and a certain number of
4 them, at least, are drawn for a particular patient.

5 So those may carry a different risk than the
6 random donor platelets.

7 DR. LIU: Yes; I agree with you.

8 DR. HOLLINGER: Dr. Schmidt?

9 DR. SCHMIDT: I don't understand number three,
10 expand the Red Cross study to areas of higher syphilis
11 incidence. In the United States?

12 DR. LIU: We are thinking of the United States
13 because right now there are really not too many places have
14 a high incidence.

15 DR. SCHMIDT: In the hopes of collecting a
16 donation from a person with infectious syphilis. Why not go
17 to Maricopa County, Arizona, where you have all of this
18 stuff and give them \$25 and you would have a unit at all of
19 these different stages. To go to South Africa to do this is
20 just--I don't understand.

21 DR. LIU: The reason being because the question
22 really is related to whether the person who donated the
23 blood in the blood bank has a high risk. If you are talking
24 about the people in Maricopa County, these are patients with
25 syphilis, active syphilis or latent syphilis. We have

1 already shown that we can detect organisms there.

2 DR. SCHMIDT: But then why do we want to do that
3 again?

4 DR. LIU: Pardon me?

5 DR. SCHMIDT: What about this person being a blood
6 donor for the Red Cross is different as far as their bugs
7 from somebody in Arizona?

8 DR. LIU: The question is quite straightforward.
9 Whether we are interested in detecting organisms in the
10 blood or whether we are interested to study whether people
11 donate blood in the blood banks are the high risk. To
12 answer the first question, we pretty much show that if you
13 are in the high-risk group, like the Maricopa County
14 studies, there are organisms floating in the blood. Whether
15 these are infectious or not, we do not have the data right
16 not to support that.

17 In terms of the Red Cross study, it is 100 percent
18 for the purpose of the safety of our nation's blood banks.
19 That, if you want to do it, for example, for the committee
20 to determine whether serological tests should be used or
21 not, this is the only time in my presentation--that will be
22 the only few places we can do that study.

23 Does that answer your question?

24 DR. SCHMIDT: No. But you have your mind made up.

25 DR. LIU: Oh, no, no. Not at all. I do not have

1 any mind set at all. But if you can explain, or maybe
2 someone can help me to explain. Sharyn?

3 DR. ORTON: Dr. Schmidt, I think what Dr. Liu
4 meant by doing studies in areas of higher incidence, what we
5 did was I mapped what counties had the highest incidence of
6 syphilis in the country and where we happened to coincide
7 having blood donors come from.

8 The idea was, rather than just taking a random
9 sample of blood donors who test positive for syphilis is go
10 into areas where we do know that the incidence is higher and
11 look at the components from those donors who test positive
12 for syphilis. I think that is what he was talking about.

13 If we are going to find a donor who has evidence
14 of syphilis by DNA or RNA PCR, we are more likely to find it
15 going into counties where the incidence of syphilis is
16 higher and, therefore, look at those corresponding Red Cross
17 sites in those areas.

18 I think that is what he meant.

19 DR. LIU: Thank you very much, Sharyn.

20 DR. STRONCEK: But I think the question is, are
21 blood donors in the U.S. that test positive by the FTA
22 assay, are they infectious or not, then I agree going to
23 counties in the U.S. where there is a higher incidence might
24 be worthwhile, but if South Africa is not using exactly the
25 same blood donation screening and testing information, it is

1 totally irrelevant because there are different factors.

2 If you really think that this study is impossible,
3 you are not going to find blood donors positive, then I
4 guess you are saying that we should just get rid of the
5 syphilis testing.

6 DR. LIU: Unfortunately, I cannot make that
7 decision as to whether we should abandon the syphilis test.
8 That is up to the committee to decide. We are here to
9 present the current data we have and also present the
10 potential studies.

11 The study in Africa will answer some biological
12 questions. It may not be directly relevant to the safety in
13 the blood banks. However, they do answer some questions.

14 DR. HOLLINGER: Dr. Simon?

15 DR. SIMON: I had one question. I don't know if
16 Dr. Liu is the right person, but I don't believe it has come
17 up, unless I have missed it, it seems to me, in my memory,
18 that when the FDA in the '80's was looking at abandoning the
19 test, that one of the concerns was that the positive STS, or
20 the RPR, would be passed; in other words, that the recipient
21 wouldn't get syphilis but would have a positive test and
22 that would have certain recriminations.

23 What is the situation regarding that?

24 DR. LIU: I think you are right. I am not the
25 right person to answer that question. Anyone?

1 DR. SIMON: In other words, do you transmit a
2 positive test result as a passive factor of blood
3 transfusion and then you have a problem that someone has a
4 positive test.

5 DR. LIU: Dr. Orton will answer your question.

6 DR. ORTON: I do know that there have been some
7 papers. I don't know the exact figures off the top of my
8 head or how long ago they were that did talk about when
9 seropositive units were transfused into somebody, the length
10 of time that they remained seropositive and the titers that
11 are seen.

12 It is not seen for long. The titers are not high.
13 But I don't remember that being a particular concern. Maybe
14 back then when it was done more routinely as a routine test
15 in the hospital or something, but I don't, in recent years,
16 remember that being an issue with transfusing those units.

17 DR. SCHMIDT: That was done experimentally in Dick
18 Walker's study which Cable refers to where he intentionally
19 gave STS-positive blood and he followed that and observed
20 that and did discuss it.

21 DR. SIMON: In those days, people, of course, had
22 to have it for marriage and so on.

23 DR. HOLLINGER: Dr. Tuazon?

24 DR. TUAZON: Do we have information on the false-
25 positivity of PCR such as in Lyme disease or other

1 treponemal infections?

2 DR. LIU: You are talking about the PCR we are
3 using at CDC?

4 DR. TUAZON: Right.

5 DR. LIU: I have not clarified in great deal,
6 early on when someone asked me about the specificity and
7 also the sensitivity of the test. To test for specificity,
8 we have performed the PCR test on relatively high
9 concentrations of DNA in organisms including almost all the
10 spirochetes, including *Borrelia bergdorffii* causing Lyme
11 disease, and leptospirosis, many different serotypes and
12 other nonpathogenic treponemes.

13 The test that we are using at this time is only
14 positive on pathogenic treponemes including the three
15 subspecies.

16 DR. HOLLINGER: Dr. Nelson?

17 DR. NELSON: I think international studies are not
18 irrelevant to this issue because I think the real issue is
19 if you have some--the problem is we have got so many, like,
20 false-positives previously treated, et cetera, in the U.S.
21 blood donors that there are places where there are a lot of
22 true-positives.

23 The issue is when a blood bank processes the
24 specimen, is it still infectious. There may even be places
25 where blood is not screened and that would also be an

1 interesting population because there are ethical issues,
2 certainly, but if one could identify what the rate of
3 transfusion-transmitted is, there may be international blood
4 banks in Africa, Asia, somewhere, where screening is either
5 not done or not routinely done or something where you could
6 actually get some real data on transmission.

7 I would think that an international population
8 might be able to contribute some very important information
9 on this although this kind of study would be difficult. I
10 assume that, in South Africa, donors are screened and, if
11 they have a positive result, they are not transfused. Is
12 that correct?

13 DR. LIU: I believe that South African blood
14 donations should be screened. I do not know that as a
15 matter of fact, but I believe they do. And you were right
16 that, in my view, it is very important to study this
17 organism not just including in this country but also expand
18 it to other territories.

19 We have to realize that this organism is very
20 difficult to study. It grows very, very slowly and we know
21 very little about the biology of these particular organisms.

22 DR. HOLLINGER: Are they screened in Thailand?

23 DR. NELSON: Yes.

24 DR. NAKHASI: Dr. Liu, when you mentioned that the
25 experiments to test the biological infectivity in the

1 rabbits will be expensive or it was a difficult situation to
2 do that, I was thinking is it possible, instead of doing a
3 DNA PCR, one can RNA PCR which will tell you whether, in
4 this case, the bacteria is replicating, which will give an
5 indication that it is infectious, as compared to DNA which
6 could be just a piece of DNA lying around.

7 DR. LIU: I believe that both RT PCR--we are
8 talking about RNA PCR, RT PCR.

9 DR. NAKHASI: Yes.

10 DR. LIU: RT PCR and the regular DNA PCR pretty
11 much answer the same questions. In theory, the RT PCR
12 should be more sensitive than the DNA PCR. Right now, like
13 I said, we have not been able to evaluate that.

14 DR. NAKHASI: I don't agree with your earlier
15 assertion that DNA and RNA will give you the same answer.
16 The RNA will give you whether it is replicating because if
17 the parasite, or in this case the bacteria, is lying there
18 and not doing anything, which will be just the DNA. If it
19 is replicating, you know, because it has to make the
20 proteins and all those things, the RNA will be there.

21 So if you see an increase of RNA, that is an
22 indication which is the case with HIV and other things, you
23 do HIV RNA PCR which you gives you an indication whether it
24 is infective.

25 DR. LIU: Let me sort of readdress your question a

1 different way. I believe you are concerned that DNA, if we
2 detect DNA, it could represent dead organisms because DNA is
3 either dead and they can stay there. However, RNA poses the
4 same question because the RNA we are using right now is 16S
5 ribosome RNA and they are also very stable. Even after the
6 organism is dead, it can stay in the blood stream.

7 DR. NAKHASI: Yes; I agree with that. Thank you.

8 DR. HOLLINGER: Steve?

9 DR. KLEINMAN: Just to comment on the need for
10 international studies. It seems to me that if you want to
11 answer the blood safety question in the U.S., then, really,
12 the issue is whether you have zero tolerance or low-risk
13 tolerance. We already know that even if units are
14 infectious, even if that is proven by international studies,
15 it seems sort of obvious from the estimates that the number
16 of cases transmitted per year would be very low in the
17 States.

18 So if you start with the assumption that if we can
19 document that the cases are likely to be above zero, we
20 won't change the policy. Then I don't think you need to
21 document the extent of the problem. I am not sure what the
22 implications of that are, but I think you could define the
23 need for studies by the policy parameters you are going to
24 set.

25 You can say if there is even one case of syphilis

1 that would be transmitted per year, based on eliminating the
2 test, that would be unacceptable and we would want to keep
3 the test. I think you go down a potentially different track
4 than if you say, "Gee, well, we would consider dropping if
5 it is only five cases per year."

6 Then you might have to actually document the
7 number of cases per year in the States. I guess my comment
8 is more relevant to doing the studies at the high-incidence
9 centers within the U.S. So I am not sure we need to
10 document--do we need to document how frequently it might
11 occur in the U.S. or just that it is biologically plausible
12 that transmission could occur if we dropped testing.

13 DR. NELSON: I think it is useful to understand
14 the biology. For instance, for infectious viruses, there
15 are multiple steps to try to decrease the risk. I don't
16 think anybody would suggest that we should tolerate a few
17 cases of syphilis just to get rid of the test. But the
18 questions are really different; how can you process the
19 blood to decrease the risk or what is the highest--I mean,
20 there have been issues related to are platelets safe or are
21 they hazardous.

22 We really don't know and I think there are
23 possible ways to get some answers to the question. This is
24 very difficult because there isn't a good animal model and
25 the culture of the organism isn't feasible. So I think that

1 well-designed international studies might yield some
2 important and useful data.

3 DR. HOLLINGER: Thank you.

4 I am going to go on, Paul, to the open public
5 session and get that finished. We will come back. We will
6 have time to talk. There are two people that have asked to
7 talk. The first one is Dr. Katz from the AABB.

8 **Open Public Hearing**

9 **Presentation**

10 DR. KATZ: Thank you, Blaine and committee
11 members. We have distributed a written statement that has
12 been amended. So if you were going to fall asleep because
13 you have already read it, stay awake at least, perhaps,
14 towards the end.

15 The serologic test for syphilis has been retained
16 in the United States for two ends, as we have heard;
17 prevention of transfusion-transmitted syphilis and as a
18 surrogate for risk behaviors associated with HIV infection.
19 Transfusion-transmitted syphilis has not been recognized in
20 the United States for more than 30 years and, in fact, in
21 '85, as you have heard, and FDA committee recommended
22 elimination of the STS for blood donors. This
23 recommendation was not implemented due to the concerns about
24 surrogacy.

25 The reasons for the disappearance of transfusion

1 syphilis are multiple including the declining incidence of
2 infectious syphilis in this country to historically low
3 levels and donor-deferral policies in the blood centers that
4 reduce the presentation to donate of those at risk for
5 infectious syphilis.

6 Storage of red blood cells at refrigerator
7 temperatures is probably an important contributing factor as
8 well as the improved oxygenation of platelets over the last
9 fifteen years. Still, there are transfusion of fresh red
10 blood-cell components and platelets stored at room
11 temperature may be a risk as well.

12 Receipt of antimicrobial therapy by those ill
13 enough to require transfusion support may be important in
14 preventing either infection or recognition of transfusion
15 syphilis. From a biological standpoint, it must be
16 emphasized that the spirochetemia associated with the
17 majority of transfusion transmissibility of *T. pallidum*
18 often occurs before the serologic test for syphilis is
19 positive and I think that we can extrapolate from that that
20 spirochetemic donations are being transfused with some
21 frequency in this out as we speak.

22 At the NIH Consensus Conference in January 1995,
23 it was concluded that, and I quote, "The current blood-
24 storage conditions would not appear to provide an adequate
25 margin of safety against transfusion-transmitted syphilis

1 should the donor-screening test be eliminated. Further
2 information concerning T. pallidum survival under blood and
3 platelet storage conditions and the application of molecular
4 techniques to assess the presence of T. pallidum DNA in
5 serologically positive units would allow better assessment
6 of this question."

7 Data presented at the AABB national meeting and
8 again today, by Orton et al., based on PK-TP-positive FTA-
9 ABS-confirmed donors using two PCR methods found none with
10 detectable DNA. On the other hand, we have heard the data
11 from CDC that suggest that, particularly in early syphilis,
12 spirochetemia can be demonstrated by sensitive nucleic-
13 amplification testing.

14 Regarding the value of STS as a surrogate for
15 other transfusion-transmitted diseases even prior to the
16 implementation of sensitive NAT assays for HIV and HCV, the
17 Consensus Development Conference concluded that surrogacy
18 was no longer an issue.

19 We are aware of the CDC data suggesting that there
20 are early syphilis cases being reported among blood and
21 plasma donors and, unfortunately, as the CDC investigators
22 have stated, they are unable to segregate paid plasma donors
23 from volunteer whole-blood donors in their data. We view
24 this as a serious flaw in the context of discussing the STS.

25 Taken against a background of historically low

1 infectious syphilis rates in this country and the failure of
2 clinicians to recognize even isolated cases of transfusion-
3 associated syphilis, we are not sure of the applicability of
4 the CDC data to the volunteer whole-blood-donor sector.

5 Ramsey and Sherman reviewed FDA-reported blood-
6 component recalls in the United States from 1990 through
7 1997. Of an estimated 241,800 components recalled,
8 57 percent or almost 140,000, were for incorrect syphilis
9 testing. These were primarily a single large recall of
10 units where weakly reactive serologic tests for syphilis
11 results may have been called negative. This recall was
12 classified by FDA as a class III recall, not likely to cause
13 adverse consequences.

14 With these points in mind, AABB believes the
15 serologic test for syphilis could safely be eliminated based
16 on the absence of recognized transfusion-transmitted
17 syphilis in over 30 years despite the undoubted transfusion
18 of some components from seronegative spirochetemic donors.

19 We have listened to the presentations this morning
20 and understand the concerns that have been raised and would
21 support further studies to document the absence of
22 transmissible *T. pallidum* in whole-blood components and
23 apheresis platelets if the committee is not, at this time,
24 willing to endorse discontinuation of the serologic test for
25 syphilis.

1 Thank you.

2 DR. HOLLINGER: Thank you, Dr. Katz.

3 Dr. Bianco, from America's Blood Centers?

4 **Presentation**

5 DR. BIANCO: I am amending the printed statement
6 that was distributed to the committee and the audience in
7 the sense that we favor the elimination of the requirement.
8 However, we recognize that a lot of information was provided
9 today and that some studies are likely to add information,
10 particularly in terms of studies of survival of the
11 treponemes in blood and the infectivity studies. They are
12 the most critical piece of information missing here for a
13 final decision.

14 However, the basis for favoring the elimination,
15 the primary reason for this position is the lack of
16 documented cases of syphilis transmission by transfusion in
17 decades. As we heard from Dr. Schmidt, he saw the last case
18 in 1966; the declining incidence of syphilis in the U.S.
19 population; effective deferral of individuals in risk
20 behavior through medical history and direct questioning
21 including deferral of individuals with a history of venereal
22 disease in the past year; and the poor survival of
23 spirochetes in stored components which will be further
24 studied.

25 I should also add that indirectly NAT testing for

1 HIV and HCV is contributing to prolonged storage because we
2 cannot easily release products before 36 or 48 hours after
3 the collection of the product. But no platelet is
4 transfused today with less than 36 hours of life on the
5 shelf.

6 Even if syphilis was effectively transmitted by
7 transfusion, which I think it is not, the assay currently
8 used for screening of blood donors is, unfortunately,
9 worthless. PK-TP and the similar assay, HMA-TP, are
10 specific assays that detect antibodies to treponemal
11 antigens.

12 Individuals who had syphilis remain positive for
13 their lifetimes, even after effective antibiotic treatment
14 and cure. Thus, nearly all those reactive for the AIDS
15 assay are immune and do not transmit the disease. For
16 example, it is not uncommon for blood donors to reveal that
17 they were infected and were treated during World War II or
18 Viet Nam and continue to have a positive PK-TP antibody
19 test.

20 Actually, CDC recommends the use of reagent-type
21 tests for screening in healthcare settings including STD
22 clinics. These tests do detect active infection and turn
23 negative upon appropriate treatment. Unfortunately, these
24 assays generate a large number of false-positive results and
25 are not automated for use in blood-donor screening.

1 MHATP and, similarly, the PK-TP are appropriate
2 for confirmation of positive reagin test results to
3 eliminate the false-positives. So I think that even if it
4 were transmissible, the screening in the manner that we do
5 today is inappropriate. It creates a population of
6 stigmatized individuals that had a infection 30, 40, 50
7 years ago and that represents no danger to the blood supply.

8 In summary, we recommend that the studies that
9 were proposed today be conducted and we believe that if
10 those studies provide some assurance that the treponemas
11 don't survive, that the test should be dropped.

12 Thank you.

13 DR. HOLLINGER: Thank you, Celso.

14 I know the American Red Cross did not have a
15 statement, but is there any feeling from the--is anyone here
16 from the American Red Cross that would like to make a
17 comment

18 DR. DODD: I think it is very simple. The
19 American Red Cross position has been ably presented by the
20 last two speakers. Our feelings are entirely consistent
21 with the AABB and ABC position. Thank you.

22 DR. HOLLINGER: Thank you, Roger.

23 Are there any other comments from the public that
24 anyone wishes to make a statement at this point? If not, I
25 am going to close, then, the public portion of this. Why

1 don't we go ahead and put the question forward, and then we
2 will have any additional discussion that is needed.

3 **Questions for the Committee**

4 DR. RUTA: Dr. Hollinger, members of the
5 committee, thank you very much. We turn to you again to ask
6 you for advice about the information that you heard this
7 morning. Just briefly, to put things in context, we raised
8 the issue of syphilis testing at this time because last year
9 the FDA issued a proposed rule to update the requirements
10 for donor testing and also a second rule of notification

11 We received comments on those two rules and we had
12 a public meeting to allow people to give oral comments. As
13 part of the rulemaking, we solicited comments specifically
14 on the utility of testing for syphilis. We received five
15 comments supporting the elimination of syphilis testing and
16 two opposing the elimination of syphilis testing.

17 We asked for data and, this morning, you have
18 heard some data presented by Dr. Williams and Dr. Orton of
19 the American Red Cross. I wanted to thank them for
20 submitting data.

21 We also became aware of data that our colleagues
22 at CDC had which we thought were of value considering and so
23 we invited them to come and present it. I wanted to thank
24 our colleagues from CDC, Dr. Liu, Dr. Morse and particularly
25 Dr. Markowitz who gave three talks this morning.

1 Now we turn to committee and ask for the
2 committee's advice. The first question we have for the
3 committee is do committee members agree that current
4 scientific data are insufficient to warrant discontinuation
5 of donor testing for antibodies of syphilis.

6 Shall I continue with all the questions or would
7 you want to take them one--

8 DR. HOLLINGER: If you would, please.

9 DR. RUTA: If the answer is in the affirmative, if
10 so, committee members are asked to comment on the adequacy
11 of the additional studies as proposed to resolve the value
12 of testing for antibodies to syphilis in preventing the
13 transmission of syphilis through blood transfusion.

14 Finally, the last question is do committee members
15 believe that donor testing for antibodies to syphilis should
16 be retained as a surrogate marker of deferrable high-risk
17 behavior even if it is proven that such testing is no longer
18 useful for prevention of transfusion-transmission of
19 syphilis.

20 DR. HOLLINGER: Thank you very much.

21 **Committee Discussion and Recommendations**

22 DR. HOLLINGER: So we are going to deal with the
23 first question first. Is there anyone who has some comments
24 to make at this point. With a couple of double negatives
25 here, basically the first question says, if you vote yes,

1 you are voting for continuation of testing, the current
2 testing that is being done.

3 Paul?

4 DR. McCURDY: I was wondering, as the morning went
5 on, if the pathogenesis of some of the manifestations,
6 particularly secondary syphilis and the skin manifestations
7 had to do with antigen-antibody reactions as it does for
8 many of the infectious diseases because, if that is the
9 case, then a fair number of patients who are getting
10 transfused with fresh components, platelets and others, are
11 immunosuppressed and may not have any of the manifestations
12 of secondary syphilis that were illustrated by Paul Schmidt
13 in his case of some time ago.

14 I am not quite sure how one would approach that
15 but the neonate is immunosuppressed and they get fresh red-
16 cell components. And marrow-transplant patients and
17 oncology patients are immunosuppressed and they get lots of
18 transfusions including lots of platelets, both pheresis and
19 random-donor platelets.

20 DR. HOLLINGER: Dr. Tuazon, since this is your
21 specialty here, would you comment?

22 DR. TUAZON: For the secondary stage, it has been
23 documented, when you have the skin lesions such as shown by
24 Dr. Schmidt, you could biopsy those lesions, show the
25 spirochetes. So there is active spirochetemia during the

1 second stage of the illness. It is just like bacteremia.

2 DR. McCURDY: But the manifestations do not relate
3 to the development of antibodies that attack those
4 spirochetes when they are in the skin.

5 DR. TUAZON: I am not sure that is any data on
6 that, antigen-antibody reaction, but the classic
7 presentation of secondary syphilis is actual invasion of the
8 spirochetes through the systemic organs to the blood stream.

9 DR. HOLLINGER: Maybe a question here; patients
10 with HIV, with AIDS, who are immunosuppressed and they
11 acquire syphilis, they would have the same manifestations as
12 anyone--

13 DR. TUAZON: They do, and they are much more
14 difficult to treat because of their underling cell-mediated
15 immune depressions. It is harder for them to eliminate the
16 syphilis. I was just telling Dr. Schmidt of my one
17 experience where I had an HIV guy who had disseminated
18 syphilis that did not respond to two weeks of high-dose,
19 20 million units of penicillin, and had to be treated for
20 six weeks to get rid of the skin lesions that were steaming
21 with spirochetes.

22 DR. HOLLINGER: So those with maybe CD4 counts of
23 less than 200, let's say--

24 DR. TUAZON: No, no; this guy had actually a good
25 CD--

1 DR. HOLLINGER: I understand that. In answer to
2 the question about immunosuppressed people, if they have a
3 low level, less than 200, less than 100, and they get
4 syphilis, they have chancres, they have the usual
5 manifestations?

6 DR. TUAZON: No; I think that stage that you are
7 talking about is the secondary stage because that is the one
8 that would mimic the transfusion-transmitted disease.

9 DR. HOLLINGER: Dr. Nelson?

10 DR. NELSON: There are a couple of case reports,
11 or several, in the literature about atypical clinical
12 appearance of syphilis in patients with HIV and
13 immunosuppression including people with secondary
14 manifestations without--who are RPR-negative who do not have
15 antibodies where the organism has been found by biopsy and
16 finding spirochetes.

17 In most of these cases, antibodies appeared later.
18 They eventually appeared. But maybe agammaglobulinemic
19 would be--I don't know if there are such cases, but AIDS
20 patients are immunosuppressed but it is complex. It is more
21 cellular, et cetera. But I think the question you are
22 raising is whether or not some blood component, or blood
23 recipients, might have, in fact, been infected and it was
24 just not clinically recognized because of their clinical
25 state.

1 I think that is a relevant question. I think the
2 other issue is many are on antibiotics and they may have
3 been infected but not recognized for other reasons.

4 DR. HOLLINGER: Dr. Schmidt.

5 DR. SCHMIDT: In the question as presented, is the
6 question really do committee members agree that current
7 scientific data on the transmission of syphilis are
8 insufficient because otherwise you are asking both questions
9 in the first question. I want to make sure everybody, since
10 it is presented negatively already, we are going to double
11 twist this here.

12 DR. NELSON: We could just vote yes.

13 DR. HOLLINGER: Paul?

14 DR. McCURDY: There is one other issue that
15 occurred to me in light of these questions. Yesterday, we
16 were asked very definitely to separate the plasma side from
17 the whole-blood side. It would seem to me that the multiple
18 steps that go through infractionation and inactivation and
19 such would make it extremely unlikely, even if a very
20 infectious unit got in, that it would carry through to the
21 final derivatives.

22 I guess my question is should we, here, separate
23 the plasma from the whole-blood side as well.

24 DR. HOLLINGER: We don't have data, even, from the
25 plasma section about whether there is seroconversion.

1 Dr. Simon?

2 DR. SIMON: I just wanted to reiterate, and I hope
3 my FDA colleagues will correct me if I misspeak, but my
4 understanding is that the syphilis requirement for testing
5 plasma donors is strictly for the donor's benefit. We can
6 actually shift product from donors who have tested positive
7 for fractionation into final product so that, with the
8 frozen product, there has been no concern about transmission
9 of syphilis.

10 So I agree with you that we can separate the two
11 issues and, if there is not felt to be a public-health
12 reason to continue to test the plasma donors, it would
13 appear that we could drop that testing at this time because
14 that is not a test done on the unit. That is a test done on
15 the donor initially every four months.

16 DR. RUTA: I think that is not actually quite
17 right. If someone in the source-plasma setting were to test
18 positive on the screening test and then confirmed positive,
19 then those units could only be used as controls for the
20 syphilis test. I think what you are referring to, if
21 someone tested reactive on the screening test and then were
22 shown to--that that were a false-positive or that they had
23 been treated, then they could be reinstated.

24 DR. SIMON: Yes; they can definitely be
25 reinstated.

1 DR. RUTA: But the confirmed-positive units are
2 not allowed to be used for fractionation.

3 DR. EPSTEIN: I think that point is that since the
4 donor is only tested once every four months that positive
5 units might have been collected, shipped and used pending
6 the next test.

7 DR. SIMON: Oh; right. Okay.

8 DR. EPSTEIN: But a known positive unit is
9 restricted to in vitro use.

10 DR. SIMON: Yes. I should clarify; once you have
11 identified the donor, then those units cannot be shipped.
12 But there is no look-back required.

13 DR. HOLLINGER: So just answer Dr. Schmidt's
14 question, and this is regarding transfusion. The question
15 really is do the committee members agree that current
16 scientific data--

17 DR. SCHMIDT: I am asking do you want to insert
18 data on the transmission of syphilis. In 1a), are just
19 talking about the validity of the test in preventing or not
20 preventing the transmission of syphilis. Are we excluding
21 HIV from this first question?

22 DR. HOLLINGER: Yes.

23 DR. RUTA: Yes.

24 DR. HOLLINGER: That is the second question. I
25 think that was fairly understood.

1 John?

2 DR. BOYLE: I have been doing some math. My math
3 may be wrong because I have pulling things together. If it
4 is at any point, please point it out to me, but what I heard
5 from the Red Cross is positive tests lead to the exclusion
6 of about 16,000 donors per year. They represent half of the
7 blood industry and its 32,000 donors who are testing
8 positive to the STS after having denied any experience on
9 the screening questionnaire.

10 According to another set of information, 50
11 percent of those who had tested positive upon reinterview
12 admitted that they did have a history of syphilis. Now, if
13 those two pieces actually agree with each other, then, by
14 dropping the screening test, we are reintroducing 16,000
15 people per year donating who do have a history of syphilis
16 at some unknown state in that process and we have other
17 information put up here saying that, at various points in
18 the history of the disease, that they, indeed, even in the
19 late latency stage, can transmit by blood.

20 If all those pieces are correct, then I would be
21 concerned that part of our success in the last 30 years in
22 not having any of these things was having this test in
23 place.

24 DR. HOLLINGER: I think everything you said seems
25 to me, as I hear it, is probably correct except that the

1 issue of "can transmit in blood." I don't think we know.
2 That is the issue that is before us.

3 DR. SIMON: Also, these are people presumably--
4 because the question says "in the last twelve months." So
5 they can come back as donors. So these are people who
6 presumably were successfully treated.

7 DR. KATZ: The donor question is regarding
8 venereal diseases during the past twelve months.

9 DR. WILLIAMS: The 16,000 estimate also is a U.S.
10 estimate, not a Red Cross estimate.

11 DR. HOLLINGER: Thank you.

12 DR. McCURDY: There is also one other question
13 about the public-health significance of screening. At one
14 time, in the past, many places abandoned screening for
15 serological tests for syphilis, like hospital admissions and
16 that sort of thing. And I guess my question is what
17 proportion of the cases that ultimately are reported to the
18 CDC, cases of syphilis, are detected by screening versus the
19 proportion that are detected by either clinical
20 symptomatology or contact tracing or something along that
21 line.

22 DR. HOLLINGER: Do you know, Dr. Markowitz? She
23 will be back in a few minutes?

24 DR. LINDEN: Didn't we have that data in her
25 presentation?

1 DR. HOLLINGER: I don't know how to interpret that
2 but there were, again, the 927 that they got from the blood
3 donors. That represented four years--Dr. Markowitz?

4 Why don't you rephrase the question, Paul, again?

5 DR. McCURDY: I am asking the question about the
6 relative value of screening a population for syphilis versus
7 detecting cases by either clinical manifestations and
8 clinical suspicion or contact tracing or that sort of thing.
9 My impression is that screening is pretty inefficient.

10 DR. MARKOWITZ: Are you saying from a public-
11 health point of view?

12 DR. McCURDY: Yes.

13 DR. MARKOWITZ: I think all of these 927 cases
14 would not have been picked up clinically because they were
15 not picked up by the questions that were asked when they
16 went to donate blood. I think, in many other settings,
17 there are a fair number of syphilis patients that are only
18 picked up through screening.

19 DR. McCURDY: In the 50's, and 60's, at the City
20 Hospital in Washington where it was commonly--every patient
21 that was admitted commonly got a serological test for
22 syphilis, very few--not zero, but very few--of the patients
23 that were detected that way were not already known to the
24 rapid treatment center at that hospital.

25 So screening didn't pick up very many new cases in

1 that relatively high-risk population.

2 DR. MARKOWITZ: Well, maybe I misunderstood your
3 question. I think there are better ways to try to detect
4 syphilis cases. But I think that these cases would not have
5 been picked up, these 927 cases. They may have presented
6 months later with a different manifestation and been picked
7 up, but, unfortunately, we don't have all of the data on
8 these 927 cases. We were not able to go back and really
9 find out a lot of detailed information about them.

10 But, from what we do know, it appears that they
11 would not have been picked up clinically.

12 DR. MITCHELL: I think that the question is
13 whether other screening--do they still do premarital
14 screenings in most states and do those pick up a large
15 percentage of new cases of syphilis?

16 DR. MARKOWITZ: Some states have eliminated
17 premarital screening, but, actually, I don't have those
18 data. So it is not done universally. One place where we do
19 screen is in jails and detention centers. That has been a
20 major component of the syphilis elimination effort, to pick
21 up cases who have not been previously treated in those
22 facilities.

23 DR. MITCHELL: So are you saying that when you
24 screen in a high-risk population, you are likely to get--let
25 me see; are you saying that screening in low-risk

1 populations does not provide a significant portion of the
2 number of new cases that you see?

3 DR. MARKOWITZ: Yes; that is true. Screening in
4 low-risk populations does not provide a large percentage of
5 total cases that are reported. The vast majority of the
6 cases that are reported are through SDT clinics, people that
7 come in for care, the vast majority.

8 DR. HOLLINGER: Yes? Go ahead.

9 DR. EPSTEIN: While we have Dr. Markowitz, I have
10 two questions. Your estimate for the cases identified
11 through blood-center screening were 36 primary-secondary and
12 785 early-latent. But the Red Cross data, which were on
13 whole-blood-donor screening, which should have been only
14 even a subset if some of your data also came from source-
15 plasma screening, which were based on only 500,000 per
16 month, average monthly figures, if multiplied by 20 to give
17 us a yearly estimate, would have estimated 8,500 FTA-
18 positives of which 2,000 would be RPR-positive.

19 So there is, at the very least, a ten-fold
20 discrepancy between the estimate through the surveillance
21 system and the directly applicable actual report data from
22 the Red Cross. I wonder whether you had noted that and
23 whether you have thought about that in terms of your
24 estimate of the potential risk of stopping donor screening.

25 And then a second question; you showed a slide

1 which went by rather quickly on the percent of antibody-
2 positives that had positive PCR. I wondered if you could
3 simply repeat what those findings were, perhaps show the
4 slide again, if that is possible.

5 DR. MARKOWITZ: The first question is that the Red
6 Cross found--extrapolated by many more positives that we
7 found. That doesn't really surprise me because we were not
8 reporting positives. We were reporting how many, actually,
9 of the positives turned out to be cases that got reported.

10 DR. HOLLINGER: Actually, with disease.

11 DR. MARKOWITZ: With disease. We did a small
12 study in Chicago last year to just evaluate their
13 surveillance system, and it was something like only
14 5 percent of the positive serologic tests for syphilis that
15 come in to the health department ultimately get reported.
16 The rest of them, they determine, are old follow-up titers
17 that people are getting serial titers done to follow
18 treatment and they are determined not to be cases.

19 There is a huge amount of work that gets done just
20 to wade through all of these serological tests that come in
21 to the health department and to find out which ones of those
22 are actually cases. So that does not surprise me at all.
23 Health departments, the labs in Chicago, for example,
24 43 labs are required to report every month to the health
25 department.

1 There is just a huge amount of data coming in so
2 that is consistent with, I think, what goes on.

3 DR. EPSTEIN: The second question?

4 DR. MARKOWITZ: The second question? Can I see
5 that slide? Do you just want me to go over the data?

6 DR. EPSTEIN: Yes; I would just like you to
7 restate what percent of seropositive had positive PCR.

8 DR. MARKOWITZ: It varied by the different tests
9 and, if I can get a hard copy--can you get that slide back
10 up? Is that possible? Oh; I have it here. Of those who
11 were RPR-positive, one to one to one to four, four out of
12 seven, or 57 percent, were polA-positive. Of those who that
13 had a titer greater than or equal to one to eight, six out
14 of fourteen were polA-positive.

15 And then, of those that were MHA-TP-reactive, nine
16 out of fourteen were polA-positive. Of those that were MHA-
17 TP-nonreactive, one out of four was positive.

18 DR. HOLLINGER: Thank you. David?

19 DR. STRONCEK: My understanding of this is we have
20 a disease that is not transmitted with blood. There is
21 pretty good data to suggest that, at least not at this time.
22 We don't know why. We don't know if the donors just don't
23 have it. We heard data on one test, the PCR comparison that
24 was done on inadequate samples. And then there is some
25 thought that the processing of blood may inactivate the