

1 populations, just densinometric osteoporosis, I
2 believe that that applies to everyone. But I think
3 that's the only one I could buy.

4 CHAIRMAN BRAUNSTEIN: Dr. Watts, then Dr.
5 Marcus.

6 DR. WATTS: I want to agree with Dr.
7 Grady's conclusions but clarify some of the
8 terminology.

9 The way the current labels read for agents
10 on the market prevention is a drug that prevents bone
11 loss in people who are normal to start with. So
12 that's preventing someone's bone density from dropping
13 below an arbitrary line, and that's not related to
14 prevention of fracture. When I treat patients, I'm
15 interested in preventing fracture and those are drugs
16 that are currently labeled for treatment of
17 osteoporosis.

18 DR. GRADY: But what's the reason we
19 should be interested in preventing loss of bone mass?

20 DR. WATTS: That's a different question.

21 DR. GRADY: No, it isn't. It's to prevent
22 fractures, isn't it?

1 DR. WATTS: But there's actually no data
2 to show that the agents -- that the populations that
3 have been treated for prevention of bone loss are
4 actually protected from fracture later on. I think
5 the discussion today is for agents that are being
6 considered for treatment of osteoporosis, which means
7 reduction in fracture, not just prevention of bone
8 loss.

9 A second minor point -- or it may seem
10 minor -- but I think it's unethical and impractical to
11 do a study of osteoporosis in a low-risk population.
12 This is relative. It needs to be a lower risk or a
13 higher risk population. But if it's a low-risk
14 population and they have essentially no risk of
15 osteoporotic fractures, then it doesn't make sense.

16 DR. BONE: I meant minus two and a half.

17 DR. WATTS: I understand, but I just
18 wanted to clarify it.

19 And finally, my bottom line is I'm not
20 comfortable with BMD as the only marker. If we have
21 a drug in class that has been shown to reduce
22 fractures and we can show that a different regimen of

1 the same drug or perhaps another drug in class has the
2 same effect on density and turnover markers, I would
3 be much more comfortable and I would be willing to
4 extrapolate then antifracture efficacy and
5 postmenopausal osteoporosis to antifracture efficacy
6 and glucocorticoid-induced bone loss or in male
7 osteoporosis provided those same surrogate endpoints,
8 both density and turnover markers, showed a similar
9 response.

10 CHAIRMAN BRAUNSTEIN: Dr. Marcus was next.

11 DR. MARCUS: I would like to introduce
12 just a little bit of a commercial reality testing
13 here.

14 I think there's a stakeholder in this
15 field -- and I congratulate the agency on having
16 representatives from all the stakeholders in the
17 osteoporosis field. For the first time to my
18 knowledge since my association with the panel, there
19 have been representatives from industry invited to sit
20 at the table, and I think that's terrific. However,
21 there is one stakeholder that isn't here, and I think
22 that that actually lets one point of view not be

1 expressed. That is the third-party payors.

2 I'd just like to point out from my
3 experience when Fosamax first came on the market that
4 I spent hours on the telephone trying to convince
5 third-party payors to allow patients to receive this
6 drug. The resistance towards receiving that drug was
7 astonishing, particularly in the state of California
8 where managed-care elements were extremely potent.

9 I think that the tendency on the part of
10 payors has been to define the narrowest possible group
11 of people for reimbursement of pharmaceutical
12 interventions for osteoporosis. If you were to define
13 a treatment effect based on a very low-risk
14 population, I don't think there's a snowball's chance
15 in hell of it ever being reimbursed by third-party
16 payors. And, furthermore, this just gives them
17 exactly the opening they want to turn down high-risk
18 patients because they would say "Well, you haven't
19 shown it for high-risk patients." Therefore, I see the
20 prospect of investing in a large-scale study in a so-
21 called lower-risk population to be extremely
22 unattractive to industry and something which is

1 basically a non-starter.

2 CHAIRMAN BRAUNSTEIN: Dr. Rodan.

3 DR. ORLOFF: Dr. Marcus, first of all we
4 appreciate your recognition of our recognition of all
5 the stakeholders. We actually had not realized you
6 had gone over to industry.

7 I'm kidding. That's why we asked you here
8 today.

9 (Laughter.) DR. MARCUS: Yes, you did.

10 DR. ORLOFF: Could you please move to the
11 back.

12 (Laughter.) DR. ORLOFF: No. I wanted to
13 make sure that we understood you that -- are you
14 actually saying that even evidence of fracture risk
15 reduction in a low-risk population might not be
16 extrapolated as proof of principle to a higher risk
17 population as far as a third-party payor is concerned?

18 DR. MARCUS: As far as I can trust the
19 insurance industry, that's exactly what I'm saying.

20 DR. BONE: Can I just clarify one point,
21 and Nelson corrected me on this. When I said "low",
22 I should have said "patients who just meet diagnostic

1 criteria for osteoporosis". I didn't mean to imply
2 the osteopenia population or somebody like that. I
3 meant to refer to patients who would meet diagnostic
4 criteria for osteoporosis but did not have a recent
5 fracture or multiple fractures. And we could talk
6 about whether one remote, not very bad fracture put
7 them on one side of the line or the other, but the
8 lower-risk or moderate-risk population.

9 DR. MARCUS: I think I said "lower". And
10 it's a continuum. I think the closer you get to
11 people who are truly in need of a drug, from above
12 average to a very high-risk, the more likely you are
13 to have a meaningful experiment that will result in a
14 viable product.

15 CHAIRMAN BRAUNSTEIN: Let's hear from Dr.
16 Rodan and then I'm going to try to focus some of the
17 questions a little bit more if we can.

18 Dr. Rodan.

19 DR. RODAN: Going back to BMD, when I
20 start a lecture on osteoporosis, I say that "science
21 starts when you can measure something." Frances
22 Bacon, 1700.

1 For osteoporosis, this started when people
2 were able to measure bone density. A lot of data --
3 and Dr. Cummings contributed quite a bit to it --
4 showed a very close epidemiologic link between BMD and
5 fracture risk, not only cross-sectionally but
6 prospectively as well. And this is why before '94
7 this was an acceptable standard. The correlation broke
8 down when etidronate and fluoride didn't follow this
9 paradigm. We know very well today why this was the
10 case.

11 Again, for agents that changed BMD, the
12 tight correlation which was shown epidemiologically,
13 was not the same. Actually, it went in the opposite
14 direction. A smaller increase in BMD produced a
15 larger fracture protection, as pointed out by Dr.
16 Khosla. So there is enough science there, and physics
17 supports that, to relate BMD to fracture risk.

18 Now the Ibandronate example doesn't fit
19 this paradigm. My understanding is that Ibandronate
20 is now submitted for approval at some agencies. It
21 has fracture risk when given with a different regimen.
22 So this modification, which Mike McClung suggested,

1 that we should take into account the ways the drug was
2 given and maybe include the suggestion by Dr. Lukert
3 of biochemical markers as an additional criterion, may
4 correct this problem.

5 Again, if you go to preclinical studies,
6 they can indicate if the bone is normal. And if the
7 bone is normal, then the BMD increases regardless of
8 the weaknesses of BMD because it looks at the cross-
9 sectional picture rather than at the structural
10 picture. The bone risk is not going to increase. The
11 quantity by which this will reduce fracture risk
12 cannot be predicted from preclinical studies. This
13 maybe can be taken care of in the label. And so this
14 is sort of to put this in together somehow.

15 CHAIRMAN BRAUNSTEIN: Let me make a stab
16 of trying to focus the discussion a little bit more.
17 Let's make the assumption that every drug, whether
18 it's of an existing class that's been shown or a new
19 class of drugs coming through, will have adequate
20 preclinical studies that will show that the type of
21 bone that's produced is normal bone, it's got good
22 tensile strength, and all those things that are done

1 that are absolutely required before a Phase III study
2 is done for the European regulatory agency.

3 So all that's done. Let's just make the
4 assumption of good bone quality to start with. And
5 then let's break down these four different classes
6 here to two classes. One being the existing class of
7 drugs that are on the market. For instance, the
8 bisphosphonates or the SERMS. And the others are new
9 classes of drugs that are not yet on the market such
10 as new mechanistic action and a new bone anabolic
11 agent. So those are the unknowns at the present time.

12 And then we have three groups of patients:
13 those who have a low bone mineral density, two and a
14 half standard deviations below the young adult mean on
15 bone mineral density but no fractures; a second group
16 of individuals who have a single fracture, age
17 undetermined; and a third group who has either had
18 recent fractures or multiple fractures.

19 So those are the three groups because
20 those are almost three different populations, although
21 they may be along a continuum in addressing what type
22 of evidence we would want to see.

1 And then we have two other variables: the
2 acceptance of bone mineral density as a surrogate for
3 those groups, or acceptance of fracture as a
4 surrogate. I would stick in with the bone mineral
5 density "with evidence of" -- if it was an
6 antiresorptive agent -- "depression of antiresorptive
7 markers." And if it was an anabolic agent, simulation
8 of anabolic markers. So that will make it even
9 tighter.

10 So with those type of variables and
11 assumptions, what I'd like to do is sort of go around
12 the room and ask you to express your opinions on this
13 having heard what you've heard. And if you have no
14 opinion, just pass. This way I'd sort of like to get
15 a feel of the group of what people think, given the
16 state of the knowledge, would be the best way to
17 approach the endpoints, the primary endpoints.

18 Sundeep, we'll start with you.

19 DR. KHOSLA: Well, I guess I kind of
20 mentioned some of my views on this to begin with. But
21 I think for new compounds in established classes, like
22 a new bisphosphonate or a new estrogen or SERM where

1 we understand now very well the molecular mechanisms
2 by which these drugs act -- and you've already alluded
3 to the fact that there's adequate preclinical data on
4 all of these --

5 I think you can make a case that, provided
6 you see the expected increase in bone density and the
7 expected reduction in bone turnover markers, that, in
8 fact, may be a reasonable surrogate for many
9 situations.

10 CHAIRMAN BRAUNSTEIN: For all three
11 situations, those with multiple fractures, and those
12 with no fractures below bone density?

13 DR. KHOSLA: Scientifically, I don't see
14 a fundamental difference in terms of how these drugs
15 are going to act on bone in those three circumstances,
16 so I don't feel uncomfortable feeling that that
17 combination is probably adequate.

18 I think when you started talking about
19 classes "C" and "D", where you've got unknown or new
20 molecular actions or an anabolic agent where we have
21 much less experience -- I mean the two anabolic agents
22 that we've studied has been fluoride, where we know

1 the bone quality was abnormal, and PTH which seems to
2 follow the BMD fracture relationship. But I think
3 there I'm a little less comfortable because we don't
4 have the body of data that we do with the other two
5 classes, and, there, we may obviously want to exercise
6 more caution and get more reliable fracture data.

7 CHAIRMAN BRAUNSTEIN: So would you accept
8 a bone density for approval but then require
9 continuation to fracture endpoint, or just fracture
10 endpoint for approval?

11 DR. KHOSLA: For classes "C" and "D"?

12 CHAIRMAN BRAUNSTEIN: Yes.

13 DR. KHOSLA: I guess I would. Provided
14 all the preclinical data is there, you could make the
15 case that you would accept bone density with the
16 fracture data pending.

17 CHAIRMAN BRAUNSTEIN: Okay. Mike.

18 DR. MCCLUNG: I would alter my opinion
19 about that a little bit. Again, I think I agree with
20 Sundeep that the three classes of patients or the
21 categories of patients don't influence my thought very
22 much other than the ethical questions about which

1 patients ought to be included in trials.

2 The clinical impact of an additional
3 vertebral fracture is a function of the number of
4 vertebral fractures the patients have at baseline.
5 And so for patients who haven't had a vertebral
6 fracture or have had a small or remote vertebral
7 fracture, the clinical impact that is measured in a
8 variety of studies is very small; whereas, if the
9 patients had multiple fractures, an additional
10 fracture is a substantial thing. And doing a placebo-
11 controlled trial among patients with very severe pre-
12 existing fractures under any circumstances in my
13 personal view is not attractive.

14 CHAIRMAN BRAUNSTEIN: I set these three up
15 in anticipation of getting to the placebo trial issue.

16 DR. MCCLUNG: Right. So back to the
17 specific question about whether BMD would be an
18 acceptable endpoint, again I think that in classes of
19 drugs where we're confident about the relationship
20 already between BMD and fracture risk, as long as the
21 bone density -- and not only the magnitude but
22 especially the pattern of change in bone markers is

1 evident. The pattern may be at least as important as
2 the intensity. And that accepting a bone density
3 endpoint for registration of the drug, with the
4 presumption that that would translate into fracture
5 reduction, I think is adequate.

6 With regard to the new drugs where we
7 don't know that relationship in clinical studies --
8 the relationship between changes in BMD and/or
9 turnover and the relationship to fracture reduction --
10 I believe that we still need to have fracture endpoint
11 as the primary determinant for approval for the drug.
12 And then after we've established that in a set of
13 trials with however many drugs Dr. Grady is happy
14 with, then we can begin to amplify that data.

15 CHAIRMAN BRAUNSTEIN: Great. Thank you.
16 Dr. Watts.

17 DR. WATTS: The wording of the Declaration
18 of Helsinki was raised earlier, and I think there's
19 one thing that's not really considered there that's
20 relevant to the ethics of placebo-controlled trials.
21 And that is what some call a diagnosis gap or a
22 therapy gap, that there are many people out there who

1 have osteoporosis who aren't identified and aren't
2 treated. It's getting progressively more difficult to
3 find patients who are suitable for these trials as Dr.
4 Cummings points out.

5 And I think one of the reasons is that the
6 low-hanging fruit have already been identified and are
7 already on treatment. It's not as though we are
8 taking patients from our clinics and putting them into
9 these trials. I have never done that. We identify
10 people for these trials from advertisements in the
11 newspaper and targeted mailings and radio ads. These
12 are often people who have not been tested for
13 osteoporosis and probably wouldn't be tested and
14 wouldn't be treated, were they not brought into a
15 trial.

16 Having said that, I still have
17 considerable difficulty on ethical grounds taking
18 someone with multiple fractures or recent fractures
19 and putting them into a clinical trial. But I feel
20 quite comfortable in having patients with low bone
21 mass alone or with a minor or remote clinical fracture
22 receiving active drug versus vitamin D -- calcium and

1 vitamin D.

2 It's my feeling that the only time I would
3 be comfortable with surrogates -- and it's a
4 combination of bone density and turnover markers --
5 would be in other dosing regimens or other clinical
6 applications of drugs that have already been shown to
7 reduce fractures. And let me quickly explain why I
8 feel very strongly about that.

9 We're talking about class effects. How
10 many amino bisphosphonates do we have on the market
11 where this relationship is proved? Two. How many
12 have been studied where this relationship wasn't
13 proved? One, maybe two. How many selective estrogen
14 receptor modulators do we have on the market where
15 this relationship is proved? One. How many anabolic
16 agents do we have on the market where this
17 relationship is proved? Zero.

18 So I would be very happy if a drug that's
19 been shown to reduce the risk of vertebral fractures
20 in a lower- to moderate-risk population of
21 postmenopausal women with osteoporosis is tested in
22 men or glucocorticoid-treated patients and shows the

1 same effect on bone density and the same effect on
2 turnover markers. I would accept that in my clinic as
3 adequate proof of fracture reduction.

4 I'd also like to highlight very briefly
5 another nuance of this that has come out from Dr. Bone
6 and others. And that is, once we've shown a reduction
7 in vertebral fracture, we should probably be less
8 stringent in our requirement to show reduction of non-
9 vertebral fractures. Use a one-sided test instead of
10 two. Use a more liberal statistical level and so on.

11 I think once it's out there that it's
12 reducing fractures, as long as we see the trends for
13 other fractures, we should be happy.

14 CHAIRMAN BRAUNSTEIN: Dr. Bone.

15 DR. BONE: Thank you. I just want to
16 touch on a point that I touched on earlier to remind
17 people that when we speak about placebo-controlled
18 trials for osteoporosis, there are several things that
19 should be born in mind.

20 First of all, we are really using a
21 placebo injection as a mask for the active treatment.
22 But there is background treatment for all the patients

1 in all the trials. And this is a background treatment
2 that has been shown to be efficacious in reducing
3 fracture risk in a number of studies.

4 The other point is that we never withdraw
5 patients -- essentially, in any trial that I've ever
6 been involved in -- withdraw patients from active
7 treatment in the way that patients are withdrawn, for
8 example, in some other indications and replaced with
9 a test drug. Patients who've had efficacious drugs in
10 the past are just simply excluded from the trial
11 unless they've been off them for years.

12 I am I think impressed by the consistency
13 of the relative risk reduction for fractures,
14 comparing vertebral versus vertebral and non-vertebral
15 versus non-vertebral, that the high- and low-risk
16 groups are consistent for the same drug. So I'm
17 pretty well satisfied that in the patient who has bone
18 density in the osteoporotic range with or without a
19 remote, single, not very bad fracture, the information
20 that we get about the effect on bone fragility is
21 generalizable to patients treated with the same drug
22 at higher risk. There is no good scientific reason to

1 think otherwise in any case I'm aware of.

2 The other point I remind us all of is that
3 in part what we're doing here is just confirming that
4 the animals got it right, that there wasn't something
5 that was distorting the relationship between mass and
6 strength.

7 I think for initial assessment of any
8 fracture efficacy, probably the lower-risk, placebo-
9 controlled trial model is still very well within the
10 boundary of ethical acceptability. And I think there
11 is a consensus about this amongst people who take care
12 of patients with osteoporosis as their main occupation
13 (or very nearly so, within one standard deviation
14 anyway, give or take), exactly who would be included
15 for example.

16 But I think there is equally a consensus
17 that the patients who are at particularly high-risk,
18 such as those who have had recent or multiple
19 fractures, are not in the category that we would
20 include in such a trial.

21 I also think that they are probably not in
22 the category in which we ought to initially evaluate

1 antifracture efficacy. I think you can make a case
2 that we ought to have at least some evidence of
3 antifracture efficacy in the first kind of trial I was
4 discussing before we take on the problem of the very
5 high-risk patient.

6 And so I think that comparative evidence
7 of efficacy can be obtained in an active control trial
8 in high-risk patients in a later stage of development,
9 and that would be my preference. There might be
10 exceptions to this. I certainly could imagine that
11 that wouldn't universally be my position, but sort of
12 the first crack.

13 I think that what evidence we would
14 require for initial registration is inexplicably
15 linked to what evidence doctors need in order to make
16 good, intelligent, clinical decisions. So we might
17 very well distinguish between the minimum level of
18 evidence that the agency might require to conclude
19 that a drug is safe and efficacious and the level of
20 information that a doctor might require in order to
21 practice medicine in his or her clinic and make a
22 decision about whether to use drug "A", drug "B", or

1 drug "C" in a particular patient's case.

2 So I think that we will want to have
3 evidence of antifracture efficacy for clinical
4 decision-making even if we don't, strictly speaking,
5 require it in every case for the initial registration.
6 I think this is in part addressed by the current
7 guidance, which isn't so bad after all when you read
8 it.

9 In the current guidance, the U.S. provides
10 for the ability to register the drug on the basis of
11 clinical trials where BMD is the primary endpoint
12 provided the fracture data is showing a favorable
13 trend in a fully enrolled, ongoing trial. And I think
14 this helps us with the drugs where we're not quite
15 comfortable enough to say, okay, BMD is all we need,
16 but where we really want to be able to move along and
17 we're not so worried after all about those.

18 So it was a belts-and-braces approach when
19 it was undertaken, and it still has some utility.

20 CHAIRMAN BRAUNSTEIN: So on a new
21 bisphosphonate, you would want not only the BMD but
22 you'd also want fracture data?

1 DR. BONE: I think that the fracture data
2 will be essential, and the agency is going to have to
3 rule on whether that is a registerable claim, whether
4 it's the initial registration criterion or not. I'm
5 saying it may not be as big a distinction as it sounds
6 like in the first place in that category.

7 CHAIRMAN BRAUNSTEIN: And the same with
8 SERM?

9 DR. BONE: With respect to SERMs, we have
10 a more pleiotropic category of drugs here with
11 hundreds of actions, potentially, on every single
12 organ system practically. This is a more complicated
13 situation. We have a pretty specific idea now about
14 how amino bisphosphonates act primarily. Although as
15 Graham Russell has recently pointed out, local
16 intraskeletal pharmacokinetic differences may actually
17 make a fairly big difference between, in certain
18 respects, between drugs within the class.

19 But for SERMs, I think what is required
20 here for us to make a good intelligent decision is how
21 specifically characterized the mechanisms of action of
22 the SERM would be. In other words, are all of the

1 skeletal effects exactly those that are mediated in
2 the way that we think they are by an estrogen-like
3 action?

4 Now this something that Dr. Rizzoli has
5 talked about, how there are some changes that may be
6 individually drug-specific and not be entirely class-
7 characteristic. I think this is particularly
8 important because, of all the drugs we're talking
9 about, this as a class has the greatest potential for
10 use in the prevention of postmenopausal bone loss, as
11 opposed to intervention after that has occurred.

12 I think that the characterization
13 specifically here could lead to the decision that the
14 initial indication could be, in some instances, for
15 prevention of bone loss -- much as was the case for
16 the registration for Raloxifene -- and that would be
17 have to be purely on a bone density basis with no
18 adverse safety profiling on fracture; whereas, the use
19 of such a drug as a second-line drug for treatment of
20 established osteoporosis, that might not be the main
21 intervention with a particular drug in the so-called
22 SERM category, depending on how it profiled out.

1 CHAIRMAN BRAUNSTEIN: Let me see if I have
2 this straight. For bisphosphonate, you'd want bone
3 mineral density and fracture follow-up. For a SERM,
4 you want just bone mineral density?

5 DR. BONE: Well, no. What I'm saying is
6 if we think we're going to use -- if we have
7 characterized the SERM very precisely as having an
8 estrogen-like action and we're proposing it to be
9 used, not so much initially for treatment of
10 established osteoporosis but for prevention of bone
11 loss, then it becomes a different question. There,
12 the only endpoint you can use is bone density.

13 But this depends entirely upon the
14 preclinical characterization being bullet-proof.
15 Otherwise, you're back to a bigger problem.

16 CHAIRMAN BRAUNSTEIN: If it's going to
17 used as treatment for osteoporosis --

18 DR. BONE: For the indication treatment of
19 osteoporosis, you have a bigger problem because we've
20 had this discrepancy between vertebral and hip
21 fracture. And I think, there, you're forced to rely
22 on fracture rate.

1 CHAIRMAN BRAUNSTEIN: Okay. So you'd want
2 both bone density and fracture?

3 DR. BONE: Yes.

4 CHAIRMAN BRAUNSTEIN: Thank you. And what
5 about the other two classes?

6 DR. BONE: Yes, I'm sorry for going on
7 quite so long.

8 I think that in the case of an anabolic
9 agent, it's practically inconceivable that an anabolic
10 agent, given at several times the intended therapeutic
11 dose, will produce no disturbances in the histology or
12 mineralization and so forth of bone. So I think this
13 absolutely falls in the category where we'll learn
14 more about these drugs, and we may eventually change
15 our mind about bone density, but for now, that has to
16 be a fracture endpoint.

17 For the new mechanistic class of
18 antiresorptive drugs -- in other words, something
19 totally novel unlike any of the ones we have on the
20 market -- I think that the current guidance is a
21 reasonable starting point, which is what I just
22 described a few minutes ago.

1 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
2 Worcester.

3 DR. WORCESTER: As the Consumer Rep, I'm
4 going to try and look a little bit more at the bigger
5 picture and particularly draw the point that, speaking
6 on behalf of women, what women want to know is what's
7 going to actually reduce fracture.

8 The idea that just because you can measure
9 something and manipulate it, doesn't mean a whole lot
10 to women who want to know what difference it's going
11 to make in their lives. And, certainly, the kind of
12 information that those of us who teach and work with
13 consumers find out is that a lot of people are very
14 confused with the information out there and really
15 want the safest kinds of products.

16 So what I've heard today has really fit in
17 with where I came to the meeting, with thinking that
18 at this particular moment in history, probably we have
19 never known better what we don't know, both in terms
20 of what we're intrigued about in terms of the
21 relationship of bone mass measurements related to
22 osteoporosis, fractures, and other things, but also

1 the whole set of products that we're talking about
2 today, particularly how this particular issue fits in
3 with other things.

4 I'm here representing not just people who
5 may want and need treatment but also the masses of
6 healthy women who are very confused about what to
7 take. So I want to just comment that a lot of other
8 organizations -- now we've heard how NIH and both the
9 British Columbia Office of Health have in the last
10 couple of years come out with pretty strong statements
11 about needing a lot more information on the
12 relationship of bone mass density to osteoporosis.

13 And so I think we might be before our time
14 if we were jumping in and saying we knew more about
15 what it meant in terms of fracture reduction. But
16 also in terms of the Women's Health Initiative this
17 summer, I think what it is a reminder of is even when
18 we think we know quite a bit about products, we want
19 to know the next step.

20 So I would come down to saying a couple of
21 points. Long-term safety, looking at fracture
22 reduction is what's going to mean a lot to the people

1 who are going to use this. And then I want to come
2 back to what I've heard several other members of the
3 committee and our presenters talking about today.

4 I feel much less comfortable than other
5 people grouping all the people who are going to use
6 these products together. I think we've heard several
7 times in a very persuasive way that the differences
8 between different women make a huge difference.

9 Dr. Faulkner this morning in movingly
10 telling us how important bone mass measurements are
11 said, "It's not fair to be lumping all those groups
12 together." I, in some ways, feel the same. We need
13 to be looking at the difference between prevention and
14 treatment.

15 And Dr. Watts said, "There's no evidence
16 that bone mass density serves as an endpoint for the
17 prevention of osteoporosis." So I think we keep
18 hearing in different ways, we don't want to combine
19 everything together right now.

20 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
21 Zerbe.

22 DR. ZERBE: Yes. At this point I mean

1 it's hard to say anything new.

2 CHAIRMAN BRAUNSTEIN: Then you don't have
3 to say very much.

4 (Laughter.) DR. ZERBE: I'll just make a
5 general comment that, of course, we've been working
6 for a decade looking for surrogate markers. And
7 looking at it from the outside, this is actually one
8 of the better documented surrogate markers for
9 efficacy. As we look to try to simplify clinical
10 research and bring therapies more rapidly to patients,
11 this looks like an opportunity.

12 Nevertheless having said that, as the very
13 learned people here have analyzed, I think there's
14 really not a consensus at this point that you can
15 accept, in any simple way, bone mineral density to
16 replace fractures. I think the exception is in the
17 area of bisphosphonates perhaps. I would draw the
18 parallel to the lipid area.

19 As I sit down and look at it -- and Dr.
20 Orloff made the point very well -- if you look at
21 statins, a class of drugs approved, the approval has
22 been based not on endpoint but on surrogate markers,

1 cholesterol. And as you go through parallels, what
2 was known when these additional agents were approval,
3 what subsequently has been required, whether this
4 explains all of cardiovascular disease, there are many
5 parallels.

6 It's hard for me to distinguish why one
7 would accept lipids, the parameters for lipid approval
8 being cholesterol and not accept bone mineral density
9 in a class of drugs that were understood and you had
10 the preclinical bone mineral density data and
11 preclinical morphology and strength to support the
12 point.

13 So I guess if someone could provide
14 clarity on that and explain the difference, it might
15 help me understand the resistance to that.

16 CHAIRMAN BRAUNSTEIN: David.

17 DR. ORLOFF: Indeed, I might offer that we
18 actually talked a little bit about this at lunchtime.
19 With regard to the comparisons between the statins and
20 let's say the amino bisphosphonates, there is
21 actually, with regard to the mechanic of antifracture
22 efficacy, there is probably a lot more known and

1 there's a lot more bits of information to be garnered
2 in any individual case under investigation in order to
3 permit comparisons of a new drug to a drug that has
4 established itself as an antifracture therapy than
5 exists for possible comparison between statins.

6 For example, we don't have arterial
7 biopsies for patients treated with Lipitor to compare
8 the effect of Lipitor on the potential to reduce
9 cardiovascular outcomes to Zocor. And yet, not that
10 we've allowed it, but people are using Lipitor like
11 it's -- you know. I don't want to say "water". I got
12 in trouble for that one time.

13 (Laughter.) DR. ORLOFF: I think it is fair
14 to say that the extension of that to the SERMs is not
15 as clear-cut for many reasons that were put forward.
16 The SERMs were designed to have differential tissue
17 effects. So the whole idea of translating from one to
18 another doesn't seem as solid. But with regard to the
19 bisphosphonates, it seems that there's a pretty good
20 case that bone mineral density could be the basis for
21 that.

22 I'm a little bit less comfortable,

1 frankly, with some of the things proposed about bone
2 mineral density being used for formulation or
3 indication extensions. Because the one example that
4 was cited, as I understood it, was a formulation
5 difference where there was dissociation between bone
6 mineral density --

7 PARTICIPANT: Different drug.

8 DR. ZERBE: I thought subsequently they
9 had filed for that drug, I thought somebody said.

10 DR. BONE: There was a very different
11 treatment strategy. It was not a formulation
12 difference. It was a difference between giving a dose
13 every three months and giving continuous dosing. So
14 there was a majority difference in the strategy.

15 DR. ZERBE: So it may still hold the
16 formulation. You can still raise the question about
17 other indications like steroid- induced osteoporosis
18 versus postmenopausal, just to highlight that point.

19 CHAIRMAN BRAUNSTEIN: Dr. Temple, you had
20 a question or comment.

21 DR. TEMPLE: Well, some people have
22 certainly said that if you wanted to go from a once-a-

1 week treatment to a once-every-three-month treatment
2 and you had fracture data on the once a week, it might
3 be reasonable to use bone mineral density to go to the
4 change.

5 So that means for every change like that,
6 you've got to redo the fracture data.

7 DR. MCCLUNG: No. But it's not bone
8 density by itself.

9 DR. TEMPLE: No, no. I understand.

10 DR. MCCLUNG: It's bone density and
11 markers --

12 DR. TEMPLE: -- and turnovers and markers. Right.
13 But you would have to redo the fracture data.

14 DR. WATTS: Let me try to clarify the
15 issue that I raised. The two amino bisphosphonates
16 that are approved are Alendronate and Risedronate.
17 They've both been shown to reduce fracture risk with
18 daily oral dosing. And within the last two years,
19 both have been approved with once-a-week dosing on the
20 basis of equivalent changes in BMD and bone turnover
21 markers. I think the latter is the key to my
22 acceptance of this.

1 Ibandronate is another amino
2 bisphosphonate that is not currently on the market in
3 this country. In the initial trial, it was powered to
4 be fracture study with every-third-month intravenous
5 injections that showed a significant gain in bone
6 density. And if you looked only at the samples drawn
7 pre-dosing, there was a reduction in turnover, and it
8 didn't reduce fracture risk.

9 Almost simultaneous with that, there was
10 an oral dosing trial that appears to show a fracture
11 reduction and is going forward. In retrospect, the IV
12 dosing is said to be maybe not the right dose. Maybe
13 it should've been a higher dose, or maybe every third
14 month was not often enough.

15 And there's some data from Phase II and
16 Phase III studies to suggest that the suppression in
17 turnover was not sustained. And so if you're dosing
18 every third month, not only would I want to see
19 equivalent BMD changes, but I would want to see
20 probably at least monthly turnover markers to show
21 that suppression is sustained to the same degree that
22 it sustained with the regimen that has been shown to

1 reduce fracture.

2 DR. TEMPLE: So that's still a surrogate.
3 It's obviously not fractures but a more sophisticated
4 version.

5 DR. WATTS: It's two surrogates in
6 combination.

7 DR. TEMPLE: Just for analogies with blood
8 pressure, we usually ask that there be evidence that
9 blood pressure is held down throughout the day, not
10 just at peak. So there are similar kinds of --

11 CHAIRMAN BRAUNSTEIN: Let me just
12 introduce a time check here. We have an hour and 45
13 minutes and still a lot of questions, so I'd like
14 everybody to keep their comments brief. We're
15 continuing to go around the room.

16 DR. HOCHBERG: Can I briefly make a
17 comment?

18 CHAIRMAN BRAUNSTEIN: Sure.

19 DR. HOCHBERG: First of all, I want to
20 apologize for being out of the room, but I was on a
21 conference call for an NIH project.

22 But this morning I didn't talk about the

1 relationship between changes in biochemical markers
2 and bone turnover because I was asked to focus
3 specifically on bone density. I agree with Dr. Watts
4 that you need to really satisfy both of these
5 surrogates in order to say that a new amino
6 bisphosphonate, which looks like a duck and quacks
7 like a duck, behaves the same way probably with regard
8 to fracture risk reduction, that you need to see
9 sustained suppression of bone turnover and comparable
10 increases in bone mineral density.

11 And then, if you have an antifracture
12 trial, you would anticipate that you would see similar
13 degrees of fracture risk reduction.

14 CHAIRMAN BRAUNSTEIN: Okay. Dr. Levitsky.

15 DR. LEVITSKY: I have very little to add.
16 I think everyone's opinions mirror mine.

17 I believe that in classes "C" and "D", the
18 newer agents, that one must have fracture risk as an
19 outcome. For the bisphosphonates, I would feel more
20 or less comfortable if they were approved with just
21 increasing bone density and the bone marker changes.
22 But as a prescriber, I would find it very difficult to

1 prescribe a drug that I could not say to a patient
2 caused a decrease in fracture rate. Therefore, I
3 suspect that drug companies will be driven by that as
4 much as anything else to get the additional data.

5 The SERMs trouble me because I don't know
6 what the new ones will do in other tissue. So I think
7 maybe we need more data for that.

8 The only new thought is I was toying with
9 whether the most severely affected people who are
10 already on an accepted drug for osteoporosis, whether
11 that group might be candidates for add-on trials with
12 a newer drug with a different action.

13 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
14 Sampson.

15 DR. SAMPSON: As a statistician, I'm
16 always concerned about using a surrogate. I always
17 argue that one needs strong, scientific, and
18 statistical evidence. The question here is whether
19 bone mineral density can stand as a surrogate for
20 fracture rates or fracture incidents.

21 I think one has to be very cautious in
22 establishing surrogacy in this regard. I think that

1 the issues that have been identified here in terms of
2 whether or not the compound is in the same class in
3 which there's been a previously established -- and
4 it's been well-shown -- relationship between bone
5 mineral density and fracture incidents, the animal
6 data has to be supported for the particular compound
7 of interest.

8 I hear dosing regimen is of particular
9 concern, that it not be strongly or dramatically
10 different. I hear also that to establish surrogacy or
11 to support the surrogacy argument, one needs to look
12 at, in addition, marker data. I hear that using
13 fracture data as safety, but I would still look at
14 fracture data even if I'm looking at bone mineral
15 density.

16 In summary, I think that one just needs to
17 be very, very cautious in this regard.

18 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
19 Lukert.

20 DR. LUKERT: First, who I would enter into
21 the study. I would be comfortable entering a woman
22 who, by bone density definition, has osteoporosis and

1 has one remote fracture. But I wouldn't be willing to
2 enter someone into a placebo-controlled trial that had
3 a recent or multiple compression fractures or serious
4 non-vertebral fractures.

5 With a proviso on the ones that I would
6 enter that there would be the safety net that you
7 would be measuring their bone densities periodically,
8 and if you saw a threshold fall in their bone density,
9 they would be removed from the study. Then I would
10 feel comfortable with that.

11 I pretty much agree with what has been
12 said about the Class 1 and 2. The new mechanistic
13 drugs, like if we're getting into the osteoprotegeran
14 group or the bone morphometric protein to things that
15 really are new and we don't have a lot of
16 understanding of what those are doing in humans, I
17 think that not only would I want fractures, but I
18 would want histology on a subgroup of those patients
19 to make sure we know what's going on in human bone.

20 In those, I don't think we can be sure
21 that happens in the animal could be applied to the
22 human, although I certainly feel comfortable with that

1 with the bisphosphonates. I don't think I have
2 anything else to add to that.

3 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
4 Aoki.

5 DR. AOKI: Well, I'm pretty much in
6 agreement with Dr. Bone and colleagues. I'd just like
7 to emphasize the importance of the animal studies
8 because in this presentation so far today, I have not
9 heard a major dichotomy that bone strength in itself
10 is not the best predictor of decreased fractures in
11 humans.

12 So if bone strength and bone volume in
13 animals correlate so strongly with a positive clinical
14 outcome in terms of vertebral fractures and hip
15 fractures, then the question is: What's the
16 relationship to bone mineral density?

17 It seems to me it's very important to do
18 those studies in animals with any of these drugs that
19 we talking about to see if, in fact, bone strength is
20 increased, especially in ovariectomized animals that
21 are treated with any one of these four classes; and
22 then also to see whether the bone mineral density can

1 serve as a surrogate by doing the appropriate bone
2 mineral density studies at varying doses. Then I
3 think once you enter Phase I, Phase II in clinical
4 trials, I think that if you have any surprises, the
5 animal studies should be looked at again to see if an
6 explanation can be obtained.

7 And then, finally, I think in addition to
8 what we've all talked about in terms of the studies
9 themselves, I think that all of these agents should be
10 followed at least in the Phase IV fashion, that after
11 approval has been given, that the companies be
12 required to continue to look at the fracture data to
13 see if in fact it plateaus like in like five years or
14 actually increases.

15 CHAIRMAN BRAUNSTEIN: Thank you. I
16 actually ultimately want to see fracture data on any
17 drug that comes out that's going to be used for
18 preventing or treating osteoporosis. Although I think
19 from a regulatory standpoint, some may be approved
20 based on surrogate markers.

21 With the bisphosphonate group, there are
22 certainly a lot of patients who are being treated with

1 permidronate intravenously on a monthly basis for
2 which we don't have a lot of data, good data. There
3 have been reports of Zometa being used on a once a
4 year basis to increase bone density, but we don't know
5 if that's going to result in decreased fracture rates.

6
7 So even with the bisphosphonates that are
8 coming out, I would like to see ultimate fracture
9 data. Although I would accept bone mineral density as
10 a surrogate for getting initial approval but would
11 require ultimately fracture data showing efficacy.

12 In regards to the SERMs, I also would like
13 to see, ultimately, fracture data. I would be
14 inclined to accept bone mineral density for a
15 prevention type of indication. But certainly if it's
16 going to be used for treatment, I would like to see
17 the fracture data for efficacy.

18 And for the other new classes of drugs, I
19 would like to see fracture data, and I wouldn't accept
20 bone mineral density as a surrogate.

21 Dr. Gelato.

22 DR. GELATO: Okay. I'd like to see

1 fracture data for all new drugs that come to the
2 market because I agree that it would be a hard sell to
3 tell a patient that, yes, the bone mineral density may
4 increase, but I have no idea whether you're going to
5 have a fracture or not. So that's important.

6 For the bisphosphonates, I feel the same
7 way. I think if it's a new drug, even though it's in
8 that class, we should have fracture data. I would
9 accept bone mineral density and bone markers for
10 dosing changes within a class. I think that that's
11 perfectly acceptable because we've already established
12 that it does alter or impact on fracture reduction.

13 I would not add patients who have multiple
14 fractures into studies. I think they need to be
15 treated, and I think that, in my mind, it's unethical
16 to do that.

17 In terms of the SERMs, I feel the same
18 way. We need fracture data in that class in
19 particular because there are so many different effects
20 that they have on various tissues. We really need to
21 know what the safety margin is in these drugs if and
22 when they come to market.

1 Obviously, we need to continue to collect
2 long-term safety data on all of these drugs. Because
3 as we've seen with the estrogens, several years were
4 needed before we actually were able to say one way or
5 the other what effects they had on coronary artery
6 disease, stroke, and so on.

7 And for drugs that are truly new agents
8 with new mechanisms of action, I agree with Dr.
9 Lukert. I think we need to have bone biopsies and
10 look at the histology of the bone and make sure that
11 the bone that we are increasing is the bone that we
12 want.

13 CHAIRMAN BRAUNSTEIN: Dr. Tamborlane?

14 DR. TAMBORLANE: I basically agree with
15 that. I think that fracture data, except for an
16 extension of an indication for an established
17 antifracture drug and/or dosing changes and so forth.

18 CHAIRMAN BRAUNSTEIN: Okay. Dr. Grady.

19 DR. GRADY: I also agree with also
20 everything Dr. Gelato said. I guess I would just make
21 two more points.

22 I think I might also be willing to accept

1 BMD and other markers for new classes of patients,
2 particularly if it would be very difficult to get
3 fracture information in those patients such as
4 steroid-treated patients.

5 And the final thing I'd like to say is
6 that I agree with what everybody has been saying about
7 SERMs, and I'm assuming that we're including estrogen
8 in that category, which makes me also point out that
9 right now, FDA's guidance makes a special exception
10 for estrogen which I think needs to be reconsidered.

11 CHAIRMAN BRAUNSTEIN: Dr. Abadie.

12 DR. ABADIE: My recommendation will be
13 extremely close to colleagues.

14 I think for all types of drugs, new
15 molecular entities and others, for us the fracture
16 will be monitored in the initial registration for new
17 molecular entities probably because, as has been said,
18 we don't know the drug, we don't know the efficacy and
19 safety, we don't know the relation between the BMD and
20 risk factors, so it's clear that we'd like to see
21 fracture.

22 For the old entities, we could potentially

1 accept BMD for bisphosphonate. But as far as we're
2 concerned in Europe, there would be an impact on the
3 labeling. And we'll end up with a magnificent paper
4 with a marketing utilization, but the drug will not
5 penetrate the marketplace so it will be totally
6 worthless.

7 For the placebo and the low risk, the data
8 that I show seems to go along with the fact that there
9 is some consistency between the low-risk and the high-
10 risk patients. I think there is a possibility to
11 extrapolate from the low-risk to the high-risk
12 patients with the vertebrae or with respect to the
13 vertebrae. Although the database is relatively small,
14 I think we can extrapolate.

15 The potential to use placebo in low-risk
16 patients for me is something, which as far as the EU
17 is concerned, these are probably acceptable from an
18 ethical viewpoint.

19 And finally the problem which is the most
20 difficult and where I don't have any clear idea, I
21 must admit, is the problem of the hip. I think that
22 we have to be innovative. I'm not absolutely sure

1 that the study of hip fracture in a high-risk patient
2 is ethical with the placebo even if we put the calcium
3 and vitamin D in and maybe some -- I don't design, but
4 the ways, the potential impacts on the labeling could
5 be appropriate.

6 CHAIRMAN BRAUNSTEIN: Dr. Silverstein.

7 DR. SILVERSTEIN: Okay. I'm really going
8 to echo a lot of what's been said.

9 I have difficulty putting into placebo-
10 controlled trials people I think need treatment. So,
11 therefore, I think anybody who is a high-risk that I
12 would treat needs to either go into an active control
13 or an add-on study. I couldn't justify in my own mind
14 putting them in placebo-controlled. The low-risk,
15 intermediate- risk I could.

16 As far as bone mineral density, I think I
17 feel fairly comfortable with bisphosphonates, new
18 classes of bisphosphonates and new indications for
19 those drugs that have already been tested using bone
20 mineral density and turnover markers. But for all new
21 drugs, I think fracture data is going to be important.
22 But even in those drugs that use bone mineral density,

1 I would think it would be a good idea to have
2 something similar to what the growth hormone
3 registries are, to have long-term follow up of
4 fracture risk.

5 So you don't need the fracture risk for
6 registration but you still have that data. You'll
7 continue to follow those patients and get those
8 results later on. I guess that's all I have to say.

9 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
10 Rodan.

11 DR. RODAN: Yes. I agree with things
12 which were said around the table.

13 I think that for agents that have a known
14 mechanism of action and act selectively on bone and
15 inhibit bone resorption, bone mineral density
16 reduction in markers could be used for initial
17 registration, with fractures being done subsequently.
18 Osteoporosis is a continuum of risk. And so proving
19 efficacy in low-risk patients should probably be
20 extrapolatable to high-risk patients, as already
21 stated by Dr. Abadie and others.

22 For other indications, I think this can be

1 extended if one agent has been shown to be effective
2 for a particular indication and other indications,
3 like glucocorticoid-induced osteoporosis which is
4 similar to postmenopausal osteoporosis in many
5 respects.

6 That's basically it.

7 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
8 Rizzoli.

9 DR. RIZZOLI: Yes. Regarding a new
10 molecule with a new mechanism of action to have the
11 fracture is mandatory now. Regarding the
12 bisphosphonate and with the long history of the
13 assessments, the relationship between strengths and
14 BMD and bone turnover, this particularly offers a
15 benefit for the patient because for instance, if you
16 had a beautiful bisphosphonate with a 50 percent
17 bioavailability, I would find it a little bit
18 surprising to wait three years to have the fracture
19 data and not to benefit the patient, provided the
20 relationship between strengths, BMD and markers is as
21 the other compound have been demonstrated as
22 efficacious in fracture incidents.

1 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
2 Turner.

3 DR. TURNER: I'm going to focus my
4 comments on the preclinical issues, which I have a bit
5 more experience with.

6 I think that we've seen that the animal
7 studies have predicted pretty well the safety issues
8 that we face with these drugs. We haven't had a lot
9 of surprises. In the case of ovariectomized rats in
10 particular, we maybe overestimate the efficacy in some
11 cases, but they've generally been predictive.

12 But they seemed to have missed in many
13 cases on extraskeletal effects. This is a concern
14 that is very important and is becoming more important,
15 it seems, as we're learning more about estrogen. But
16 we certainly missed on predicting extraskeletal
17 pathologies in at least one SERM, if not a couple.
18 There are the issues with estrogen. There are the
19 extraskeletal effects of parathyroid hormone, which in
20 fact were picked up by the animal studies.

21 So this I think needs to be considered
22 very carefully in the clinical design as well. In

1 fact, with some drugs, particularly SERMs and anabolic
2 hormones like parathyroid hormone, trials may be
3 designed with special attention to extraskeletal
4 effects and the power calculations.

5 I want to hit on a couple of nuances when
6 it comes to the very specific, potentially new
7 molecular targets or mechanistic classes brought up
8 here -- not necessarily just for antiresorptive agents
9 but also for new anabolics that may follow parathyroid
10 hormone -- and that is that if a target is very
11 specific molecularly, it may be targeted to the human,
12 and there may not be sufficient crossover with many
13 animal species.

14 Currently, FDA guidelines require two
15 analyses in two species. It may be in some cases that
16 only primates or maybe only a select number of species
17 actually respond to the treatment. So there may be
18 some need for rethinking some of the preclinical
19 guidelines when it comes to some of these new, very
20 targeted compounds.

21 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
22 Hochberg.

1 DR. HOCHBERG: Well, thank you for
2 allowing me as a guest to make some comments.
3 Basically, I think it's difficult at the end of a U-
4 shaped table, but I pretty much agree with the Chair.
5 But I would like to bring up maybe a couple of small
6 issues.

7 I think Dr. Rodan makes a strong point
8 that one could generalize amino bisphosphonates into
9 a "class effect" with adequate preclinical data and
10 data from clinical trials that demonstrate similar
11 changes in bone mineral density and similar reductions
12 with continuous suppression of biochemical markers of
13 bone turnover.

14 I think this is what was done with the
15 statins for approval for the treatment of
16 hypercholesterolemia, which is a risk factor just like
17 osteoporosis is. And companies did not do pivotal
18 trials showing reduction in myocardial infarctions to
19 get approval of statin for the treatment of
20 hypercholesterolemia.

21 I think you can really compare
22 osteoporosis to hypercholesterolemia. You can also

1 compare osteoporosis to hypertension. I'm not aware
2 that drugs other than thiazides and maybe one or two
3 others have been shown to reduce stroke incidence in
4 clinical trials that have approved drugs for the
5 treatment of hypertension.

6 So I think you could apply this class
7 effect to amino bisphosphonates. I agree with you
8 with regard to other agents.

9 This issue of prevention of bone loss,
10 which is a separate indication, gets to this question
11 of -- there's not a similar indication for prevention
12 of hypertension in people who have intermediate blood
13 pressure levels of, let's say, between 120 and 135
14 systolic and 80 to 89 diastolic. But we know that
15 those individuals are at greater risk of having bad
16 cardiovascular events as compared to people with
17 low/normal hypertension from data published from
18 Framingham, just like we know that people with
19 intermediate levels of bone density are at greater
20 risk of fracture than people with normal bone density
21 in multiple variable-adjusted models from various
22 epidemiologic studies.

1 So the prevention here is to maybe prevent
2 further bone loss, like one considers preventing an
3 increase in blood pressure or preventing the
4 development of diabetes in people with impaired
5 glucose tolerance or preventing hyperlipidemia in
6 somebody with a mildly elevated cholesterol.

7 Obviously, we don't want to medicalize
8 something, which is a laboratory test where a large
9 proportion of otherwise healthy individuals fall into
10 that group. But it seems to me that if one was going
11 to allow approval for a prevention indication then it
12 should be focused on the laboratory test, which is
13 being treated, and not on a fracture outcome which
14 requires a magnitude larger sample size in order to
15 demonstrate antifracture efficacy for something which
16 would need to be established first in people with
17 osteoporosis. I agree with the comments about
18 placebo-controlled studies in terms of enrollment of
19 low-risk patients. The question about doing the
20 studies to demonstrate efficacy for hip fracture
21 reduction. We know that calcium and vitamin D are
22 efficacious at least in residents of long-term care

1 facilities for reducing hip fracture versus placebo.
2 So we really have an active comparator study if we can
3 compare to calcium and vitamin D in the appropriate
4 population. And we haven't really established hip
5 fracture risk reduction in the very elderly with
6 osteoporosis yet. So that's actually a population
7 that could be studied.

8 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
9 Cummings.

10 DR. CUMMINGS: Thank you. I join the
11 consensus that new bisphosphonates with the same
12 patterns and same magnitudes should be, I think,
13 allowed to be registered and develop new indications
14 on the basis of that. But I don't think that's going
15 to solve our problem. I think that that generates a
16 lot of new me-too drugs. Unfortunately, I don't know
17 that many sponsors are that enthusiastic about
18 following that path.

19 I think that the field really needs a way
20 to encourage the development and permit the
21 development of new classes of agents. I think that's
22 where the real issue is, not in the fifth and sixth

1 bisphosphonate.

2 It's tough to figure out how to do that,
3 but I think that the model of using moderate-risk
4 patients, excluding high-risk patients, and
5 encouraging placebo-controlled trials for those new
6 classes -- and I would include in that new SERMs
7 because I don't think we know enough about that class.
8 But some ways that the agency might consider trying to
9 make this easier might be simplifying or encouraging
10 companies to simplify the nature of these complex
11 expensive trials that are going on because I think
12 that some of the costs could be dramatically reduced.

13 Then let's see. Anabolics. I think that
14 that's a class where we have a unique opportunity to
15 encourage the development of anabolics in testing as
16 add-ons or comparison to usual care or current
17 practice. I think we may be able to design adequately
18 trials in the anabolic arena as add-on trials.

19 But my major concern is that the current
20 guidelines really don't address the most important
21 issue to me, looking long term. And that's that we
22 really don't -- if this is a chronic disease that

1 requires 10, 20, 30, 40 years of care and therapy, I
2 think that we need to think carefully of strategies
3 and require that, after registration, there be long-
4 term adequately powered strategies to test the
5 continued efficacy and safety of these drugs beyond
6 two to three years.

7 Give sponsors the opportunity to get an
8 initial registration for a year- to two-year studies,
9 but make sure that the plan is built in and incentives
10 are built in to make sure that we know how well
11 patients are faring after five and ten years after use
12 of this drug in otherwise asymptomatic, healthy
13 people.

14 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
15 Marcus.

16 DR. MARCUS: I think it's simplistic to
17 use the term that has been used many times around the
18 table of "amino bisphosphonates". The fact of the
19 matter is the two drugs which are currently approved
20 are in fact amino bisphosphonates. The drug
21 Ibandronate that was mentioned before is not strictly
22 speaking an amino bisphosphonate, although it is a

1 nitrogen-containing bisphosphonate.

2 There are bisphosphonates which are
3 currently on the dock which are being shown as
4 posters, which actually are very different in terms of
5 their molecular structures although they do maintain
6 the bisphosphonate linkage between the two
7 pyrophosphate groups. I believe one is called Apomine,
8 a bisphosphonate ester.

9 In other words, the heterogeneity of the
10 compounds is going to be growing, and I would predict
11 that the agency is going to be faced by compounds,
12 which are not really amino bisphosphonates. For all
13 of those, I'm afraid that I would have to support
14 fracture trials because I can't rely on identity and
15 mechanism of actions with currently available drugs.

16 I think that extension from drugs of known
17 efficacy to different classes of patients such as
18 glucocorticoid-associated osteoporosis, males in a
19 drug where the efficacy has been established in women,
20 and some other examples like that are perfectly
21 appropriate to have a BMD surrogate endpoint.

22 I think that Dr. Lukert, Dr. Braunstein,

1 and Dr. Gelato made compelling cases, though, based on
2 moral, clinical, and other grounds that it is really
3 more desirable to have fracture endpoint trials.
4 Certainly for other drugs which are in the
5 antiresorptive sphere, such as the integrin disruptors
6 or other osteoprotegerin or cathepsin inhibitors,
7 things which are coming along that line, it's a
8 totally new ballgame and these have to be treated as
9 brand new entities and have fracture-related trials.

10 Finally, with respect to the non-vertebral
11 fracture, I would strong urge the Agency to permit
12 lumping of non-vertebral fractures. I think that the
13 nightmarish aspects of trying to do a hip fracture
14 study have already been pointed out. In the interest
15 of time, I won't go much more into it. But, I think
16 it's perfectly appropriate to treat non-vertebral
17 fractures as a class.

18 Thank you.

19 CHAIRMAN BRAUNSTEIN: Great, thank you.
20 I'm actually going to combine three questions into the
21 next set, and then there's going to be one final
22 question at the end. So let me explain this and

1 please feel free any of you who have to stretch, get
2 up and stretch, and then come on back.

3 The next question is going to have to do
4 with what duration of study is appropriate for the
5 assessment of effectiveness, what duration of study is
6 appropriate for assessment of safety, and what other
7 specific safety monitoring should be conducted for
8 those four classes of drugs that were described. So,
9 that's one question that I'm going to ask everybody
10 just to briefly answer.

11 And then the last question will have to do
12 with the use of placebo versus active control. What
13 types of groups of patients do the members of the
14 panel, as well as the guests, feel would be
15 appropriate to apply a placebo to or active control to
16 or neither.

17 So let's go to the first question. Dr.
18 McClung, what duration of study is appropriate for
19 assessment of effectiveness, of safety, and what other
20 safety monitoring should be conducted?

21 DR. MCCLUNG: Well, I think the duration
22 for efficacy and safety are very different. Efficacy,

1 at least with the classes of drugs that we know about,
2 can probably be assessed in a year's time. Again,
3 we've already made the caveat that the preclinical
4 data are clean.

5 But for safety circumstances, both
6 skeletal safety and extraskeletal safety effects may
7 not show up nearly in that time, and there needs to,
8 even if approval is granted, a plan to have long-term
9 surveillance about safety type issues.

10 CHAIRMAN BRAUNSTEIN: So "long-term" is
11 open-ended?

12 DR. MCCLUNG: I would say five years at a
13 minimum that we need to have that duration because
14 that's the duration of therapy that we are going to
15 aim, with the exception of anabolic agents perhaps.
16 At least for antiresorptive agents, long-term therapy
17 seems to be necessary.

18 And then the other important piece of that
19 is that once therapy is discontinued, we actually need
20 to know what happens upon withdrawal to help
21 clinicians and all of us understand how best to use
22 the drugs. It may be that five years of therapy may

1 protect the patient during the five years of therapy,
2 but if the effect wanes very quickly, we need to know
3 that because it makes a difference in whether we
4 decide to continue therapy beyond that five years or
5 not.

6 CHAIRMAN BRAUNSTEIN: Any specific safety
7 issues, measurements outside of the usual?

8 DR. MCCLUNG: I think that the safety
9 issues in terms of skeletal safety are already well
10 put together. Obviously, the extraskeletal safety
11 issues depend entirely upon the nature of the
12 compound, what we learn from its mechanism of action,
13 and what are suspicions are about what the side
14 effects might be.

15 CHAIRMAN BRAUNSTEIN: Terrific. Dr.
16 Watts.

17 DR. WATTS: Although vertebral fracture
18 efficacy can probably accepted on the basis of a one-
19 year trial, I think it's more difficult to establish
20 the non-vertebral fracture efficacy.

21 I think one of the convenient things about
22 a three-year trial of agent versus placebo is that it

1 gives you an adequate opportunity to pursue safety,
2 both skeletal safety and non-vertebral safety with the
3 appropriate trap doors as Dr. Lukert mentioned. So
4 patients with declining BMD, they leave the trial.
5 They have an endpoint, a fracture, whether it's a
6 vertebral fracture or non-vertebral fracture. They go
7 on active treatment.

8 With the lessons of the recent Women's
9 Health Initiative Study ringing in our ears, I'm not
10 sure that we can really say that there's no lower
11 limit of time to establish safety. We may never see
12 a trial of that same magnitude that will give us the
13 same detail of information.

14 But, I agree with Dr. McClung that it
15 depends -- the length of safety for non-skeletal
16 issues depends on your concerns about non-skeletal
17 effects.

18 CHAIRMAN BRAUNSTEIN: Okay. Dr. Bone.

19 DR. BONE: Thanks. I think, first of all,
20 that the observation period is likely to be somewhat
21 influenced by the way the drug works and its
22 pharmacokinetic characteristics and so forth. In

1 other words, something that stays in the skeleton for
2 a long period of time might be different, or it
3 accumulates or it has cumulative biological effects,
4 and might be looked at in a different way from
5 something that acted very differently.

6 But with that having been said, I think,
7 generally speaking, I'd like to have three years of
8 information prior to registration. I think there may
9 be instances in which that isn't the period of active
10 therapy, and it might not be the period of blinded
11 therapy. But that should be as a first cut the
12 observation period.

13 I would certainly support the idea of
14 extending the observation period for two more years
15 past registration to look for changes. I think the
16 even longer-term suggestion that Dr. Cummings has a
17 lot of merit, but somewhere between five and ten years
18 there may be a practical tradeoff. One of the things
19 that can be done in that situation is a cross-over
20 study between the placebo-controlled group and the
21 active control, active arm, say, after three years so
22 that everyone is treated after five years and you can

1 look at a resolution of effect, a cumulative dosing
2 for five years and so on, and get a lot of information
3 in a very rigorous way from that kind of observation.
4 I think generally speaking, that's how I would
5 approach that.

6 I think the kind of data that we're collecting now in
7 terms of density, morphometric fractures, clinical
8 fractures, and markers of bone resorption and
9 formation are the only ones I know about that would be
10 appropriate. But, somebody will probably think of
11 something else to add as we go along. For example,
12 some of these ideas that are intended to look at
13 structure.

14 The last question would be: How late in
15 the game would you look at biopsies? You might want
16 to consider looking at biopsies relatively late in the
17 game if you have people who have been on a drug for
18 five years, for example. That would be a nice
19 opportunity to do what's being done in the
20 continuation of the FIT trial.

21 CHAIRMAN BRAUNSTEIN: Dr. Worcester.

22 DR. WORCESTER: I think the shorter answer

1 would be longer rather than short. In terms of
2 finding out the safety issues, I think we may want to
3 separate out what I will call "younger women". In
4 anyone under about the age of 65, there might be
5 different lengths of time that we would want to do
6 prevention trials versus treatment.

7 And I also think Dr. Cummings idea of
8 maybe tying in the approval to how long a drug has
9 been studied and keeping it open-ended so that we can
10 keep track of longer things but get good products on
11 the market.

12 CHAIRMAN BRAUNSTEIN: Dr. Zerbe.

13 DR. ZERBE: It sounds like in a year to 18
14 months, one should be able to demonstrate efficacy,
15 although there were some data presented that suggested
16 the longer-term efficacy is something that does need
17 to be considered, and therefore a controlled period
18 extending up to three, to even longer, years probably
19 is worth considering for the right classes of drugs.
20 Obviously, open-label extensions are important and
21 would have to be geared to the class of drugs.

22 One issue that hasn't been addressed that

1 I think is worth pointing out is that we need to be
2 careful that we're not too dogmatic. I think that
3 there's a very good argument for the anabolic agents,
4 for example, that continuous therapy for long periods
5 of time may not be the optimal treatment, and that
6 there needs to be enough flexibility in the guidelines
7 to ensure that appropriate therapy, perhaps a rest
8 period if you've got an anabolic agent would be a more
9 appropriate and safer therapy. That needs to be also
10 considered in the guidelines.

11 CHAIRMAN BRAUNSTEIN: Great. Dr.
12 Levitsky.

13 DR. LEVITSKY: I agree with all and have
14 nothing to add.

15 CHAIRMAN BRAUNSTEIN: Okay, great. Dr.
16 Sampson.

17 DR. SAMPSON: With regard to efficacy, it
18 sounds like the current two- to three-year duration
19 for fracture incidents is reasonably appropriate. I
20 would also like to agree with Dr. Cummings that, for
21 intended long-term usage, designs or schemes be
22 developed to monitor long-term efficacy and safety.

1 I'm certainly not prepared to say how many
2 years one would want to do each of efficacy and
3 safety. That would depend on the scheme and the plan.

4 CHAIRMAN BRAUNSTEIN: Dr. Lukert?

5 DR. LUKERT: Well, I would be in favor of
6 two years to prove efficacy by bone density
7 measurements just because I think you need four
8 measurements to make sure that you have an accurate
9 assessment of where the bone density is going.

10 As far as safety is concerned, I think
11 with a drug like a bisphosphonate that's stored in the
12 skeleton, I think there need to be monitors as long as
13 the drug is used because we really don't know much
14 about what those long-term exposures do to either the
15 hematopoietic system or bone.

16 CHAIRMAN BRAUNSTEIN: Okay. Dr. Aoki.

17 DR. AOKI: I agree with Dr. Lukert.

18 CHAIRMAN BRAUNSTEIN: Thank you.

19 For BMD endpoints -- although my comments
20 about fractures still hold -- but for BMD endpoints,
21 I would want a minimum of a year, probably about 18
22 months to show an increase; for fracture endpoints, a

1 minimum of three years; for safety endpoints, a
2 minimum of five years, especially considering the wide
3 distribution of these agents to a large number of the
4 population who are also taking other medications.

5 I think the more information for the
6 longest period of time, the better. I would encourage
7 the companies to follow what was suggested about
8 keeping at least a large cohort and keeping
9 information on the large cohort for as long as the
10 drugs are out in order to obtain continuous safety
11 information and provide that. Again, I think that's
12 very reassuring to both the doctors and most
13 especially to the patients.

14 DR. GELATO: I don't really have anything
15 to say different about the duration for safety and
16 efficacy. I think two to three years for fracture is
17 appropriate.

18 I would just like to make two points that
19 I think were already said. But to reemphasize them,
20 I think we need data on, when we stop the drug, what
21 happens to the patient, what happens to the bone
22 mineral density. Does it stay sustained? Do they

1 lose? That data I think is very important.

2 And I really like Dr. Silverstein's idea
3 about having a registry like there is for growth
4 hormone where these patients, who as long as their on
5 drugs, they're continued to be monitored and
6 information gets put into a database that is
7 accessible to people about efficacy as well as safety
8 issues and adverse events and so on.

9 CHAIRMAN BRAUNSTEIN: Okay. Dr.
10 Tamborlane.

11 DR. TAMBORLANE: Yes. I'd just like to
12 follow up on that because I know that we've talked on
13 this side of the room about how it's not so easy. You
14 know, how would you interpret the long-term
15 efficacy/safety data. I think it would take some good
16 thinking to try to design it in a systematic way
17 without a control group per se.

18 You're then talking about some substantial
19 investment of time and effort if this is going to be
20 done in a conscientious way, and it raises the
21 question of: Are there ways that industry could be
22 incentivized to really go after this in an effective

1 way?

2 CHAIRMAN BRAUNSTEIN: Well, I think
3 patients often are drawn to drugs that appear to be
4 the safest of the class, and that information gets out
5 and gets disseminated fairly rapidly.

6 Dr. Grady.

7 DR. GRADY: I'm in favor, I think, for
8 fracture outcomes of three-year randomized trials. So
9 a continuation of the randomized, blinded comparisons
10 for three years perhaps for registration but then
11 follow up for an additional two years, still with
12 randomized, blinded design, for safety issues and then
13 again some follow-up beyond that.

14 I think the idea of having a registry is
15 a good one. But it's very problematic to make
16 comparisons to a registry, so I think there should be
17 some effort put into to trying to develop models
18 perhaps using the placebo rates in the individual
19 trials or coming up with some sort of decay rate based
20 on multiple placebo groups and multiple trials to try
21 to get a handle on this. I think Dr. Watts brought
22 this up and, of course, so did Steve.

1 Finally, I think that companies should be
2 required to make available major outcome data, and
3 this would vary a little bit by the class of drug.
4 But for SERMs, for example, there should be available
5 numbers and annual rates of coronary events, stroke,
6 venous thrombolytic events, et cetera to allow people
7 to do systemic reviews and meta-analyses, which I
8 think could be very helpful but are almost impossible
9 to do with industry-sponsored trials because the data
10 are not made available.

11 CHAIRMAN BRAUNSTEIN: Dr. Abadie?

12 DR. ABADIE: I think, although we can have
13 efficacy data in probably a shorter duration than
14 three years, I would probably vote for a three-year's
15 data because I think that, apart of efficacy, safety
16 is also important. Probably in three year's time we
17 will have more data on safety and potentially also on
18 efficacy, although I'm not sure.

19 With respect to the registration or to the
20 post-marketing commitments, I have mixed feelings
21 about the registry because I have already some
22 examples of that in Europe. I think if we set up a

1 registry, it raises for us a certain number of
2 problems. We need to know exactly what we are looking
3 for.

4 And the examples of registry that we have
5 so far show us that if it is a fishing expedition,
6 it's not that cost-effective, I would say. It's okay
7 for something which goes beyond the marketing
8 authorization with respect to efficacy and safety,
9 but, please, let's know before what we are going to
10 look for.

11 CHAIRMAN BRAUNSTEIN: Very good. Dr.
12 Silverstein.

13 DR. SILVERSTEIN: I agree with duration of
14 two to three years. I'd like to just touch on the
15 registry issue.

16 I agree that you need to have certain
17 adverse events, fracture incidence, et cetera, that
18 you'd want to put into the registry. But, in
19 addition, other adverse events could be added and then
20 if people noted that they were seeing a lot of
21 patients with pancreatitis or something like that,
22 they could then query the registry to see how many

1 other adverse events were in the registry.

2 I think that's really an invaluable thing
3 for drugs that require long-term use, as these will.
4 So I still want to make a plug for that.

5 I would also like to agree with whoever it
6 was who said that certainly with the anabolic agents
7 and possibly some of the newer agents, there should be
8 a cohort perhaps five years down the road that had
9 bone biopsy studies to see what they were doing.

10 CHAIRMAN BRAUNSTEIN: Okay. Dr. Rodan.

11 DR. RODAN: I agree with bone biopsies.

12 (Laughter.)

13 DR. RODAN: -- for agents that act
14 selectively on the skeleton by known mechanisms and
15 inhibit bone resorption. It's acceptable to register
16 such agents initially based on bone mineral density.
17 Probably two years would be sufficient with a proviso
18 that fracture data would be collected over the next
19 two years or three years, which means four years all
20 together.

21 Now the two-year data could, as now in the
22 guidelines, already show a trend for prevention of

1 fractures, depending on the group that you selected
2 for study. This will be very similar to the current
3 guidelines but would reduce from three years to two
4 years. Just remember that the three years is there
5 because of etidronate problems.

6 I fully support what Dr. Cummings
7 suggested, a long-term follow-up of patients,
8 dependent on the agents, the agents that thin bone.
9 We really have a responsibility to find out what's
10 happening over time, and if mechanisms are different
11 as well.

12 Now extraskeletal pathology, every agent
13 has to be, by law, evaluated for its toxicity on all
14 organs. This should be part of the package with this.
15 However, for agents that we know based on mechanisms
16 that act on other organs -- SERMs, estrogens, and so
17 on -- there could be this additional burden of proof,
18 which we now added on bone agents, to have additional
19 toxicology for bone, which is basically what we're
20 discussing.

21 It could be expanded for other target
22 tissues or for agents known to have effects other

1 tissues. For example, sex steroids and derivatives,
2 maybe in reproductive tissues and so on.

3 CHAIRMAN BRAUNSTEIN: Dr. Rizzoli?

4 DR. RIZZOLI: The duration of the study
5 might take into consideration the capacity of the drug
6 to restore the strengths. And maybe with a very
7 strong anabolic, duration of 18 months would be
8 enough, as compared with something working more
9 slowly. But in terms of safety, certainly, the
10 observation should last far beyond the time of
11 treatment.

12 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
13 Turner?

14 DR. TURNER: I think there's considerable
15 evidence that a year or 18 months is enough to see
16 efficacy. But there's very little information, at
17 least to my mind, of how long you have to wait to
18 really understand safety.

19 One issue that's of great interest to me
20 is this waning effect of the bisphosphonate treatment
21 that Dr. Cummings brought up. I should point out that
22 we don't know what the long-term consequences are of

1 reducing bone remodeling repair for 10 or 15, 20
2 years. Certainly at our institution, we're spending
3 a lot of NIH's money to try to better understand this.
4 I don't think anybody really knows how much you can
5 reduce remodeling safely and how long you can reduce
6 it without causing some potential adverse effects.

7 So, there are some mechanistic issues in
8 the long-term that I don't think we fully understand.
9 There are probably other perils that apply to anabolic
10 agents, other agents as well. I don't mean to pick on
11 bisphosphonates. But I think there are some major
12 unknown mechanistic issues there.

13 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
14 Hochberg?

15 DR. HOCHBERG: I was just telling Dr.
16 Cummings that I don't have a lot to add.

17 I think the data showed that you can
18 demonstrate antifracture efficacy for vertebral
19 fractures within 12 months. But if you're looking for
20 non-vertebral fractures, it depends on how many people
21 you want to study. That's the trade-off, as opposed
22 to how long you want to study them because these are

1 time-to-event studies. So, you just have to accrue
2 enough fractures to be able to show that your
3 reduction is statistically significant.

4 I think, as everybody has said, the longer
5 you follow patients, the more safety data that you
6 accrue. But even in the trials -- which are an order
7 of magnitude larger than those that I see my
8 colleagues do in rheumatology in patients with
9 rheumatoid arthritis -- we still may miss some rare
10 adverse events, which is why it's important to collect
11 data post-marketing.

12 In my experience with various companies
13 who have done trials in rheumatoid arthritis, they
14 generally have not followed through on the Phase IV
15 commitments to monitor patients long-term. There are
16 mechanisms set up to do this, through patient self-
17 report and observational studies, in order to collect
18 these kinds of data.

19 CHAIRMAN BRAUNSTEIN: Dr. Cummings?

20 DR. CUMMINGS: I would flip things around.
21 I would start with -- Where the Guidelines I think
22 right now are weakest is in the planning of long-term

1 observations, long-term trial -- long-term data about
2 safety.

3 If there were a solid plan that had a
4 biologically relevant duration of follow-up, five or
5 ten years, then I think that in that context I would
6 be happier about seeing a drug approved after one year
7 of fracture data. I'm not sure whether it's one year
8 or two years or three years -- Gideon and others have
9 pointed out that that depends on the biology of the
10 drug.

11 I would really resist the notion that you
12 would set up a single three-year, four-year, or two-
13 year arbitrary time point. I would say that that's
14 where flexibility is warranted; it's at one, two, or
15 three years, depending on the biology of the drug.
16 And in the context -- the most important thing is that
17 you establish a context for a biologically relevant
18 long-term period of observation compared to the
19 initial control experience.

20 When it comes to registries, the best
21 registry is the treatment cohort within the randomized
22 trials that you've got. Just registries of people on

1 drugs are not -- they are really problematic to
2 analyze. So I think that that long-term plan is best
3 established as very long-term, biologically relevant
4 long-term follow-up of the treated groups within the
5 randomized trials, with whatever is necessary.

6 And one last thing, what's the incentive
7 for industry in doing this long-term? I mean, there
8 are precedents. But I mean, with Tamoxifen, you've
9 got a five-year indication. You can use Tamoxifen for
10 five- years, right?

11 DR. TEMPLE: Yes, but do you know how they
12 got that?

13 (No response.)

14 DR. TEMPLE: They randomized people with -
15 - they continued therapy versus stopping therapy --

16 DR. CUMMINGS: That's right.

17 DR. TEMPLE: -- a randomized, controlled
18 trial, not a registry.

19 DR. CUMMINGS: Okay.

20 DR. TEMPLE: Not a registry, but an
21 adequate plan. Needless to say, to link the approval
22 to the duration of evidence that's in front of you.

1 In other words, approve it for -- where in the label
2 this is approved for two or three or five years or ten
3 years use or indefinite use. I think it depends on
4 the nature of the evidence you've been provided, and
5 I would link those two in some fashion.

6 CHAIRMAN BRAUNSTEIN: Dr. Marcus?

7 DR. MARCUS: With respect to safety, I
8 agree with Steve and Marc Hochberg that really for a
9 vertebral fracture, if that's what you want your
10 indication to be, you can have a good endpoint within
11 12 months.

12 That being said, I also agree that to get
13 non-vertebral fracture data is probably going to take
14 a longer period of time. Furthermore, I agree
15 completely with Dr. Gelato with respect to the off
16 rates.

17 There are some drugs whose effects are
18 lost relatively quickly, such as estrogens. There are
19 other drugs in which bone mineral density as well as
20 antifracture efficacy appear to be enduring. They're
21 robust even after a period off drug. Teriparatide is
22 one that appears to be like that. Therefore, it would

1 be sensible to have in a trial a period of --
2 maintained on the trial after termination of the drug.
3 So, I can imagine that somebody might be on drug
4 therapy for two years, with then a third year of
5 follow-up, of continued examination of efficacy.

6 With respect to safety, there are free
7 safeties and strong safeties, as you all know. The
8 strong safety is what you get within the course of
9 your randomized-controlled trial. You get all the up-
10 front safety events related to the treatment.

11 The more distant safety events are very
12 hard to get. And with all due respect to Dr. Grady's
13 idea of keeping people on randomized drugs out to five
14 years, I think that that's a non-starter. It's a non-
15 starter because number one, it would be unethical
16 after you showed efficacy of a drug out, say one or
17 two years, to keep somebody on the alternative
18 treatment, out yet another three years.

19 Number two, I think that it doesn't really
20 get to the safety issues that you'd really want to
21 get, which are those events which are relatively rare
22 and don't just emerge right during your first pass

1 during the controlled trial.

2 I'm a neophyte in the pharmaceutical
3 industry, and I've heard of this "rule of three".
4 That is, if you have something like a rare event,
5 something that occurs 1 out of 10,000 times, that you
6 really need to have 30,000 people to make it possible
7 even to see. You'll never see those kinds of events
8 within a controlled clinical trial, no matter how long
9 you sustain that trial.

10 So, I think that out to three years for a
11 planned safety event within the trial is appropriate.
12 And then, I certainly agree with the sentiment of the
13 community here, which has expressed its desire to see
14 longer-term assessment of safety, and long-term
15 meaning at least five or more years. Thank you.

16 CHAIRMAN BRAUNSTEIN: Thank you. Okay,
17 we'll try to tackle the last question now.

18 DR. TEMPLE: Can I just make one comment
19 before?

20 CHAIRMAN BRAUNSTEIN: Yes, sure.

21 DR. TEMPLE: I want to join Eric Abadie's
22 skepticism about registries.

1 If you're looking for very rare events,
2 too rare to show up in your trials, and if they're
3 conspicuous, pancreatitis or something, the
4 spontaneous reporting system is rather good at that.
5 If what you're looking for is an increased risk of
6 something that is otherwise existing -- heart attacks,
7 strokes, things like that -- finding those in
8 registries is not very likely.

9 After all, why did we think estrogens
10 reduced the risks of heart attacks? Because data from
11 registries -- Framingham and things like that -- said
12 it did. So, anything but randomized trials is not
13 very good at these small subtle and yet potentially
14 important risks, and the sort of obvious stuff usually
15 comes out.

16 Maybe there are exceptions to that, but
17 it's very hard to have a registry that's big enough to
18 do what you really want it to and that finds the
19 subtle things, because there's no really good control
20 group.

21 You're in epidemiology, and small risks
22 are not easily detected, epidemiologically. I mean,

1 what did the WHI show? It showed very small
2 increases, but they were considered very important.
3 So if one really wants these things, I think long-term
4 active controlled trials are extraordinarily difficult
5 but are at least possible. Registries doesn't seem
6 like the very likely way out to me.

7 CHAIRMAN BRAUNSTEIN: Well, the other
8 issue also is that the incentive for doing a long-
9 term active controlled trial goes away once something
10 is out of the strong regulatory environment. So if
11 it's going to be done in the long-term, it's probably
12 set up best at the beginning, before it's marketed.

13 DR. TEMPLE: I guess I wanted to make one
14 exception. If there's some particular thing you're
15 worried about, a tumor of some kind or something like
16 that, that may be suitable for registries. Where
17 there's something very focused, that can be done.

18 DR. GRADY: Could I make one more point?

19 CHAIRMAN BRAUNSTEIN: Yes.

20 DR. GRADY: I think the Tamoxifen example
21 is a really good one. I think right now, not only is
22 there no incentive for companies to continue some sort

1 of structured evaluation after getting their drug
2 registered -- because if they do, they stand to prove
3 that it's only good for five years. That's what
4 happened with Tamoxifen.

5 Tamoxifen probably would've been used
6 lifelong, had the NCI not done a study in which they
7 randomized women to stop or continue after five years.
8 So it was that study that got the drug limited to five
9 years. So I think the whole idea that we might
10 approve drugs for the duration of use that they've
11 been proven to be beneficial in randomized trials
12 could be an incentive for extending the length of
13 those trials. Right now the incentive is to do a
14 short trial and don't do anything after that.

15 CHAIRMAN BRAUNSTEIN: Thank you. Okay,
16 for the final question -- this will concern the use of
17 placebo versus active control, when is placebo
18 appropriate, when is active control appropriate, both
19 for efficacy and safety. And if you could define the
20 populations, if you think that there's a difference.
21 That is, women or individuals whose T-scores are less
22 than two and a half standard deviations below the

1 young adult mean, without fractures, those with one
2 fracture, those with multiple fractures, new
3 fractures.

4 DR. MCCLUNG: Well, with that opening, let
5 me say that we actually know how to stratify patients
6 in the gradients of risks based on bone density and
7 other risk factors. Using strictly a BMD T-score
8 cutoff actually is too naive.

9 We could factor in age and the presence or
10 absence of fractures, and there are ways to assess
11 absolute fracture risk. Perhaps rather than choosing
12 T-scores, we can choose levels of absolute risk to
13 categorize patients into a very low-, medium-, or
14 high-risk.

15 For very low-risk patients, patients with
16 normal bone density values, there's no ethical concern
17 about the safety of a placebo-controlled trial, but
18 there -- in my view -- is a real question about why
19 one would do it. Because it's less clear to me as
20 time goes on about the need for pharmacologic
21 intervention in very low-risk patients.

22 For intermediate risk patients, we've

1 talked about bone density values and age and absence
2 of vertebral fractures that put them in a modest risk
3 category. I am perfectly comfortable with those being
4 patients involved in placebo-controlled trials and
5 think that that's the right group in which to do the
6 studies.

7 The group again which I think we've all
8 agreed shouldn't be involved in placebo-controlled
9 trials are the patients whose individual risk over the
10 short run of the study is so high that there is
11 ethical concern, patients with recent or multiple
12 vertebral fractures.

13 The active controls studies, I think,
14 would fall into two categories. One would be to
15 document superiority of one drug over another. I'm
16 not a big fan of equivalence or non-inferiority
17 studies, particularly with bone density as an outcome.
18 Because while bone density is a very strong predictor
19 of fracture risk among untreated patients, the
20 relationship between changes in bone density in
21 response to treatment and subsequent -- and its
22 relationship to fracture risk is less strong.

1 So, demonstrating superiority would be a
2 reason to do it. Or more practically and more
3 interestingly, to look at the effect of combined
4 therapies when the mechanisms of actions of the two
5 drugs are clearly different. I think there isn't
6 justification for combining sets of antiresorptive
7 agents, but there is strong interest and rationale for
8 combining anabolic and antiresorptive agents.

9 And they are high-risk patients, those
10 with vertebral fractures could be the subjects in the
11 study, both of them receiving our current best
12 regimen, and then one group receiving the additional
13 drug, and the other to compare with our standard best
14 therapy. Thank you.

15 CHAIRMAN BRAUNSTEIN: Thank you. Dr.
16 Watts?

17 DR. WATTS: I don't think it's ethical to
18 do placebo-controlled trials in osteoporosis. I want
19 to be clear that when we say "placebo", and Henry has
20 mentioned it several times, we really mean everybody
21 gets calcium and vitamin D, and one group gets an
22 agent that masks the fact that they're not getting the

1 active agent.

2 I think those trials are perfectly
3 appropriate for patients who are at lower risk of
4 fracture, that is with low bone density -- and I don't
5 know whether it's -2 or -2.5 -- and age gets factored
6 in there. I think it's appropriate for patients who
7 have one vertebral deformity of indeterminate age. I
8 think it's not appropriate for patients with clinical
9 fractures or multiple fractures.

10 I think the active control trials are best
11 suited, as Dr. McClung says, for superiority or a
12 unique situation of add-on. I think that equivalence
13 or non-inferiority trials are almost worthless, either
14 with a BMD endpoint or fracture endpoint. I think
15 there's a danger in looking at BMD as a good
16 surrogate, particularly when it comes to these novel
17 agents or combinations of antiresorptive and anabolic
18 agents, because I can give you scenarios in which a
19 combination might produce less of a gain in BMD than
20 one of the agents that has the most effect on BMD.
21 Yet, the combination might have a better effect on
22 bone strength and reduce the risk of fracture.

1 I'm not sure if the agency is ever going
2 to get into that issue, but it's already a thorny one
3 in the bone field and we don't have the anabolic
4 agents on the market yet.

5 CHAIRMAN BRAUNSTEIN: Dr. Bone?

6 DR. BONE: Thank you. I concur with my
7 colleagues that in patients where the background, in
8 trials where the background therapy is calcium and
9 vitamin D and we're comparing an additional agent
10 versus a masking placebo tablet or other injection of
11 whatever, that that kind of trial can appropriately be
12 carried out in the patient who meets the criteria for
13 osteoporosis diagnosis but does not have higher risk
14 characteristics.

15 This would be the -2 or the -2.5 standard
16 deviation patient. It would be the patient who has no
17 more than one remote fracture. That patient might
18 take into account other risk factors, as Dr. McClung
19 has added, that might bump that patient out of the
20 trial. But certainly, recent or multiple fractures
21 would put the patient out of the placebo-controlled
22 trial category.

1 I think that we have to think about taking
2 the higher risk patient into an active comparator
3 trial at an early stage of development. If we don't
4 have established efficacy for the test agent, I'd
5 rather get that in low-risk patients than go into
6 high-risk patients with an unproven drug. So, I would
7 reluctant to consider an active comparator trial of
8 the non-inferiority type until I had some evidence of
9 antifracture efficacy with the novel drug in a low-
10 risk background situation.

11 I think we have to be a little careful
12 when we talk about add-on trials. It sounds very
13 attractive. But we've never done one, except for the
14 calcium and vitamin D background therapy in which
15 we've demonstrated additional efficacy, that I can
16 think of.

17 And I think we should be pretty careful
18 about making regulatory policies out of something that
19 we've only imagined and never tried. There was some
20 evidence presented based on bone density data at the
21 recent meetings of an add-on trial with an anabolic
22 agent and a antiresorptive agent. It looks to me like

1 you might well have missed the effect, based on the
2 evidence that was presented at the meeting. I may
3 have wrongly concluded -- drawn a wrong inference, if
4 that were the only evidence.

5 So, I think we should be very cautious
6 about depending on that as the primary test of
7 efficacy. I think it's certainly a reasonable thing
8 to find out more about drugs with, and to determine
9 whether adding the two drugs together would effect --
10 The latest combination therapy is actually better than
11 mono-therapy with one or the other. But I don't think
12 we can rely upon that as our primary evidence of
13 antifracture efficacy.

14 If you did see antifracture efficacy in
15 that situation, it might help you to conclude that you
16 had an antifracture effect, but then you would still
17 be plagued in many cases by the question of whether it
18 was specific to that combination, and could be
19 generalized to mono-therapy. So, I think there are
20 some problems with that. There might not be fatal
21 problems in every case, but we shouldn't regard that
22 as so easy as it might have first sound.

1 So I think our best and really arguably
2 the most ethical approach is to get our primary
3 evidence of antifracture efficacy in the comparatively
4 low-risk osteoporotic patient in a placebo-controlled
5 trial with background therapy of calcium and vitamin
6 D.

7 CHAIRMAN BRAUNSTEIN: Dr. Zerbe?

8 DR. ZERBE: Did Dr. Temple want to add
9 something?

10 DR. TEMPLE: I know you're going around
11 the table, but I have to ask this question, because it
12 has to do with the things before.

13 I just want to mention what my credentials
14 are for asking this question. For the agency, I have
15 been on the attack on the Declaration of Helsinki,
16 arguing the importance of continuing to do placebo-
17 controlled trials when there is no irreversible risk
18 to the patient. I've even been abused slightly for
19 that. I feel very strongly about it, and I'm well
20 aware --

21 CHAIRMAN BRAUNSTEIN: But you got some
22 very nice articles in the Annals out of it.

1 DR. TEMPLE: -- and I'm very well aware of
2 the difficulties with active control trials. However,
3 the ICH E10 document and others uniformly agree that
4 where available therapy -- and one might add available
5 widely-accepted therapy -- produce a death or some
6 irreversible morbidity, you really can't continue to
7 do those trials.

8 So what I can't figure out is why it's
9 okay to treat people whose irreversible morbidity you
10 are depending on -- otherwise you won't succeed in
11 this trial; there has to be more fractures in the
12 untreated group or the trial doesn't show what you
13 want it to -- why that's okay.

14 DR. BONE: Because that doesn't constitute
15 irreversible morbidity, Bob.

16 DR. TEMPLE: Well, not in everybody.

17 DR. BONE: Not generally. When we're
18 talking about vertebral deformities measured by
19 millimeters in these patients, we are not talking
20 about irreversible morbidity or mortality.

21 DR. TEMPLE: Okay, I still have one more
22 question.

1 It seems to me what the standard of care
2 is is very important to this. And I think Dr.
3 Silverstein said this before. If the consensus among
4 experts like you guys is that people with a certain
5 condition ought to be treated to prevent those things,
6 I think it's very difficult to say leaving them
7 untreated is easy.

8 For what it's worth, in the international
9 arena, I've argued -- but with no support from anybody
10 -- that it's okay to go to a country that can't afford
11 a drug and do trials there against placebo, even
12 though you wouldn't do that in your own country.
13 Believe me, that is not a welcome position. Nobody
14 buys that.

15 So I still -- that raises the question
16 here. If you all believe that a certain thing is a
17 standard -- now maybe you don't, in which case I
18 understand the position -- how does this add up?

19 CHAIRMAN BRAUNSTEIN: Dr. Watts?

20 DR. WATTS: I was sorry that I hadn't
21 mentioned, when it was my turn, that if we have a
22 consensus about placebo-controlled trials two to three

1 years duration in lower to intermediate risk patients,
2 that someone with authority needs to clarify this for
3 IRBs.

4 And the two points that I would make --
5 Henry has made one, and I'll make it again -- that the
6 likelihood of a permanent and serious harm is low in
7 the population groups that we talked about, and there
8 are ways to minimize that. I mean, there has to be a
9 difference in fracture number.

10 But the second point --

11 DR. TEMPLE: Low but real? Or low?

12 DR. WATTS: It is measurable. But the
13 second point that I think is not accounted for in the
14 Declaration of Helsinki is the fact that I mentioned
15 earlier. That is, we are not taking these people out
16 of our clinics. We are not taking people off of
17 effective therapy. We are going out and looking
18 harder and harder and harder for people who haven't
19 been tested, haven't been diagnosed, and are very
20 unlikely to receive treatment during the course of
21 these trials.

22 Now I realize the ethicists would say,

1 well, that's a healthcare delivery problem and you
2 can't use that as an ethical justification for
3 including these people in trials. But I think that's
4 short-sighted. I think if we're not doing these
5 trials, those people are not going to be identified
6 and they're not going to be treated.

7 So, the likelihood that a huge number of
8 people will suffer harm if we don't do these trials is
9 greater than the likelihood of patients in the trial
10 getting placebo suffering.

11 DR. TEMPLE: I think it's very important
12 to develop that part of the argument. We had a case
13 where someone wanted to leave a 2B3A inhibitor out of
14 the treatment of someone was undergoing -- who I guess
15 had acute coronary syndrome. And we initially said,
16 you can't do that trial. We have data that shows it
17 prevents heart attacks.

18 And what they were able to show was that
19 the serious cardiovascular community was worried about
20 the bleeding, was worried about the cost, and were not
21 using it. And we though "okay". But it seems to me,
22 those arguments are very critical here to explain why,

1 when the fractures -- I mean after all, the difference
2 in fractures is the endpoint. They're going to get
3 more fractures, or you lose.

4 Why is that okay? And also, what do you
5 tell them as they enter? The second part of it. That
6 seems very important. Just saying the risk is low
7 doesn't really make it.

8 CHAIRMAN BRAUNSTEIN: One could also argue
9 that it's unethical to let a drug go on the market
10 that hasn't been unequivocally proven to be
11 efficacious.

12 DR. TEMPLE: I totally agree with that,
13 but that's not usual. The desire and need for a study
14 is not usually considered sufficient reason to allow
15 patients to come to harm. You know, these are all
16 delicate and difficult matters. But the dogma is,
17 you're supposed to think about the people in the
18 study, not the benefit to the community primarily.

19 DR. ZERBE: The individual versus the
20 population.

21 DR. TEMPLE: That's correct. That's the
22 usual standard. I mean, all these things can be

1 debated.

2 CHAIRMAN BRAUNSTEIN: Dr. Zerbe?

3 DR. ZERBE: I yielded my time, I guess.
4 It was worthwhile.

5 It sounds like there's a consensus around
6 placebo-controlled trials, and the more modest would
7 be the exception. I think the issue is the more
8 severe. And just to underscore the point that -- I
9 think it's going to become more and more difficult, if
10 not impossible, to do those trials unless there is a
11 pretty active and unified argument that says that they
12 should be done as placebo-controlled trials rather
13 than -- if that's the view. And I don't think it is
14 even around this table. So it's effectively ruled out.
15 A placebo-controlled trial in severe cases --

16 CHAIRMAN BRAUNSTEIN: Severe. I think
17 we're actually keying in on the less severe.

18 DR. ZERBE: Yes. I understand that, but
19 I'm just stressing the two categories that there is
20 consensus around. You can argue about the ethics for
21 a long time about the severe. And there are
22 population issues with regard to ethics, but the