

1 the referenced scientific articles provide sufficient
2 information to warrant approval of the requested
3 change in Indications for Use for the non-CARTO
4 sensor equipped catheters.

5 So I think in terms of comment, we've
6 covered this a couple of times, and I think my
7 recommendation would be that where at all possible,
8 if we can leverage this data for similar catheters
9 without creating new safety issues, that would be a
10 very good thing to do from an industry point of view.

11 DR. ZUCKERMAN: Okay. Just a point of
12 clarification, just like the FDA gives guidance, our
13 industry and consumer representatives give important
14 guidance from their perspectives, but for these key
15 Panel questions, the Agency benefits most from just
16 the regular clinicians and statistician discussing
17 the impact of these questions.

18 DR. BORER: Okay. Dr. Fleming, your
19 clinical experience would not -- well, maybe it will.
20 What do you think about this? Do we have enough
21 information here to extrapolate?

22 DR. FLEMING: I'm not so sure that we do,
23 that we can extrapolate the catheters that do not
24 have the ability to map, generating maps. I think we
25 discussed this earlier about the fact that the best

1 results, at least from your point of view, were
2 obtained when you could map and you could track with
3 the catheter and so on. So I'm not clear that that
4 data can be generalized to the other non-map
5 catheters.

6 DR. JEEVANANDAM: I agree. I don't think
7 the information can be or the recommendation can be
8 generalized to the navigation system. My only caveat
9 to that would be the uni versus bidirectional
10 catheter, and perhaps they could do an engineering
11 analysis, and if the stiffness and everything is
12 very, very similar, the diameters are similar, maybe
13 we can get one approved on the basis of the
14 monodirectional catheter, but I think the other ones
15 without the mapping system probably need to be
16 compared to the catheter that was used in this study.

17 DR. BORER: Dr. Karasik.

18 DR. KARASIK: I would agree. I think we
19 just have to comment on the data that we have. I
20 don't think that the other data, you know, I don't
21 think we should really look at using the other data
22 in the literature on the other catheters to support
23 this particular application.

24 DR. BORER: John.

25 DR. ZUCKERMAN: Excuse me. Dr. Karasik, as

1 an electrophysiologist though, could you comment on
2 Dr. Jeevanandam's last point about an appropriate
3 dataset might be developed for a bidirectional
4 catheter?

5 DR. KARASIK: Well, I do think from a
6 handling perspective, we would like to know whether
7 the handling characteristics of the catheter are the
8 same as the unidirectional. The unidirectional
9 catheter is kind of stiff as it is. So I'm not, you
10 know, I've not used the bidirectional. So I can't
11 comment on it specifically, but I think that you
12 could probably acquire some clinical data with it
13 fairly easily. I don't think it would have to be a
14 big deal, but I would prefer to limit what we do here
15 to what we have in front of us.

16 DR. BORER: Dr. Somberg.

17 DR. SOMBERG: Well, as I said before, I
18 think it's the site of lesions and how they're
19 constructed and their anatomical array and how you go
20 about that. There's this CARTO system. There's
21 another system out there I've just learned, a
22 guidance system that may be different. Do they have
23 to do a study with that? Then you have, you know,
24 echo ultrasound procedures for guidance and other
25 procedures as well, and you have the classic, and

1 some people do the best work with a classic loop or
2 Lasso. So I think this is in the electrophysiology
3 specialist literature, and they will go back and have
4 meetings on how they do this and how they don't, and
5 I do think that it should be said in the package
6 insert with this device that the data was done with
7 using this catheter and this system.

8 However, if you're able to make certain
9 lesions that will interrupt the initiation and
10 sustaining of PAF, that is up to the individual
11 investigator how they go about doing that. And I
12 think otherwise, we will be so incremental in this
13 field that we will be, you know, we will have to have
14 so many Panel meetings and bogged down with so many
15 things, it's just going to be impossible. So I don't
16 think that is the way devices are usually approved
17 and developed in the United States.

18 DR. BORER: David.

19 DR. NAFTEL: I think on this one I'm
20 interested bystander.

21 DR. BORER: Judah.

22 DR. WEINBERGER: Just a footnote to what
23 Val said, and that is since the primary concern for
24 the bidirectional catheter is one of safety, I think
25 an appropriate acute dataset could be gotten just by

1 getting estimates on safety alone, seven day acute
2 safety on a set of patients who had the bidirectional
3 catheter used. I don't think it's an efficacy
4 question about that particular catheter. The other
5 ones present both safety and efficacy questions, and
6 I think that would be more circumspect.

7 DR. BORER: David, I think you've already
8 commented on this, but for the record, do you want to
9 reiterate?

10 DR. SLOTWINER: Yeah, I do. You know, I
11 feel obligated to look at the data that's only
12 included here, and not to extrapolate to other
13 catheters with or without the mapping system.
14 However, having used many catheters, I don't truly
15 believe that the risk with the other catheters is
16 likely significantly different, and I don't think
17 that it would require extensive clinical trials to
18 demonstrate equivalency. Perhaps a relatively small
19 study at experienced centers looking at safety for
20 the bidirectional limited endpoints for efficacy,
21 perhaps pulmonary vein isolation with a navigation
22 system.

23 So I think that the bar to accept to these
24 other catheters should be much lower than it is to
25 approve this catheter, but I think in terms of what

1 we can say today, we have to limit it to the data
2 before us.

3 DR. BORER: Dr. Kelley.

4 DR. KELLEY: I would agree. I would be
5 hesitant to recommend approval without a navigation
6 system, but I wonder if the company can tell us just
7 how different the bidirectional catheter is. Does it
8 have an extra pull wire? So is it stiffer? Is it
9 not stiffer? Somebody ought to know that, I would
10 bet.

11 DR. YAROSS: We have previously provided
12 data to the Agency that from both bench and animal
13 testing, to demonstrate the equivalent performance,
14 equivalent handling, of the bidirectional to the
15 unidirectional, and --

16 DR. KELLEY: Does it have an extra pull
17 wire?

18 DR. YAROSS: Yes, there are two pull wires.
19 We though have characterized the stiffness within a
20 standard classic bench setup as well as provided
21 animal data to the Agency to address the issue of,
22 you know, potential for perforation and --

23 DR. KELLEY: But there is one more wire
24 than in the unidirectional catheter?

25 DR. YAROSS: That is correct.

1 DR. KELLEY: Okay.

2 DR. BILAZARIAN: I would say again that I
3 think the approval with the electroanatomical mapping
4 is appropriate but not the others, and in deference
5 to Mr. Halpin's comments, I certainly don't want to
6 put an inappropriate burden on industry, but it seems
7 like one of the concerns was the inability to recruit
8 for this trial, and I would think that there would be
9 no burden in recruiting for a trial using the robotic
10 system. It seems like it would be a very easy trial
11 to recruit for, a trial comparing a robotic system
12 versus a non-robotic system.

13 DR. YAROSS: I think our question would be,
14 you know, from the standpoint of least burdensome,
15 can the question be satisfactorily answered from
16 bench and animal data, and in the past we have argued
17 to the Agency and they have accepted that argument
18 for two other indications that these questions can be
19 addressed through bench and animal data, and I think
20 Dr. Eloff had a comment.

21 DR. ELOFF: Yes. Dr. Kelley, I was the
22 lead reviewer also for the bidirectional steering
23 catheters, and without giving away too much detail on
24 the internal workings of them, they use the same
25 pass/fail criteria for stiffness for both the

1 unidirectional and bidirectional steering catheters.
2 There were some modifications made with the addition
3 of a pull wire and subtraction of some other
4 stiffness materials within the tip that made those
5 two buckle force measurements equivalent in those.
6 And that was part of the basis for approval in both
7 the ventricular tachycardia and atrial flutter
8 indications for that device.

9 DR. BORER: I agree with everyone who has
10 said that the navigation system is what we're looking
11 at here and that we really cannot extrapolate beyond
12 that, and I don't care how many other techniques may
13 be used by different people in different
14 laboratories. I haven't seen any data and I don't
15 know how good those are. I know how good this is.

16 With regard to the bidirectional, my
17 comment was going to be, except you've done it
18 already, that I would be willing to accept bench data
19 about the physical characteristics of the catheter,
20 and I would leave it to the FDA to determine whether
21 the bidirectional and the unidirectional catheter are
22 sufficiently similar in their physical
23 characteristics so that we needn't have a concern
24 about the use of one or the other because David
25 pointed out and others have that, you know, if there

1 really is a difference in being able to point in one
2 direction rather than two, you can just point in one
3 direction with the bidirectional catheter. So I
4 would be willing to be guided by the bench data that
5 the FDA could analyze for that issue. But, for the
6 other issues, the catheter that's automated,
7 magnetized, and the navigation system, I think we're
8 approving this catheter with the navigation system,
9 and we really can't go beyond that.

10 Is that sufficient for this?

11 DR. ZUCKERMAN: That's very helpful.

12 DR. BORER: D. Okay. Why don't we move
13 around here. Dr. Fleming, have you done one yet?

14 DR. FLEMING: That would be D, right?

15 DR. BORER: Yes.

16 DR. FLEMING: Okay. The study protocol
17 allowed enrollment of patients who failed a class
18 II/IV antiarrhythmic drug, AAD, rate control therapy,
19 in addition to patients who failed a class I/III AAD,
20 membrane active drugs. Of the enrolled patients, 16
21 percent, 26 of 167, failed only rate control therapy.

22 Please discuss whether the trial provides
23 sufficient experience in a population that has failed
24 only rate control therapy such that the indication
25 statement should include patients that have failed

1 only rate control medical therapy.

2 Well, since I'm not much of an expert on
3 these medications, I may need to defer that to those
4 on the Panel who are.

5 DR. BORER: Okay. Why don't we go then to
6 Dr. Jeevanandam.

7 DR. JEEVANANDAM: Well, I mean the efficacy
8 here was symptomatic atrial fibrillation, and if you
9 just give rate control medication, then you're
10 basically allowing the patient to stay in atrial
11 fibrillation. So you don't expect them to convert to
12 the sinus rhythm.

13 On the other hand, I don't know if you want
14 to put that into a label, you know. You're going to
15 have to select which drug to give to which particular
16 patient. So I don't know if this trial provides
17 sufficient experience to say that patients need to be
18 tried on membrane active drugs before they get a
19 surgical or catheter ablation.

20 DR. BORER: Dr. Karasik.

21 DR. KARASIK: So, no, the trial doesn't
22 provide sufficient experience in a population that's
23 failed only rate control therapy; however, I would
24 just say that I was taught not to do subset analyses
25 in trials that were not powered to look at such

1 subsets, and you have to consider the whole
2 population, the population as a whole, which is that
3 we believe the therapy is effective.

4 But given that, I would not offer this
5 therapy to patients who only failed rate controlling
6 therapy except in extraordinary circumstances.

7 DR. BORER: John.

8 DR. SOMBERG: Well, I agree with your
9 personal choices here, but I think the study said
10 class I and class III drugs that failed in class II
11 and IV. So we're taking the whole study as a whole,
12 and therefore I would go along with that.

13 I think if we say that they failed
14 antiarrhythmic drugs and are symptomatic PAF, then
15 this population makes sense. There are people who
16 have just failed class II or IV drugs who they don't
17 want to take them, who have problems with the more
18 reasonable ones that may want to go about this.

19 So once again, I think we don't want to be
20 too prescriptive in the discussion and take what the
21 protocol says.

22 DR. BORER: Okay. David, do you want to
23 say anything about this or do you want to --

24 DR. NAFTEL: I agree with Dr. Somberg.

25 DR. BORER: Okay. Spoken like a true

1 statistician. Judah.

2 DR. WEINBERGER: I don't think we really
3 have any specific guidance that we -- the study
4 doesn't give us sufficient guidance on this question.
5 It's a labeling issue. I think that this is a place
6 for reasonable clinical judgment.

7 DR. BORER: David?

8 DR. SLOTWINER: Yeah, I agree. I think
9 that just as the guidelines for the treatment of AF
10 permit ablation as first line therapy occasionally,
11 if somebody cannot tolerate rate control and doesn't
12 want an antiarrhythmic drug. I think we should leave
13 it vague, and I think that we shouldn't be too
14 specific. So I would leave it the way it is.

15 DR. KELLEY: Yeah, I would agree. I don't
16 think we know. I mean if you look at the chart,
17 there's only 20 patients that had actually finished
18 the 9-month follow-up. So I don't think we have
19 those data, but I don't know how practical it is as
20 far as labeling to get into all that.

21 DR. BILAZARIAN: I agree that it does not
22 provide sufficient experience, but I would not change
23 the label.

24 DR. BORER: You know, I guess it seems like
25 it's unanimous here, but I would urge that if the

1 indication section reflects the way the trial was
2 done, which is not unreasonable, but at least a
3 statement has to be provided about how little we know
4 about rate control alone as a basis for doing this,
5 about the success in people who have failed rate
6 control alone. It does look like it's different from
7 those who have failed antiarrhythmic drugs. In fact,
8 if you took out the rate control alone, we're not
9 talking about 47 versus 18 anymore in the United
10 States. It's looking much better.

11 But anyway, I think at least the label has
12 to reflect what we know and what we don't know about
13 treating people just for rate control failure.

14 Okay. Looking around the table here, I
15 think we've -- have we finished with this one? Is
16 this okay?

17 DR. ZUCKERMAN: No, we need to go onto E.

18 DR. BORER: Oh, we will, but I just wanted
19 to know if D was okay.

20 DR. ZUCKERMAN: Yes.

21 DR. BORER: We can't do E without D.

22 Looking around the table here, does anyone have any
23 additional recommendations regarding the device
24 labeling that we haven't discussed? Dr. Kelley.

25 DR. KELLEY: I would just agree with what

1 you just said. It would not be unreasonable to say
2 that we don't have the information. We have
3 information only on patients who failed class I or
4 III or we don't have it on ones that failed rate
5 control, and I think that would be reasonable.

6 DR. BORER: It looks like everybody is all
7 thought out here on these issues. Do you have
8 specific questions before we get to the post-approval
9 study that you were thinking of?

10 DR. ZUCKERMAN: Yes. In the current IFU, I
11 don't think the sponsor has really described the fact
12 that this is not a cure for atrial fibrillation and
13 that anticoagulation should be maintained. So that's
14 one point we'd like your viewpoint on.

15 The second point is, in the clinical
16 studies section, we are obligated to describe clearly
17 what happened during this clinical trial and would
18 like some suggestions for how we can appropriately
19 describe the U.S. versus OUS results.

20 DR. BORER: Okay. I think we've had a
21 couple of opinions about the first part but nothing
22 about the second. Does anybody want to provide an
23 opinion on these issues? First of all, the fact, you
24 mentioned, Judah, and so did John, the issue of
25 anticoagulation and specifically the fact that this

1 isn't a curative procedure.

2 DR. WEINBERGER: I think that was implicit
3 in my request to label this as for treatment of
4 symptoms. I don't think that there's any
5 expectation. Certainly, there's no data to suggest
6 that anticoagulation should be in anyway altered by
7 this therapy. I think that what you can say is that,
8 and I think this is probably a reasonable thing to
9 say in the IFU, is that the data does not support
10 alteration of anticoagulation therapy recommendations
11 for this patient population. So that way you're not
12 saying whether they must be if they haven't or, you
13 know, you don't want to be in the business of
14 deciding who should be anticoagulated. I mean that's
15 a separate decision, and this therapy doesn't seem to
16 alter that decision.

17 DR. BORER: Does anybody want to add to
18 that or does anyone disagree with that? David.

19 DR. SLOTWINER: No, I agree entirely. I
20 think the label should say the decision regarding
21 appropriate anticoagulation should be made
22 independent of the decision whether or not to perform
23 an ablation.

24 DR. BORER: And would anyone disagree with
25 the earlier suggestion that this has to say somehow

1 that this has not been shown to cure atrial
2 fibrillation? John.

3 DR. SOMBERG: I think it's gone too far to
4 say it does cure or doesn't cure. I mean that was
5 not what this is about. And saying symptomatic is
6 going to be confusing on people, you know -- you have
7 to state that anticoagulation, in my mind, should be
8 continued. It is reasonable to continue
9 anticoagulation. I think coming from what I heard at
10 the AHA, et cetera, on this quite extensively, there
11 is no data to support without a randomized clinical
12 trial, stopping anticoagulation. So why should we
13 say maybe it's reasonable, it's this, it's that. I
14 think just continue anticoagulation, and if people
15 want to disagree with that, they have to have some
16 evidence to do that.

17 DR. BORER: Dr. Kelley.

18 DR. KELLY: But, see, not all these
19 patients are going to be anticoagulated, whether they
20 get an ablation or not depending on the CHADS score.
21 So if you have somebody, a young healthy person with
22 one atrial fibrillation, the physician may elect not
23 to anticoagulate them regardless.

24 DR. SOMBERG: You're absolutely right. The
25 lone atrial fibrillation is an excepted group. So

1 maybe you have to say anticoagulation should be
2 continued following standard clinical practice or
3 something like that. Then I agree.

4 DR. BORER: Okay. I think you get the
5 sense of the committee here. Okay. Any other
6 additional recommendations that anyone has or that
7 you want us to talk about?

8 DR. ZUCKERMAN: How should the U.S. versus
9 OUS results be described in the clinical section?

10 DR. BORER: David, how would you like to
11 say something here?

12 DR. NAFTEL: A couple of things. First of
13 all is I'm looking at the indications for use on
14 figure 1, and so that shows the overall results that
15 we've all focused on, and just as a small thing to
16 keep from getting in a trap, it does say that it
17 shows the Kaplan-Meier survival curve and then what's
18 being shown is not survival, and I know --

19 DR. ZUCKERMAN: Excuse me. Dr. Naftel is
20 on page 21 of the label.

21 DR. NAFTEL: So I know we all say Kaplan-
22 Meier survival curves, but you'll confuse people.
23 I'd call it Kaplan-Meier curve or freedom from event
24 curve, but I wouldn't use the word survival because
25 it's not survival.

1 Okay. So now to get to your question, you
2 know, we've danced around the issue totally as to
3 whether or not we can pull that one hospital with the
4 rest or the OUS with the U.S. We've totally danced
5 around it because we haven't needed to answer it
6 because we were fine when we exclude those. So we
7 never answered the key question, are the data
8 poolable? We've never answered that because we
9 haven't had to until now that you bring it up.

10 So it seems to me there are two choices.
11 Either show the curves just like they are with the
12 combined data and say it's poolable or show that one
13 site with the wonderful results, which I would not be
14 comfortable in showing here. So given that I'm not
15 willing to show that wonderful curve, I personally
16 would just go ahead and pool the data, show this
17 curve, and let it go. That's my suggestion.

18 DR. BORER: John.

19 DR. SOMBERG: Well, I knew we would find a
20 difference between us.

21 DR. NAFTEL: You're supposed to say you
22 agree with me.

23 DR. SOMBERG: Well, I agree you should show
24 the poolable data, but I would say one site had a
25 higher performance, and I would show that data versus

1 the other sites as well. I think it would be very
2 misleading and maybe disheartening for both patient
3 and clinician who aspired to this great mental --
4 results when it's not obtainable for all the other
5 centers of excellence across the U.S. I don't know
6 if you have to go into it's OUS and U.S. sites or
7 what have you, but I think there is a distinct
8 difference between these two groups, and it gives a
9 very false impression by combining them and not
10 saying anything else because they're not going to
11 have the benefit of all this discussion we've had
12 here all day. I think it's been a very important
13 discussion.

14 DR. BORER: They can read it in the Federal
15 Register.

16 DR. JEEVANANDAM: I completely agree with
17 John. I think it would be a little deceptive to put
18 that data in there, considering the fact that one
19 site changes that from 72 percent to 47 percent. So
20 I think we need to get a reality check in there.

21 DR. BORER: Yes.

22 DR. KELLEY: Could you put it in and then
23 just say, you know, results vary from whatever
24 percent to 100 percent depending on many factors,
25 including experience and patient population.

1 DR. BORER: You could.

2 DR. SOMBERG: That's going too far because,
3 you know, we've -- that it's experience and patient
4 population, but I think you wouldn't want to
5 necessarily want to put it in the IFU.

6 DR. KELLEY: Well, many factors which may
7 include.

8 DR. SOMBERG: Yeah, something like that.
9 But I mean you can't just say in my opinion, you
10 know, the results are 70 versus whatever that they
11 have varied.

12 DR. JEEVANANDAM: I mean one way to get
13 around there I guess is to have -- if you want to
14 streamline this, you can have that one graph, and
15 then you could have a graph that says all centers,
16 and you can have another graph that says U.S. centers
17 only.

18 DR. BORER: David.

19 DR. SLOTWINER: I think what I would
20 consider doing is showing all the centers together
21 and then the outside U.S. centers and the U.S.
22 centers and just make a note that there was a
23 difference. I think the reason is speculation. Our
24 speculation isn't going to be any better than
25 somebody else's, and just leave it at that. Let them

1 see the difference.

2 DR. BORER: Any other?

3 (No response.)

4 DR. BORER: I would suggest that this curve
5 is just fine, but I do think that there has to be a
6 mention of the variability of the data, whether it
7 would be in the form of a small table which would be
8 one way to do it or a few narrative lines that
9 describe the variability, the high, the low, the
10 average, whatever. I wouldn't put in a lot of
11 graphs. I think the data are what they are. They
12 were pooled. We've heard several statistical
13 opinions that suggest that that's not a terrible
14 thing to do. So I would leave it at that. I would
15 put in the one graph as it is, and I would add
16 something, table or narrative, small, underneath
17 about the variability of the results.

18 I just don't think there are enough -- we
19 have enough data to do much more than that.

20 Okay. Is that okay on that one?

21 DR. ZUCKERMAN: Yes.

22 DR. BORER: Okay. Let's move on then to
23 post-approval study. Dr. Somberg.

24 DR. SOMBERG: The premarket clinical data
25 has provided evidence regarding the safety and

1 effectiveness of this device in the acute phase and
2 up to 12 months post-ablation. The study was
3 performed at recognized centers of excellence. The
4 purpose of ablation therapy is to produce a permanent
5 change to the structure of the heart, generally
6 thought to be a non-regenerative organ. One clinical
7 site performed prophylactic application of a right
8 atrial, cavotricuspid isthmus (CTI) lesion that was
9 not done in the remaining clinical sites and that
10 site had higher effectiveness results 12 months post-
11 ablation compared with the remaining sites.

12 Please discuss the appropriate trial design
13 for determining the procedural safety profile in a
14 broader patient and provided population. Please
15 comment on what may be an appropriate hypothesis,
16 endpoint, duration of follow-up, and control group.

17 Well, before I came in here, I thought that
18 might be a very important difference between OUS 1
19 and the other sites. I think you must have deference
20 to people who have a procedural familiarity with this
21 and pathophysiologic knowledge that I don't. And
22 specifically, correct me if I'm wrong, Dr. Wilber,
23 you felt that that was not a case given a whole
24 series of data that I don't want to be repetitious
25 here on.

1 But needless to say, I think that would
2 certainly be one thing that I would collect in a
3 post-approval study. And I think what's most needed
4 is not a small study of 100 or 200 patients that
5 tries to study some of these questions in maybe a
6 slightly different population, et cetera, but I think
7 what is needed is really a much larger study to give
8 us an understanding of some of these issues which
9 will only come out with greater numbers of patients.

10 Therefore, I think the most important thing
11 is a registry that looks at the next 500 to 1,000
12 patients that are followed for the next 2, 3, 4, and
13 hopefully even 5 years, which the sponsor did say
14 they were willing to go out for 5 years. So I think
15 the more patients we get into this, the more sites we
16 get would be helpful, and we would ask questions like
17 the right atrial lesion question, the significance of
18 pulmonary vein stenoses because I want to know what
19 happens to 20 to 30 to 40 percent lesions over time.
20 I mean that may be significant or it may have, you
21 know, it may over address or stay the same. So
22 questions of that nature and also try to see what
23 happens when you do this ablation. If they don't
24 have repeat symptomatic episodes, many doctors will
25 stop anticoagulation and see the frequency of late

1 term events as well. So I think that would be very
2 useful.

3 That's not to exclude, you know, a smaller
4 directed study that might be in heart failure
5 patients, for instance, comparing heart failure
6 patients versus the patients with reserved
7 ventricular function, but I would not want to limit
8 it to, if you just do 150 patients again, and you
9 have 20 in a group that has cavotricuspid isthmus
10 ablation, I don't think we'll have, you know, 5 years
11 from now we'll have the same thing, that the group is
12 too small, we can't make a comparison, et cetera, et
13 cetera.

14 DR. ZUCKERMAN: Okay. Dr. Somberg, those
15 were very helpful initial comments, but if I could
16 ask you and the other Panel members to become a
17 little bit more focused regarding some of the safety
18 and longer-term efficacy questions, and to help you
19 out, I would suggest you go back to FDA slides 103
20 and 104. I think it gets to the core of the matter.

21 On slide 103, the sponsor is suggesting an
22 equivalence registry with a non-inferiority delta of
23 9 percent when the expected rate is 11 percent, et
24 cetera, if you'd just review those slides.

25 DR. NAFTEL: May I ask a point of

1 clarification?

2 DR. ZUCKERMAN: Yes.

3 DR. NAFTEL: I understand sort of, post-
4 approval studies, postmarket surveillance, and then
5 the whole MDR process. So I understand these studies
6 a bit, but what I've never quite been clear on is
7 we're calling this a trial, a trial design for the
8 post-approval study. And what I'm not clear on is
9 will there ever be a decision? Are we just learning
10 stuff or is there actually a point in time, like will
11 there be aggressive patient enrollment? Would it be
12 treated just like the premarket? And at some point,
13 would people get together and say you made the
14 endpoint or you didn't, and would there be some
15 action after that.

16 DR. ZUCKERMAN: Yes, to all of the above.
17 So again, FDA has a significant responsibility to
18 regulate products throughout the total product life
19 cycle. That includes both the premarket and the
20 post-approval evaluation of data. The challenge
21 always is to figure out what that balance is, what is
22 the amount of data that's needed for a device to get
23 on the market, and then post-approval, what type of
24 surveillance is necessary.

25 Now, I can appreciate some of your

1 comments, Dr. Naftel, that there's a general sense
2 that sometimes post-approval commitments are not
3 carried through, et cetera. In general, I would
4 summarize that as the old FDA/CDRH.

5 If one looks at the performance of both the
6 industry and FDA over the last few years with respect
7 to the drug eluting stent post-approval studies,
8 carotid stent studies, AAA studies, these trials, and
9 here I'm defining a post-approval trial as one with a
10 hypothesis and a sample size, et cetera, are taken
11 extremely seriously. As many know, when the problems
12 cropped up with both AAA graphs and drug alluding
13 stents, we brought this data back to an Advisory
14 Panel for significant discussion. We also have the
15 ability to include these important real world data as
16 supplemental information in our label.

17 So this is an important part of the total
18 regulatory process that we're asking Panel member
19 comment on.

20 DR. NAFTEL: And if I may say, I did not
21 intend to be critical. I am aware of this history.
22 I'm more commenting on the slides for the proposed
23 study. It sounds a little, if I may use the
24 technical term, looser than the premarket study.
25 Like I'm not quite seeing where the action plan is in

1 the slides we saw on the postmarket study.

2 DR. ZUCKERMAN: Well, those are the
3 comments that you and others need to give both the
4 Agency and the sponsor, because as we've perhaps
5 talked about today, atrial fibrillation is a large
6 public health problem. This is potentially not just
7 an evolutionary device approval, but a
8 transformational device approval, and the Agency has
9 a responsibility to construct with the sponsor an
10 appropriate post-approval surveillance mechanism,
11 hence our concern with this question.

12 DR. BORER: John.

13 DR. SOMBERG: Well, I was just going to
14 follow up on what Dr. Zuckerman was asking me, and I
15 just thought that you asked me to refer to those two
16 pages in the FDA slide, and I don't agree with that
17 study. I mean it's 145 patients. I mean that caused
18 me to get short of breath and diaphoretic even though
19 the air conditioner is on.

20 So, no, I think that's wrong. I would want
21 to have a follow-up on an adequately sized
22 population, and I'm not here, and I'm probably never
23 prepared to tell you what an exact, you know, but you
24 have people that do that very, very well, but I want
25 to know about what's the consequence of pulmonary

1 vein stenosis. I want to know what's the consequence
2 of CTI lesions, and I want to know what's the -- and
3 I would personally, I don't know if the rest of the
4 Panel wants to have anything to do with this, but I
5 would like to know because I think there will be wide
6 use of the catheter regardless of what the label is,
7 with or without a navigation system.

8 So I would want to have those things
9 answered and maybe, maybe you want to answer in this
10 type of format or maybe it's a specific study, but
11 what happens in people with 40 percent or below
12 ejection fraction who will utilize this as well, or
13 that might be a separate study. It probably should
14 be.

15 DR. SLOTWINER: Can I ask a question,
16 Dr. Borer? I don't know what the limitations of what
17 we can ask for are, but this has become the
18 predominant ablation for electrophysiologists. This
19 makes up I think the most common ablation now, and
20 that's probably going to increase exponentially.

21 Is there a way to request information
22 that's scalable to the use of the catheter and with
23 the distribution of the catheter? I'm very curious
24 to have the real world experience rather than 20
25 centers of excellence experience, and is there a way

1 to get information based upon where the catheters are
2 distributed and sold and the patients that they're
3 used in, using that as the primary method for
4 determining who and how many patients would be
5 included.

6 DR. ZUCKERMAN: Yes, I would ask everyone
7 to look at slide 98 from the FDA presentation. I
8 think what you're getting to, Dr. Slotwiner, is that
9 we can't ask for everything under the sun. These are
10 the five general areas where the Agency has
11 regulatory authority. I think what you're pointing
12 to is a study that gets better at real world
13 community performance in study centers that are
14 traditionally not the highest enrolling sites, et
15 cetera, but please elaborate.

16 DR. SLOTWINER: No, that's exactly what I'm
17 interested in. The smaller centers, perhaps not the
18 training centers where there may just be one person
19 doing these studies, with or without a mapping
20 system, and looking at the complications and the
21 efficacy, and the long-term success. I realize it
22 will be burdensome to follow-up patients for many
23 years, and I think five-year follow-up will be very
24 valuable. And there are obviously many studies
25 ongoing now to look at atrial fibrillation ablation

1 in many subsets of populations, but I would hope that
2 what we could ask for would be something to track the
3 actual use of this catheter if it's approved, and
4 efficacy and safety primarily in the population that
5 you would give it approval for.

6 DR. BORER: Dr. Bilazarian.

7 DR. BILAZARIAN: Yeah, I guess my guidance
8 as a clinician would be that I'd be willing to accept
9 less data points but in a larger dataset. Dr. Yaross
10 said that this catheter, 70,000 units have been sold
11 since 2005, and Dr. Calkins has told us this is the
12 most frequently performed ablation. So that means
13 35,000 of these catheters have been sold for atrial
14 fibrillation. So that's about 10,000 catheters per
15 year, so to propose a 150 patient follow-up study I
16 think is very concerning, doesn't make me short of
17 breath, but maybe diaphoretic.

18 So I guess I would love to see a much
19 larger dataset of several hundred, 500 patients with
20 seven day follow-up and then vital status annually
21 with an EKG, as sort of a baseline, minimum amount of
22 data would at least give us a forward looking idea of
23 the safety acutely and adding to it the other
24 suggestions that that could be mandated in both high
25 volume and lower volume centers, and I think that

1 that would be not very burdensome for industry and
2 should be very easy to accomplish based on the
3 numbers that I just cited.

4 DR. BORER: Can I, just in the interest of
5 time, suggest a straw man and then everybody can
6 shoot at it. Based on the comments we've heard and
7 my own reading of this dataset, I think it's fine to
8 have, to begin with, with hypothesis testing, but I
9 think there are two separate components to what's
10 needed.

11 Number one is more data, which means
12 basically an observational study, a registry, and
13 then there are a couple of specific questions that
14 need to be asked that really only can be answered if
15 there is a true experimental design incorporated into
16 the study.

17 So I would suggest that, number one, we do
18 need a registry. I would have said given the number,
19 just as Dr. Bilazarian said, with the number of units
20 being sold, I don't want to make this terribly
21 burdensome financially for the company because that
22 wouldn't be reasonable, but I think between 500 and
23 1,000 patients can be followed with the number of
24 units being put in. I would make it a five-year
25 follow-up with annual reporting. I think that we

1 would want to know the following specific pieces of
2 information at the outset and during the follow-up.
3 Number one, the pre-procedure experience of the
4 users, and it goes without saying that with FDA
5 concurrence, the sites that are involved in this
6 follow-up should be a variety of sites, high volume
7 academic, lower volume community, wherever the stuff
8 is being sold.

9 The pre-procedure experience of the
10 operator needs to be known. The pre-operative formal
11 training and use of the device, yes or no. The
12 indication for which the procedure was done. Whether
13 or not the patient is in heart failure and whatever
14 the class is. What the ejection fraction is. I'm
15 not suggesting that one must do a trial, but I think
16 we could get some observational data that might be
17 helpful here. The number of ablations that was
18 performed and the pulmonary vein size at the outset
19 of study.

20 The outcome events would be pulmonary vein
21 size, atrial fibrillation recurrence with whatever
22 kind of follow-ups seems reasonable each year, be it
23 a 24-hour tape or some other means of follow-up, and
24 that could be variable, and the number of heart
25 events, the number of major adverse cardiovascular

1 events that have occurred.

2 That would be the registry that I think
3 would not be overly burdensome. I think a trial with
4 an experimental design would need to be done to
5 determine the importance of the lesions that are
6 placed with the catheter, whether -- specifically I'm
7 interested in knowing what the right atrial lesion
8 does and what the results are if the company wants to
9 get extension of approval of the nav system versus
10 non-nav system catheters. I think those need to be
11 studied in trials, those two things, and the rest in
12 a registry.

13 So that's what I would suggest should be
14 the post-approval mandate.

15 Now, everybody's heard that, and if you
16 want to shoot at it, add to it, detract from it,
17 throw something at me, it's okay. Now's the time.

18 (No response.)

19 DR. BORER: I don't see anybody saying
20 anything. Do we need specific requests of people
21 around the table?

22 DR. ZUCKERMAN: No, I think that's a very
23 helpful general approach, but I do have several
24 follow on questions. Number one, the longer-term
25 follow-up is going to be extremely important. We've

1 suggested out to five years, and this is a question
2 specifically for Dr. Naftel. The sponsor with their
3 post-approval study has suggested a usual frequentist
4 design. Given that we want to be able to detect
5 longer term signals more quickly, more efficiently,
6 would you recommend thinking about a Bayesian design
7 for this large post-approval study?

8 DR. NAFTEL: That's a great question. You
9 know, now it really could be Bayesian design with an
10 informative prior. You could build something based
11 off of the current study. I could go either way.
12 I'd love to hear what Laura and Dr. Berry had to say,
13 but that certainly could tighten it up if you were
14 willing to do that.

15 DR. BORER: Can I just make a point here.
16 I mean, you know, what I've suggested is an
17 observational study and a Bayesian design so you can
18 telescope the conclusions would be great I think. I
19 mean, you know, if it's reasonable, but again I don't
20 think it has to be a hypothesis testing study. I
21 don't think you need a benchmark. What's acceptable
22 in terms of risk is going to be determined by what
23 happens in terms of efficacy, and both of those kinds
24 of data are going to be obtained from this kind of
25 study. I would see the registry largely as a label

1 refining exercise. Of course, if one saw some --
2 happening, then that could lead to removal of the
3 approval of the device. But I don't think it needs
4 to be set up with an a priori hypothesis that you're
5 going to have X event rate, not Y event rate. I
6 don't think we're at that point yet. I don't think
7 we know enough. But the Bayesian design business
8 might get us to some number a lot faster than just
9 straight observation.

10 DR. ZUCKERMAN: Okay. And that handles our
11 second key question, which is really what control are
12 you going to compare it to, and given the lack of
13 data out there, it sounds like you're suggesting just
14 qualitative descriptive statistics at various time
15 points.

16 DR. BORER: That's exactly what I'm
17 suggesting, yeah.

18 DR. NAFTEL: If I just may make one comment
19 because I run three registries and I know the pain,
20 we always say with our registries that we do
21 everything we can to make them mimic a FDA clinical
22 trial. So I kind of like your idea of refining the
23 indications for use, and I like everything you're
24 saying. I just wouldn't want anyone to interpret it
25 as it can be a loose, crummy registry. It still has

1 to have the same standards of follow-up and still has
2 to be as if it were a clinical trial, maybe fewer
3 data points, maybe fewer -- but it still has to be a
4 serious effort, not just a crummy old registry.

5 DR. BORER: And having said that, wherever
6 the thing is done, it's got to be consecutive series,
7 you know. There can't be any selection. I mean it's
8 got to be a consecutive series.

9 Yes, I'm sorry.

10 DR. ELOFF: Dr. Borer, in your straw man,
11 you didn't mention specifically the procedural
12 safety. I was wondering if you could comment on that
13 aspect.

14 DR. BORER: Oh, I'm sorry. That's wrong.
15 When I discussed heart events and atrial fibrillation
16 recurrence, what I meant was the whole series of
17 major adverse cardiac events and anything that we
18 think is important. The seven-day results are
19 certainly very important. Just as they were done in
20 the trial, one would like to record those, but
21 further out, you know -- and AF recurrence to me seem
22 to be the key issues and pulmonary vein size. So
23 that means some kind of imaging is going to have to
24 be done on a periodic basis in these patients.

25 DR. ELOFF: Would you continue to recommend

1 that the seven-day adverse event rate be captured in
2 a registry or go with the sponsor's recommendation
3 that there actually be a hypothesis test related to
4 the post-approval study?

5 DR. BORER: Again, I don't think we have
6 enough data to set up a hypothesis that's reasonable.
7 I think we need more data. There are just a few
8 hundred patients who have been studied. We need a
9 more stable point to estimate. I would think that's
10 what we've got to do.

11 DR. ZUCKERMAN: Okay. Good.

12 DR. BORER: Does anyone else want to say
13 anything about that? Disagree, agree, enhance, tear
14 down.

15 (No response.)

16 DR. BORER: No. Okay. Well, if that's the
17 case, then guess what we're up to. Voting.

18 MR. SWINK: Not yet.

19 DR. BORER: Sorry. I missed my script. So
20 sorry. My fault.

21 Second open public hearing of this meeting.
22 We were supposed to do that at 3:30 and I didn't.
23 Does anyone wish to address the Panel? If so, please
24 come forward to the podium and state your name,
25 affiliation, and indicate your financial interest, if

1 any, in the device being discussed today or any other
2 device.

3 (No response.)

4 DR. BORER: I don't see anyone coming
5 forward. I think we have nobody.

6 At this time, we will not take a 15-minute
7 break. Are there any further comments or
8 clarifications from the FDA, Dr. Eloff or
9 Dr. Zuckerman?

10 DR. ZUCKERMAN: No.

11 DR. ELOFF: No, thank you.

12 DR. BORER: Okay. Are there any other
13 comments or clarifications from the sponsor?

14 DR. YAROSS: In the interest of time, I'll
15 be brief. Just a few short clarifications. First of
16 all, on the issue of anticoagulation, the sponsor has
17 not been proposing any statement about cure and agree
18 that the trial is silent on anticoagulation and are
19 perfectly happy to have that so addressed in the
20 labeling.

21 One issue that came up a couple of times,
22 I'd just like to clarify for the record, during the
23 discussion, there was a statement that for the U.S.
24 subjects, there was less than a 1 in 2 chance of
25 being AF free at 90 days. Just to clarify, that was

1 the probability of being a chronic success per the
2 protocol. The actual point estimate for being AF
3 recurrence free was, in fact, 62 percent and not 47
4 percent.

5 DR. BORER: Thank you for the
6 clarification.

7 MS. YAROSS: There was a comment a couple
8 of times about an eight-hour procedure. In fact, the
9 median procedure time was between three and four
10 hours, and then finally as for the post-approval
11 study design, we recognize that our trial that we
12 initially proposed was small. It was consistent with
13 what we had agreed upon with the Agency for our two
14 prior approvals for this, but we, of course, will
15 work with the Agency to respond to the Panel's
16 recommendations.

17 And with that, I want to thank the FDA
18 again and the Panel for their great deliberative
19 process and the excellent recommendations. Thank
20 you.

21 DR. BORER: Thank you for the very nice
22 presentation.

23 Okay. We will now move onto the voting.
24 The industry and consumer representatives do not
25 vote, and I only vote only if there's a tie.

1 We're now ready to vote on the Panel's
2 recommendation to FDA for this PMA. Mr. Swink will
3 now read the Panel recommendation options for
4 premarket approval applications. Mr. Swink.

5 MR. SWINK: The Medical Device Amendments
6 to the Federal Food, Drug and Cosmetic Act, as
7 amended by the Safe Medical Devices Act of 1990,
8 allows the Food and Drug Administration to obtain a
9 recommendation from an expert advisory panel on
10 designated medical device premarket approval
11 applications that are filed with the Agency. The PMA
12 must stand on its own merits, and your recommendation
13 must be supported by safety and effectiveness data in
14 the application or by applicable publicly available
15 information.

16 The definitions of safety effectiveness and
17 valid scientific evidence are as follows:

18 "Safety as defined in 21 C.F.R. Section
19 860.7(d)(1) - There is reasonable assurance that a
20 device is safe when it can be determined, based upon
21 valid scientific evidence, that the probably benefits
22 to health from use of the device for its intended
23 uses and conditions of use, when accompanied by
24 adequate directions and warnings against unsafe use,
25 outweigh any probably risks."

1 "Effectiveness as defined in 21 C.F.R.
2 860.7(e) (1) - There is reasonable assurance that a
3 device is effective when it can be determined, based
4 upon valid scientific evidence, that in a significant
5 portion of the target population, the use of the
6 device for its intended uses and conditions of use,
7 when accompanied by adequate directions for use and
8 warnings against unsafe use, will provide clinically
9 significant results."

10 "Valid Scientific Evidence as defined in 21
11 C.F.R. 860.7(c) (2) - is evidence from well-controlled
12 investigations, partially controlled studies, studies
13 and objective trials without matched controls, well-
14 documented case histories conducted by qualified
15 experts, and reports of significant human experience
16 with a marketed device, from which it can fairly and
17 responsibly be concluded by qualified experts that
18 there is reasonable assurance of the safety and
19 effectiveness of a device under its conditions of
20 use. Isolated case reports, random experience,
21 reports lacking sufficient details to permit
22 scientific evaluation, and unsubstantiated opinions,
23 are not regarded as valid scientific evidence to show
24 safety or effectiveness."

25 For the Panel, your recommendation options

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 for the vote are as follows:

2 1. APPROVAL - If there are no conditions
3 attached.

4 2. APPROVABLE with conditions - The Panel
5 may recommend that the PMA be found approvable
6 subject to specified conditions, such as physician or
7 patient education, labeling changes, or a further
8 analysis of existing data. Prior to voting, all of
9 the conditions should be discussed by the Panel.

10 3. NOT APPROVABLE - The Panel may
11 recommend that a PMA is not approvable if the data do
12 not provide a reasonable assurance that the device is
13 safe or the data do not provide a reasonable
14 assurance that the device is effective, under the
15 conditions of use prescribed, recommended, or
16 suggested in proposed labeling.

17 Following the vote, the Chair will each
18 Panel member to present a brief statement outlining
19 the reasons for his or her vote.

20 Thank you.

21 DR. BORER: Are there any questions from
22 the Panel about the Voting Options before I ask for a
23 motion?

24 (No response.)

25 DR. SOMBERG: I would like to make a

1 motion.

2 DR. KARASIK: I'm sorry. Are you going to
3 tell us exactly what we're voting on? Are we voting
4 on one catheter, five catheter? Can you --

5 DR. BORER: Let me finish reading first.
6 I'd like to direct the Panel to the voting procedure
7 flowchart in your folder. It's in color. Let the
8 record show it's four colors.

9 In the context of this flowchart, I think
10 you'll be able to make a recommendation specifically
11 answering your question. So --

12 DR. KARASIK: Okay.

13 DR. BORER: -- perhaps that's the way we'll
14 go ahead. Can I ask for a motion from any Panel
15 member, either approval, approvable with conditions,
16 and then the conditions like the one you just
17 suggested might be stated, or not approvable, and I
18 see a hand. I thought I saw a hand. Yeah,
19 Dr. Somberg.

20 DR. SOMBERG: Unless you want to make the
21 motion.

22 DR. BORER: No, no, I can't make the
23 motion. You have to make the motion.

24 DR. SOMBERG: No, no, my colleague at the
25 other end.

1 DR. BORER: David make the motion.

2 DR. SOMBERG: I'll defer to David. It was
3 his --

4 DR. SLOTWINER: I move that it's approvable
5 with conditions.

6 DR. BORER: Okay. Any second?

7 DR. SOMBERG: I second.

8 DR. BORER: Okay. Let's discuss the main
9 motion. You suggested with conditions. Can you
10 state the conditions?

11 DR. SLOTWINER: Yes, I think the conditions
12 are limited to the catheter studied with the
13 navigation system studied.

14 DR. BORER: Okay. Any second for that?

15 DR. SOMBERG: Can I just ask a point of
16 clarification?

17 DR. BORER: Yes.

18 DR. SOMBERG: I thought you were going to
19 go for the bidirectional as well?

20 DR. SLOTWINER: Well, I think that that is
21 something I really need to turn to Dr. Zuckerman to
22 give guidance. I think that -- I feel obligated to
23 stick to the information that we're provided,
24 although I understand that the catheter may have
25 exactly very safe physical characteristics that have

1 been tested in an animal lab and the FDA may feel
2 that that's equivalent, but I don't feel that we have
3 enough information to make that decision.

4 DR. ZUCKERMAN: Okay. Let's take a step
5 back here and, Jim, you need to help Dr. Borer here.
6 Please go back to the flowchart. There is an
7 approval with conditions motion. Does the Panel
8 first need to vote on Dr. Slotwiner's general
9 approval?

10 DR. BORER: Sorry. Okay. We have a
11 motion --

12 DR. ZUCKERMAN: Dr. Slotwiner, you want to
13 be as specific as possible regarding what device
14 you're talking about. Maybe you can rephrase it.

15 DR. SLOTWINER: Okay. So I move to approve
16 with conditions approve the ThermoCool catheter
17 unidirectional with navigation catheter in
18 conjunction with the CARTO mapping system for
19 ablation of lone symptomatic paroxysmal atrial
20 fibrillation.

21 DR. BORER: Okay. Do we have a second?

22 UNIDENTIFIED SPEAKER: I second it.

23 DR. BORER: We have a second. Okay. Any
24 discussion of this motion?

25 DR. SOMBERG: If there are additional --

1 DR. BORER: We'll get to conditions in a
2 minute.

3 DR. SOMBERG: Okay. Fine.

4 DR. BORER: We're just discussing this
5 motion.

6 DR. SOMBERG: That's fine.

7 DR. BORER: Okay. Then I'd like a show of
8 hands? Can I do it that way or do I have to ask
9 everybody to say it on the record?

10 UNIDENTIFIED SPEAKER: (Off mic.)

11 DR. BORER: Okay. We're now voting for the
12 approvable with conditions.

13 MR. SWINK: Okay. It's been moved and
14 seconded, and we're going to vote on approvable with
15 conditions, and we're voting on the first condition
16 which David Slotwiner could paraphrase again.

17 DR. SLOTWINER: Yeah, the condition is that
18 the catheter being approved is the unidirectional
19 catheter with navigation used in conjunction with the
20 CARTO mapping system as the data reflects it's
21 presented to us today.

22 DR. BORER: Okay. Can we do that by hand
23 or --

24 MR. SWINK: And that's being seconded.

25 DR. BORER: That's been seconded. Okay.

1 Can I have a show of hands all in favor?

2 (Show of hands.)

3 DR. BORER: Let the record show the vote is
4 unanimous in favor of that motion.

5 So now we need to determine the conditions.
6 Is there a motion for a second condition? We have a
7 first condition. Is there a second condition?

8 DR. SOMBERG: I would like to have a
9 physician education program for providing training in
10 the use of the catheter and the navigation system
11 obviously.

12 DR. BORER: Okay. Is there a second for
13 that motion?

14 DR. SLOTWINER: Yes, I second it.

15 DR. BORER: Okay. Any discussion?

16 DR. BILAZARIAN: I would ask if
17 Dr. Zuckerman can advise us. Should we be specific
18 about that, or I guess the modification essentially
19 to your motion would be to restrict its use to
20 physicians who are not previously experienced, but
21 obviously physicians who are experienced shouldn't be
22 restricted or required to undergo a physician
23 education program.

24 DR. BORER: May I ask, Dr. Zuckerman, do
25 you want us to micromanage this?

1 DR. ZUCKERMAN: No. We need to appreciate
2 the broad strokes.

3 DR. BORER: Yeah. So the motion, not that
4 what you're suggesting wouldn't be done, but it's
5 been moved that we should vote in favor of a training
6 program, and it's been seconded. Is there any
7 discussion about that particular motion?

8 (No response.)

9 DR. BORER: If not, then can I see a show
10 of hands for those who approve?

11 (Show of hands.)

12 DR. BORER: Okay. Once again let the
13 record show that the vote is unanimously in favor of
14 that condition.

15 Is there a motion for another condition?
16 Dr. Somberg.

17 DR. SOMBERG: I would move that we request
18 that the registry be established to look at the
19 problem or a registry be established and be
20 adequately powered to look at questions of what
21 atrial isthmus ablation, pulmonary vein stenosis and
22 operator experience in the success or failure of the
23 ablative technique.

24 DR. BORER: Is there a second for that?

25 DR. SLOTWINER: I second it.

1 DR. BORER: Okay. There is a second. Any
2 discussion?

3 UNIDENTIFIED SPEAKER: I'm sorry. I didn't
4 quite under Dr. Somberg's condition. Can you restate
5 that please?

6 DR. BORER: Yeah. What he's suggesting is
7 that there should be a registry established to look
8 at the impact of the isthmus lesion being performed.

9 DR. WEINBERGER: In the right atrium.

10 DR. BORER: In the right atrium.

11 DR. SOMBERG: Well, I made a mistake. I
12 said left. I meant right, yeah.

13 DR. BORER: And what were the other aspects
14 of your registry?

15 DR. SOMBERG: Experience of the operator
16 and pulmonary vein stenosis and its long-term
17 consequences.

18 DR. BORER: Okay. There's been a second.
19 Is there any discussion?

20 (No response.)

21 DR. BORER: If I may, I'd like to offer a
22 discussion point. I don't believe that the isthmus
23 lesion can be studied with a registry. I believe
24 that needs a hypothesis test with an experimental
25 design. I think that the registry really is required

1 to deal with the whole panoply of outcomes that I
2 mentioned earlier in the summary statement that I
3 made, that I won't repeat because you've got them,
4 and I don't think we have the time to go through them
5 one by one. But I'd suggest, if you would accept it,
6 John, to modify what you said to deal with the
7 registry issues and the registry and the issues like
8 the lesions that are placed and nav versus no nav.

9 DR. SOMBERG: Well, the isthmus ablation
10 issue, I mean I'm not against the study as well on
11 that, but if you have let's say 500 patients or 1,000
12 patients and 100 of them or 150 have isthmus ablation
13 and they have a success rate of 100 percent, and the
14 other group has a success rate of 30 percent, that
15 would be very useful in a large number. If you did
16 100, you know, if you start a 100 patient study and,
17 you know, you may have very great difficulty in
18 getting this done or not, you may not ever get to see
19 this.

20 So I would like to see those questions
21 asked in a registry. I'm not precluding setting up a
22 study for that or what have you, but, you know, you
23 can do this type of work with registry data as well.

24 DR. BORER: Okay.

25 DR. SOMBERG: Not as well, of course, but

1 you can get some inclination. So -- am I wrong on
2 that, Jeff? I mean if you want to insist, I'll drop
3 it, but --

4 DR. BORER: No, I'm not insisting on
5 anything. I'm just asking. I don't think that in a
6 not well-controlled study you can get rigorous
7 results, but if you want to, you know, if that's the
8 motion you want to make, that's it. Can I ask who is
9 in favor of that motion?

10 DR. NAFTEL: Can we discuss it?

11 DR. BORER: Oh, yes. Yeah.

12 DR. NAFTEL: A registry, just the word,
13 it's still loose enough that we're leaving a lot of
14 room for FDA to work with the company within the
15 confines of saying registry, and I think that's a
16 good thing, but I mean these are recommendations and
17 you work with them, right?

18 DR. ZUCKERMAN: That's correct.

19 DR. NAFTEL: Okay. Thank you.

20 DR. BORER: Okay. Then perhaps it should
21 be broadened to -- we've had a motion in favor of a
22 registry that could include any number of items.

23 DR. ZUCKERMAN: Okay. I believe we need to
24 vote on Dr. Somberg's recommendation.

25 DR. BORER: Well, that's it. That's his --

1 DR. ZUCKERMAN: Okay. As stated.

2 DR. BORER: As stated, okay. Can I have a
3 show of hands, those who favor the motion as it's
4 stated?

5 (Show of hands.)

6 DR. BORER: Okay. We have one, two, three,
7 five. Those opposed?

8 (Show of hands.)

9 DR. BORER: One. Abstaining?

10 (Show of hands.)

11 DR. BORER: One. Okay. So that motion
12 passes.

13 Any other conditions? Would anybody like
14 to make another motion? Dr. Somberg.

15 DR. SOMBERG: Well, I propose that
16 consideration be given to a study looking at patients
17 with ablative procedure with a left ventricular
18 ejection fraction below 40 percent comparing
19 patients, and I don't want to try to do the protocol
20 here, but it could be drug therapy. It could be
21 analogous to this protocol, drug therapy versus
22 intervention, or it could be, you know, different
23 types of intervention.

24 DR. ZUCKERMAN: Okay. Just for a point of
25 clarification, Dr. Somberg, and you can make any

1 motion you'd like, that would generally be a new
2 indication for use in a new IDE study as opposed to,
3 you know, being incorporated in this particular PMA
4 package.

5 DR. SOMBERG: Yeah, I hear your point. The
6 trouble is without recommending there should be a
7 study, there's going to be this issue, and there's
8 going to be extrapolation from above 40 percent to
9 below 40 percent. So I'm just saying that whether it
10 be within the confines of a registry or a new study
11 or a new IDE and PMA, that's a very important
12 question to answer, but with that said, I'll withdraw
13 my motion and leave it to you to discuss with the
14 sponsor.

15 DR. BORER: Okay. Or would you like to
16 perhaps suggest that these issues that you raised be
17 considered within the registry. You want information
18 on these issues. Ejection fractions --

19 DR. SOMBERG: Well, I'm not sure we can
20 recommend that we have an off-label data collection.

21 DR. BORER: It's not off-label.

22 DR. SOMBERG: I thought we approved it
23 for --

24 DR. BORER: No.

25 DR. SOMBERG: Okay.

1 DR. BORER: We didn't say anything at all
2 in the approval with conditions yet out excluding
3 people below a certain ejection fraction. In our
4 prior discussions, we said we need more data. I
5 don't think we said anything exclusive.

6 DR. SOMBERG: Okay. Then I recommend that
7 we collect this data either in a registry, this data
8 being data of the success and safety of the device in
9 patients with ejection fractions below 40 percent, in
10 either a registry format or a controlled study that
11 may require an IDE and a new PMA.

12 DR. BORER: Okay.

13 DR. SOMBERG: Is that acceptable,
14 Dr. Zuckerman?

15 DR. ZUCKERMAN: Anything you say is
16 acceptable.

17 DR. BORER: Do we hear a second for that
18 motion?

19 DR. KELLEY: I'll second it.

20 DR. BORER: Okay. Any discussion?

21 (No response.)

22 DR. BORER: Okay. Well, I would suggest
23 that the several issues that were raised be included
24 in the registry and we not get into control trials of
25 that particular sort here, but I don't know if

1 anybody agrees with that or not. Can I hear from
2 anyone else?

3 (No response.)

4 DR. BORER: Okay. If not, we have this
5 motion on the table. All in favor?

6 (Show of hands.)

7 DR. BORER: Okay. We have a majority of
8 people voting in favor of the motion to have
9 either/or but look at the ejection fraction issue.

10 I assume, but I shouldn't assume, that you
11 also intended the heart failure issue to be assessed
12 or no? No, John, in your motion.

13 DR. SOMBERG: You want to clarify? You
14 mean in other words people with -- I made the motion
15 with people with ejection fractions under 40 percent
16 but you could --

17 DR. BORER: With or without heart failure.

18 DR. SOMBERG: Yeah, that's right.

19 DR. BORER: Okay.

20 DR. SOMBERG: And I don't think that's
21 necessarily -- I'm not concerned about, you know,
22 symptoms of heart failure. I'm concerned about a
23 quantitative total because this study was everyone
24 above 40 percent.

25 DR. BORER: Okay. So that's been voted

1 upon and approved.

2 Are there any other conditions that anyone
3 would like to suggest?

4 (No response.)

5 DR. BORER: Am I allowed to suggest a
6 condition?

7 DR. ZUCKERMAN: Yes.

8 DR. BORER: Okay. I would like to suggest
9 that a postmarketing study specifically assessing the
10 nav versus non-nav catheters needs to be performed
11 and that needs to be a controlled study. I won't
12 suggest the design, the specifics of the design,
13 whether it's non-inferiority or something else, but I
14 would suggest that that needs to be done and that in
15 addition, the right atrial lesion, yes or no, plus
16 the standard pulmonary vein isolation needs to be
17 studied in an experimental design format, those two
18 things.

19 DR. WEINBERGER: We didn't approve a non-
20 nav catheter.

21 DR. BORER: No, no, I know we didn't. But
22 that's why I'm suggesting that it needs to be known
23 if the sponsor wants to extend to it.

24 DR. ZUCKERMAN: I'm sorry. I don't
25 understand that recommendation, Dr. Borer.

1 DR. BORER: We were asked to -- well, okay.
2 I'll retract that. That's true. We're suggesting
3 approval of one item. If the sponsor wants approval
4 of another item, then they can think of the other
5 studies. I'm sorry. I take that back.

6 DR. ZUCKERMAN: Yes.

7 DR. BORER: So let me just recommend the
8 assessment of the right atrial lesion with the
9 pulmonary vein isolation, yes or no, in an
10 experimental design format. So that would be a
11 condition that I would recommend.

12 DR. SOMBERG: I second the motion.

13 DR. BORER: Okay. Any discussion?

14 (No response.)

15 DR. BORER: If not, can I see a show of
16 hands those who approve?

17 (Show of hands.)

18 DR. BORER: It looks like we have a
19 unanimous approval.

20 Are there any other conditions that anyone
21 would like to suggest?

22 (No response.)

23 DR. BORER: I don't see any. So we voted
24 on the main motion already I believe, did we not?

25 Okay. It has been moved and seconded that

1 the Biosense Webster PMA Application P030031 for the
2 NaviStar ThermoCool Irrigated RF Ablation Catheter is
3 found approvable with the conditions the Panel has
4 just voted on.

5 DR. ZUCKERMAN: Dr. Borer -- Jim, can you
6 please help him. Now, we have to vote on the main
7 motion with the conditions.

8 MR. SWINK: We're doing that.

9 DR. ZUCKERMAN: Okay.

10 DR. BORER: That's the next sentence.

11 DR. ZUCKERMAN: Sorry.

12 DR. BORER: We will now vote on the main
13 motion with a show of hands. With a show of hands --

14 MR. SWINK: (Off mic.)

15 DR. BORER: Okay. With a show of hands,
16 please indicate if you concur with the recommendation
17 that the above-stated PMA be found approvable with
18 conditions, and I'm going to read to you the
19 conditions.

20 First, that the approval is for the
21 unidirectional catheter with the CARTO mapping device
22 only.

23 Second, that a physician education program
24 should be provided, and that will have to be defined
25 better with the FDA.

1 Third, that a registry needs to be
2 established to obtain a variety of types of
3 information which we discussed.

4 Fourth, that specifically data need to be
5 obtained in patients with ejection fraction less than
6 40 percent with or without heart failure, clinical
7 heart failure.

8 And, fifth, that a specific assessment in
9 experimental design format of the CTI lesion plus the
10 pulmonary vein isolation lesion should be performed
11 postmarketing.

12 Those are the five conditions that we are
13 voting on together with the main motion. Can I see a
14 show of hands in favor of approval with those
15 conditions?

16 (Show of hands.)

17 DR. BORER: And it unanimous.

18 DR. ZUCKERMAN: Excuse me. For the record,
19 is Dr. Somberg still here?

20 DR. BORER: It's unanimous of those who are
21 here, but Dr. Somberg has had to leave. Do I have to
22 state the names? It's unanimous. I don't have to.

23 The decision is that everybody voted for
24 it.

25 It is the Recommendation of the Panel to

1 the FDA that the Biosense Webster PMA P030031 for the
2 NaviStar ThermoCool Irrigated RF Ablation Catheter is
3 approved with the previously voted upon conditions,
4 which I've just summarized.

5 I'm now going to ask each Panel member to
6 state the reason for his or her vote, and we'll start
7 with our primary reviewer, Dr. Slotwiner.

8 DR. SLOTWINER: Well, I think this will add
9 a very important tool to the electrophysiologist's
10 tool kit, the one we've been using today, but this
11 will give us more support and the ability for the
12 sponsor to improve training and spread the use of
13 this catheter, which I think will be very beneficial
14 for our patients. I hope that we quickly can extend
15 the indications to include the other catheters which
16 I think will be safe and effective, and so I look
17 forward to having that data.

18 DR. BORER: Dr. Kelley.

19 DR. KELLEY: I voted with a reasonable
20 assurance of safety and efficacy.

21 DR. BORER: Dr. Bilazarian.

22 DR. BILAZARIAN: I agree that the safety
23 and efficacy are acceptable for approval.

24 DR. BORER: Dr. Weinberger.

25 DR. WEINBERGER: I'd like to congratulate

1 the sponsor. In this space, it was really difficult
2 to do this kind of trial, and I think that they did a
3 very admirable job.

4 DR. BORER: Dr. Naftel.

5 DR. NAFTEL: A lot of times trials like
6 this are very difficult to understand. The
7 statistics get so contorted. I just want to
8 compliment both the sponsor and the FDA on making it
9 accessible and understandable. It still took work on
10 our part, but I thought the presentation was good,
11 and I felt like the safety was just fine and the
12 effectiveness was quite good.

13 DR. BORER: Dr. Karasik.

14 DR. KARASIK: I thought that the data
15 supported the efficacy of the catheter. The safety
16 profile was okay.

17 DR. BORER: Dr. Jeevanandam.

18 DR. JEEVANANDAM: I agree. I think the
19 study supported the safety and efficacy.

20 DR. BORER: Is Dr. Fleming here? We would
21 like a statement from him. Okay. Mr. Halpin.

22 MR. HALPIN: I'd just like to congratulate
23 the sponsor and the FDA on doing a very good adaptive
24 design trial and what appears to be a very tough
25 category to enroll patients in. Thank you.

1 DR. BORER: If I had been allowed to vote,
2 I would have voted in favor because I believe the
3 sponsor demonstrated the efficacy and acceptable
4 safety for the device, and that it provides a useful
5 tool and benchmark for patients with a particularly
6 difficult problem to resolve. So I think that this
7 is a very good thing.

8 I would like to thank the Panel and the FDA
9 and the sponsor, and I'd like to ask Dr. Zuckerman if
10 he has any final comments.

11 DR. ZUCKERMAN: I'd like to sincerely thank
12 Dr. Borer and the Advisory Panel. The advice given
13 today was excellent and will be well utilized by the
14 Agency.

15 DR. BORER: Thank you. This meeting of the
16 Circulatory System Devices Panel is now adjourned.

17 (Whereupon, at 5:38 p.m., the meeting was
18 concluded.)

19
20
21
22
23
24

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

CIRCULATORY SYSTEM DEVICES PANEL

November 20, 2008

Gaithersburg, Maryland

were held as herein appears, and that this is the
original transcription thereof for the files of the
Food and Drug Administration, Center for Devices and
Radiological Health, Medical Devices Advisory
Committee.

Dominico Quattrociochi

Official Reporter

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947