

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

"Children's Oncology Group Protocol ASCT0631: A Phase  
III Randomized Trial of Granulocyte Colony  
Stimulating Factor (G-CSF) Stimulated Bone Marrow vs.  
Conventional Bone Marrow as a Stem Cell Source in  
Matched Sibling Donor Transplantation."

Pediatric Advisory Committee  
Tuesday, December 9, 2008

The Legacy Hotel & Meeting Centre  
1775 Rockville Pike, Rockville, MD 20852

1 Pediatric Advisory Committee Meeting Roster:

2 Marsha D. Rappley, M.D., Chair, Expertise:  
3 Developmental and Behavioral Pediatrics, College of  
4 Human Medicine, Dean's Office, Michigan State  
5 University, East Lansing, Michigan

6 Carlos Pena, PhD., M.S., Executive Secretary,  
7 Senior Science Policy Analyst, Office of Science and  
8 Health Coordination, Rockville, Maryland

9 Carl D'Angio, M.D., Expertise: Pediatrics,  
10 Neonatology, Associate Professor of Pediatrics,  
11 University of Rochester, Rochester, New York

12 Keith Kocis, M.D., M.S., Expertise: Pediatric  
13 Critical Care, Cardiology, Professor of Pediatrics &  
14 Biomedical Engineering (Adjunct), Fellowship  
15 Director, PCCM, The University of North Carolina at  
16 Chapel Hill, Chapel Hill, North Carolina

17 Amy J. Celento, Expertise: Patient Family  
18 Representative, Nutley, New Jersey

19 Daniel Notterman, M.D., Expertise: Clinical  
20 Microbiology, Professor of Pediatrics, UMDNJ-RWJ  
21 Medical School, New Brunswick, New Jersey

22 Avital Cnaan, Ph.D., M.S., Expertise:

1       Statistics, Director, Multi-Center Studies Section,  
2       Center for Clinical and Community Research,  
3       Children's National Medical Center, Washington, DC

4               Geoffrey L. Rosenthal, M.D., Ph.D., Expertise:  
5       Pediatric Cardiology, Director of Training, Research,  
6       and Inpatient Medicine, Pediatric and Congenital  
7       Heart Center, Children's Hospital, Cleveland Clinic  
8       Foundation, Cleveland, Ohio

9               Brahm Goldstein, M.D., Expertise: Pediatrics,  
10       Industry Representative, Princeton, New Jersey

11               Melissa Maria Hudson, M.D., Expertise:  
12       Hematology/Oncology, Department of Hematology-  
13       Oncology, St. Jude Children's Research Hospital,  
14       Memphis, Tennessee

15               Elaine Vining, Expertise: Consumer  
16       Representative, Silver Spring, Maryland

17               Also Present:

18               Jeffrey Botkin, M.D., M.P.H., Acting Chair,  
19       Pediatric Ethics Subcommittee

20               Diane Murphy, M.D.

21               Jerry Menikoff, M.D.

22               Robert M. Nelson, M.D.

## 1 P R O C E E D I N G S

2 [Convened at 3:35 p.m.]

3 Dr. Rappley: -- people would please take  
4 their seats. We'd like to get started. Thank you.5 We'd like to convene the Pediatric Advisory  
6 Committee. And I would like to begin as we usually  
7 do, with introductions and say hello again to  
8 everybody we've met recently. So, thanks to everyone  
9 on the group for coming back out again for this  
10 important subject. Dr. Cnaan, would you like to  
11 start? And please tell us your name and your area of  
12 expertise.13 Dr. Cnaan: Avital Cnaan, Biostatistics,  
14 Children's National Medical Center.15 Dr. D'Angio: Carl D'Angio. I'm a  
16 Neonatologist at the University of Rochester.17 Dr. Goldstein: Brahm Goldstein. I'm a  
18 Pediatric Critical Care Physician. I'm the  
19 pharmaceutical representative.20 Dr. Kocis: Keith Kocis from the University  
21 of North Carolina on Chapel Hill. And I'm a  
22 Pediatric Cardiologist and Intensivist.

1 Dr. Botkin: Jeff Botkin. I'm General  
2 Pediatrician, Associate VP for Research Integrity at  
3 the University of Utah.

4 Dr. Rappley: Marsha Rappley. I am Chair.  
5 And my area is developmental and behavioral  
6 pediatrics.

7 Dr. Pena: Carlos Pena, Senior Science  
8 Policy Analyst in the Office of Science and Exec.  
9 Sec. to the Pediatric Advisory Committee.

10 Ms. Vining: Elaine Vining. I'm the  
11 consumer rep. for the Pediatric Advisory Committee.

12 Dr. Rosenthal: Geoff Rosenthal. I'm a  
13 Pediatric Cardiologist and an Epidemiologist.

14 Dr. Hudson: Melissa Hudson. I'm a  
15 Pediatric Oncologist at St. Jude Children's Research  
16 Hospital.

17 Ms. Celento: Amy Celento, Patient  
18 Representative to Pediatric Advisory Committee.

19 Dr. Nelson: Skip Nelson. I'm the  
20 Pediatric Ethicist with the Office of Pediatric  
21 Therapeutics.

22 Dr. Menikoff: Jerry Menikoff, Director of

1 Office for Human Research Protections.

2 Dr. Murphy: Diane Murphy, Pediatrician,  
3 and Director of the Office of Pediatric Therapeutics  
4 at the FDA.

5 Dr. Rappley: And Dr. Pena?

6 Dr. Pena: Good afternoon to members of the  
7 Pediatric Advisory Committee, members of the public,  
8 and FDA staff. Welcome to this meeting. The  
9 following announcement addresses the issue of  
10 conflict of interest with respect to this meeting and  
11 is made part of the public record.

12 Today the Pediatric Advisory Committee will  
13 hear and discuss the recommendation of the Pediatric  
14 Ethics Subcommittee from its meeting today, December  
15 9, 2008, regarding a referral by an institutional  
16 review board of a clinical investigation that  
17 involves both an FDA-regulated product and research  
18 involving children as subjects that is supported by  
19 HHS.

20 The clinical investigation is entitled  
21 "Children's Oncology Group Protocol ASCT0631: A Phase  
22 III Randomized Trial of Granulocyte Colony

1 Stimulating Factor (G-CSF) Stimulated Bone Marrow  
2 versus Conventional Bone Marrow as a Stem Cell Source  
3 in Matched Sibling Donor Transplantation." Based on  
4 the submitted agenda for the meeting and all  
5 financial interests reported by the committee  
6 participants, it has been determined that committee  
7 participants do not have financial interests that  
8 represent a potential for conflict of interest at  
9 this meeting.

10 In the event that discussions involve any  
11 other products or firms not already on the agenda for  
12 which a participant has a financial interest, the  
13 participants are aware of the need to exclude  
14 themselves from such involvement and their exclusion  
15 will be noted for the record.

16 We note that Ms. Amy Celento is  
17 participating as the Pediatric Healthcare  
18 Representative; Ms. Elaine Vining is participating as  
19 the Consumer Representative; and Dr. Brahm Goldstein  
20 is participating as the non-voting industry  
21 representative acting on behalf of regulated  
22 industry. With respect to all other participants, we

1 ask in the interest of fairness that they address any  
2 current or previous financial involvement with any  
3 firm whose product they wish to comment upon.

4 We have an open public comment period  
5 scheduled for 4:00 p.m. today. I would just remind  
6 everyone to turn on your microphones when you speak  
7 so that they -- that the transcriber can pick  
8 everything up, and turn them off when you're not  
9 speaking. I'd also ask all attendees to turn their  
10 phones to silent mode and Blackberries to silent  
11 mode. Thank you.

12 Dr. Rappley: Dr. Nelson:

13 Dr. Nelson: Yeah. I'd like to just put up  
14 one slide that I used for the Ethics Subcommittee  
15 Meeting, and then I'll --

16 So, first of all, about the process, I  
17 might say, this is the fourth one of these reviews  
18 that had been done since the Pediatric Advisory  
19 Committee was chartered for this purpose. And I'm  
20 not going to show you the charter; you can see that  
21 on the website. I will say, though, this is the very  
22 first one where we've done both meetings in one day.



1 And I appreciate everyone's willingness to come in.  
2 Having had the prior meeting set up for tomorrow and  
3 the next day, that's the reason we linked it to that  
4 meeting so that we could get you here a little bit  
5 early to then consider this protocol.

6 I mention that as well since you'll see the  
7 report of the Ethics Subcommittee findings, and as  
8 you can imagine, the PowerPoint slides are not the  
9 usual -- I mean, they're excellent given Ed  
10 Bartlett's work on them as we were talking, but  
11 they're not the usual, sort of, polished style you  
12 might see from a federal government product. But  
13 it'll be pretty good for basically 20 minutes of  
14 work.

15 So let me talk briefly about the process.  
16 I mean, the particular protocol that you are  
17 discussing and that the Ethics Subcommittee discussed  
18 at more length during the course of the day, is both  
19 HHS funded and FDA regulated and is such is being  
20 conducted under a joint review process. And this  
21 slide, which actually is courtesy of Kevin Prohaska,  
22 formally of OHRP and now at FDA, but not involved in

1 the process, he sent me this process slide which I  
2 dressed up, shows you where the Ethics Subcommittee  
3 and the Advisory Committee sit. So as you look  
4 there, you see the Expert Panel Ethics Subcommittee  
5 with public comment. There is also public comment  
6 period now. Goes to the Advisory Committee. The  
7 Advisory Committee basically attaches its assessment  
8 to the Ethics Subcommittee Report, which then goes  
9 with our office's assessment to the Commissioner.  
10 That packet then goes to OHRP, again, via our office.  
11 OHRP then takes those three documents, adds a fourth  
12 document -- their assessment -- which then goes to  
13 the Secretary who makes a decision, hopefully in a  
14 timely manner. And then that comes back to OHRP who  
15 then works with the funding agency, in this case,  
16 NCI. The IRB is involved as well as the principle  
17 investigators and grantees that are involved in this  
18 protocol. So that's the overall process.

19 So we here are to look at the Ethics  
20 Subcommittee recommendations to discuss them. The  
21 Advisory Committee is certainly able to make any  
22 additions or suggestions or deletions to those

1 recommendations, and then hopefully by the end of  
2 today we'll have a game plan for moving forward.

3 I'm happy to entertain any questions before  
4 Jeff comes up to gives slides he's never seen before.  
5 So --

6 Dr. Murphy: Skip, you might just say to  
7 them what you said to the Peds Ethics Committee,  
8 though. I know that there were more people from IRBs  
9 there that might have been tempted --

10 Dr. Nelson: Oh, no. Yeah. You're not an  
11 IRB. You're making recommendations to the  
12 Commissioner and to the Secretary, which is part of  
13 your charter. But the hope is to stay -- if there's  
14 something important, get it on the table, but if it's  
15 just sort of nickel and diming consent form language,  
16 we'll rely on the individuals who are capable of  
17 doing that as well as we are to take care of those  
18 kinds of details. Okay.

19 Dr. Rappley: Thank you very much. And  
20 now, Dr. Jeffrey Botkin is Chair of the Pediatrics  
21 Ethics Subcommittee, and he will present to us a  
22 summary of their deliberations this morning

1 (inaudible).

2 Dr. Botkin: I'm sure they'll be terrific.

3 Now, my understanding is that folks have basically  
4 the same background that our Ethics Subcommittee had  
5 in terms of all the materials, and folks are familiar  
6 with the protocol under the discussion, et cetera,  
7 because we're not going to be talking about that. We  
8 did have a nice opportunity to hear from a number of  
9 experts in the field about some of the background  
10 science involved as well as an opportunity to ask  
11 questions about risks associated with the protocol  
12 benefits, et cetera.

13 So, let's see what this slide that's  
14 remarkably wordy says. So, discuss a general  
15 question of the ethics of sibling bone marrow  
16 donation in a clinical setting. So these are kids  
17 who, of course, have been enrolled by virtue of  
18 clinical indication for bone marrow transplant for a  
19 sibling, and the research intervention is the G-CSF  
20 administration to those children with the hope that  
21 that'll enhance the quality of the bone marrow that's  
22 being acquired for transplantation.

1           So among the issues considered: (1) whether  
2           or not a third party should be involved and advocate  
3           for the potential donor; (2) whether justification  
4           for parental discretion and the decision to permit  
5           bone marrow donation from one sibling to another is  
6           based on any purported benefit whether considered  
7           direct or indirect to the donor, or on the absence of  
8           significant risk or serious harm.

9           The Ethics Subcommittee also touched on a  
10          question of precedent and the relationship of  
11          recommendations made during the course of the  
12          deliberations today and future protocols involving  
13          healthy siblings as bone marrow donors. So I think  
14          one of the things we were cognizant of as we had,  
15          what I think was a terrific discussion, is the fact  
16          that there haven't been very many 407 procedures and  
17          so relatively few opportunities to work through these  
18          issues in this kind of context and provide opinions  
19          from our group, and then subsequently your group,  
20          that might provide guidance to investigators out  
21          there as they struggle with the same kinds of  
22          definitions that we were looking at today, things

1       like conditions and direct benefit, et cetera.

2               So much of our discussion was focused on  
3       issues that, to some extent, became moot. So we  
4       decided fairly quickly, for example, that the donors  
5       did not have a condition. Nevertheless, we went on  
6       to discuss the other criteria under 406 as a way of  
7       trying to develop our own knowledge in these areas as  
8       well as potentially advance the field somewhat by  
9       providing some public discussion about these  
10       concepts.

11              So 405 issues, first of all I would say  
12       that we had some very preliminary discussion about  
13       all of the groups that were participating in the  
14       research protocol. So you'd have the recipient  
15       groups, those who were receiving bone marrow that had  
16       been stimulated with G-CSF, and those who receive  
17       bone marrow without that stimulation. Everyone is  
18       comfortable with the fact that those children were  
19       approvable under a 405 category of research.

20              The two groups of children who were donors  
21       -- one group who received the G-CSF stimulation, and  
22       one group who did not -- the group who did not

1 receive that stimulation was also approvable, we  
2 thought, probably under a 404 criteria since  
3 basically they didn't have any significant  
4 intervention beyond clinical tracking, longitudinally  
5 over time. So it was really the bone marrow donor  
6 group who received the G-CSF that raised the  
7 problematic issues that we were focusing on here;  
8 and the research intervention not being the bone  
9 marrow donation itself, but the G-CSF administration  
10 in order to enhance the bone marrow. So tried to  
11 focus our discussion on that as the research  
12 intervention.

13 So, prospect of direct benefit generated  
14 quite a bit of discussion. Direct benefits are those  
15 that accrue directly in a proximate manner to the  
16 donor subject or result of research participation.  
17 Focus of the research hypothesis is the effect of G-  
18 CSF on the recipient. And this is something that is  
19 being used in clinical practice now; direct benefit  
20 argument has been used. And we understood from Dr.  
21 Grupp who was participating with us today, that the  
22 group who developed the protocol had been thinking of

1 this as one in which it could be approved as a direct  
2 benefit to the donors as well as to the recipients.  
3 There was also some -- and that direct benefit would  
4 be hypothesized to be the improved survival of a  
5 sibling and that that would return as benefit to the  
6 donor. And the possibility of a lower bone marrow  
7 volume potentially being harvested was raised, but  
8 that's a benefit that pertains to future donors.

9 I'm not sure where these slides go. But I  
10 think our group then decided that direct benefit --

11 Dr. Nelson: At the very end there is a  
12 list of what you decided. So -- but --

13 Dr. Botkin: Okay.

14 Dr. Nelson: When you get there you may  
15 have already said everything else.

16 Dr. Botkin: All right. Our group did  
17 decide that this was not a context of direct benefit  
18 to the donor children, and therefore was not  
19 approvable under 405. Nevertheless, those are the  
20 considerations.

21 We subsequently then decided whether --  
22 however the benefits are construed in this context,



1       whether they're construed as direct benefit or  
2       indirect benefit, did the benefits justify the risk.  
3       And again, did the benefits to the donor children  
4       justify the risks associated with the G-CSF  
5       administration? Lively discussion about this, no  
6       consensus. Certainly some of our committee members  
7       were quite concerned that there -- were quite  
8       uncertain as to whether the benefits would justify  
9       the risks associated with the G-CSF. So,  
10      intervention donors would not be approvable under 405  
11      because of the lack of direct benefit.

12               406 issues. And I think -- I'm not sure  
13      whether the slides go here, but we also decided  
14      fairly early on that the 404 was not an acceptable or  
15      appropriate criterion either, that this  
16      administration of G-CSF to the donor kids was more  
17      than minimal risk. So we moved beyond that criterion  
18      fairly quickly.

19               All right. 406. This of course is the  
20      criterion in which the children have a condition but  
21      the risk is only a minor increase over minimal risk,  
22      and the information is of vital importance.

1           We also decided relatively quickly that the  
2 risks associated with G-CSF administration were more  
3 than a minor increase over minimal risk. That of  
4 course took 406 off the table fairly quickly.

5 Nevertheless, we had some ongoing discussion about  
6 the other criteria necessary under the 406 category.

7           Our determination about whether the minor  
8 increase over minimal risk was due to some  
9 information about deaths associated with -- from ARDS  
10 associated with the administration of G-CSF. I  
11 understand from Dr. Grupp that that may not be  
12 accurate information, so we may wish, as part of your  
13 conversation, to clarify exactly what the data show  
14 with respect to the incidents, or at least  
15 circumstances of ARDS with G-CSF administration in  
16 the past.

17           Commonly, there are side effects associated  
18 with the condition with bone pain, fever, diarrhea,  
19 et cetera. Probability of serious side effects is  
20 unknown, probably small. But I think given the lack  
21 of good information about the risks associated with  
22 G-CSF, the consensus of the group was clearly that

1 this was more than a minor increase over minimal  
2 risk.

3 Was this a condition? Lots of good  
4 discussion here. The consensus was this was not a  
5 condition or a disorder, at least in the context of  
6 this particular protocol. The outcomes for the  
7 children, or the administration issues there, were  
8 not really addressed in the hypothesis generated for  
9 the study, per sé. HLA type not a condition, per sé.  
10 That point being raised. So just the fact that the  
11 kids are in a clinical circumstance does not in and  
12 of itself give them a condition for the purposes of a  
13 406 determination.

14 And the other points here, again, I thought  
15 an appropriate point, meeting and inclusion criteria  
16 characteristic doesn't mean a child now has a  
17 condition. From my personal perspective, I felt that  
18 the protocol was one in which the status of the child  
19 as a donor was assigned to them by virtue of others,  
20 so it was a socially determined attribute that they  
21 had that wasn't their own choice, wasn't by virtue of  
22 a negative characteristic of their health in some

1 fashion, but was a status assigned by others, which,  
2 to me, was an important aspect of not being  
3 comfortable with assigning such as a condition.

4           Were the experiences reasonably  
5 commensurate with the interventions and experiences  
6 that the kids would have or could anticipate by  
7 virtue of their situation? Experience includes  
8 experience with both the procedures as well as  
9 potentially the side effects. Main side effects are  
10 bone pain and myalgia. G-CSF administration does not  
11 increase time in the hospital, was the observation.  
12 We really came to no clear consensus about whether  
13 the administration of G-CSF was a reasonably  
14 commensurate experience with the experience of  
15 children who were otherwise receiving a bone marrow  
16 donation procedure.

17           Vitality important knowledge. No. And  
18 again, this is wrapped up with the condition  
19 determination in that it couldn't be vitally  
20 important to their condition since we don't perceive  
21 them as having a condition. So, 406, we thought was  
22 not an appropriate set of approval criteria for this

1 protocol.

2           So, 407, is this a reasonable opportunity  
3 for generalizable knowledge in accord with sound  
4 ethical principles? The general decision was, yes,  
5 with some -- a few votes to the contrary. In  
6 general, of course, the consensus was that this  
7 research should go forward with some stipulations  
8 that we outlined. And that's our ultimate  
9 determinations.

10           So here were a list of our determinations.  
11 I'll try to run through those quickly. The research  
12 risks that should be considered when evaluating the  
13 inclusion of healthy sibling donors is the  
14 incremental risk of the G-CSF administration, as we  
15 try to avoid the consideration of the donation  
16 procedures themselves since the kids were going to  
17 get that anyhow.

18           Risks to the G-CSF administration are more  
19 than a minor increase over minimal risk, as  
20 discussed. Thus, the protocol can't be improved  
21 using 50.51, 404 or 406. There are benefits to the  
22 donor, although some panel members thought these

1 benefits were speculative and there's not a lot of  
2 good research on exactly what the benefits are. A  
3 lot of focus on the fact that we presume that kids  
4 will be benefited by the longer survival, for  
5 example, of the sibling or fewer side effects from  
6 chronic graft-versus-host disease, et cetera. But  
7 those experiences have not been well validated by  
8 studies.

9 But in any case, these are indirect  
10 benefits that require a positive effect on the bone  
11 marrow recipient. Thus, the protocol cannot be  
12 approved under 405. Donors do not have a condition,  
13 as mentioned with respect to the protocol, so, in  
14 addition to the risks of G-CSF administration, the  
15 lack of a condition means that the inclusion of  
16 healthy sibling donors cannot be approved under 406.

17 Again, the research represents a reasonable  
18 opportunity to understand, prevent, or alleviate a  
19 serious problem affecting health, welfare of  
20 children. Emphasize that this is a potentially life-  
21 saving intervention for the recipients. May decrease  
22 mortality, it may substantially decrease morbidity

1 for those children. So it's an important health  
2 problem.

3 Research can be conducted in accord with  
4 sound ethical principles. With one dissenting vote  
5 assuming the following changes, as stipulated in the  
6 minute, are made to the protocol and inclusion of  
7 healthy sibling donors. And this research protocol  
8 can be approved under 407.

9 So, here were our stipulations. And  
10 unfortunately we didn't have a great deal of time to  
11 discuss these, and so I think obviously we'd benefit  
12 from additional discussion with this committee as  
13 well as the other findings. All donors with an  
14 increased risk of bone marrow donation, not simply  
15 high risk should be excluded. There's language in  
16 the inclusion criteria that says kids who are at high  
17 risk for complications of the G-CSF will be excluded;  
18 we thought children who are at any level of increased  
19 risk should be excluded, such as any uncontrolled  
20 infection is an exclusion criteria.

21 Second, each research site should appoint  
22 an independent person to function as an advocate for

1 the potential sibling donor. Increasingly,  
2 institutions are adopting participant advocate  
3 positions to work with research participants to help  
4 them make a decision about participation as well as  
5 to troubleshoot during the conduct of the research,  
6 and we thought someone who was focused specifically  
7 on the donor to make sure that there wasn't an  
8 inordinate balance of attention being exerted or  
9 being directed towards the recipient of the  
10 transplant, would be beneficial in this context.

11 There was some recommendation or  
12 stipulation made that parental informed permission,  
13 that it should clearly indicate that there is a  
14 potential life-threatening complications of the  
15 intervention. And the question was raised about  
16 ARDS, and certainly the question has been discussed  
17 extensively this morning about whether there is or is  
18 not any increased risk of leukemia from the short-  
19 term administration of G-CSF.

20 All things being equal was another  
21 stipulation. Preference should go to an older  
22 sibling donor. So if you had a 17-year-old sibling



1 and a 6-year-old sibling, each of whom were  
2 comparable matches, that the older sibling should be  
3 the preferred donor and research participant. No  
4 recommendations.

5 So, our vote, not in favor of the motion  
6 with all of the stipulations that I had mentioned.  
7 There were two no votes, and we had bundled together  
8 the stipulations with the general 407 approval, so we  
9 got two no votes to that. One of the no votes, the  
10 subject advocate -- the feeling was that that should  
11 not be a stipulation. That they felt that 407 was --  
12 that it was approvable under 407, but just not with  
13 the subject advocate inclusion. And the second no  
14 vote, the committee member was not certain that the  
15 research in general was in accord with sound ethical  
16 principles, and so, voted no to an overall 407  
17 recommendation for this protocol.

18 All right. Time for questions for me, or -  
19 -

20 Dr. Rappley: So, at this point, we would  
21 open to questions for you, Dr. Botkin. And if others  
22 are experiencing this as I am, they're sort of -- we

1 did have all this preparation in our -- and the  
2 materials provided to us, but we're hitting your  
3 recommendations a little bit cold. So I wonder if  
4 you might allow me to repeat what I think I heard you  
5 say.

6 Dr. Botkin: Please. That would be great.

7 Dr. Rappley: And then if you can correct  
8 it as I go. And I think it's probably not possible  
9 to put them all up on the screen at the same time, so  
10 then we'll -- after we summarize them, then we'll go  
11 back and we'll take them one at a time for questions.  
12 Does that make sense to people? That way you have  
13 something visually to refer to.

14 Okay. So what I heard in your summary is  
15 that it was -- your group decided that there was no  
16 direct benefit to the donor to participate as a donor  
17 in this protocol, the donor who receives the G-CSF,  
18 which was always our point of question here. And  
19 that the benefit did not outweigh the risk because  
20 there was no direct benefit. But there was not  
21 consensus about those two items; is that correct?

22 Dr. Botkin: I would say there was

1 consensus that the -- whatever benefits might accrue  
2 to the donors by virtue of their receiving G-CSF was  
3 indirect, and therefore did not constitute direct  
4 benefit. Whether the benefits that those children  
5 receive, whether we considered them direct or  
6 indirect, were justified by the risks or whether the  
7 risks were justified by those benefits was a matter  
8 of much discussion and no consensus.

9 Dr. Rappley: A third point is that there  
10 is more than a minor increase above minimal risk in  
11 this procedure for the donor, and there was consensus  
12 on this.

13 Dr. Botkin: Yes. That's correct.

14 Dr. Rappley: Fourth is that the donors do  
15 not have a condition or a disorder which would -- by  
16 definition they do not have a condition or a  
17 disorder.

18 Dr. Botkin: That's right.

19 Dr. Rappley: Okay. And there was  
20 consensus on that.

21 Dr. Botkin: There was consensus, yes.

22 Dr. Rappley: The fifth is that the

1 experience of donating and receiving the G-CSF and  
2 donating the bone marrow was reasonably commensurate  
3 with other experiences in the life of a child. And  
4 there was no consensus about this.

5 Dr. Botkin: It would be reasonably  
6 commensurate with children who are otherwise  
7 similarly situated, would be the question. And I  
8 don't believe we came to any consensus about whether  
9 the G-CSF administration was reasonably commensurate  
10 with the experiences that the kids would otherwise  
11 get as bone marrow donors.

12 Dr. Rappley: So the question was, do --  
13 there are two categories of donors. There are those  
14 who receive the G-CSF and those who don't. And so  
15 when we make a decision about is the experience  
16 reasonably commensurate, we're saying, are the two  
17 experiences for both donor groups reasonably  
18 commensurate. Is that the question, or is that the  
19 frame for the question?

20 Dr. Botkin: Yeah. I guess I would say the  
21 question would be framed -- and this may be the same  
22 -- as to say, does the administration of the G-CSF

1       itself, is that reasonably commensurate with the  
2       other experiences that kids are going to get anyhow  
3       by virtue of being bone marrow donors.  And --

4               Dr. Rappley:  Okay.

5               Dr. Botkin:  -- I think that the -- we  
6       heard from Dr. Grupp, who felt that -- if I'm  
7       characterizing correctly -- that they were  
8       commensurate.  I think others on the committee were  
9       less certain.  And given the fact that this point  
10      became moot by virtue of other criteria under 406, we  
11      didn't press that conversation.

12              Dr. Rappley:  Okay.  The sixth point is  
13      that there is not vitally important knowledge to the  
14      condition of the donor obtained by virtue of  
15      participation because they don't have a condition.

16              Dr. Botkin:  That's correct.

17              Dr. Rappley:  A seventh point is that this  
18      is -- this research protocol is a reasonable  
19      opportunity for generalizable knowledge, and the  
20      research should go forward.  And that was voted as a  
21      nine in favor and two against.

22              Dr. Botkin:  That's correct.  And with one

1 of those two against being based on one of the  
2 stipulations that was included as opposed to the  
3 general sense that this was approvable research that  
4 should go forward under 407. We really only had one  
5 vote that raised concerns about the approvability of  
6 the protocol itself under 407.

7 Dr. Rappley: So it's your interpretation  
8 of one of those no votes that it was rather about  
9 whether one of the items called a stipulation should  
10 actually belong in the recommendation category and  
11 not --

12 Dr. Botkin: That's correct.

13 Dr. Rappley: -- not a no vote to the  
14 question itself.

15 Dr. Botkin: Yes. That's -- and that  
16 specifically was the stipulation they were concerned  
17 about. They thought that requiring a participant  
18 advocate as part of the protocol was excessive and  
19 that that would be better made as a recommendation  
20 rather than a requirement.

21 Dr. Rappley: And then lastly, that the  
22 research can be conducted with sound ethical

1 principles. That was ten in favor and one not in  
2 favor.

3 Dr. Botkin: Well, we didn't take the vote  
4 quite in that sense, but we got the nine to two vote,  
5 and with --

6 Dr. Rappley: Nine to two.

7 Dr. Botkin: -- one of the two votes being  
8 yes for the 407 in general but without that one  
9 stipulation. So I think it's fair to conclude that  
10 ten participants thought that this was ethically  
11 appropriate to approve under 407.

12 Dr. Rappley: Okay. So that's the end of  
13 my summary. Would you say that was fairly accurate?  
14 Simplistic, and doesn't reflect all the work that  
15 went into that, I realize.

16 I think it's maybe worth saying as Dr. Pena  
17 reminded me, the difference between a stipulation and  
18 a recommendation. So when you all put these things  
19 in the category of stipulation, it means that each  
20 one of those has to be met in order for the research  
21 protocol to be approved. And instead of if it was a  
22 recommendation, there would be -- yes, Skip?

1           Dr. Nelson: I might say, since you're  
2 actually making a recommendation about a stipulation,  
3 all it is is a really, really, really, really strong  
4 recommendation. But your whole determination is a  
5 recommendation to the Commissioner, strictly  
6 speaking.

7           Dr. Rappley: So the role of the committee  
8 is always to make recommendations. But it is your  
9 conclusion that there are a set of stipulations that  
10 must be met in order for these to be considered  
11 approvable, in order for this protocol to be  
12 approved. That's the recommendation that you would  
13 ask us as the Pediatric Advisory Committee to take to  
14 the agency; am I correct?

15           Dr. Botkin: Yes.

16           Dr. Rappley: Okay. So given that summary,  
17 let's open for questions. Yes, Dr. Goldstein.

18           Dr. Goldstein: I have a few comments and  
19 then one that may be more for Dr. Grupp than for  
20 this, but then one question for the committee.

21           My first comment is that you had mentioned  
22 that there may need to be further study on whether



1       there are direct or indirect benefits to siblings who  
2       act as donors. And somehow I find that -- silly is  
3       the wrong word, but almost as strong -- and I wonder  
4       if we actually do need to study whether a sibling who  
5       has an opportunity to save another sibling's life  
6       gets benefit from that. Or the obverse, if that  
7       opportunity is taken away from them and the sibling  
8       dies, how do they react to that. Some things I  
9       wonder if we actually do need to study or not, that's  
10      just a personal comment.

11               My two issues, maybe for Dr. Grupp and the  
12      group, in terms of the study protocol, are that I  
13      noticed that in the safety reports for G-CSF that  
14      there is a -- again, a very rare but small incidence  
15      of splenic ruptures and also the ARDS, which I'll be  
16      interested in hearing more about if that happens,  
17      later. Because it wasn't clear to me whether or not  
18      the patients who developed ARDS were actually really,  
19      completely healthy or if they had underlying  
20      problems.

21               In any event, it occurred to me that when I  
22      was looking at the donor exclusion criteria that

1 either splenomegaly on physical examination or a  
2 history of splenic injury might be something to  
3 consider as an exclusion criteria. And similarly,  
4 assuming ARDS is actually a real complication,  
5 history of lung disease may be another one.

6           And then, finally, I think -- which is what  
7 I want to address, get some input from the committee  
8 -- is that as I read through the protocol and as  
9 we're -- as the stipulation recommendation is for a  
10 patient advocate outside of -- a donor advocate  
11 outside of the parent and outside of the  
12 investigator, is I don't see any mention of a DSMB or  
13 a Data Safety Monitoring Board for the recipients.  
14 And I guess my consideration would be, should there  
15 be a DSMB not just for the recipients, but should  
16 there be a separate DSMB in this case given the  
17 unknown prevalence of the complications of G-CSF in  
18 this population for the donors themselves, and should  
19 there be written stopping rules for -- if a  
20 particular complication occurs, that this study would  
21 be stopped and we would know this ahead of time. I  
22 think this is fairly commonplace in the

1 pharmaceutical industry and in early phase -- or all  
2 phases of research, and I wonder if that would be  
3 translatable to this protocol. I'll stop.

4 Dr. Rappley: Please respond.

5 Dr. Botkin: Quick comment about your  
6 initial concern. And I don't have any professional -  
7 -

8 Dr. Goldstein: That was more of a personal  
9 opinion. I really don't need a --

10 Dr. Botkin: I'm sorry?

11 Dr. Goldstein: The sibling thing was sort  
12 of a personal opinion.

13 Dr. Botkin: Well, and I just want to  
14 reflect on it because I think it was a subject with a  
15 fair amount of discussion for us. So just to tell  
16 you where are group was coming from on that issue is,  
17 first of all, the donors are kids who are six months  
18 through 18 years or so, and so the psychological  
19 benefits from improved outcome for a sibling would  
20 obviously be potentially relevant to the older kids,  
21 less relevant or entirely irrelevant to the youngest  
22 kids, perhaps until they got to an age until the

1 recognize the contributions they had made to a  
2 sibling.

3 But the other issue was folks who have more  
4 knowledge in this area presented the fact that when  
5 things don't go well for the recipient that there are  
6 adverse effects for the donors. They may feel  
7 responsible for the graft-versus-host disease and  
8 responsible for the fact that perhaps a transplant  
9 didn't go well and a sibling dies. And so, you know,  
10 there may well be benefits but there may well be  
11 significant and complicated harms associated with  
12 that whole procedure as well. And so I think a lack  
13 of a good, thorough understanding of exactly what  
14 that spectrum looks like, I think was the uncertainty  
15 that our group was feeling.

16 Dr. Rappley: And, Dr. Grupp, did you wish  
17 to -- are you in the audience? Yeah. Would you like  
18 to step to the microphone here and speak to the  
19 questions about ARDS and splenic rupture?

20 Dr. Grupp: Okay. My name is Steve Grupp.  
21 I am the Study Chair of this protocol, and I am also  
22 the Head of Stem Cell Transplantation for the

1 Children's Oncology Group. I'm a pediatric  
2 transplanter by trade.

3 So to briefly discuss your very useful  
4 questions, the incidents of splenic rupture is  
5 thought to be on the order of 1 to 10,000 in adult  
6 patients -- not patients -- in adult donors of  
7 peripheral blood stem cells. This has never been  
8 reported in pediatrics so we are unable to estimate  
9 any incidents in pediatrics.

10 Certainly, excluding patients with clear  
11 splenomegaly on physical exam or a prior history of  
12 splenic injury would be not inconsistent with  
13 maximizing donor safety on the protocol, isn't  
14 something that came up in our discussions but it's a  
15 very reasonable discussion.

16 The issue of the acute or adult respiratory  
17 distress syndrome associated with GSCF, this has been  
18 reported in a wide variety of patient populations.  
19 And there are two patients in the literature that  
20 came up in the discussion of the risks of G-CSF in  
21 the earlier meeting, and those two patients were  
22 reported in 2001 in the journal CHEST. And one of

1       these individuals is a 72-year-old who received G-CSF  
2       by mistake rather than receiving erythropoietin for  
3       his anemia. He developed ARDS and subsequently died.  
4       He was not a donor of either peripheral blood stem  
5       cells or marrow. So that was characterized in the  
6       meeting, I believe, incorrectly. That patient  
7       clearly had a medical condition. That patient would  
8       not have been eligible to donate peripheral blood  
9       stem cells because the maximum age for that is age  
10      60. So I don't believe his experience is directly  
11      relevant to the risk.

12               The second patient who received G-CSF who  
13      experienced ARDS was a 38-year-old who was a donor of  
14      granulocytes, not of peripheral blood stem cells, so  
15      I don't see that as being a significant difference  
16      because both are for apheresis procedures, and that  
17      patient simultaneously resolved. So we're really  
18      dealing with one case, non-fatal of ARDS in an  
19      analogous, although not exactly the same, clinical  
20      situation.

21               So ARDS is disclosed in the current consent  
22      form. One of the stipulations is to -- of the prior

1 meeting, was to -- or the strong recommendations from  
2 the prior meeting was to include the statement that  
3 ARDS can be fatal, which is certainly a correct  
4 statement and we, you know, in my own mind, didn't  
5 have a problem -- I didn't have a problem with that  
6 recommendation or stipulation, of course.

7 Dr. Goldstein: Could you address the -- I  
8 -- the issue within the protocol or within COG about  
9 Data Safety Monitoring Boards?

10 Dr. Botkin: Yes. So that's a very  
11 important question. And the answer is there is a  
12 Data Safety Monitoring Board for all Phase III trials  
13 within the Children's Oncology Group. And so that  
14 board is responsible for the monitoring of both the  
15 recipient safety and severe adverse events, and the  
16 donor safety and severe adverse events on this trial.  
17 There is not a separate DSMB for the recipients. As  
18 a matter of fact, that data -- DSMB is charged with  
19 all the Children's Oncology Group Phase III trials.

20 Now, your comment about stopping rules I  
21 think is very well taken, but it is an enormous  
22 challenge for us in trying to figure out how to do

1       this.  So we had a number of discussions about how  
2       you write a stopping rule on a 400-patient study for  
3       a risk that is in the, you know, 1 to 10,000 range.  
4       And we had a back and forth with the Data Safety  
5       Monitoring Board on that particular issue.  And our  
6       decision was to have expedited reporting of all  
7       levels of adverse events that occur after donation  
8       for the donors on the study, whether they're on the  
9       experimental arm or on the standard arm, and that  
10      these would be reported to the DSMB and that they  
11      would have to make a decision as to the significance  
12      of these reports.  Because, really; if you're talking  
13      about splenic rupture, 1 in 10,000, one event is  
14      unacceptable.  So with that understanding, we decided  
15      on that process for the monitoring of donor safety.

16                So actually our reporting threshold for the  
17      donor events is much lower than the reporting  
18      threshold for the recipient events who are undergoing  
19      a bone marrow transplant and generally have cancer.

20                Dr. Goldstein:  But with expedited  
21      reporting, what timeframe are you referring to?  So,  
22      in other words, is there a risk that if splenic



1 rupture or ARDS or even a death occurs in the donor  
2 population, that somebody else could then receive G-  
3 CSF while this report was being generated?

4 Dr. Botkin: I would have to look at the  
5 COG process and answer that question. I would be  
6 speculating if I answered that right now.

7 Dr. Rappley: Dr. Cnaan.

8 Dr. Cnaan: So to answer the last -- the  
9 very last question, section 10.3.3.4.2 of the  
10 protocol says that -- sorry about that, it's not very  
11 far to go -- says that if there is one death in the  
12 donor population, the study is suspended and waits  
13 for the DSMB. It doesn't talk about the splenic  
14 rupture, but it does expressively talk about death.  
15 So there is already something in place.

16 What I wanted to ask, it seemed to me that  
17 what the donors are more at risk for, from what I  
18 read from all these materials, is a future leukemia.  
19 Because by the very nature of there being sibs, they  
20 are already, per the literature, at a somewhat higher  
21 risk for leukemia, who knows how many years later.  
22 And the question is, does the G-CSF make it worse or

1 not? And there were very nice numbers provided in  
2 the review materials that said that in order to  
3 detect a tenfold increase, it would take 2,000  
4 patients over the next ten years. And this study is  
5 of the order of -- magnitude of, I think, 400; 500  
6 patients. So I accept that we cannot answer with  
7 certainty that question.

8           What I would ask is, it looks like the  
9 total duration of this study, recruitment plus  
10 follow-up, gets to about six years. I wonder whether  
11 it would make sense to follow the donors even longer  
12 than that since it is done through COG, maybe to ten  
13 years out. I realize there isn't the power, but even  
14 from an exploratory standpoint, I think it'll give  
15 additional information on the G-CSF exposure. So  
16 that was my only suggestion.

17           Dr. Rappley: Other questions? Yes, Dr.  
18 Notterman.

19           Dr. Notterman: I wanted to just turn for a  
20 second to the issue of the patient advocate, because  
21 I think that it's a very useful construction. I'm  
22 concerned that the parent who is asked to give

1 consent is obviously and manifestly in a necessarily  
2 conflicted position vis-à-vis judging the risks and  
3 benefits to his and her two children. And therefore,  
4 I think it's quite unlikely that a parent could make  
5 a decision regarding the donor that's solely in the  
6 best interest of that child. Therefore, while I  
7 support the concept of an advocate, I would like to  
8 know if there is a possibility of better delineating  
9 the process and procedures by which an advocate is  
10 selected, and with particular reference to the  
11 qualifications of a potential advocate and to their  
12 powers with respect to providing or withholding  
13 consent.

14 Dr. Rappley: Dr. Hudson, can you speak to  
15 that?

16 Dr. Hudson: We addressed this at the  
17 earlier meeting, as well. The patient advocacy  
18 varies across institutions. We have an ombudsman,  
19 sometimes it's a social work position, sometimes it's  
20 somebody that's affiliated with IRB. But most  
21 institutions have that type of personnel in place,  
22 although they may not -- they may have a different

1 designation within the institution to serve as a  
2 purpose of being an independent person who is on the  
3 -- who is evaluating things from a perspective that  
4 is not affiliated with the patient or the primary --  
5 you know, the parent.

6 Dr. Rappley: So do we interpret then that  
7 if this is adopted as a stipulation that every time a  
8 child would be enrolled in this protocol as a donor,  
9 the on-site advocate would be activated and be  
10 meeting with the parent to discuss this decision, or  
11 how does that work?

12 Dr. Hudson: If it's a stipulation of the  
13 protocol, it will have to be monitored by the  
14 protocol and there will have to be some validation in  
15 the record that this communication has occurred.  
16 And, you know, at least at our institution, it's not  
17 as formalized. That resource is available, there's  
18 this independent group that is charged with  
19 evaluating the donor medically, as well as  
20 psychosocially and emotionally.

21 But I think it would vary per institution,  
22 so I'm not really sure how that would be received

1 through COG. But, I mean, if it's mandated, it will  
2 be written in the protocol and it will be monitored  
3 and audited when they come and audit the study.

4 Dr. Rappley: Dr. Rosenthal.

5 Dr. Rosenthal: So I would say that the  
6 nuances of the role of the advocate weren't really  
7 defined in the Subcommittee meeting. But the  
8 importance of a person in such a role was agreed upon  
9 if the protocol were to move forward because of the  
10 recognition that parents are in a particularly --  
11 parents and siblings are in a particularly leveraged  
12 position at times like this.

13 Dr. Rappley: Yeah.

14 Dr. Goldstein: If I could add to Dr.  
15 Rosenthal's comment. It's not only parents and  
16 siblings who are really necessarily conflicted here,  
17 but also the healthcare team and the physicians  
18 involved.

19 And so my concern is that without a fairly  
20 rigorous statement as to what we expect from an  
21 advocate, it will be a recommendation or a -- a  
22 recommendation for a stipulation with no meaning.

1 Because I agree with Dr. Hudson that in my experience  
2 the rigor with which these advocates or advocacy  
3 arrangements are pursued varies widely at  
4 institutions, from hospital to hospital and school to  
5 school and department to department.

6 So I would like to see some flesh added to  
7 this, so to speak, so that I at least am comfortable  
8 that decisions are being made at least -- not  
9 contrary to the best interest of the donor.

10 Dr. Rappley: Are there other questions  
11 before we move into the open hearing and further  
12 discussion? Yes, Dr. Carl.

13 Dr. D'Angio: Maybe this is more for the  
14 discussion, but I was interested in a little bit more  
15 of the reasoning behind the determination that these  
16 subjects didn't have a condition. It -- I don't want  
17 to quibble, but it sounds like the entire discussion  
18 pivots around a verbal quibble about what a condition  
19 is. Something is going to happen to these people --  
20 and I'm not suggesting that this is a 406 protocol --  
21 but something is going to happen to these people who  
22 are going to be research subjects, and the study

1 would allow the researchers to gain knowledge about  
2 what happened to people like them. I don't know  
3 whether that's -- it's not a disorder, I agree with  
4 that. It is a situation, whether a situation is a  
5 condition, I don't know and I'm wondering how that  
6 discussion went.

7 Dr. Rappley: Dr. Botkin.

8 Dr. Botkin: Well, it was a matter that --  
9 first I would say, achieved relatively early  
10 consensus, much to my surprise. I thought this was  
11 going to be a long and detailed discussion about this  
12 particular issue, but it turned out not to be so.  
13 And I think the sense of -- that I had from the group  
14 was that these were healthy, average kids who happen  
15 to find themselves in a situation mostly decided by  
16 others that place them as donors. And that while you  
17 could stretch the concept of condition to cover that  
18 situation, that seemed to us to be broad a stretch.  
19 And that particularly as you looked at the other  
20 stipulations under 406 that require the research to  
21 be a valuable opportunity to ameliorate or address  
22 the condition, it's clear that the original drafters

1 of the regulations, as far as we were concerned, were  
2 thinking about a condition as something that  
3 negatively impacted kids' lives and that the research  
4 was designed to help address, to help rescue those  
5 kids from an unfortunate situation, as opposed to  
6 this circumstance in which their status as donors is  
7 a socially applied status, and thus not a health  
8 condition or a psychosocial condition the way we more  
9 typically think of in this context, in a research  
10 context.

11 Dr. D'Angio: I think -- I'm -- I'd like to  
12 hear more about that and talk more about that, but  
13 none of it's a question, so I'll wait until our  
14 discussion.

15 Dr. Rappley: Further questions from the  
16 committee? We had no one sign up for the open  
17 hearing segment. Is there anyone now who would like  
18 to come to the mic and give us either question or  
19 statement? So there is no one interested in speaking  
20 at the open hearing, and so we'll move on then with  
21 the discussion.

22 Again, given my role as the grand



1 summarizer here, I'm going to speak to what I heard  
2 come up in the questions, and then, of course, there  
3 are other things that you might want to bring to the  
4 discussion as well. One is that somehow either in  
5 stipulation or recommendation we suggest or recommend  
6 that in the exclusion criteria there be a specific  
7 reference to splenic problems, splenomegaly or lung  
8 disease.

9 The question was raised about the DSMB, and  
10 the answer was that it already follows the donors.  
11 So whether or not that needs further discussion is up  
12 to the committee.

13 The question of the risk of subsequent  
14 leukemia was raised, and it was recommended that the  
15 children be followed for -- the donors be followed  
16 for at least ten years.

17 There was suggestion and discussion about  
18 bringing more substance to the role of the advocate -  
19 - or more clarity to what the role of the advocate  
20 would be.

21 And then further discussion about the  
22 notion whether or not the donors have a condition.

1           And certainly feel free to raise other  
2 things that haven't yet been raised. So, I'd like to  
3 begin the discussion. Dr. D'Angio.

4           Dr. D'Angio: Okay. So I won't let go yet.  
5 I don't -- again, I don't -- I think that there are  
6 good reasons why this isn't a -- why this might not  
7 be approvable under 406, so I don't want to -- I  
8 don't want to disagree with that decision. But I  
9 worry a little bit about the precedent that since  
10 there aren't -- haven't been many 407 committee  
11 meetings as of yet, I worry a little bit about the  
12 precedent being set by saying that someone who is  
13 undergoing a medical procedure, for whatever reason  
14 they're undergoing the medical procedure, doesn't fit  
15 -- but de facto, doesn't fit 406.

16           And I can't -- I'm not sure I can  
17 manufacture another situation exactly like this, but  
18 here's one that has some holes in it. Somebody has a  
19 condition, a hernia, for which they're going to  
20 undergo a medical procedure that requires a certain  
21 sort of anesthesia. The anesthesia is incidental to  
22 their condition. They're undergoing the anesthesia

1       because they're undergoing anesthesia; you want to  
2       study what happens under that anesthesia.  If the  
3       anesthesia itself isn't what we can study, but under  
4       406, I worry that people who are being -- who are  
5       undergoing a medical procedure wouldn't fit into 406  
6       under the definition of condition that you're  
7       describing -- that the committee described.  And it -  
8       - we don't actually have to make a decision about  
9       this because this isn't a 406, but I do worry about  
10      that precedent.

11                 Dr. Rappley:  So you're worried about a  
12      precedent being set --

13                 Dr. D'Angio:  Yeah.

14                 Dr. Rappley:  -- with this as a  
15      stipulation.

16                 Dr. D'Angio:  Well, I worry about the --  
17      I'm sure that this wasn't glossed over, but I worry  
18      about the apparent impression that could be left that  
19      undergoing a medical procedure that has risks is not  
20      itself -- that doesn't fit into the category of 406.  
21      It isn't a disorder, that's okay, but it isn't a  
22      condition -- that a situation isn't a condition.  And

1 I'm beginning to sound like one of our former  
2 presidents, but I will stop at that point.

3 Dr. Rappley: Dr. Nelson.

4 Dr. Nelson: I guess I would say that your  
5 interpretation of -- Jeff can speak for the  
6 Subcommittee as well -- is not the way that the  
7 framing of the condition was put as a more general  
8 definition. So I wouldn't personally fear that your  
9 definition of condition was in fact the one that they  
10 were operating with. And I wouldn't necessarily fear  
11 that that would find its way in as precedent, partly  
12 because there is in fact no mechanism for any  
13 precedent being set by these as they're basically  
14 protocol-specific.

15 Dr. D'Angio: Okay.

16 Dr. Rappley: Dr. Botkin.

17 Dr. Botkin: Yeah, I would agree. I think  
18 that -- I think we wanted to think of the term,  
19 condition, in the context of a particular protocol.  
20 Now, I'll speak to my own opinion about this. And it  
21 seems to me you can have a circumstance in which  
22 you'd have children who were bone marrow donors.

1           And we can imagine that there is some  
2       negative outcome associated with having been a bone  
3       marrow donor, hypothetically. And they were going to  
4       run a research protocol that might entail more than  
5       minimal risk, but no prospect of benefit, would they  
6       have a condition in that context? I think you'd say,  
7       yeah. They had a significant medical procedure  
8       that's associated with some negative outcome; we're  
9       trying to improve that negative outcome so that it's  
10      a condition in that context.

11           I think in this particular context -- or in  
12      the example you used, if somebody's getting  
13      anesthesia for an appendectomy and the condition is  
14      appendicitis or something related to their -- so, in  
15      that context, I'm less concerned about this as that  
16      would -- that being a concern. I think the fact that  
17      these kids come into the protocol that includes the  
18      research intervention as well as the clinical  
19      intervention and they're being assigned a status --  
20      healthy kids being assigned a status as a donor --  
21      and then saying, well, now that you're a donor you  
22      have a condition, and since you have a condition we

1 can exert more than minimal risk. So it's kind of a  
2 double jeopardy circumstance for those kids.

3 Dr. D'Angio: I guess my only response to  
4 that is that they -- these children, by virtue of  
5 what is a socially-assigned situation, are going to  
6 undergo some risk. One could -- and again, this  
7 isn't the right protocol to make this argument about,  
8 but one could make the argument that these -- that we  
9 could learn about ways that would require -- ways to  
10 use G-CSF that would require fewer children to need  
11 priming for peripheral blood -- for peripheral stem  
12 cell collection, and that that might be a benefit  
13 that would eventually accrue to that class of people  
14 who are exposed to this risk.

15 If the risk of G-CSF itself were indeed a  
16 minor increased over -- increase over minimal risk, I  
17 would think that that would probably, in my mind, fit  
18 a 406. There are a couple things here that  
19 disqualified it, so it -- so the discussion is moot  
20 here. But I think that -- I could twist this  
21 protocol into that if the risks were a little bit  
22 different.

1           Dr. Rappley: Further discussion. So it is  
2 now the committee's step then to approve, modify, or  
3 delete this set of -- this one recommendation to  
4 adopt these stipulations.

5           So we have heard some suggestions, is there  
6 more discussion about the things that you have  
7 suggested? Dr. Notterman.

8           Dr. Notterman: Well, perhaps Dr. Nelson  
9 can help us get our arms around the concept of an  
10 advocate, which is a specific word. There are other  
11 words that could be used.

12           There are contexts in which in the course  
13 of granting consent for research, and advocate is  
14 used, or even a court-appointed guardian in some  
15 cases. Not that I'm suggesting that this be referred  
16 for adjudication. And I'd like to know if you have  
17 any thoughts, Skip, about what kind of process could  
18 be used if we decided to recommend that that would be  
19 reasonably consistent from institution to  
20 institution, and reasonably rigorous in the sense of  
21 actually forestalling this conflict that occurs in  
22 the parents desire to help one child by enrolling

1 another child in a research project.

2 Dr. Nelson: I mean, I guess I would agree  
3 that without trying to identify what you would think  
4 would be essential criteria for what someone  
5 functioning as an independent advocate ought to do,  
6 that the manner in which advocacy would be  
7 interpreted in any given context could potentially  
8 render it non-functional. Part of the difficulty is  
9 the ability to predict over what could ultimately be  
10 70 institutions spread among 50 states, each with  
11 their own laws specific to bone marrow donation. I  
12 mean, some states -- Wisconsin, for example, has a  
13 specific law that says 12 and up you can consent for  
14 yourself, below that you need an independent  
15 advocate, which is defined as someone doing a  
16 psychological evaluation separately from that  
17 process. So, you know, I guess I'm hedging a little  
18 bit because it's a little hard, when you said that's  
19 the same across all institutions. To say it ought to  
20 be independent of the transplant team is one thing.  
21 I would be a little hesitant to say it has to go  
22 outside the institution in any kind of official, sort



1 of, legal advocacy venue, which would be a very  
2 strong position, because I think most of us would  
3 want to feel parents are making reasonable decisions  
4 as they try and balance this.

5 The other thing that's also important is  
6 that the transplant itself is really standard of  
7 care. It's the G-CSF that's kind of being added onto  
8 it. So it's not as if you had an advocate for the  
9 protocol and then someone said, well, don't go in the  
10 protocol, and that was the advocate decision. The  
11 decision to be a donor may well still stand. You  
12 know, so I think it's complex and I'd be interested  
13 in Jeff's thoughts. But I think because of that  
14 complexity, the Subcommittee hesitated to try and be  
15 more directive beyond saying that we want everybody  
16 to do this.

17 Dr. Botkin: I think there is not a great  
18 deal of experience, at least that I would have, and I  
19 would say others on the Ethics Subcommittee had with  
20 exactly what the functions of these type of folks  
21 are. I think the -- I can't probably speak beyond  
22 the general sense of the Subcommittee to say that

1 given the fact that this is an emerging and  
2 relatively common position at many institutions these  
3 days, that given the complexities of this protocol  
4 and the real need to try to support the donor side of  
5 the research enterprise with its protocol that this  
6 would be an important one to bolster the ethics of  
7 the child by including such an individual that we  
8 weren't able to get into the -- any details about  
9 exactly what the job of that person would be and  
10 other aspects of how they would relate with the  
11 family. And so I think there's a -- that's pretty  
12 non-specific and frustrating outcome, but --

13 Dr. Notterman: Would it make sense to --  
14 and again, I'm -- these are questions for you folks  
15 who have thought more about this. Would it make  
16 sense to include in the recommendation that the  
17 advocate be able to participate in a meaningful way  
18 in the decision, or some such locution?

19 I agree that it would be burdensome to  
20 specify a specific detail process, and it would be  
21 probably burdensome to require that it be outside of  
22 the institution or that the usual process of court

1 appointing a guardian be used. I think that would be  
2 excessive. But we could ask that people do their  
3 best to make sure that the advocate participates in a  
4 meaningful way in the decision. And then perhaps  
5 allowing the individual institutions to decide for  
6 themselves what constitutes meaningful participation.

7 Dr. Goldstein: And, Dan, what about a  
8 generic comment to the effect that the advocate would  
9 act in the best interest of the donor?

10 Dr. Notterman: All right. That makes  
11 sense to me without thinking through all of the  
12 complexities that best interest means.

13 Dr. Rappley: Yes, go ahead. Can you  
14 introduce yourself, and then --

15 Ms. O'Lonergan: Yes. I'm Terri  
16 O'Lonergan, and I am a Research Subject Advocate.  
17 I'm actually the founding President for the Society  
18 of Research Subject Advocates. The position was  
19 generated in 2001 by NCRR as a requirement for all  
20 GCRCs. Now most of us are CTSAAs. We have developed  
21 standards of practice. We've developed different  
22 guidances for our Research Subject Advocates. There

1 are about 125 in the United States now. They're all  
2 associated with either GCRCs or CTSAs, so there is  
3 some regional accessibility to RSAs. And our society  
4 -- I'm still on the executive board of our society --  
5 anybody could contact us and we could find someone  
6 who would act as a Research Subject Advocate. And we  
7 could also guide them in the correct -- or the proper  
8 way to go about advocacy. And most Pediatric  
9 Research Subject Advocates see themselves as both  
10 advocates for research, given the state of pediatric  
11 research, and the family, and then the particular  
12 child. So if that's helpful.

13 Dr. Rappley: Thank you. Any discussion  
14 from Ms. Celento and Ms. Vining?

15 Ms. Vining: I think that what we've been -  
16 - I think it's been captured pretty fully with the  
17 discussions, the comments by Dr. Botkin and Dr. Skip  
18 Nelson. I don't have anything to add.

19 Dr. Rappley: Thanks. Just wanted to make  
20 sure.

21 Ms. Celento: I don't have anything  
22 additional either.

1           Dr. Rappley: Dr. Grupp, would you like to  
2           add something?

3           Dr. Grupp: So I would just like to -- I  
4           personally don't have an issue with the discussion on  
5           the use of a patient advocate in this situation.

6           I'd just like to offer two observations.  
7           The first is that the research on the protocol, of  
8           course, has been very clearly pointed out, is the  
9           application or non-application of G-CSF to the  
10          patient. The -- I would say if now speaking not as  
11          the Study Chair of the protocol but as a pediatric  
12          transplanter, that the significant decision before  
13          the family is to un -- is for their other child, the  
14          donor sibling, to undergo the donor procedure. And  
15          that, truly, the -- if we look at the entire package,  
16          the risk, to the extent there is any risk -- and  
17          there is a small risk associated with bone marrow  
18          donation; that's indisputable -- and the discomfort  
19          associated with the procedure, are really all loaded  
20          on the standard of care part of this and not on the  
21          research part of this.

22          So, you know, when I'm called upon to

1 operationalize the patient advocate, I have to keep  
2 in my own mind this distinction between the research,  
3 where really I feel that the potential for coercion  
4 is extraordinarily small, especially since the  
5 finding of the prior committee was that there was no  
6 potential for direct benefit to the donor.

7           So I think the issue is really for the --  
8 in front of the parents is the issue to proceed with  
9 the transplant. And having done these informed  
10 consent discussions, the reality is that although we  
11 discuss this issue, we don't spend all of our time  
12 talking about five shots of G-CSF, we really talk  
13 about the issue of transplantation, both from the  
14 going to the O.R. for the donor, and for of course  
15 the very significant experience that the recipient  
16 goes through. So just sort of trying to separate  
17 that in our own mind.

18           And then the other issue in terms of  
19 operationalizing this is that, you know, as a  
20 physician, what I actually see the area where I  
21 potentially conflicted, is in medical clearance of  
22 the donor, because of course, I want the procedure to

1 proceed because I'm an advocate for the recipient.  
2 And so the way a lot of institutions have  
3 operationalized that is to have a physician outside  
4 the transplant team do the medical clearance of the  
5 donor. And so that's just -- I just want to offer  
6 that as another potential area where -- that we might  
7 be able to do that.

8 Dr. Rappley: Thank you. Okay. Dr.  
9 Notterman and then Dr. D'Angio.

10 Dr. Notterman: And just with reference to  
11 the preceding comment by Dr. Grupp, I do want to  
12 point out that although -- that it's the purview of  
13 this committee, or the reason for this discussion,  
14 has to do specifically with G-CSF, and so that's the  
15 precedent that we're setting, taking into account  
16 your comments and acknowledging your comments that  
17 the more important aspect of the decision that the  
18 parents may be facing is the decision to have the  
19 sibling participate in the donor process at all. I  
20 understand that. But what this committee has to --  
21 has been asked to look at is the issue of G-CSF and  
22 the possible minimal risk associated with that and

1 the precedents that flow from that. And so that's  
2 the reason that I have brought up the issue of  
3 enhancing or specifying the role of the advocate,  
4 even though, in this particular case, it may be a  
5 very small role.

6 Dr. Rappley: Dr. D'Angio.

7 Dr. D'Angio: I just wanted to -- it struck  
8 me as Dr. Grupp was speaking, that he makes a very  
9 good argument for the research -- for the subject  
10 advocate because there is a risk that in the hurly-  
11 burly of all of the big decisions, that the specific  
12 research decision would end up being subsumed as, oh,  
13 yeah, well we'll do that, too, without it necessarily  
14 having a lot of independent thought because there are  
15 so many other very big decisions that are being made  
16 at this same time. So I think that's actually a very  
17 strong argument for having someone whose job it is is  
18 to think about this little sliver of what's going on.

19 Dr. Rappley: Dr. Nelson.

20 Dr. Nelson: A question about the  
21 recommendation of extending the follow-up from six to  
22 ten years; I know you haven't decided whether you'll



1 follow that or not, but a factual question, in the  
2 prior meeting we heard about the linking of donor  
3 follow up to a program called RDSafe, which was  
4 funded through the -- going to be done through the  
5 National Bone Marrow Donor Registry. And I'm just  
6 wondering what the length of follow-up for that  
7 program is. Is it six years or is it longer?

8 Dr. Rappley: Dr. Grupp, you can speak to  
9 that.

10 Dr. Grupp: So the proposal is ten years.  
11 Now, that is a separate study, and the patients must  
12 consent to the separate study. And even in the  
13 context of our study, they must consent to follow-up  
14 within the context of our study. So they can opt out  
15 of this. But it is true that within our protocol we  
16 had proposed five years of follow-up, and RDSafe,  
17 which has now been funded by the NIH, proposes ten.

18 Dr. Rappley: Thank you. Dr. Cnaan.

19 Dr. Cnaan: So that's actually great  
20 additional information. Just for clarity, I think  
21 six years is the total duration of this study. So  
22 the first patient will indeed be followed for -- or

1 first donor -- will indeed be followed for six years.  
2 But according to the projections, the last donor will  
3 only be followed for two years. And we can all  
4 calculate that probably the mean follow up would be  
5 somewhere in the three-and-a-half years range.

6 Dr. Nelson: The reason I asked the  
7 question is I didn't know it was ten, but I knew it  
8 was longer than that, and so given the linkage  
9 between this study with RDSafe with the consent --  
10 which, I think we would probably argue is important -  
11 - that I think the follow-up does end up being ten  
12 years outside of this study since everyone who is  
13 donating will be offered that follow up.

14 Dr. Rappley: So on the screen then are the  
15 four stipulations. We can move to accept that as a  
16 recommendation to the agency, unmodified. And then  
17 we can list again our three additional  
18 recommendations, and we can see if you -- if we agree  
19 on that. Is there a consensus about these four  
20 stipulations? Dr. Notterman.

21 Dr. Notterman: If I could ask -- just ask,  
22 Dr. Rappley. So agreeing to the second stipulation

1 with respect to the individual -- independent person  
2 would not preclude our further discussing enhancing  
3 that in a few minutes.

4 Dr. Rappley: We could right now -- I mean,  
5 I would accept your comment then as a suggestion to  
6 modify the second stipulation and to provide some  
7 language -- something to the effect that the advocate  
8 should participate in the decision in a meaningful  
9 way, acting on behalf of the donor.

10 Dr. Notterman: And would it be possible or  
11 appropriate to reference perhaps documents that this  
12 organization of RSAs has promulgated that we heard  
13 about. I'm not familiar with them, and so -- and I  
14 don't know if any of the FDA staff is familiar with  
15 them, but it would be nice to actually include a  
16 reference that would help the individual hospitals  
17 and investigators make an appropriate referral.

18 Dr. Rappley: Dr. Cnaan wanted to speak to  
19 that specifically, and then Dr. Nelson.

20 Dr. Cnaan: The RSAs is a wonderful  
21 organization, having been involved in both GCRC and  
22 CTSA. However, they are limited to institutions that

1 have a GCRC, which are being phased out, or a CTSA.  
2 Maybe Dr. Grupp could tell us whether all of the  
3 participating institutions have that or not. But if  
4 not, we'd be creating a sort of impossible situation.

5 Dr. Rappley: Yes, please.

6 Ms. O'Lonergan: The standard operating  
7 procedures are available through the SRA -- SRSA  
8 website. So that could be one easy access. And all  
9 the members are listed, and all their contact  
10 information are listed. And many of the RSAs fulfill  
11 their role outside of the CTSC, as well, especially  
12 in the CTSA as we're trying to sort of spread  
13 ourselves further out in the institution. So those  
14 are a couple contacts.

15 Dr. Rappley: So we could -- oh, Dr.  
16 Nelson.

17 Dr. Nelson: I was just going to say, there  
18 was some discussion at the Ethics Subcommittee about  
19 alternative mechanisms that may exist in institutions  
20 that don't have subject advocates. And I might point  
21 out that the way the subject advocate role has been  
22 institutionalized in different settings has not been

1 consistent. So you're not getting a consistent  
2 product to say that the research subject advocate  
3 should be involved in all institutions. So I  
4 wouldn't want you to labor under that misimpression.

5 Dr. Rappley: Dr. Grupp, did you have  
6 something to add?

7 Dr. Grupp: Yeah. I just wanted to answer  
8 Dr. Cnaan's question. So there are 80 transplant  
9 institutions within the Children's Oncology Group,  
10 and although each of them is capable of reading the  
11 SOPs, there's no question about that, that there is  
12 not a CTSA or a GCRC at each of those institutions.

13 Dr. Rappley: Thank you. So currently  
14 then, do we have the four stipulations and one  
15 suggested modification to the second bullet? Is  
16 there any further discussion about that modification?  
17 Is there anyone who would object to that  
18 modification? You want me to read it again? Okay.

19 So the stipulation as it is stated on the  
20 screen: Each research site should appoint an  
21 independent person to function as an advocate for the  
22 potential sibling donor. The advocate should

1 participate in the decision in a meaningful way on  
2 acting in behalf of the donor -- on behalf of the  
3 donor.

4 Dr. Notterman: We might want to add, just  
5 to address some of the concerns Dr. Grupp mentioned,  
6 that the participation of the advocate is with  
7 respect to the research questions, not the standard  
8 of care. So in this case, the participation of the  
9 advocate would be with respect to the use of G-CSF.

10 Dr. Rappley: So I will state the sentence  
11 again then. That the advocate should participate in  
12 the research decision in a meaningful way acting on  
13 behalf of the donor. Got that, Dr. Pena?

14 Dr. Pena: (Speaking off microphone).

15 Dr. Rappley: Okay. And so is there anyone  
16 who would object to adopting that modification of the  
17 second stipulation? Are there suggestions for the  
18 other three? Dr. Nelson.

19 Dr. Nelson: Just for clarity. There was  
20 one that's consistent with the first stipulation that  
21 was brought up earlier relative to the issue of  
22 splenomegaly and splenic injury and history of lung

1 injury. So my question is whether to that first  
2 stipulation you would want to provide that  
3 modification or not.

4 Dr. Rappley: Right. We could modify that  
5 first bullet; we could provide it as a separate  
6 bullet. It would make sense, I think, because we're  
7 talking about risk in that bullet. I think that's a  
8 point well-taken. Dr. Notterman.

9 Dr. Notterman: Just in terms of the  
10 language, we should probably refer to active or  
11 recent pulmonary condition, cover things like asthma,  
12 lung infections. I wouldn't limit it because we  
13 don't really understand the antecedents to the risk  
14 for lung injury.

15 Dr. Rappley: So that statement then, the  
16 first stipulation, could be modified: any increased  
17 risk for participation in this research, including  
18 splenic injury, splenomegaly, active or current lung  
19 --

20 Dr. Notterman: Active or recent.

21 Dr. Rappley: -- active or recent lung  
22 condition.

1 Dr. Notterman: Pulmonary condition.

2 Dr. Rappley: Pulmonary condition. I'd  
3 like to raise a question then as from my previous  
4 life as a general pediatrician. Lots of kids have  
5 asthma, lots of kids carry a diagnosis of asthma that  
6 may or not be accurate. It seems to me that we would  
7 be pretty close to excluding 10 percent of the  
8 possible donor pool, or greater, if we aren't careful  
9 in how we word this. Other thoughts about that? Dr.  
10 Cnaan.

11 Dr. Cnaan: You would be excluding them  
12 from this study; you're still not excluding them from  
13 being a donor.

14 Dr. Rappley: Dr. Kocis.

15 Dr. Kocis: You know, as I sit here and try  
16 to bundle a couple things -- and hopefully this will  
17 be helpful and not more confusing -- but there was  
18 some discussion about having a pediatrician outside  
19 the transplant team being involved, focus like a  
20 laser on the donor child, and to be able to make that  
21 assessment of increased risk, you know, I think to  
22 spell out everything, we'll probably leave out some



1 things and overstate other aspects. But I think if  
2 we could have that first stipulation be by a  
3 physician -- and now maybe that physician could be an  
4 advocate -- and I'm not -- I don't want to  
5 necessarily require for point number two that that  
6 independent person be an independent physician, but  
7 in fact that could be the case. And it may be  
8 convenient to do that.

9 Dr. Rappley: But I'm not sure -- to me  
10 that kind of confuses medical clearance and advocacy.  
11 They seem like two different roles. But I do see  
12 how, perhaps in the first bullet, we might suggest,  
13 recommend, or require that the decision about  
14 exclusion be made by a physician who is outside of  
15 the research protocol.

16 Dr. Kocis: And I would simply say -- and I  
17 don't want to say that that independent person would  
18 be a physician, but they could be a physician. And I  
19 would say that certainly as a pediatrician, that's a  
20 large part of what we do for advocacy. So I wouldn't  
21 negate that as being a possibility.

22 And then just two other things that come up

1 with more later, I'm not sure where they fit into the  
2 stipulations and stuff, but the DSMB, I'd like to  
3 know more about that, when they're convening. And  
4 this sense of two DSMBs is important to me, not that  
5 it couldn't be done by one independent committee, but  
6 the typical, obviously, fatal outcomes or serious  
7 outcomes will be evaluated in a timely fashion.  
8 Generally, DSMBs convene at enrollment numbers, more  
9 driven by statistical time points or what -- and I  
10 think for the DSMB for the donor, I would like that  
11 to function under its own timeframe. And in fact, it  
12 may be -- and I don't know if this is logistically  
13 possible, you know, on a case-by-case basis, to then  
14 role -- to allow the next donor to enroll. I want to  
15 think a little bit more about that. I don't know the  
16 criteria for convening the DSMB for the recipient.

17 But -- and then the death criteria as the  
18 only criteria for stopping, seemed to be also  
19 limited. And I think if we have a good DSMB, then  
20 that would -- should be fine for stopping.

21 Dr. Rappley: Dr. Goldstein.

22 Dr. Goldstein: I think as Dr. Cnaan

1 pointed out earlier, I think the last two comments  
2 you were making about the DSMB, I think actually are  
3 adequately addressed in 10.3.3.4.

4 And in terms of your prior comment, I think  
5 there's two different functions. One is comments on  
6 the exclusion criteria, which are different than  
7 medical -- than providing medical clearance.  
8 Somebody who provides medical clearance is judging  
9 whether or not the inclusion and exclusion criteria  
10 have been met. We're talk -- we're -- that's  
11 separate from stating what they actually ought to be,  
12 which is what this recommendation is.

13 And I would -- I don't vote, but I would  
14 agree with Dr. Rappley's comments that it would just  
15 be easier to expand the first bullet point. And  
16 medical clearance is really a separate issue.

17 Dr. Rappley: Yes, Dr. Botkin.

18 Dr. Botkin: Just a quick comment. I think  
19 (inaudible) that instigated this first bullet, it's  
20 on page 22 of the protocol, and basically it's about  
21 donor exclusion criteria. It's 3.2.5.3: donors who  
22 are found to be high risk for bone marrow donation

1 due to pre-existing medical condition. So, obviously  
2 the concern was the, you know, why just high risk?  
3 So this is intended to address that. And I don't  
4 think we thought through the complexities of kids who  
5 might be a conceivable risk but yet there is no data  
6 to -- for example, asthma. Does asthma create risk?  
7 If -- you know, if the answer is, we don't know, I  
8 don't think we intended to say all those kids have to  
9 be excluded. So there may be some language issues  
10 here that need massaging.

11 Dr. Rappley: Dr. Notterman.

12 Dr. Notterman: I think your comment, and  
13 also yours, Dr. Rappley, are correct. We don't want  
14 to draw the exclusions potentially so broadly that at  
15 different institutions we are preventing meaningful  
16 participation, even in the small research aspect of  
17 this. So I like the idea of just parsing that under  
18 the idea of having a physician outside of the study  
19 designate this individual as having minimal risk,  
20 based on his or her professional judgment, taking  
21 into account the available literature.

22 Dr. Rappley: So would then a modification

1 -- the first sentence of the first bullet: All donors  
2 with any increased risks for bone marrow donation not  
3 simply high risk should be excluded as determined by  
4 a physician who is not a member of the protocol or  
5 transplantation team.

6 Dr. Notterman: And I would add, it's  
7 perhaps unnecessary taking into account the current  
8 medical literature.

9 Dr. Botkin: Perhaps a saying --

10 Dr. Rappley: We hope they would do that,  
11 right?

12 Dr. Notterman: We hope they would, but --

13 Dr. Botkin: Any known risk of -- yeah.

14 Dr. Rappley: Further discussion? Dr.  
15 D'Angio?

16 Dr. D'Angio: Something about the first  
17 bullet just hit me. I wonder whether we're -- we  
18 would be guilty ourselves of the mix-up that we have  
19 been worried about with the study itself. The risk  
20 that we're concerned about is not the -- is not  
21 somebody who is an increased risk from bone marrow  
22 donation -- which may be a very reasonable exclusion

1 criterion for other reasons -- but the risk of G-CSF.

2 Dr. Rappley: Right. Right.

3 Dr. D'Angio: And I wonder whether --

4 Dr. Rappley: We should maybe specify that.

5 So --

6 Dr. D'Angio: -- that -- whether this  
7 bullet should talk about that rather than about risk  
8 -- increased risk for bone marrow donation. That's  
9 not a research risk in this case unless --

10 Dr. Rappley: So --

11 Dr. D'Angio: -- the investigators could  
12 tell us that G-CSF would increase the bone marrow  
13 donation risk itself.

14 Dr. Rappley: So it could be modified to:  
15 All donors with any increased risk for bone marrow  
16 donation and stimulation with G-CSF as determined by  
17 a physician who is not part of the research protocol.

18 Dr. D'Angio: Bone marrow donation  
19 following stimulation with G-CSF. Not and; it's not  
20 and. It's the G-CSF that I think is the issue, maybe  
21 I'm wrong. Maybe I'm misinterpreting.

22 Dr. Botkin: Well, I think part of the

1 problem is in the protocol, half the kids will be  
2 randomized to a no-G-CSF group. And would we be  
3 comfortable saying that it's okay for them to be at  
4 high risk of -- or moderate risk of adverse outcomes  
5 from bone marrow transplant.

6 Dr. D'Angio: I'm not sure that -- yeah,  
7 I'm not sure that's the same question. The  
8 investigators are excluding subjects who are at high  
9 risk from the bone marrow donation. Donating your  
10 bone marrow isn't part of this protocol. It is part  
11 of this protocol, but it's not the experimental  
12 question in this protocol. The experimental question  
13 in this protocol is getting the G-CSF. So unless  
14 you're at increased -- unless being in the study  
15 increases your risk, there's not -- I'm not sure  
16 there's a reason to exclude someone.

17 Dr. Rappley: So you would just like to be  
18 certain that the stipulation applies to the research  
19 arm of this, which is stimulation with G-CSF.

20 Dr. D'Angio: Right. Right.

21 Dr. Rappley: Okay.

22 Dr. D'Angio: And, yes, half the people

1 won't be at that risk, but that's what we're trying  
2 to protect them against. Not the risk of bone marrow  
3 donation, that's a separate thing --

4 Dr. Rappley: Because that's a broader  
5 decision that's made before even --

6 Dr. D'Angio: Yes.

7 Dr. Rappley: -- the decision about G-CSF.

8 Dr. D'Angio: That decision is already made  
9 by the time.

10 Dr. Rappley: Dr. Goldstein.

11 Dr. Goldstein: Well, I agree and I  
12 disagree. There already are donor`exclusion criteria  
13 on page 22 of the protocol. What we're suggesting is  
14 to add additional specific exclusion criteria for G-  
15 CSF stimulated donors.

16 Dr. Rappley: Correct.

17 Dr. D'Angio: And we're saying the same  
18 thing.

19 Unknown: Right.

20 Dr. Rappley: Dr. Nelson.

21 Dr. Nelson: I think in the discussion of  
22 the Ethics Subcommittee, perhaps by putting these two



1 together we did confuse a little bit of those issues  
2 between the risk of bone marrow donation, per sé, and  
3 then the increased risk relative to G-CSF  
4 administration, because the second point is clearly  
5 related to the theoretical discussion of ARDS, which  
6 is the whole reason the discussion of pulmonary  
7 infection -- which actually is -- that would be  
8 redundant if you generalized that to other recent  
9 conditions.

10 But I will say, I don't think it would be  
11 entirely accurate to say that the Ethics Subcommittee  
12 didn't think that children at high risk for bone  
13 marrow donation independent of the G-CSF shouldn't  
14 all -- that that might be too high a bar for the  
15 exclusion from transplantation. But, I mean, I'd be  
16 interested in Jeff's thoughts about whether that was  
17 parsed out as cleanly and as clearly as it could be.

18 Dr. Nelson: No, it wasn't by the  
19 committee. So I'm only probably representing what my  
20 thinking was as we discussed this. And I guess the  
21 kids are recruited into the study and then  
22 randomized, and kids in the study will include

1 children who don't get the G-CSF. And I would say  
2 that it would be not adequately protective of the  
3 human subjects to allow that -- to kids to be  
4 randomized to even the non-G-CSF arm who are at high  
5 risk for bone marrow transplantation. Even though  
6 they're not getting the experimental intervention,  
7 they're still in the study. And so I think it  
8 protects those kids simply just to include --for all  
9 of the children enrolled in the study to say if  
10 they're at high risk for adverse impacts, then that  
11 would -- that language should be changed.

12 Dr. Goldstein: They actually are not in  
13 the study. They are screened and they're excluded  
14 already. So we're suggesting just adding additional  
15 exclusion criteria to screen and exclude G-CSF-  
16 stimulated -- patients who may receive G-CSF-  
17 stimulated bone marrow.

18 Dr. Botkin: That's correct. The kids who  
19 are at high risk are excluded. I think what we're  
20 concerned about is kids, say, that are at moderate  
21 risk. And should they continue on in this study and  
22 be randomized to either receive G-CSF -- and I think

1 the Ethics Subcommittee wanted to say, no, those kids  
2 ought to be excluded, too. Now, would we be in a  
3 position to exclude them from the clinical  
4 intervention? No. But in terms of inclusion in this  
5 study as part of the randomized group that's going to  
6 be followed longitudinally, perhaps, yes.

7 Dr. Rappley: Dr. Notterman then Dr.  
8 D'Angio.

9 Dr. Notterman: So just to make sure I  
10 understand this. I'm referring now to section 3.2.5,  
11 donor exclusion criteria. Dr. Botkin drew our  
12 attention to this. This pertains to donors in their  
13 -- to all donors -- and it makes no reference to  
14 specific issues pertaining to G-CSF. There's no  
15 mention, as you said, of splenic injury, of previous  
16 lung injury. There's no section in this protocol  
17 that particularly pertains to G-CSF.

18 Unknown Male: Right.

19 Dr. Notterman: I'm correct in that. To  
20 excluding patients, I mean, for G-CSF. So I agree  
21 that this first stipulation then becomes a bit  
22 ambiguous, and perhaps we're even over-reaching into

1 standard of care territory and not limiting our  
2 comments to the research question.

3 Now, you know, perhaps we want to do that  
4 explicitly and say, well, by virtue of presenting  
5 this protocol for review, we are going to reach into  
6 what could arguably be standard of care. And that  
7 argument is sometimes made that we owe more to  
8 research subjects by virtue of their presentation,  
9 but I think we should be explicit about that if we're  
10 going to do it. Otherwise we should just stipulate  
11 that the G-CSF -- I think we should just limit our  
12 comments, our stipulations to the use of G-CSF, in my  
13 opinion.

14 Dr. Rappley: Dr. D'Angio then Dr. Cnaan.

15 Dr. D'Angio: All right. I promise this is  
16 the last time I'll weigh in on this. I agree with  
17 Dr. Notterman that -- I'm not -- the other piece of  
18 that is I'm not sure that even if you said that  
19 anyone who is at any increased risk for bone marrow  
20 shouldn't be in the protocol, that we've actually  
21 improved the protection of anyone from risk, because  
22 those people will go on and donate bone marrow

1 exactly the same way that they would have if they  
2 could be included in the protocol.

3 So I'm not sure that setting the bar to be  
4 in the research lower for the -- to setting the  
5 qualifications for bone marrow donation lower  
6 improves anybody's safety because those kids are  
7 going to go on and donate bone marrow anyhow.

8 What we, I think, need to be concerned with  
9 beyond what the investigators already have in the  
10 protocol, is their exclusion for donating bone  
11 marrow, is that we need to ask them to be specific  
12 about whether anybody needs to be excluded on the  
13 basis of donating bone marrow after G-CSF.

14 Dr. Rappley: Dr. Cnaan.

15 Dr. Cnaan: I agree with Dr. D'Angio. I  
16 think we are doing almost exactly what Dr. Nelson  
17 warned us not to do. I think we are being -- maybe  
18 we're not becoming the IRB but we are becoming the  
19 protocol committee some couple of years later, and I  
20 don't think that's our charge. In looking how clear  
21 section 3.2.5 is, I would second, or third, I guess,  
22 the suggestion that in the stipulation we restrict

1 our comments to adding inclusion criteria that relate  
2 to the G-CSF and not go back to the exclusion  
3 criteria of the bone marrow.

4 Dr. Rappley: Dr. Nelson.

5 Dr. Nelson: Just let me ask a question of  
6 clarification. If one divided that first stipulation  
7 into two sentences and took away the, for example,  
8 which implies the second part is related to the first  
9 part -- which may or may not be true -- the second  
10 part was proposed as much as a specific issue  
11 relative to the complications of G-CSF. So if you  
12 did that and then you added to it the splenomegaly  
13 and splenic injury, and then the active or recent  
14 pulmonary infection all related to G-CSF, my question  
15 is -- not that that's what you're going to do, but if  
16 you did that -- what would that do to that first  
17 sentence? And -- which then still stands alone, and  
18 was in fact in the context, I think, influenced a bit  
19 by the overall risk benefit of going into being a  
20 bone marrow donor. Do you want to simply resolve  
21 that procedurally with this independent physician and  
22 be done with it, or would you do anything else around

1 that language of increased -- any increased risk  
2 versus high risk?

3 Dr. Rappley: Dr. Hudson.

4 Dr. Hudson: Well, my -- you want to  
5 clarify that they are at increased risk for adverse  
6 reaction to G-CSF? You want to be more specific with  
7 the statement?

8 Dr. Nelson: I'm just asking what you'd  
9 like to do because that's -- that was what the Ethics  
10 Subcommittee put forward. And so in the cover letter  
11 that Dr. Rappley is going to put with the Ethics  
12 Subcommittee report, I'd just like some clarity about  
13 what would be suggested as an alternative if you're  
14 not happy with that language.

15 Dr. Rappley: Well, I would interpret that  
16 as a result of your long discussion and review, you  
17 feel that the first sentence there should stand.  
18 That we should not modify that first sent -- well,  
19 you -- I mean, you -- you made that -- your committee  
20 believes that should be a stipulation. We might  
21 further add as a second sentence, for those donors  
22 who are in the treatment arm to receive the G-CSF,

1 exclusion criteria should include splenic injury,  
2 splenomegaly, recent lung infection -- recent lung --

3 Dr. Nelson: Well, the realty is it's a  
4 randomized trial. I mean, you're not going to  
5 exclude people after randomization, so you can't let  
6 them go into --

7 Dr. Rappley: Okay.

8 Dr. Nelson: -- the trial and then drop  
9 them out because it's --

10 Dr. Rappley: Right.

11 Dr. Nelson: -- your intention to treat  
12 (inaudible), I presume, statisticians. So --

13 Dr. Rappley: So it does have to occur at  
14 the level of which they are --

15 Dr. Nelson: Yeah. Yeah.

16 Dr. Rappley: -- first randomized.

17 Dr. Nelson: Right. Right.

18 Dr. Rappley: So it's not really correct  
19 thinking that this is a second step. I mean, this is  
20 an exclusion that must occur in the first step.

21 Dr. Nelson: Right.

22 Dr. D'Angio: Just -- and to be very



1 specific in my answer, what would I do with the first  
2 sentence? I would remove it because I don't agree  
3 with it. I think that the investigators have  
4 established their inclusion/exclusion criteria and  
5 that the question that came to us doesn't have to do  
6 with deciding who should donate bone marrow.

7 Dr. Rappley: Well, but --

8 Dr. D'Angio: And that's my opinion.

9 Dr. Rappley: Well, but what I read there  
10 on that -- in that first bullet is the committee  
11 decided that the current language is high risk, and  
12 that that notion should be expanded and not applied  
13 simply to high risk but that consideration should be  
14 given to children at moderate risk.

15 Dr. D'Angio: Moderate risk for bone marrow  
16 donation following G-CSF, or just --

17 Dr. Rappley: No, I think we --

18 Dr. D'Angio: -- moderate risk for --

19 Dr. Rappley: I just heard from Dr. Nelson  
20 that that decision has to be made at the level of  
21 which the randomization occurs, therefore, it would  
22 be made without regard to G-CSF.

1           Dr. D'Angio: That's a little bit of a  
2 different interpretation then. They -- you need to  
3 know if someone would be at risk for -- sorry?

4           Dr. Goldstein: You can't know upon entry.

5           Dr. D'Angio: If I'm going to randomize  
6 somebody to two groups and one of the groups has a  
7 risk that has -- that -- I'm going to randomize two  
8 groups of people with asthma to two medications, and  
9 one of the medications might make somebody's asthma  
10 worse, somebody with asthma can't enter that study.

11          Dr. Rappley: Correct.

12          Dr. D'Angio: Right.

13          Dr. Rappley: Right.

14          Dr. D'Angio: But that has to do with the  
15 medication, it doesn't have to do with the asthma.  
16 In this case, bone marrow donation is the -- is --  
17 the bone marrow donation decision is already made.

18          Dr. Rappley: No, I think -- I think Dr.  
19 Nelson -- I interpreted what he said as that at the  
20 point of randomization to give bone marrow.

21          Dr. Goldstein: -- groups, you're  
22 randomizing one group to two.

1 Dr. D'Angio: I understand that.

2 Dr. Goldstein: You're only using one group  
3 to treatment arms.

4 Dr. D'Angio: But the only thing that  
5 matters is whether they're at risk --

6 Dr. Nelson: Having created the confusion,  
7 let me see if I -- if -- you know, there is a  
8 decision that's been made that transplantation is the  
9 appropriate response to the leukemia that this  
10 particular child has in the context of receiving  
11 hemotherapy, independent of whether it's on this  
12 protocol or not on this protocol. So that's the  
13 clinical decision.

14 The research component of this protocol  
15 itself is the G-CSF. And all of the various issues  
16 have been raised about complications of G-CSF, is --  
17 all of the specificities related to that. All I'm  
18 saying, and it's not that you necessarily have to  
19 agree with it, is I think on the subcommittee there  
20 was ambiguity about whether or not the intent was to  
21 take that first sentence and apply it to the entire  
22 decision or not. And if you think that it really

1 ought to only be applied to the research decision,  
2 meaning, you know, you've decided a transplant is  
3 appropriate, let's talk about this protocol. And  
4 it's that point at which then the issue of the risk  
5 of G-CSF, I mean, et cetera. You know, I want to get  
6 back to Dan's suggestion about an independent  
7 evaluation by a physician of that risk. That's --  
8 that provides, in my mind, some clarity around the  
9 nature of the recommendation around risk.

10 All I'm saying is that the -- I don't think  
11 in the Ethics Subcommittee discussion that that was  
12 clearly teased apart. So --

13 Dr. Rappley: Dr. Notterman and then Dr.  
14 Kocis.

15 Dr. Notterman: Thank you. I'm concerned  
16 that we not intrude into -- certainly into the  
17 clinical aspects of this and the standard of care  
18 aspects, but that we also don't intrude into the  
19 conduct of this research study beyond the question  
20 we've been asked. If it turns out that by virtue of  
21 limiting our comments of risk assessment to G-CSF, it  
22 perturbs the mechanism or the interpretation of

1 randomization because this intrusion might occur  
2 after randomization, that's a problem for the study  
3 designers to deal with in the way they want to. It's  
4 not -- it doesn't mean that we should expand the  
5 scope of our stipulation to include bone marrow in  
6 general. So I feel we should limit our stipulation  
7 to the research question in this study, which is G-  
8 CSF, and let the study designers and the  
9 investigators handle the consequences that flow from  
10 that.

11 Dr. Rappley: Dr. Kocis.

12 Dr. Kocis: Yeah. I'm going to disagree on  
13 -- in a setting that this study, the research study  
14 to enter this protocol, it's not just a G-CSF  
15 protocol. In other words, we can develop protocols  
16 in normal, healthy children or adults and we're  
17 randomizing the received drug and drug alone. This  
18 is receiving drug followed by bone marrow transplant  
19 -- excuse me -- bone marrow donation, excuse me.

20 We don't know what the impact of giving G-  
21 CSF to a donor will be with its interactions with  
22 anesthesia or with the bone marrow itself, et cetera,

1 et cetera. To enter the protocol you have to go  
2 through both steps, and I don't think we can isolate  
3 ourself (sic) to just the aspect of the drug. As  
4 much as that's paramount, we need to look at it in  
5 the context of what will follow, and it's drug  
6 followed by a donation. And that donation, by the  
7 way, follows through standards of care to clinical  
8 practice with regards to how you are going to put  
9 that child to sleep and follow them, and et cetera,  
10 et cetera. And so I don't think you can tease those  
11 two things out.

12 Dr. Rappley: Dr. Cnaan.

13 Dr. Cnaan: I think there is a little bit -  
14 - some confusion here still. I think this is one of  
15 the first protocols, if not the first -- maybe one of  
16 the first that in a bone marrow transplant context,  
17 makes the donors subjects of the research. Mostly,  
18 it's the recipients who have been the subjects of the  
19 research. So I think at this point, the issue of who  
20 can donate bone marrow has been studied well enough  
21 to come up with this donor exclusion criteria. So I  
22 support at least the notion of we not get into this

1 and that we limit our additional exclusion criteria  
2 to the additional increased risk of G-CSF, that at  
3 the time the patient and family sign consent, they  
4 don't -- or assent, whatever the setup is -- they  
5 don't know whether they will receive G-CSF or not.  
6 So I think that's -- we need to limit ourselves to  
7 that. The rest of it is beyond what we were asked  
8 and I think beyond our scope.

9 Dr. Rappley: So what I'm not clear about  
10 then, Dr. Cnaan, is do you feel that that first  
11 bullet is beyond the scope of the committee as it is  
12 currently on the screen?

13 Dr. Cnaan: No, I actually -- Dr. Nelson's  
14 separation of the first bullet into two pieces really  
15 helped. I think I disagree with the first sentence,  
16 and I would like to exclude it. And I think I would  
17 take the second part and just list the couple of  
18 potential adverse outcomes of G-CSF that are right  
19 now not in the exclusion criteria. That's all.

20 Dr. Rappley: And then how does the  
21 committee's -- the sense that I hear from the  
22 Subcommittee that they wanted to move beyond high

1 risk and to capture moderate risk as well, how is  
2 that noted in a stipulation or a recommendation if we  
3 eliminate the first sentence?

4 Dr. Nelson: Well, I guess I would -- what  
5 I would suggest you say in your cover letter would be  
6 something along the lines of, the advisory committee  
7 felt it appropriate to limit its exclusion criteria  
8 to those issues that are specific to the research  
9 question, which is the administration of G-CSF. Now  
10 that still doesn't get at what might then be a  
11 procedural way to get both issues, which is the  
12 suggestion of -- actually raised by Dr. Grupp, of --  
13 the issue of conflict in the investigator from the  
14 standpoint of medical clearance of the donor, which  
15 is -- was raised, and I think mentioned by others,  
16 and whether that procedural approach then gets at the  
17 first bullet point independently of changing the risk  
18 language. Because, frankly, IRBs don't know what  
19 minimal, moderate, minor, high, low might mean, and  
20 so that -- all of those terms are subject to a  
21 variety of interpretations. So I would -- even if  
22 you made that division and said we'd like to limit



1 our exclusion criteria to the G-CSF administration,  
2 the issue of independent assessment of medical risk  
3 for bone marrow donation is still, I think, an open  
4 question.

5 Dr. Murphy: And, Skip, again, when the  
6 Committee's recommendations go forward, it will  
7 include the Subcommittee's -- I know we -- that this  
8 language said delete. You're -- we would not delete  
9 anything.

10 Dr. Nelson: No.

11 Dr. Murphy: Okay.

12 Dr. Nelson: The Subcommittee's report  
13 stays intact.

14 Dr. Murphy: Yes. Yes.

15 Dr. Nelson: Then you write a cover letter  
16 saying, no, we'd want to modify that. So it's a  
17 separate report. And then on top of that is a third  
18 cover letter generated by us -- me. And then that  
19 goes to OHRP, which generates their own assessment,  
20 which ultimately goes to the Secretary. So the  
21 Secretary gets three to four documents.

22 Dr. Murphy: Yeah, the word delete is

1 really --

2 Dr. Nelson: Yeah.

3 Dr. Murphy: -- not appropriate.

4 Dr. Nelson: So -- yeah.

5 Dr. Murphy: Okay.

6 Dr. Nelson: So it'll be an independent  
7 document.

8 Dr. Rappley: Dr. Notterman.

9 Dr. Notterman: So perhaps taking all this  
10 into account we can not delete anything but have our  
11 own recommendation, which is all donors with  
12 increased risk for G-CSF administration prior to bone  
13 marrow donation as judged by an independent medical  
14 evaluation should be excluded. Potential risks  
15 currently described in the literature include splenic  
16 -- prior splenic injury or existing splenomegaly, a  
17 neoplastic disease -- right? Which I guess would get  
18 them out anyway. Recent pulmonary disease and other  
19 conditions based on the judgment of the independent  
20 observer, or independent physician -- something like  
21 that, and leave it at that.

22 Dr. Rappley: Okay. Then your suggestion

1 is that we add as a separate bullet -- I'm going to  
2 just restate what you said -- all donors for  
3 increased risk -- all donors at increased risk for  
4 receiving G-CSF as judged by an independent evaluator  
5 should be excluded for risk factors such as -- that -  
6 - such as splenic injury, recent or active pulmonary  
7 infection.

8 Dr. Notterman: Well, I wouldn't say  
9 pulmonary -- I'm sorry -- pulmonary infection,  
10 because --

11 Dr. Rappley: Condition. Right. I'm  
12 sorry.

13 Dr. Notterman: -- ARDS or ALI is not an  
14 infection.

15 Dr. Rappley: Right. Right. Condition.

16 Dr. Notterman: But we should really -- I  
17 like the idea of really emphasizing the independent  
18 physician's professional judgment and not being too  
19 specific with risks because I think that that's hard  
20 for IRBs and other folks to understand.

21 And then in our recommendation, or your  
22 cover letter, however it's put, I, after that, would

1 leave out stipulation -- the original stipulation,  
2 one, because I think it's no longer relevant. That  
3 whole business about bone marrow.

4 Dr. Rappley: Dr. Kocis.

5 Dr. Kocis: My only point was, he said G-  
6 CSF administration followed by bone marrow. That was  
7 excluding yours.

8 Dr. Rappley: So there is a suggestion then  
9 that we add that language as a bullet. It would be  
10 the second bullet then. And then there's an  
11 additional suggestion that we recommend eliminating  
12 the first bullet; is that true? Does somebody wish  
13 to make that -- I've heard at least two people  
14 suggest that, perhaps three.

15 Dr. D'Angio: I think we just heard that  
16 these -- that this stands, and what we say is, we as  
17 the whole Committee disagree with the first  
18 recommendation. And we -- and our recommendation  
19 would be blocked, which you've just stated, instead  
20 of that. Am I correct in --

21 Dr. Rappley: Right. I'm not sure --

22 Dr. D'Angio: -- (inaudible) --

1           Dr. Rappley: I'm not sure that we have to  
2 say we disagree. I mean, I think we --

3           Dr. D'Angio: That we --

4           Dr. Rappley: -- our language could be --

5           Dr. D'Angio: Whatever nice words --

6           Dr. Rappley: -- that we -- that we felt  
7 that --

8           Dr. Hudson: Just have it state that you --  
9 just have it state that you suggest the statement,  
10 the first bullet, be modified so that the first  
11 bullet is going to focus on excluding individuals who  
12 are at high risk for an adverse event associated with  
13 G-CSF, not with the bone marrow procedure.

14           Dr. Nelson: I'm fine with the sense of  
15 what needs to be written in that cover letter,  
16 basically limit the scope with the first  
17 recommendation to the risks of G-CSF administration.  
18 I mean, it's fairly straightforward.

19           Dr. Notterman: That's one element, and --

20           Dr. Nelson: And with the independent  
21 physician assessment --

22           Dr. Notterman: -- the second is -- right.

1 Dr. Nelson: -- of that, which is fairly  
2 straightforward, secondly. I will say, I mean, when  
3 you write exclusion/inclusion criteria, you're going  
4 to have to be a little more specific than just saying  
5 whatever that physician decides. But, I mean, I  
6 think we have some general sense of how that might be  
7 framed.

8 Dr. Notterman: But is that our -- is it  
9 our job to delimit the --

10 Dr. Nelson: It might be the protocol  
11 people's job --

12 Dr. Notterman: Right.

13 Dr. Nelson: -- to (inaudible).

14 Dr. Notterman: Right.

15 Dr. Nelson: I'm not saying it's  
16 necessarily our job, and that's -- we'll try to craft  
17 language that provides appropriate direction and some  
18 flexibility.

19 Dr. D'Angio: Okay. And I agree with that  
20 part of it very strongly. It might not be a good  
21 idea for neonatologist to tell the oncologist how to  
22 write their protocol.

1           Dr. Rappley: So then we have -- we will --  
2           so here are the suggestions as they stand. That we  
3           include language in our cover letter that says we  
4           chose our recommendation -- we suggested our  
5           recommendation should focus on those children who are  
6           in the arm to receive the G-CSF. No, no, no, take  
7           that back, take that back. Our recommendation should  
8           focus on the administration of G-CSF. We'll make it  
9           better.

10           That the first stipulation then will be  
11           modified by addition of the second bullet, which we  
12           read earlier, about all donors at increased risk for  
13           G-CSF followed by bone marrow donation as judged by  
14           an independent physician should be excluded. Such  
15           risk factors might include -- and then we described  
16           those, too.

17           We modified the language of the second  
18           bullet making it somewhat stronger by adding the  
19           language about participation in a meaningful way,  
20           which I think was already noted.

21           Then are we fine with keeping the last two  
22           bullets? Okay. So are people clear then about what

1 we just recommended? Dr. Notterman.

2 Dr. Notterman: Can you just say it one  
3 more time from the top?

4 Dr. Rappley: So we will include language  
5 in the cover letter that we feel it was our purview  
6 to focus on the donors who would be receiving G-CSF.

7 And then the stipulations would be that the  
8 first bullet would stand, and a second bullet would  
9 be added. And it would say: All donors at increased  
10 risk for G-CSF followed by bone marrow donation as  
11 judged by an independent physician should be  
12 excluded. Such risks might include splenic injury,  
13 splenomegaly, recent or current pulmonary condition.  
14 Dr. Cnaan.

15 Dr. Cnaan: Our focus is not on the donors  
16 who receive G-CSF because we don't know that up  
17 front. It goes back to the randomization. Our focus  
18 is on the risk aspects associated with the G-CSF  
19 administration in this context. That's all.

20 Dr. Rappley: Okay. Risk aspects  
21 associated with G-CSF. You got that? Dr. D'Angio.

22 Dr. D'Angio: I'm sorry. Could I ask Skip



1 a question? If the majority of the group doesn't --  
2 in a nice way -- doesn't agree with the first bullet  
3 in the Subcommittee's report, Dr. Rappley is  
4 suggesting that our reporting -- that our cover  
5 letter include that, as well. I'm -- does our cover  
6 letter need to recapitulate everything that's in your  
7 report, or does our cover letter say that we suggest  
8 that the first stipulation focus on -- solely on the  
9 -- focus on G-CSF, that the second stipulation be  
10 modified to say -- does the second stipulation add  
11 da-da-da-da-da, and we accept the second and third?

12 Dr. Nelson: Since the number of previous  
13 protocols are three, the confidence (inaudible)  
14 around how you should proceed obviously is quite wide  
15 from a statistical perspective.

16 In the past, what has been done is  
17 generally the Ethics Subcommittee Report is on the  
18 order of four or five pages, it's longer because we  
19 throw a lot of stuff at the beginning. And the  
20 Advisory Committee cover letter has been on the order  
21 of two and has not -- you know, those things that you  
22 agree with -- you know, so it would be a supplement

1 to, it would not alter the Ethics Subcommittee  
2 Report, given the integrity of that process to  
3 maintain transparency, but would say why it is you  
4 decided to deviate from those recommendations and  
5 then how you would modify them. And then that would  
6 -- as I said, we would put a cover letter together  
7 that would then go to the Commissioner.

8 Dr. Rappley: I actually would not support  
9 removing that first bullet. So it wouldn't be a  
10 consensus statement. And the reason -- and I don't  
11 mean that that that should -- that my vote should  
12 count more than anybody else's. But the reason why I  
13 say that is I have serious concern about rejecting a  
14 statement that I think comes from a very long and  
15 careful process that actually says we should not  
16 limit ourselves to just considering high risk; we  
17 should also include those that -- at a lower-risk  
18 category. And I think to throw -- to eliminate that  
19 from consideration -- I would want to support that  
20 rather than eliminate that.

21 Dr. D'Angio: Okay. Then -- we've been  
22 talking at cross-purposes because I disagree with

1 that, and that's okay, I get my vote.

2 Dr. Nelson: Then I would suggest as you  
3 walk through these -- I mean, the Ethics Subcommittee  
4 lumped and then split, you could decide if you want  
5 to split and then lump. But however you want to go  
6 through it, it'd be appropriate to capture those  
7 differences because those differences inform our  
8 transmission of these recommendations.

9 Dr. Rappley: Correct. Speaking from the  
10 Office of Pediatric Therapeutics, right?

11 Dr. Nelson: Correct.

12 Dr. Rappley: Dr. Notterman.

13 Dr. Notterman: So I'm afraid I'm a little  
14 confused, although I've tried diligently to follow  
15 this conversation. We as -- if we present different  
16 recommendations, if our recommendations differ,  
17 particularly if they differ materially from the  
18 Subcommittee's recommendations, then that implies  
19 that we disagreed, or at least didn't want to support  
20 the Subcommittee's recommendations. So I think it's  
21 irrelevant whether you actually say that.

22 Now, taking that into account, however, if

1 as the Chair of this committee you write a letter  
2 that records what we feel, then I don't think you --  
3 and perhaps I misunderstood you -- I don't think you  
4 can say in the -- in your role as the letter writer  
5 something different than we've actually decided.

6 Dr. Rappley: No, you're correct. Yeah.  
7 No, I would call for a vote on that. And would  
8 portray it then in the -- in our -- so, for example,  
9 they relayed -- the Subcommittee relayed to us that  
10 these stipulations were adopted by the Subcommittee  
11 with a vote of nine in favor and two opposed. And if  
12 we could -- if we were to say, how many people would  
13 support eliminating the first bullet, how many don't  
14 support, I mean, it might be -- I might be the only  
15 one not supporting it, and that would be reflected.

16 Dr. Notterman: That's fine. That's  
17 perfectly accurate then. Thank you.

18 Dr. Rappley: Dr. Goldstein.

19 Dr. Goldstein: Just pointing out from a  
20 pragmatic standpoint that there is no defined  
21 difference between increased and high, so all of this  
22 conversation when it gets down to an interpretation

1 probably doesn't matter.

2 Dr. Rappley: Well, I interpret it -- their  
3 inclusion in parentheses to make some point, and the  
4 point --

5 Dr. Goldstein: I --

6 Dr. Rappley: -- there being made is that  
7 there's just more than high risk. I --

8 Dr. Goldstein: -- I understand. But I  
9 understand that you can't --

10 Dr. Rappley: -- I don't know, maybe I  
11 didn't understand.

12 Dr. Goldstein: -- if you can't -- if you  
13 can't measure it, it doesn't matter.

14 Dr. Rappley: That's a discussion for --

15 Dr. Goldstein: But that's my own --

16 Dr. Rappley: -- those who write protocol  
17 language.

18 Dr. Notterman: So can I ask that we -- one  
19 more time, just summarize what we as this committee  
20 are going to recommend, and then perhaps it'll be  
21 appropriate to have a vote, if you would.

22 Dr. Rappley: So, yes. Chip. Sorry, Skip.

1           Dr. Nelson: Well I'd be happy to read what  
2 I've got just so that --

3           Dr. Rappley: Thank you.

4           Dr. Nelson: -- since this is in the  
5 computer and will become the text, with Carlos's  
6 additions and your additions. But I've got three  
7 things at this point that I've heard as what I'm  
8 interpreting as stipulations not just  
9 recommendations, meaning this is what you would  
10 recommend strongly go forward. First is a  
11 modification to that first bullet point dividing it  
12 into two with the first one being rewritten to say:  
13 All donors at increased risk for bone marrow donation  
14 following G-CSF administration as determined by an  
15 independent physician should be excluded. So, you  
16 know, what you've done is you've divided that and  
17 then limited it to G-CSF and said that that's not  
18 just a broad decision. Now, procedurally, there's a  
19 lot of institutions that have an independent process  
20 to evaluate donor medical, but I don't know if that's  
21 true universally among all institutions. Separate  
22 question.

1           So the second one then becomes sort of a  
2       factual question. The risks of G-CSF include, which  
3       informs the first one, the presence of an  
4       uncontrolled infection as an exclusion criteria  
5       should be (inaudible) to any child with an active  
6       infection, especially pulmonary.

7           And then the donor exclusion criteria  
8       relative to the risks of G-CSF administration would  
9       also include splenomegaly and a history of splenic  
10      injury, as well as an active or recent pulmonary  
11      condition. So that's then the second point.

12          And then the third point is modified to  
13      say, as was already read: Each research side should  
14      appoint an independent person to function as an  
15      advocate for a potential sibling donor. The advocate  
16      should participate in the research decision in a  
17      meaningful way, acting on behalf of the potential  
18      sibling donor.

19          And then the last two stand. So, you know,  
20      I interpret the first one as a narrowing and  
21      focusing, and the second two as certainly consistent  
22      with the other recommendations. And whether -- I

1 honestly don't think the Ethics Subcommittee dove  
2 down deeply enough to be able to say whether that  
3 first point is even a disagreement or not, to be  
4 honest with you. Is that fair, Jeff?

5 Dr. Botkin: Yeah, that's fair. This group  
6 has spent far more time than we did thinking about  
7 these particular stipulations. And so I think it's  
8 hard to say what the original Ethics Committee would  
9 -- Subcommittee would say about this discussion.

10 Dr. Rappley: But we started with  
11 stipulations, you ended with them, so we had more  
12 time to fiddle with them.

13 So what Skip just read to us, is there  
14 support for that as our set of recommendations?

15 Dr. Notterman: I move we adopt them.

16 Dr. Rappley: Okay. Second that? Or, no,  
17 we have comment. Dr. Kocis.

18 Dr. Kocis: I just want to add one other --  
19 I re-read the DSMB thing, I still don't think that  
20 it's adequate for the donor arm of this. And so my  
21 only addition to that would be to strengthen the  
22 safety monitoring of the donor who may or may not be



1 randomized to receive G-CSF.

2 Dr. Rappley: So you would like to add  
3 then, a recommendation that we strengthen the  
4 monitoring and the data safety monitoring of the  
5 donor.

6 Dr. Kocis: Right. And that's the  
7 10.3.3.4.1; and tied into that also ties into the  
8 follow-up duration, which, again, I'm confused. Is  
9 it two years, is it six years, is it going to be ten  
10 years? And those were just clarifying points.

11 Dr. Rappley: Well, I think we've gotten  
12 the information that they'll all be offered to  
13 participate in the ten-year study.

14 Dr. Kocis: I'm just troubled by that,  
15 though. You know, offered is different than we're  
16 mandating it and following up, because --

17 Dr. Rappley: I think it was clear that  
18 they can't -- that that's a research protocol itself,  
19 and people can opt to not participate.

20 Dr. Kocis: Right. And my point would be  
21 that I would require follow-up of significant  
22 duration. And if the standard is now ten years, I

1 would recommend that that -- I would advocate for ten  
2 years being the standard for this protocol, and not  
3 allowing them to opt out and potentially only be  
4 followed up for two years, if that's how the math  
5 works out.

6 Dr. Grupp: Just very quickly. On the DSMB  
7 thing, let me just -- since I'm actually going to  
8 have to operationalize this, is the issue speed of  
9 reporting and you want that clarified, or what  
10 exactly is the request?

11 Dr. Kocis: Sure. You know, certainly with  
12 fatalities, all DSMBs would be notified. I'm not  
13 worried about that. I'm worried about the language  
14 there in the 4.3, which is focusing just on pain.  
15 And I think, as we've discussed, there's a lot of  
16 other things that may play into it and I think needs  
17 to be accounted for, to be followed. And it goes  
18 back to the incremental increase in risk to future  
19 donors as they make their decisions. So, you know,  
20 while we've talked about what you know about G-CSF  
21 administration and the bone pain, et cetera, et  
22 cetera, I think that there's -- what we don't know so

1 well about these patients, and I think that we should  
2 learn. And that should then go and potentially be  
3 modified as future donors, as families and children  
4 are making those decisions about whether they're  
5 going to participate in that or not. I just don't  
6 think it's a strong -- and then certainly the follow-  
7 up is very important to me.

8 DR. GRUPP: Well, I totally agree that the  
9 follow-up is important. But I will say, in the two-  
10 and-a-half-year process of discussing this, at no  
11 point were we ever in a position to believe that it  
12 would be appropriate to coerce people to participate  
13 in a research protocol. And at every point in the  
14 discussion and at every point in the review, the  
15 clear consensus was that we had to offer the people -  
16 - folks the opportunity to opt out of follow-up.

17 Dr. Rappley: Okay. I think, really, that  
18 -- I mean, we can add a recommendation that we feel  
19 there should be long-term monitoring, and then that  
20 can be incorporated as appropriate to the research  
21 protocol.

22 Dr. Nelson: But I think it does raise

1 significant consent issues. So I can't imagine that  
2 one would go forward with a requirement to  
3 participate in long-term follow-up. I'm unaware of  
4 any research study that's ever had that format.

5 Dr. Rappley: And I'm not sure we should  
6 use the word requirement. I mean, I think --

7 Dr. Kocis: I guess I'm confused by this  
8 whole second follow-up mandate. I'm just simply  
9 saying, for patients in this protocol, that a two-  
10 year follow up given what has been expressed about  
11 the concern for the development of malignancies, is  
12 inadequate for this protocol. And again, I'm  
13 confused on the overlap of another protocol, and,  
14 blah, blah, blah. What I'm suggesting is that if the  
15 standard for these sorts of long-term follow ups are  
16 ten years, or whatever the standard is -- I don't for  
17 this for a living so I don't know what it is -- I'd  
18 say two years is inadequate; six years, I believe is  
19 inadequate. And based on some of the concerns about  
20 the number of patients you need to enroll over so  
21 many years, the decade issue, that number ten, to me  
22 -- and without going in to all the numbers -- seems

1     like a reasonable number. But that should be in this  
2     protocol and not requiring you to be part of another  
3     protocol that you have to consent to or not.

4             Dr. Rappley: Dr. Nelson.

5             Dr. Nelson: Just procedurally. At least  
6     the institutions I'm familiar with, have a bundled  
7     follow-up protocol where all of the intervention  
8     protocols often stop at two, three, four, or five  
9     years, and then everyone rolls over into that follow-  
10    up protocol. Given that, you know, you then have a  
11    single protocol that follows all of those individuals  
12    in a fairly standard way. Some of that's related to  
13    funding sources, some of that's -- a lot of complex  
14    reasons for that. So --

15            Dr. Rappley: But -- so we could just make  
16    a recommendation that there would be a long-term, ten  
17    year follow up --

18            Dr. Nelson: Right.

19            Dr. Rappley: -- of those donors. And --

20            Dr. Murphy: Yeah, I think that --

21            Dr. Rappley: -- then it would be up to the  
22    protocol and to the committees to decide how to do

1 that.

2 Dr. Nelson: I think you're drilling down a  
3 little bit too much into the procedural details  
4 around that. I think with the RDSafe, frankly,  
5 they've already got it. But that's, you know, a  
6 separate issue.

7 Dr. Murphy: I was just going to say the  
8 same thing. All you guys need to do is make a  
9 recommendation, if it's consensus, that you need  
10 longer than two-year follow up, and it needs to be  
11 offered -- the longer period needs to be offered.

12 Dr. Rappley: So that --

13 Dr. Murphy: Which means it has to be in  
14 place to be (inaudible) offered.

15 Dr. Rappley: And that would be an  
16 additional bullet then to what you just read, that  
17 the committee would recommend long-term follow up for  
18 those donors.

19 Dr. Nelson: Well since I think that  
20 already exists, whether you -- I mean, whether it's a  
21 fact or whether it's a stipulation or recommendation,  
22 and since the RDSafe is ten years, it already exists.

1 It's not clear to me, unless you're just not sure is  
2 there, that --

3 Dr. Rappley: How about if we say we  
4 support the long-term follow ups?

5 Dr. Nelson: Yeah. I mean, it's --

6 Dr. Rappley: So then you can understand  
7 that it's --

8 Dr. Nelson: Yeah.

9 Dr. Rappley: -- important to the  
10 committee.

11 Dr. Nelson: Right. I'm still not clear  
12 about how one might strengthen the safety monitoring  
13 of the donor, so -- by just saying to strengthen it  
14 doesn't give us anything concrete. Is the issue that  
15 they should have other stopping rules besides death?  
16 And then what would you pick as a stopping rule?

17 Dr. Rappley: Dr. Cnaan.

18 Dr. Cnaan: I think that if we want to  
19 strengthen those, that's the only way. Just like  
20 there are several stopping rules for the recipients,  
21 we -- if we want to suggest that, we have to be just  
22 a little bit more specific. What is it about? Are

1 we saying that if we see one splenic event, we want  
2 to suspend just like for a death event? Is that the  
3 idea? I'm not making any particular suggestion; I'm  
4 just saying I'm agreeing with Dr. Nelson that leaving  
5 it totally vague, without specific one or two  
6 additional stopping rules doesn't help much.

7 Dr. Rappley: So, Dr. Nelson, actually  
8 you're suggesting that we not include that  
9 recommendation because it's adequately covered. Dr.  
10 Kocis, you're suggesting that it's not adequately  
11 covered. So we need to not complicate things further  
12 by giving a recommendation that adds to the  
13 confusion.

14 Dr. Nelson: Yeah, the only point is to say  
15 strength, and absent saying how is a recommendation  
16 that's unclear how one might move forward with that.  
17 So if it's, you know, around splenic rupture, which,  
18 granted if it's 1 in 10,000 at this point, would be  
19 an event that would be unpredictable since it's never  
20 been reported in pediatrics, one could make that  
21 recommendation. If there are others that one might  
22 want to put on the table, I don't have an opinion on



1 that, I'm just looking for guidance.

2 Dr. Rappley: And what I'm -- well, Dr.  
3 D'Angio and then Dr. Kocis. But I think we do need  
4 to recognize that we can't begin, with even this  
5 amount of information given to us, list the things  
6 that we think a physician or investigator should  
7 attend to. That we have to assume that those who  
8 write the protocols and receive approval for their  
9 protocols and their research are attending to those  
10 important issues. Dr. D'Angio.

11 Dr. D'Angio: Could I ask one point of  
12 information, and then maybe suggest a way out of this  
13 impasse? The point of information is, I haven't -- I  
14 can't find the specific language in here. Death  
15 would cause a suspension of the protocol, which is  
16 not quite the -- which doesn't mean that it's  
17 terminated, it just means that it's suspended until  
18 the DSM -- means to me -- it's suspended until the  
19 DSMB sorts it out. Is that correct? I see heads  
20 nodding. Okay. Good.

21 Could we suggest in strengthening the  
22 safety monitoring, perhaps by, for instance, adding

1 other suspension criteria, such as splenic rupture or  
2 ARDS? Period. There are probably others, but we've  
3 asked the investigators to think about what other  
4 things would make them not want to give another donor  
5 G-CSF, and ask them to consider those two things that  
6 everybody seems to agree, at least have happened to  
7 somebody who had G-CSF, as examples of things that  
8 they would then add to their suspension criteria. It  
9 doesn't endanger the study to the point of view of  
10 having us ask them to terminate something, it just  
11 asks them to think -- it asks the DSMB to think about  
12 that if it happens. Same way that they'd think if a  
13 death occurred.

14 Dr. Rappley: So the recommendation is to  
15 consider other points that would suspend the study,  
16 such as.

17 Dr. Murphy: Yeah, and I think give those  
18 two examples.

19 Dr. Rappley: Dr. Notterman.

20 Dr. Notterman: So I can think of three  
21 things that wouldn't be show-stoppers, but would  
22 cause a pause. One would be a splenic rupture or

1 laceration, I would say. The second would be  
2 admitting admission to an intensive care unit for  
3 acute lung injury within, oh, 30 days of receiving  
4 the G-CSF priming. And the third would be the  
5 appearance of a malignancy in the donor within -- I  
6 don't -- I don't know the right amount to specify, we  
7 could ask another member or Dr. Hudson --

8 Dr. Rappley: I'm not sure that we should  
9 be writing that level of detail. I mean, I think  
10 we've indicated that we think that those who are, I  
11 would think, more informed than we are, should be  
12 identifying suspension points other than death.

13 Dr. Notterman: Well, I'm not sure I agree,  
14 Dr. Rappley. I think that while we may not want to  
15 get into the specific elements of what would trigger  
16 a suspension, I think there are three broad  
17 categories of adverse affect that we've considered  
18 and that the literature supports and that the study  
19 designers have presented to us. And those are the  
20 three that I've listed.

21 And perhaps we don't have to put a time  
22 limit on it; I was trying to circumscribe our

1 recommendation. And so I would say within a month of  
2 receiving would be -- we could apply that for all of  
3 them, but certainly splenic rupture, admission to an  
4 intensive care unit for acute lung injury, and the  
5 appearance of a malignancy in a donor, are  
6 reasonable, and they should cause the study to think  
7 about what they're doing and maybe proceed and decide  
8 that it was stochastic.

9 Dr. Rappley: Those could be included in,  
10 as such as. Further discussion? Dr. Nelson, do you  
11 want to read -- you did a good job of reading that  
12 summary.

13 Dr. Nelson: (Speaking off microphone).

14 Dr. Rappley: Right.

15 Dr. Nelson: That fourth one under DSMB, I  
16 just basically said to strengthen the safety  
17 monitoring for the donor, parenthesis, by adding  
18 other suspension criteria such as splenic rupture,  
19 acute lung injury, -- I'm not sure you need an IC or  
20 not, I mean, hopefully they're there, they might not  
21 be there -- or a humanological malignancy in donor.  
22 Trial is 44 months. The statistics suggest you won't

1 see it in that time, but if you do, I guess, then  
2 that'd be the same as a splenic injury. So -- and I  
3 would assume that a laceration is the same as a  
4 rupture, if they got -- but, yeah. So -- and I put  
5 that under stipulations and --

6 Dr. Rappley: Does the Committee agree with  
7 then that set of recommendations that Dr. Nelson has  
8 read? Is there any who would not support that? Yes,  
9 Dr. Rosenthal.

10 Dr. Rosenthal: I've been quiet as my voice  
11 seems to be going. But I just want to raise the  
12 point and help people to realize or to see that the  
13 process through the day, initially went through in  
14 great detail, the steps of determining that in this  
15 study protocol -- the specific protocol -- there is  
16 no direct benefit to the donor; there is greater than  
17 minimal increase in risk; the donor does not have a  
18 condition that's being treated; the risks and  
19 benefits are accrued to two different parties; and  
20 that both the physicians and the family are likely to  
21 be inherently conflicted. And based upon that, these  
22 -- my position -- and this isn't -- wasn't shared

1 with everybody on the Subcommittee, but my position  
2 was that this protocol, as it's written, may not  
3 adhere to fundamental ethical principles that are  
4 required.

5 And the stipulations that have been  
6 discussed in this committee, have been addressing  
7 ways to make it conform better. And I'm not sure  
8 that even with these stipulations, the fundamental  
9 issues have been adequately addressed. So I just  
10 want to raise that as a point. We've been very  
11 focused on the details of the icing, and I don't know  
12 that we've re-addressed the issues in the cake.

13 Dr. Rappley: So I think we could note  
14 that, that there was not support of this  
15 recommendation and we would use the language that you  
16 just said, that even with this further modification,  
17 a member of this committee felt that the ethical  
18 principles do not justify approval of the protocol.  
19 Dr. Nelson.

20 Dr. Nelson: I would be careful how you  
21 state that because the criteria under 50.54 for  
22 approval require that it's being conducted in accord

1 with sound ethical principles. So the question the  
2 Committee has to ask is whether Geoff's concern is  
3 simply his concern or anyone else's concern.

4 And the other question is whether all the  
5 things that he listed actually pertain to bone marrow  
6 transplantation as an enterprise, sibling to sibling,  
7 independent of the research question that's  
8 superimposed upon that context, because all of the  
9 criteria that we're given, in fact, in many ways  
10 pertain to the bone marrow transplant, per sé,  
11 independent of the research question. So you can't  
12 just -- you know, I mean, the criteria under 50.54  
13 are that it's a reasonable opportunity and it's being  
14 conducted in accord with sound ethical principles.

15 Dr. Rappley: Correct. And what you  
16 presented to us is that you had nine people  
17 supporting that and two people not supporting that.

18 Dr. Nelson: Right. And the one person who  
19 -- since it was bundled -- would have supported it if  
20 in fact the stipulation for the independent advocate  
21 had been a recommendation and not a stipulation.

22 Dr. Rappley: Right.

1           Dr. Nelson:  So -- and obviously Geoff just  
2           identified himself as the one dissenting vote.  So --

3           Dr. Rappley:  So my question then, I guess,  
4           to Diane and to Carlos, is we could do the same.  We  
5           could say that among our voting members, this many  
6           supported these recommendations and one did not  
7           support them on this basis.

8           Dr. Nelson:  Right.  Absolutely.  You go  
9           down and you vote on each individual point --

10          Dr. Pena:  I should mention though that if  
11          it does come down to a vote, we'll be taking votes  
12          from Cnaan, D'Angio, Kocis, and Notterman, with the  
13          deciding vote to Marsha since Jeff, Melissa, and Amy  
14          were on the Subcommittee, and it would be sort of a  
15          double hit if they were also allowed to vote on the  
16          recommendations from the parent committee.  So --

17          Dr. Rappley:  Okay.

18          Dr. Pena:  -- it's really the four votes  
19          here if you're going to vote on any items.

20          Dr. Rappley:  And, Dr. Rosenthal.

21          Dr. Rosenthal:  So I just want to clarify  
22          that if the decision is made to approve the protocol



1 under 407, I'm completely supportive of the  
2 stipulations. And if the vote is whether the 407  
3 process should continue, I'm completely supportive of  
4 that.

5 Dr. Rappley: So -- Dr. Cnaan. So what we  
6 need to do is either support the recommendations  
7 given to us from the Subcommittee as stated or modify  
8 them. And we have made modifications. That and we  
9 don't vote on a protocol moving forward or not moving  
10 forward. And so I think of the question to us, to  
11 those of us who would then be voting, is do we then  
12 wish to accept the recommendations of the  
13 Subcommittee with the modifications that we so  
14 described?

15 Dr. Notterman: I'm sorry, Dr. Rappley.  
16 Can I just introduce just a small point of order?

17 Dr. Rappley: Yes.

18 Dr. Notterman: Is it the case -- I'm  
19 asking FDA Staff -- that we do not make a  
20 recommendation with respect to whether this protocol  
21 moves forward or not? I thought that the form of our  
22 recommendation would be that we recommend that it

1 move forward, for example --

2 Dr. Rappley: Can -- can you restate --

3 Dr. Notterman: -- with these stipulations

4 --

5 Dr. Rappley: -- that question for me?

6 Dr. Notterman: Sure. I'm -- I thought I  
7 heard you say that we don't speak on the issue of  
8 whether the protocol should go forward. But my  
9 understanding was, in fact, that was the kernel of  
10 what we do.

11 Dr. Nelson: That's in fact, incorrect.  
12 Yes, you need to -- there's two issues here. One,  
13 the category under which this protocol may or may not  
14 be recommended for possible approval; and that's the  
15 50.54. So even though we went through that quickly,  
16 yes, you need to opine on that.

17 And then the second is the specific  
18 stipulations, which either you can do as a group, or  
19 you can break apart. So by accepting the Ethics  
20 Subcommittee Report with these modifications to the  
21 stipulation, you are in fact endorsing that it can go  
22 forward under 50.54 or 46.407.

1                   Dr. Notterman: So may I make a motion, Dr.  
2   Rappley? I move that we endorse the recommendation  
3   to the Subcommittee with the modified stipulations as  
4   read into the record by Dr. Nelson.

5                   Dr. Rappley: Do we have a second?

6                   Dr. Kocis: I second.

7                   Dr. Rappley: Dr. Cnaan, you have a point  
8   to make?

9                   Dr. Cnaan: Yeah, just one point. On page  
10  67 of this document, after explaining that expedited  
11  is within five days, there is text that says: the  
12  following are of special concern in the donors  
13  receiving Filgrastim are required to be reported, and  
14  it includes thrombosis, splenic rupture, and  
15  worsening of autoimmune disease. It includes ARDS,  
16  and it includes life-threatening or incapacitating  
17  complications of BM harvest or G-CSF administration.  
18  So all of those are actually in there and go into the  
19  reporting system within five days, and, hence, to the  
20  DSMB, et cetera. So I'm not sure whether the last  
21  recommendation that we added is not mostly redundant.

22                   Dr. Rappley: Dr. Notterman.

1           Dr. Notterman: Yes, except that we are  
2           adding the recommendation that -- not only that these  
3           events be taken note of, but that they require a  
4           pause in the study -- suspension of the study during  
5           review. That's the -- what we're adding.

6           Dr. Rappley: So the motion is --

7           Dr. Murphy: I just want to -- before we  
8           start voting, I think we need to lay out for the  
9           Committee, we may need to vote both ways because what  
10          we have is -- in the past we have included the  
11          members of this Committee that have been on the  
12          Subcommittee, they have been included in that final  
13          recommendation. Okay. There -- what Carlos is  
14          telling me, though, that the science board and policy  
15          have recently discussed that there are some concerns  
16          about this approach, because we're not -- we don't  
17          have any real guidance or regulation on that at this  
18          point. This is simply something new and evolving. I  
19          am suggesting that at this point, that we go ahead  
20          and take the full committee and then that will give  
21          you the -- we can always remove the Subcommittee if  
22          we have to, the sub -- I'm sorry, the members of the

1 full committee who were on the Subcommittee. We can  
2 always then -- if it's decided, we can always take  
3 those votes out. But I think at this point we need  
4 to gather that information, and we'll make an  
5 internal decision. We'll find out whether this is in  
6 a discussion stage or this is an implementation  
7 stage, because I'm not familiar with this.

8 Dr. Nelson: I would want the full vote.  
9 And I want to know the vote. And, frankly, if I knew  
10 what the policy, I might have excluded the current  
11 members of the pack from voting on the Subcommittee  
12 so they could vote at this level. So, I mean, that's  
13 a whole separate set of issues. But I want to hear  
14 the vote of everybody. And we can do that separately  
15 so that there's no contamination across old votes and  
16 new votes. So let's just keep that clear.

17 Dr. Rappley: Dr. Rosenthal.

18 Dr. Rosenthal: I want to vote again  
19 because I think we may be voting about slightly  
20 different things. The way that some of these votes  
21 may be --

22 Dr. Nelson: Well, you'll get your chance.

1 But to keep it separate --

2 Dr. Rappley: Yeah. Now, I think there's -

3 -

4 Dr. Nelson: -- because, trust me, we're  
5 going to have to answer this other people. So to  
6 keep it separate, that half of the room should vote  
7 first about everything, and then this half of the  
8 room can say what they want. All right.

9 Dr. Rosenthal: However you want to do it.

10 Dr. Nelson: Well, so we can be clear.

11 Dr. Rosenthal: But my point is that if the  
12 issues are different now --

13 Dr. Nelson: You can change your vote any  
14 time, Geoff.

15 Dr. Rosenthal: No --

16 Dr. Rappley: You know what? We need --

17 Dr. Nelson: You're not mandated.

18 Dr. Rappley: We need to move on. So,  
19 Diane, have you -- I heard you suggest -- I heard you  
20 tell us that we need to take a vote of the full  
21 committee by name, register our vote, and then at  
22 some point in the future you may only be able to

1 count five of those votes depending on decisions made  
2 within the --

3 Dr. Murphy: Correct. We need to proceed  
4 with a full vote. As Skip is saying though, just in  
5 case something comes up, we would prefer that that  
6 side of the room go first.

7 Dr. Rappley: Okay. Very good.

8 Dr. Murphy: Okay.

9 Dr. Rappley: Yep. Dr. -- so the motion on  
10 the table is to support the stipulations with the  
11 modifications as read to us by Dr. Nelson. And that  
12 has been seconded. Discussion's been completed.  
13 We're taking a vote. We'll start with Dr. Cnaan.

14 Dr. Cnaan: I support.

15 Dr. D'Angio: Aye.

16 Dr. Rappley: Dr. Kocis, you are next.

17 Dr. Kocis: Aye.

18 Dr. Notterman: Notterman. Aye.

19 Dr. Rappley: I only vote for a tie, but I  
20 should. Okay. I would vote in support.

21 Dr. Nelson: Marsha, can I ask for a  
22 clarification of your vote? Earlier you had

1 expressed concerns about the first --

2 Dr. Notterman: I have a point of order. I  
3 object to having an interrogation during the vote,  
4 please, Skip.

5 Dr. Nelson: All right. That's fine.

6 Dr. Notterman: Thank you.

7 Dr. Nelson: Fine.

8 Dr. Notterman: Thank you.

9 Dr. Rappley: So we have voted and we now  
10 are -- we've voted in the membership that did not  
11 participate in the Subcommittee and we are now  
12 proceeding to include the full membership. Dr.  
13 Rosenthal.

14 Dr. Rosenthal: I support the stipulations.

15 Dr. Hudson: I support.

16 Ms. Celento: Amy Celento. I support.

17 Dr. Rappley: So we have all in support of  
18 these stipulations with the modifications as read  
19 into the record.

20 Dr. Nelson: All right. So earlier you had  
21 expressed some reservations about the modification of  
22 the first sentence, so I just wanted to offer you an



1 opportunity to -- because the clarity of the  
2 recommendations that we put forward depends upon the  
3 discussion not just the vote about why you don't feel  
4 the need to break apart the stipulations and talk  
5 about them individually given the modification of the  
6 first one around the risks of bone marrow  
7 transplantation. And you could say that you just  
8 changed your mind, that's fine, too.

9 Dr. Rappley: I am supportive of the  
10 stipulations as you read them. I also think that my  
11 comments will be part of the minutes, that I  
12 recognize some message from the Subcommittee about  
13 not simply relying on high risk as an exclusion.

14 Dr. Murphy: Thank you.

15 Dr. Rappley: Thank you. And the meeting  
16 is adjourned. So we will see some of us bright and  
17 early tomorrow morning in the Hilton Dome; is that  
18 correct? The meeting room is in the Hilton. At  
19 8:30.

20 [Whereupon, at 6:00 p.m., the meeting was  
21 adjourned.]

22