

1 giving those cells back to the same patient.

2           So, in part of our recommendations to the  
3 FDA, some of the benchmarks that we were alluding  
4 to are benchmarks for the overall consistency and  
5 quality of a production facility, rather an  
6 benchmarks to be assayed on every lot of cells,  
7 given to every patient.

8           CHAIRMAN RAO: So, to go back to what you'd  
9 said, then--so is it true, for the sense--for early  
10 studies, as Dwaine pointed out, that when you're  
11 looking at Phase III trials, and you're looking at  
12 a company, and you're releasing a product where you  
13 have a long history, there's a different set of  
14 requirements. But when you're doing this early,  
15 you want to have a definite cell type which you can  
16 then take reasonably to a Phase III trial if you  
17 were going to do it. What would be sort of a  
18 minimal criteria that people would consider as  
19 important in terms of how you look at product  
20 development?

21           And, to me, it still seems--and, again, I  
22 would have the committee weigh in on this, is that  
23 we still need a minimal definition of what's in  
24 that cell type. And we clearly still need how it  
25 was isolated--as, clearly, distinction, because

1 that's a different cell type. And we need to know  
2 the passage number and the karyotypic stability of  
3 the cells when they've been grown in culture, and  
4 that's irrespective of whether you're doing it  
5 early or late, because otherwise you won't be able  
6 to compare.

7           And you need a lot of the data and  
8 information so that if you have any of the small  
9 trials, that you can actually see if you can truly  
10 extrapolate--like you pointed out--from one trial  
11 to the other, so that you have that. And that in  
12 the readout you need some sort of potency-type  
13 assay where you can say--which is a maybe generic  
14 substitute, and it may be the best that one can  
15 have, given the limitations in the field on what  
16 can be there. And maybe for myoblasts it can  
17 diffuse and form myoblasts because that's the  
18 mechanism of action, and that's what you use each  
19 time; and that for the overall generic product that  
20 you have--so let's say it's myoblasts from  
21 different patients--you should have some kind of  
22 biomarkers and assays that have been defined in a  
23 more rigorous fashion.

24           Is that--

25           DR. SCHNEIDER: May I play the Devil's

1 advocate for a moment with respect to karyotyping?

2 I'm curious how one would use the data  
3 from karyotyping if an abnormality were to be found  
4 after three or four weeks of what I consider to be  
5 relatively short-term culture, if there were no  
6 objective evidence for tumor formation in animals  
7 following six to 12 months of follow-up in  
8 preclinical data? I mean, are you using  
9 karyotyping as a surrogate endpoint for tumor  
10 formation even in the absence of data that tumors  
11 would occur?

12 CHAIRMAN RAO: Hold that thought, and I  
13 think maybe Bruce is going to take about what we  
14 missed in saying this is the dose.

15 DR. BLAZAR: No, I wanted to follow up on  
16 your point as well. I think part of the issue as  
17 you go to define the products is if you're going to  
18 call something a skeletal myoblast, it has to have  
19 certain proportion of cells--which I haven't heard  
20 what that is--that are defined as skeletal  
21 myoblasts. It should have some limitations as to  
22 what the other cells are.

23 For karyotyping--which I think is  
24 important--we need to know whether there are  
25 unstable karyotypes, even if retrospectively to go

1 back and say "this culture was a different culture  
2 than another culture," regardless as to the  
3 tumorigenic risk.

4           And for the assays, I think if you're  
5 going to use in vivo assays as readouts, then they  
6 have to be able to reproduced from lab to lab with  
7 some sort of standardized ability to say that this  
8 cell has a certain potency. With islets, that can  
9 be shown; that many different labs can come up with  
10 the same sort of readouts.

11           But the difficulty for me in listening to  
12 this discussion as outside the field is I'm still  
13 walking away with saying I don't know what kind of  
14 product definitions are going to be required, other  
15 than recording the data. What is a reasonable  
16 composition of matter? And are there potency  
17 assays that are exportable and evaluable in  
18 multiple different laboratories for assessing some  
19 level of potency that can be reported in the  
20 literature to correlate with clinical outcomes?

21           DR. KURTZBERG: I agree with that, and I  
22 also don't think a panel of non-experts should be  
23 the people deciding the potency assay. I think  
24 that people who are experts in the field ought to  
25 decide that and come back and say this is what we

1 think is the best we can offer.

2 CHAIRMAN RAO: Dr. Epstein?

3 DR. EPSTEIN: Dr. Rao, I think you  
4 summarized the issues brilliantly. I'd just like  
5 to make two points.

6 I think a consensus panel of experts would  
7 be critical to define in vitro and in vivo assays.  
8 I think it's critically important to make certain  
9 we understand that myogenesis is different from  
10 angiogenesis, so that you have two consensus  
11 panels.

12 But then I would suggest--because the  
13 point just raised is excellent--not everybody has  
14 the ability--not every laboratory, not every  
15 facility has the ability to do a reliable in vivo  
16 assay. And I would suggest that the FDA consider  
17 the possibility--perhaps in collaboration with  
18 NIH--of developing a core laboratory so that  
19 products can be sent to that core laboratory and  
20 tested in an absolutely uniform way.

21 And Michael made a very important point.  
22 The in vivo assay is not going to really be helpful  
23 in the acute situation. But we can retrospectively  
24 analyze the results, and try to correlate an in  
25 vivo assay with a beneficial effect or a

1 non-beneficial effect. But I think for some of  
2 these assays, they're rather sophisticated. You do  
3 have to have experience with it, and I would  
4 think--and I don't know if it's financially  
5 feasible--but that development of a core laboratory  
6 where specimens can be sent would be a very  
7 important part and role for FDA to play in  
8 characterizing what we're giving these patients.

9 CHAIRMAN RAO: Dr. Mule, did you have a  
10 comment?

11 DR. MULE: I just wanted to get back to Dr.  
12 Rieves' point about the stages of product  
13 development as it relates to the complexity or the  
14 outgrowth of trials associated with cell-based  
15 therapies. And there is a history here from other  
16 cell-based therapies, not necessary, of course, in  
17 treatment of heart disease.

18 But the point, again, is that if one is  
19 running a Phase I trial and it's limited to a  
20 single institution, it's inconceivable to me that  
21 that individual should be held responsible for a  
22 transportable assay that other sites not affiliated  
23 with the single-site study should be given the  
24 stamp of approval, for instance. I think that  
25 comes later.

1 I think for these limited Phase I studies  
2 that are single institution, to me it would almost  
3 be a barrier to require that investigator to have a  
4 robust enough assay that's transportable. That's  
5 my point.

6 CHAIRMAN RAO: I want to ask the FDA: do  
7 you feel that you've heard enough about Question 1  
8 and Question 2, in terms of a generic picture on  
9 these things, or just left too open in your mind in  
10 terms of what can be done?

11 Go ahead.

12 DR. AREMAN: Well, I just wanted to make  
13 one point that--people have been discussing potency  
14 assays. And we really do not require that there be  
15 a potency assay in place when you start doing a  
16 Phase I or a pilot study. You should be  
17 considering what you might use as a potency assay  
18 when you get to your Phase III trial. But that  
19 definitely is not--should not be a barrier to  
20 initiating a Phase I trial.

21 CHAIRMAN RAO: Yes, I think all the  
22 committee members, in one sense, were trying to say  
23 that if you have to take these cells and  
24 extrapolate them, you have to know some measure of  
25 what they're doing, and so you need that. You

1 don't necessarily have a direct dose-response or  
2 potency that you need, but you need to be able to  
3 say that when I take this lot, and I want to put  
4 them in, because I think that this is the  
5 mechanism, that these cells do fuse and form  
6 myotubes and at passage four, this is what they do.  
7 Or, you know, in passage one, this is what they do.

8           And so it's just one more characterization  
9 assay on what it's going to do, and that this lot  
10 has that kind of phenotype. When you have cells,  
11 you have to define them in some fashion as a  
12 phenotype, and we can't just do it with markers.

13           DR. ITESCU: I would just like to--the  
14 conclusion that was just drawn about a barrier;  
15 that increasing the data required to move into  
16 Phase I being a barrier to a single center  
17 initiating a trial being a bad thing. I think, in  
18 fact, it's exactly the opposite from my  
19 perspective: it's a good thing.

20           I think we want to raise the barrier to  
21 the level where you understand as much as you can  
22 about the biology of the product, about the potency  
23 of the product and about the safety of the product.  
24 I think we want to raise the barrier to prevent the  
25 conclusions that we're coming to that every cell



1 type works, that many small trials have been  
2 initiated. We can't conclude anything at this  
3 point in time because not enough product  
4 understanding has occurred.

5 And I think to increase the barrier is a  
6 good thing, not a bad thing.

7 CHAIRMAN RAO: I think the FDA always likes  
8 to hear that--

9 [Laughter.]

10 --people are asking for regulations.

11 DR. MURRAY: I mean, I view this through  
12 the prism of how we treat the human subjects in  
13 these trials. And if--to the extent that we can  
14 actually draw meaningful data from the trial, we  
15 just have a better justification for involving  
16 human subjects. And even in these Phase I trials.

17 To the extent that we have total  
18 non-standardization, and, you know, we're letting a  
19 thousand flowers bloom, I understand some might  
20 favor that, but I think, at minimum, we want to be  
21 able to have comparability, or at least to know the  
22 bases for comparability from trial to trial. And  
23 that's simply one way of showing respect for human  
24 subjects.

25 Now how do you do that? It's not simple.

1 I mean, I will return to this when we get to the  
2 clinical--discussion of the clinical issues. But  
3 that would be a reason I would advocate, you know,  
4 any sort of cooperation, standardization, etcetera,  
5 that we can ascertain at this time would be  
6 desirable from that perspective.

7 CHAIRMAN RAO: I'm going to ask Dr. Grant  
8 and Dr. Rieves--do you feel that you've got a sense  
9 of what the community feels, basically, on the  
10 whole manufacturing process and early stages?

11 DR. RIEVES: The information's been very  
12 useful. If we understand correctly--with my  
13 confederates here--the feedback that we are getting  
14 is largely consistent with what we have been trying  
15 to apply to cellular product development--not only  
16 in the cardiac field but in other fields in  
17 general. Your comments are very useful.

18 If we are understanding correctly, you are  
19 not objecting to some flexibility in early product  
20 characterization. You're encouraging exploration,  
21 but that has to be tempered by the need for  
22 attempts at the most consistency as possible, such  
23 that the data are interpretable. And, basically,  
24 we're not hearing objections to the procedures that  
25 we've been using in cellular product development,

1 in terms of manufacturing information.

2 CHAIRMAN RAO: I think one important point  
3 that came through, I think, as a caveat, at least  
4 to me, was that one can't simply consider a product  
5 at the level of "you've got it in a vial," because  
6 that really doesn't define it in any fashion. And  
7 so there has to be some information on what happens  
8 when you put it into any model that you do. And if  
9 there's going to be death, we need to know that  
10 that's consistent, because if you have too little  
11 death or too much, that will be a problem. If  
12 you've selected for some sub-population that grows,  
13 that's going to be a problem.

14 So that's going to be--and that the mode  
15 at which you deliver it can't be extrapolated. So  
16 you can't say, "Well, you know, today I used a  
17 27-gauge needle, and that was how we defined this  
18 product in the manufacturing that you're going to  
19 use." It's got to be at least factored in in terms  
20 of what has to be done when you're comparing  
21 anything, or when you look at the sense.

22 But, other than that, you look at what's  
23 the best that can be done.

24 But, to me, it seemed that those were two  
25 additional things that people don't normally

1 consider in drug release maybe. But that needs to  
2 be factored in to the cells. At least that was my  
3 sense.

4 DR. SCHNEIDER: To paraphrase Dr. Murray:  
5 let a dozen flowers bloom.

6 [Laughter.]

7 I think that for many of us, the hazard,  
8 as I've said, is the impression created by the high  
9 visibility trials that this is easy; that this can  
10 be done by any cardiologist or cardiac surgeon with  
11 access to a blood bank. And that's adamantly to be  
12 discouraged.

13 CHAIRMAN RAO: Go ahead, Dr. Noguchi.

14 DR. NOGUCHI: Yes--I think this has been an  
15 excellent discussion, and we appreciate the rigor  
16 with which the committee and all the participants  
17 here want to move the field forward.

18 I will point out that, to a large extent,  
19 this is not FDA's field. It is our job to look and  
20 to evaluate independently what comes in. If,  
21 indeed, you're talking about a dozen flowers, if we  
22 get 4,000 applications I can guarantee you my staff  
23 will review every single one of those applications.

24 We would prefer--

25 [Laughter.]

1           --that we get some selectivity, but that  
2 is not our judgment. That is not our duty, and  
3 that is not our responsibility. The responsibility  
4 is clearly that of the community that is trying to  
5 develop these products. That is clearly  
6 determining what may be true North or North by  
7 Northwest, or even maybe giving you the first step  
8 on the journey. It's not for FDA to tell you, it's  
9 for you all, together, to come to consensus to  
10 develop the scientific knowledge to consider the  
11 subjects absolutely as the center of all your  
12 discussions, and bring that, not just to us, but to  
13 the public so that we can have a reasonable  
14 discourse about it.

15           So I think, really, we've heard--for the  
16 manufacturing, we've heard a lot of very good  
17 suggestions. We've heard a lot of preliminary  
18 discussions. The refinement of this we will help.  
19 But it's up to all of you to provide the data so  
20 that we can make the evaluation.

21           CHAIRMAN RAO: So, as Joanne pointed out,  
22 somebody has to help formulate a committee of, you  
23 know, cardiologists to look at that.

24           DR. TAYLOR: Dr.--

25           CHAIRMAN RAO: Is it a big comment?

1 Because you're keeping everybody from a break now.

2 [Laughter.]

3 DR. TAYLOR: Dr. Rao, there is one issue  
4 that I didn't hear at all, and that's vehicle. And  
5 I think vehicle is an important issue that we can't  
6 ignore here: what the cells are injected in.

7 CHAIRMAN RAO: Yes--I think that's an  
8 important point, and I sort of--people raise this  
9 issue, and it was raised before in terms of whether  
10 serum is good or bad, and whether there's a  
11 serum-shock effect, depending on how much is there.  
12 Dr. Epstein, for example, pointed that out. And  
13 that excipients, just like in any drug, are also an  
14 important component that has to be fully defined in  
15 terms of doing this. And I think that's going to  
16 be important to do when you look at comparing  
17 anything, or look at when you're delivering cells.

18 And you're absolutely right; it's even the  
19 glucose, and the PBS that you put in when you  
20 deliver cells, it's going to be important. And I  
21 think that's an important thing that the committee  
22 would suggest to the FDA as well, is that when you  
23 define that product, that that information should  
24 also be collected.

25 DR. SCHNEIDER: Very quick response to Dr.

1 Noguchi's suggestion about a process for consensus  
2 development.

3           Betsy Knable and NHLBI have planned for  
4 this coming September what promises to be the most  
5 authoritative collection of investigators on the  
6 subject of cardiac cell repair. And it might be  
7 useful to communicate with them that, as one  
8 potential long-term outcome of that meeting, that  
9 process of consensus committees be engendered.

10           CHAIRMAN RAO: So we'll take a 10-minute  
11 break, and attack some of the next questions.

12           [Off the record.]

13           CHAIRMAN RAO: Back on the record.

14           It's time to get back to work, I guess.

15           [Pause.]

16           So this is going to be a little bit  
17 easier, though not doubt as contentious, I guess.  
18 And the only reason I think it's going to be a  
19 little bit easier to consider this issue is that  
20 we've discussed aspects of this already. And I'm  
21 going to try again to see if we can summarize a  
22 little bit of what people have already talked  
23 about.

24           So there seems to be some consensus in the  
25 field that if you're looking at physiology and

1 overall global function, and you're looking at  
2 certain of the tests which are non-invasive, it  
3 seems that you are quite critical in terms of  
4 needing some large animal models.

5           However there are some disadvantages to  
6 large animal models, and there are alternatives in  
7 terms of small animal models which may be useful  
8 because they have certain specific advantages.

9           And one contentious issue seemed to be  
10 that even though we have some advantages with small  
11 animal models, we have to worry about the  
12 immune-suppressed state, and that there are some  
13 disagreements on whether you can use an  
14 immuno-compromised model as a xeno-model, where you  
15 can transplant, say, human cells into a small  
16 animal model.

17           And, otherwise, the field seemed to think  
18 that one should be doing comparable cells. So you  
19 take, you know, bone marrow cells from the same  
20 animal and put it back in a syngenic field.

21           Does that seem to be a fair summary? And  
22 maybe I'll ask Doris that question--just as a yes,  
23 no.

24           [Laughter.]

25           DR. TAYLOR: I think that's accurate.



1 I think devices--obviously, you've got to  
2 do in large animals. And some of the other  
3 things--cells you can do in small animals.

4 CHAIRMAN RAO: Dr. Epstein? Or Dr. Itescu,  
5 can you--would you say yes or no to that summary?

6 DR. ITESCU: Yes.

7 CHAIRMAN RAO: So, keeping that as a  
8 background, maybe we can look at specifics in some  
9 of these models. And, again, that hopefully gives  
10 us a clear-cut breakdown into small and large  
11 animal models--right?

12 And maybe we can start off with Dr.  
13 Borer's point about: you have to integrate  
14 information--right? And that you have to collect  
15 data. So, maybe if you take a large animal model--  
16 maybe, Dr. Borer, would you like to say what one  
17 would like to collect, and what kind of animal  
18 model? Would there be any preference, or an  
19 absolute requirement for a particular model?

20 DR. BORER: Sure. I don't--well, yes,  
21 okay. I'll tell you what was an absolute  
22 requirement. An absolute requirement are hard  
23 natural history endpoint collection; death, major  
24 clinical events in the animal: myocardial  
25 infarction, stroke, what have you. I mean, we

1 could define a list--infection, whatever.

2           But can I just say one more thing? And  
3 maybe I'm going beyond what you're asking me, but a  
4 point that was raised yesterday--and I want to  
5 raise it again here--is that there are several  
6 different things that are happening in the  
7 myocardium. Putting in cells that differentiate in  
8 a way so that they can generate force is wonderful,  
9 but there has to be a remodeling process that goes  
10 on.

11           And the cellular remodeling--cellular  
12 remodeling--differs among species. So that while  
13 there are certain things that I think we can look  
14 for in small animals, over and above the generic  
15 stuff I just said, there are other issues that  
16 really probably cannot be judged in mice, for  
17 example, because the myocytes and the fibroblasts  
18 in mice do different things than the myocytes and  
19 fibroblasts in species closer to humans.

20           And I would just, again, bookmark the  
21 issue of cellular remodeling. We heard a little  
22 while ago from Dr. Taylor that the heterogeneity of  
23 the product that's injected probably is important.  
24 I think it probably is, too. I think it's very  
25 important. I think it's very important because of

1 the points that Dr. Epstein made yesterday; that is  
2 that the cells are secreting stuff. We don't know  
3 what they are, but they're probably crucial to the  
4 whole system working well. And, again, those  
5 processes differ among species.

6 So I think when you start to look at the  
7 global issue, and the remodeling issue, you really  
8 have to be closer to people than to mice.

9 CHAIRMAN RAO: Can I ask you one question  
10 just as an extension of this?

11 Is there a sense, then, that since animals  
12 are not like humans, for example, and that there  
13 are going to be species' differences and these are  
14 physiology differences which are critical, that you  
15 can't just do animal studies that will match? Or  
16 should you be doing both xeno- as well as syngenic  
17 studies? Or that's not something that the  
18 committee thinks is a good thing to do?

19 DR. BORER: Well, I mean, I'm not--I hope  
20 I'm answering the appropriate question here, and  
21 that I understood it properly--but, we can't answer  
22 all the preliminary questions that we need to  
23 answer to be able to go forward with clinical  
24 trials by doing clinical trials. There have to  
25 be--there has to be some information that is at

1 least intuitively reasonably predictive to suggest  
2 that you're going to do something good and you're  
3 not going to do something bad once you start  
4 working in people.

5           There are many examples of animal studies  
6 done in species closer to man than to mouse; you  
7 know, on dogs and in pigs and even rabbits--and  
8 primates, of course--which have been reasonably  
9 predictive of the general response that you see in  
10 people. Will that lead to a clinical benefit, or  
11 will it not? I don't know. That's what clinical  
12 trials are for.

13           But I think that you can and must do  
14 certain animal studies to at least suggest that  
15 it's reasonable to infer that there might be a  
16 benefit, and might not be excessive harm  
17 outweighing the benefit if you go to people. So I  
18 think that there are studies that should be done in  
19 animals. The assay for potency that Mike and  
20 others have talked about I think is key, and that  
21 probably can be done in small animals.

22           And I'm probably getting much more  
23 specific than you wanted me to. But I think that  
24 several animal models have a place here; that it's  
25 crucial to do preclinical studies before you do

1 clinical studies. My only argument was that in all  
2 species we look at, we have to look at deaths,  
3 infarctions, strokes and other major events, and  
4 that we shouldn't forget about the remodeling  
5 issues because, as Steve pointed out yesterday,  
6 these cells know better than we do what they're  
7 supposed to be doing, and they do it, and we don't  
8 know what they're doing.

9 CHAIRMAN RAO: Bruce? And then Dr.  
10 Schneider.

11 DR. BLAZAR: One question I haven't heard  
12 answered is the role and function of human cells in  
13 rodents. It's been implied that you could use  
14 human cells in rodents to assay biological  
15 function. We know for some cell types--core blood  
16 in non-SKD mice, you can get some assessments,  
17 whereas human t-cells put into rodents in general  
18 don't function well.

19 There are certainly non-cellular sources  
20 in rodents that don't receive the right inductive  
21 or survival signals, and I guess the question is  
22 whether the fraction of cells that survive, are  
23 they biologically functional if you put human cells  
24 in rodents?

25 I didn't hear a lot of discussion about

1 that. And given the heterogeneity of the  
2 population, between myoblasts, fibroblasts,  
3 macrophages, SP cells--to what extent, and where is  
4 the barrier drawn for being able to assess  
5 biological function in either small or large  
6 animals, of the actual human product?

7  
8 CHAIRMAN RAO: Before I ask one of the  
9 cardiologists to answer, I'm just going to ask  
10 if--Dr. Schneider, is your question similar to  
11 Bruce's?

12 DR. SCHNEIDER: I was actually going to  
13 follow up on that.

14 CHAIRMAN RAO: So should we, then, have  
15 that question so that maybe the cardiologists can  
16 answer that together--other cardiologists.

17 [Laughter.]

18 The presentations can answer that. Maybe  
19 I should make that clear. So go ahead, Dr.  
20 Schneider.

21 DR. SCHNEIDER: I was going to say, in  
22 response to Jeff's point about the balance of large  
23 and small mammals, that I would probably draw the  
24 line of preference at a slightly different point.

25 I think there's clearly a need--and an

1 unambiguous need--for large-mammal models, where  
2 delivery systems are to be studied, such as  
3 catheters or, conceivably, specific complex  
4 surgical procedures beyond the kind that we heard  
5 about in yesterday's presentations; that,  
6 inherently, because of geometry could never be  
7 adequately tested in a smaller mammal.

8           If the question is: does the biology of  
9 the smaller mammal allow complete predictability of  
10 the human situation, the answer would be no. My  
11 point is that that also would be true for the large  
12 mammal. The large mammal studies are not done in  
13 aged animals. They're not done in animals with  
14 disseminated atherosclerosis. So there always will  
15 be a gap between what we can learn--even in the  
16 best of circumstances--from the large mammal and  
17 from the human.

18           CHAIRMAN RAO: Would you add to that about  
19 safety, as opposed to efficacy?

20           DR. SCHNEIDER: Safety issues as well.

21           What I would do is to try to emphasize the  
22 point that the job of the preclinical data is not  
23 to predict the outcome of a Phase III trial. The  
24 job of the preclinical data is to predict the  
25 safety of a Phase I trial. And from that point of

1 view, I think a preponderance of small-mammal data  
2 is more than sufficient, with the exceptions that I  
3 noted, where complex devices are concerned.

4 It's to show the reasonableness of a  
5 benefit, and the reasonableness of safety.  
6 Ultimately, those have to be judged in Phase I  
7 trials. And if Phase I trials work, the more  
8 complex larger trials later.

9 CHAIRMAN RAO: Joanne.

10

11 DR. KURTZBERG: I was just going to add  
12 that I think the allogeneic models are important.  
13 I don't think we know what direction this will  
14 ultimately take. And I think they're important to  
15 ask question about tolerance induction and whether  
16 the use of allogeneic cells will be  
17 feasible--because it may be that, in the long run,  
18 it will be technically more straightforward to have  
19 the cells ready when the patient needs them, and it  
20 may be timing is important to get it right away or,  
21 you know, shortly after the MI--or whatever. And  
22 with autologous cells, you may not have that  
23 option.

24 CHAIRMAN RAO: Dr. Cannon.

25 DR. CANNON: This is really a follow-up to



1 Dr. Borer's and Dr. Schneider's comment about the  
2 limitations of large animals, as far as safety and  
3 efficacy.

4           And a good example is estrogen therapy.  
5 Hormone replacement therapy was believed to be safe  
6 and efficacious in all animal models tested;  
7 virtually all tested, including primates. And yet  
8 it did not predict the response in individuals with  
9 diffuse atherosclerosis and its risk factors.

10           So I think there are major limitations to  
11 even large animals.

12           CHAIRMAN RAO: Dr. Ruskin, and then Dr.  
13 Epstein.

14           DR. RUSKIN: Just a comment about the  
15 safety question and animal models.

16           I thin, with regard to cardiac safety, and  
17 particularly this issue of arrhythmagenesis, the  
18 small animal models are not going to be useful.  
19 They may be--they're very useful from a biological  
20 perspective, and potency, and other elements that  
21 people have raised. But I think that given the  
22 relative infancy of this field, that having  
23 experience in some of the well established  
24 large-animal models--particularly dogs, but also in  
25 pigs; dog models have been around now for three

1 decades of acute and sub-acute and chronic  
2 infarction, for example and, more recently, some  
3 heart failure models--can be very useful. And I  
4 would never suggest that the information obtained  
5 from these studies would be dispositive, but they  
6 can be informative from a safety standpoint,  
7 particularly if safety issues arise; that is, they  
8 tend to be rather insensitive. But if you see a  
9 major safety question with regard to arrhythmogenic  
10 effects in a canine model, that should be a red  
11 flag for whether or not one moves forward, and how  
12 one moves forward into Phase I.

13           So I would make a plea for doing work in  
14 large-animal models fairly early on--certainly well  
15 before considering Phase I trials with an aspect of  
16 these new therapies.

17           CHAIRMAN RAO: I'll have Dr. Epstein and  
18 Doris make quick comments.

19           DR. EPSTEIN: Yes. Bruce, just in answer  
20 to your question: if you wanted to test either  
21 safety or efficacy of human cells in an animal  
22 model--immunosuppressed--I mean, I guess the bottom  
23 line is there's no--as everyone has said--there's  
24 no perfect animal model. Because the effect of the  
25 cells you're injecting may not be primarily a

1 direct effect of the cells, but their ability to  
2 orchestrate, for example, an inflammatory response.

3           So if there's no inflammatory--host  
4 inflammatory response, that could either be  
5 efficacious or it could be--lead to adverse  
6 effects. So it's not a perfect model but,  
7 nonetheless, it could provide some important  
8 information.

9           So the bottom line is, I think that large  
10 animals, small animals--none of them are perfect.  
11 All of them can provide some important information.  
12 What should be required is obviously going to  
13 depend on what the specific question being asked,  
14 and what the specific cells are that one is  
15 thinking of injecting.

16           DR. BLAZAR: So can I--just before you  
17 leave the microphone, just ask you: what--is there  
18 a different frequency--if you put human cells in  
19 rodents, dogs, pigs, what fraction of those cells  
20 have any biological function or survival in the  
21 different species? Because while it may not  
22 correlate directly in each individual species, if  
23 the fraction of cell survival and being able to  
24 function in vivo is extraordinarily low in rodents  
25 and increases as you go up the ladder--

1 DR. EPSTEIN: You mean in the absence of  
2 immunosuppression.

3 DR. BLAZAR: However. To me, it's still  
4 whether there are appropriate inductive and  
5 survival signals. Forget, necessarily, the host  
6 immune response, but are there just signals so the  
7 cells just don't sit there. Because I know you can  
8 engraft human MSCs and MAPCs etcetera in rodents,  
9 but the frequency is extraordinarily low in many  
10 cases, without the necessary inductive signals.

11 DR. BLAZAR: Well, I guess the bottom line  
12 is that studies have been done, and published in  
13 excellent journals, demonstrating that you get a  
14 biologic effect. And as Dr. Borer has been  
15 emphasizing, you know, that is what you're  
16 interested in.

17 Now, what percentage of cells survive, and  
18 which specific cells survive is an important  
19 question. But there is important biologic activity  
20 when you put in human cells in a rodent model.

21 CHAIRMAN RAO: Go ahead, Doris.

22 DR. TAYLOR: I'm sorry, I just wanted to  
23 say very quickly that one of the slides I showed  
24 yesterday, in terms of comparing myoblasts and  
25 myoblasts plus--angiogenic myoblasts were human

1 myoblasts transplanted into SCD mice. And we saw a  
2 biologic effect. So--and I didn't emphasize that.

3 But I think, in terms of injecting human  
4 cells in small-animal models to test function, I  
5 think that's fine. I think to test safety, you  
6 have to move up the tree.

7 You know, nobody wants this field to move  
8 forward more rapidly or more quickly than--or more  
9 safely and quickly than I, but I think we have to  
10 answer the safety questions. We didn't anticipate  
11 them all with myoblasts, and now we have the  
12 opportunity to do it differently.

13 CHAIRMAN RAO: So before we get to you, is  
14 there anybody who strongly disagrees with the  
15 statement that Doris made?

16 Just--Doris said that, you know, for  
17 safety studies it seems to be quite important that  
18 you might want to consider larger animal models as  
19 well. That was her point. She said that--I'm  
20 summarizing, but--

21 DR. SCHNEIDER: If the operative word is  
22 "consider" rather than "implement," I think--

23 CHAIRMAN RAO: Yes.

24 DR. SCHNEIDER: --I think that's the  
25 distinction.

1 I mean, Dr. Ruskin makes a very good point  
2 about the safety issue with respect to arrhythmias.  
3 But it's one point that needs to be balanced  
4 against other considerations. If I were asked to  
5 weigh the predictive power of dog cells re-injected  
6 into the dog, versus human cells injected into a  
7 mouse as indicative of what human cells would do  
8 when injected into a patient, I'd rather know what  
9 the human cells do.

10 In many cases, the markers don't exist to  
11 isolate the cells from some of these large mammals.  
12 And as Steve Epstein pointed out, if one wants to  
13 use an immuno-compromised dog, sheep or pig, one is  
14 obliged to use drugs that have many confounding  
15 effects. Cyclosporin is used routinely in the  
16 transplantation field, but in heart failure studies  
17 many of us have been looking, for the last six  
18 years, at complex molecular effects of  
19 calcinurine-dependent signals.

20 So, from an immunological point of view, a  
21 mutant mouse is cleaner and more predictive than a  
22 cyclosporin-treated pig.

23 DR. MULE: So I've been hearing almost a  
24 consensus of the--not necessity for animal models,  
25 but the preference for animal models, perhaps.

1           What I haven't been hearing is what are we  
2 asking these animal models to provide us with  
3 information? I've been hearing a lot about the  
4 weaknesses of small animal models, large animal  
5 models, xeno-transplants, human cells into  
6 immuno-compromised mice--and, again, layering the  
7 complexity of the disease, which has not been  
8 replicated in any of these animal models. I've  
9 heard that one cannot necessarily predict the  
10 toxicity of the cell-based therapy in perhaps  
11 large-animal models.

12           So I guess the issue is: if we have  
13 myoblasts as sort of the therapy to be considered  
14 here--as one of the therapies, and we can  
15 conceivably understand that if we put certain  
16 parameters on that population, that in vitro will  
17 produce myotubes, for instance.

18           What are we asking of these animal models?  
19 Are we asking that 90 percent of the cells will die  
20 when they're injected? I think we already know  
21 that. And so what I have not heard--other than  
22 weaknesses in all these models--is what precisely  
23 we're asking these animal models to provide us with  
24 information that will help us to go to the clinic.

25           CHAIRMAN RAO: Hold that thought, and I'll

1 maybe ask you to re-phrase it again in the next  
2 five minutes or so.

3 Go ahead, Dr. Murray.

4 DR. MURRAY: Well, actually, Jim asked, in  
5 a broad frame, the same kind of question I wanted  
6 to ask. And I've been trying to listen carefully  
7 to the various things that have been said, and the  
8 questions that we're being asked to help the FDA  
9 address.

10 So, I've been trying to create a  
11 conceptual map to help myself understand what's at  
12 issue here. So let me just--what some elements  
13 are: we want to know something about the basic  
14 biology; what happens to these cells; what are  
15 these cells; what happens to them if you stick them  
16 in a heart--by various routes, by various  
17 means--you know, with all the various different  
18 ways people prepare these.

19 And you want to know about autologous  
20 cells, you want to know about--and you want to know  
21 how human cells behave in, you know, in an animal  
22 model, so you've got to have an immuno-compromised  
23 animal model. And there are all kinds of  
24 disadvantages there.

25 The hearts differ in these different



1 animal models, and their anatomy, the cell type  
2 functions; the geometry, physiology and  
3 electrophysiology. The disease--the disease models  
4 differ. You've mostly got fresh experimental  
5 lesions, rather than a good model of chronic  
6 congestive heart failure. Plus, in humans, you've  
7 got this background of long-term disease, with all  
8 the stuff that's happened, plus the drugs and other  
9 treatments and other interventions that have gone  
10 on--all of which make it difficult.

11           The hearts differ in these animal models  
12 and what you can measure. You know, a mouse heart  
13 beats--what?--600 times a minute? Is that what I  
14 learned yesterday? And it's probably a little hard  
15 to catch what's going on in those 600 beats per  
16 minute. Larger animals beat more slowly, or more  
17 like humans--allow more measurements.

18           So this is the sort of map I've been  
19 putting on this. And it's pretty clear that no  
20 single model is right. There are a lot of  
21 different questions you ask in different ways.

22           We're going to learn about  
23 the--ultimately, you want to learn about the safety  
24 issues involved with doing the sort of  
25 interventions that people are proposing to do, and

1 almost certainly that will involve some use of  
2 larger animals that are closer to the human.

3 CHAIRMAN RAO: Deborah?

4 AUDIENCE: I run a large primate program  
5 involving hematopoietic stem-cell biology, and I've  
6 also worked quite a bit in xenograft with mice.

7 I would stress that, like Bruce said, I  
8 don't think, if you have no efficacy in a xenograft  
9 you necessarily know if that is going to predict no  
10 efficacy in a large animal or human. The homing  
11 and engraftment of cells, if we're going to give  
12 them intravenously, or look at cytokine  
13 mobilization in a xenograft is, I think, completely  
14 useless.

15 Mouse spleens behave extremely differently  
16 from human and large animal spleens. The cells all  
17 go there. For most of the hematopoiesis studies,  
18 you have to splenectomize mice to get any  
19 information out that's going to apply to humans.

20 Non-human primates--we have lots of  
21 reagents like cytokines and cell service molecules,  
22 and the ability to culture cells that are very  
23 analogous to humans. What we don't have is  
24 cardiologists and cardiac surgeons who've worked in  
25 these models.

1           So as we tried at the NIH to bring some of  
2 these cell therapies into the non-human primate to  
3 test them, there just isn't very much known, and  
4 there's not a lot of comfort with the surgeons and  
5 the cardiologists in knowing how these animals  
6 react, in terms of arrhythmias and everything else.  
7 On the other hand, dogs, where you do know a lot,  
8 and pigs where you know a lot, you can't have any  
9 idea if the cell populations are correct. So, I  
10 either would try to put resources at an extramural  
11 level into better defining reagents, antibodies and  
12 cytokines for dogs and pigs, or get some  
13 cardiologists and cardiac surgeons more comfortable  
14 with working in non-human primates. Because for  
15 highly manipulated products, like MSCs, you're  
16 going to over-express AKTN, or MAPCs, I really  
17 don't think that you would want to put those into  
18 humans without having some long-term safety studies  
19 in a large animal saying where the cells go, how  
20 long they last, do they form tumors? You know,  
21 labeling them with iron or other moieties to try to  
22 figure out exactly what's happening to them, both  
23 acutely and sub-acutely I think would be pretty  
24 important.

25           With non-manipulated cells, and

1 mononuclear cells from the bone marrow that you're  
2 shooting into coronaries and things that have  
3 already been done, maybe that's not necessary, and  
4 maybe you can do it in dogs. But for the  
5 manipulated populations, I think you need to try to  
6 improve the primate models--potentially.

7 CHAIRMAN RAO: Go ahead. Just introduce  
8 yourself.

9 DR. KELLY: Ralph Kelly. I'm from Genzyme  
10 Corporation. And I wanted to follow up with Dr.  
11 Ruskin about the comment regarding arrhythmias.

12 For the MAGIC trial that Phillipe  
13 Menaasche is currently running, the protocol  
14 specifies that the skeletal myoblasts--autologous  
15 skeletal myoblasts--be placed not only in the  
16 center of the scar, but also in the border zone  
17 surrounding the scar, which obviously brings in  
18 issues such as reentry. And you discussed the  
19 canine model, for example, but then just sticking  
20 them in a dog and then doing Holter monitor studies  
21 and so forth may not be practical, or at least  
22 efficient way to do it.

23 Can you comment on optical mapping  
24 techniques, for example? Other measurements that  
25 might give us an idea how pro-arrhythmic these

1 cells might be?

2 DR. RUSKIN: That's a good question, and a  
3 difficult one to answer.

4 The technique of optical mapping is very  
5 useful for mechanism and for characterizing the  
6 electrophysiologic properties of the tissues, but  
7 doesn't tell you very much about arrhythmagenic  
8 potential. So I think you would probably end up  
9 doing continuous monitoring with implanted devices,  
10 and also electrophysiologic studies.

11 And I suspect that the yield would  
12 probably be quite low. And the concern, obviously,  
13 in these models always is that they are  
14 insensitive. So if one sees nothing, you can't  
15 take much away from it.

16 If you say a signal--for example, a high  
17 sudden-death rate among the animals--that would be  
18 a red flag, obviously, for a major concern. And  
19 that was my only point.

20 I don't think that ;there's a highly  
21 specific probe that we can use that's going to  
22 answer the question in an animal model as to what's  
23 going to happen in the human situation.

24 CHAIRMAN RAO: Dr. Harlan?

25 DR. HARLAN: You started the session with

1 the question: are animal models required before we  
2 move to the clinic, or should we do both  
3 concurrently. And then Dr. Mule asked about what  
4 question are we asking from the animal models.

5 I think the answer to the latter question,  
6 and then to your question, is that we're--in all  
7 cases, we're gathering data; that we don't know  
8 what we don't know. And, to me, I don't think  
9 there is any ideal animal model. We learn  
10 something from each one. And I think we learn  
11 stuff in the clinic that we can't possibly learn  
12 from any animal model, and so that you need to do  
13 both, and that then the question is: how do you do  
14 the clinical trial in the way that is least likely  
15 to do harm. And that will be, I think, the major  
16 topic of discussion.

17 CHAIRMAN RAO: I guess it's you, me and  
18 Rumsfeld--right? There are known knowns and known  
19 unknowns, I guess.

20 DR. ITESCU: I'd just like to add a little  
21 bit about the small animal models, and disagree  
22 with one of the earlier speakers--that, in fact,  
23 we've done a lot of work looking at homing and  
24 cytokines and chemokines in mice and rats--those  
25 immuno-compromised animals. And, in fact, it looks

1 like they're excellent models for being able to  
2 predict the ability of human cells to home and  
3 target the myocardium; that many of the chemokines  
4 and cytokines produced in these rodent models do,  
5 in fact, interact with the receptors on human  
6 cells.

7           So I think, in fact, they're very adequate  
8 models to study many of these processes. And  
9 perhaps the biggest difference between these models  
10 and the primate models, obviously, are the immune  
11 responses. And we just need to keep that in mind.

12           But, otherwise, many biological questions  
13 can be addressed pretty adequately.

14           DR. BLAZAR: I wasn't saying that the  
15 models were inadequate. I was not clear as to what  
16 ;you all had as a consensus as to the relative use  
17 of these xenogeneic system to get where you wanted  
18 to go--the way Jim phrased.

19           So if the consensus is that this does  
20 provide you the necessary readouts, despite the  
21 limitations of a xenogeneic environment, I think  
22 that's fine. But it did not come out in the  
23 presentations as to whether that was necessarily  
24 the case.

25           DR. ITESCU: Yes, I didn't show yesterday,

1 but we've got some pretty convincing data on a  
2 variety of cytokines and chemokines made by  
3 rodents, and how they interact with the human cells  
4 in a similar way to what happens in man.

5 DR. HARLAN: I just want to quickly comment  
6 that when you look at your cells and they do home  
7 appropriately, what you don't know is what other  
8 cells might not work in that model, but would work  
9 in a different model. So it's just the same point:  
10 we don't know what we don't know.

11 And so I think it's important to not rule  
12 out the possibility that a cell that doesn't work  
13 in a non-SKD mouse might work in a different  
14 species.

15 DR. ALLAN: Yes, I just wanted to come back  
16 to what animal model is appropriate. Because, I  
17 mean, I'm not in this field. So I sit here and I  
18 go, "Gee, you know, I don't hear that much about  
19 non-human primates." You know, because to me it's  
20 a no-brainer. You want to be using non-human  
21 primates.

22 And then--so it was interesting to hear  
23 what some of the reasons are why people aren't  
24 using non-human primates. It's a comfort zone:  
25 cardiologists never used--haven't used non-human



1 primates very often for this. Maybe it's also a  
2 question of cost, and numbers of animals--things  
3 like that--which I can understand.

4           And in this particular case it seems to me  
5 that what you're dealing with is basically  
6 cost-benefit--or risk-benefit, and that is, well,  
7 if you're shooting cells into humans, you probably  
8 aren't going to kill them, and therefore you don't  
9 have to use non-human primates because you're not  
10 sweating as much. Because in xenotransplantation,  
11 they have a bar, and you've got to do  
12 pig-to-primate transplants and show that the organ  
13 survives for more than, you know, five days or 10  
14 days before, you know, they let you go into humans.  
15 Whereas here, you know, you shoot a few cells, and  
16 you probably are not going to kill the patient.  
17 And so therefore maybe you don't need to use  
18 non-human primates.

19           But to me, I mean, it's like--I mean, the  
20 non-human primates, it's not a perfect  
21 model--obviously it's not a perfect model, but it's  
22 a better model than any of the other models, in  
23 terms of you've got cytokines that you can use. I  
24 mean, this GCSF study, you know, they probably  
25 could have done some of that work in non-human

1 primates and would have got some ideas.

2           And it doesn't mean that that wouldn't  
3 happen anyway because, I mean, you look at gene  
4 therapy trials. Some of the studies in macaques  
5 show that if you give them too much virus you kill  
6 them, and yet, you know, they still went into  
7 humans with the same dosage and there were some  
8 adverse reactions there.

9           So I'm just--I'm not trying to promote the  
10 use of non-human primates, but I was just sort of  
11 like curious as to why people aren't using that  
12 more often.

13           DR. BORER: I'd like to get back to the  
14 issues raised by Dr. Mule and Dr. Murray, because I  
15 think they're very important issues. And let me  
16 try and take a crack at an answer.

17           CHAIRMAN RAO: Dr. Borer, can we hold off,  
18 then, on that--

19           DR. BORER: Sure.

20           CHAIRMAN RAO: --because I want to try and  
21 complete this--it's part of the question we want to  
22 address which is Question 4, but I want to try and  
23 get this whole idea of do we absolutely need  
24 models, and which kinds of models, and is there any  
25 absolute criteria that we should use first.

1 DR. BORER: That is what I was going to  
2 respond to, actually. I think that's the crux of  
3 what the question was: do we really need animals if  
4 we don't know how to interpret the results?

5 CHAIRMAN RAO: I think Dr. Murray's point  
6 was what kind of readouts, and what are you really  
7 using the models for? And I want to wait on that  
8 just for a couple more minutes if we can.

9 DR. BORER: Okay. Maybe I wasn't  
10 responding to Dr. Murray's question.

11 CHAIRMAN RAO: Okay, then.

12 DR. SCHNEIDER: So you don't want a  
13 response to Dr. Mule's question: why do we use the  
14 animal models?

15 CHAIRMAN RAO: Yes--not just yet.

16 DR. SCHNEIDER: Okay. Let me respond to  
17 why cardiologists--

18 [Laughter.]

19 --don't use non-human primates.

20 Apart from the fact of expense, lack of  
21 experience in most of the university medical  
22 centers, we are also cognizant of the fact that the  
23 non-human primate models are, to the public, an  
24 abomination.

25 CHAIRMAN RAO: Kathy?

1 DR. HIGH: So--maybe I have to wait, too,  
2 because I wanted to respond to Dr. Mule's question.

3 [Laughter.]

4 CHAIRMAN RAO: So maybe we can really list  
5 these as comments that can be made--give me a  
6 minute, then, to try and see if we have some sense  
7 of consensus on this minimal first part.

8 From what I heard from everyone here was  
9 that nobody thinks you should rush to go and do  
10 human trials without doing some sorts of animal  
11 studies--right? That seemed to be pretty clear.

12 And what also seemed to be pretty clear to  
13 me from listening to everyone was that there's no  
14 perfect animal model for the disease. So we're not  
15 trying to mimic a particular disease, but you're  
16 really trying to look at sort of some kind of  
17 critical issues on the cell type that you will use,  
18 and the choice really depends on the cell type that  
19 you're going to use, and that's why you have to  
20 vary between choices of models.

21 And there seems to be clear-cut consensus  
22 that for certain things you have to use a  
23 large-animal model. So, for physiology, sort of  
24 geometry, imaging issues and so on, it doesn't seem  
25 that you can answer those questions with a small

1 model.

2           But there are other issues where, maybe  
3 because of speed, maybe because the behavior has  
4 already been demonstrated, or there's a whole  
5 history in terms of sort of bone marrow studies  
6 which can be addressed in small-animal models--and  
7 there's no reason why they shouldn't be addressed  
8 in small-animal models.

9           So, ultimately, you may have to choose  
10 which model you use, depending on the type of cell  
11 you choose, and what type of readout you're looking  
12 at. And such models exist for doing that. But  
13 clearly there's no perfect model which will clearly  
14 answer all of these studies.

15           There still seemed to me a little bit of  
16 dissension--and I want to make sure that I haven't  
17 missed that--is there seemed to be some argument  
18 that safety studies could only be done in a  
19 large-animal model. And I wasn't absolutely  
20 sure--maybe some types of safety issues are really  
21 critical and can only be done in a large-animal  
22 model such as, you know, tachycardia perhaps,  
23 geometry and reentries phenomenon. But other  
24 studies may be simply more important in  
25 small-animal models--right? You know, where we

1 have--if you're looking at immuno-compromised  
2 animals and so on.

3           The last piece that seemed to come through  
4 from everybody was that animal cells are animal  
5 cells, and there are many, many reasons why they  
6 will be different. And we already know that  
7 they're different, so that though you can do  
8 syngenic, they're not going to be absolutely  
9 predictive of what will happen when you take human  
10 cells and put them into human patients, and you  
11 have to keep that view in mind. And if that's the  
12 case, then there might be certain times--or  
13 depending, at certain stages--where doing either  
14 xeno-models, or correlating it with--as Dr. Harlan  
15 pointed out--with clinical trials which are  
16 happening at the same time might be quite critical.

17           Is that--at least for part of  
18 choosing--we've not yet talked about what the Hell  
19 are you going to learn from a model--and I  
20 apologize for my language, I guess--

21           [Laughter.]

22  
23           --but at least it sets up the fact that we  
24 need some kind of model. That seems to be  
25 irrespective--that we need something, and we need to

1 get some information, and we need to collect a  
2 large amount of data from it.

3 And, Dwaine--

4 DR. RIEVES: But, Dr. Rao, those are  
5 excellent points, and it's very difficult to talk  
6 in generalities.

7 But if in the discussion the committee  
8 members could also consider something Dr. Dunbar  
9 touched on, is whether, as witnesses, or reviewers  
10 or production of development programs, we should  
11 consider flexibility with respect to the nature of  
12 the cellular product itself, as to how it's  
13 manufactured--these heavily manipulated products,  
14 the cultured products.

15 Should the preclinical testing for those  
16 type products be different from the many academic  
17 investigators across the country who say, "I'm  
18 harvesting bone marrow in the operating room suite,  
19 and I'm filtering it to get the specules out, and  
20 I'm administering it." When those  
21 sponsor-investigators come to us, they're going to  
22 ask is there some need for pre-clinical study. Or  
23 is it inherently safe because it's autologous and  
24 minimally manipulated.

25 If you could consider those type issues in

1 the discussion, too.

2 CHAIRMAN RAO: As soon as we start talking  
3 now, and hopefully, just next is what do we want to  
4 learn from an animal model, and why do you want to  
5 put cells in.

6 DR. TAYLOR: [Off mike] When I said the  
7 word "safety" I meant arrhythmia. I didn't  
8 mean--so there's really not dissension. When I  
9 said "safety" I meant electrical safety.

10 CHAIRMAN RAO: So maybe we can go with Dr.  
11 Borer, who was trying to summarize or respond, and  
12 then go with Kathy, and then Dr. Schneider, since  
13 you wanted to respond, too, sir.

14 DR. BORER: First let me say I agree with  
15 everything you said.

16 [Laughter.]

17 I think that where we may be going here,  
18 and what seems like a little dissension that I  
19 don't think really is dissension, is that what  
20 everybody wants is a preclinical construct that  
21 would be perfectly predictive so that you really  
22 knew what the problems are, and you really knew  
23 what the potential benefits were, or what the  
24 functional changes in the heart might be so that  
25 you could then give your therapy in patients and be



1 concerned, really, only about clinical outcome; you  
2 know, is there a clinical benefit, is there not, or  
3 does the benefit outweigh the risk.

4           There is no such preclinical construct for  
5 any disease--in cardiology. I mean, in other  
6 disease there may be. I don't know. But certainly  
7 there isn't in cardiology.

8           So I think what we want from the animal  
9 studies is two types of information. First of all,  
10 one would like to have some confidence that there  
11 isn't an overwhelming show-stopper lurking out  
12 there, safety-wise. Jeremy made the point: animal  
13 studies are not highly sensitive, in general, for  
14 cardiac events, but when cardiac events of certain  
15 types occur, one should take note of that and be  
16 cognizant of that in designing clinical studies if,  
17 indeed, it even seems reasonable to do that after  
18 you know about the major potential risks that one  
19 might have picked up in animals.

20           With regard to the minimally manipulated  
21 cells that are taken out of the bone marrow,  
22 filtered and put into a person--into the same  
23 person from which they came--is there any need for  
24 preclinical studies? Well, I would argue that you  
25 would at least want to test the implements that

1 you're going to use to take and do, to make sure  
2 you haven't changed the cells that you've taken out  
3 in such a way that they clump, form emboli and, you  
4 know, block arteries, for example.

5 I mean, so some kind of preclinical  
6 testing relevant to the specific situation would be  
7 appropriate, but here we're talking more about  
8 mechanical, I think, than biological  
9 problems--although there may be biological  
10 problems, too, with the minimal manipulation--I  
11 don't know.

12 But, anyway, that's one type of  
13 information one seeks from preclinical studies.

14 The second is some evidence that it's  
15 reasonably likely that a benefit might occur if  
16 used as therapy. You know, we can't test animals  
17 for clinical benefit, per se. I mean--or at least  
18 it would be very difficult to do it--unless we  
19 learned how to talk to the monkeys or, you know,  
20 followed the animals for a longer period of time  
21 than we usually do to look at outcomes of other  
22 sorts. But at least one can look at surrogates  
23 that seem, from a tremendous amount of prior  
24 experience, to be at least reasonably predictive of  
25 the likelihood that something good clinically may

1 result.

2           And for that purpose, I would say you  
3 would want to see some consistency among different  
4 types of models, properly selected for functional  
5 markers of one sort or another. And I don't want  
6 to get very much more specific.

7           Now, at the end of the day you've done all  
8 that, and you still may be wrong--as Richard  
9 pointed out. I mean, you know, all the animal  
10 studies can look great and you put the product into  
11 people and it doesn't work, or it does bad things  
12 that you didn't expect. That can happen.

13           But I think it would be wrong to expose  
14 human beings to a putative therapy without at least  
15 having done some reasonable screening so that you  
16 could--so that a bunch of reasonable people sitting  
17 around a table who had experience could say it  
18 seems as if we are unlikely to cause great harm,  
19 and it seems reasonable to infer that we may cause  
20 good.

21           Yu know, I think that's the basis of doing  
22 these studies. There's no perfect predictor, but  
23 without the kinds of evidence that I've been  
24 talking about, I don't think we could reasonably go  
25 to humans.

1 CHAIRMAN RAO: Kathy?

2 DR. HIGH: So, I just want to make two  
3 points, and I think I can be fairly brief because I  
4 want to agree with some of the points that Dr.  
5 Borer has made.

6 But just that, to me, the point of the  
7 preclinical studies--part of the critical goals of  
8 those is to define a safe starting dose and at  
9 least get a bracket around what would be an  
10 efficacious starting dose. And from everything I  
11 heard yesterday, that means work in large animals.

12 And the same point can be made about the  
13 deliver system. So that's one point.

14 The second point I want to make is to  
15 respond to Dr. Rieves' point about need for  
16 preclinical studies for single-site investigators  
17 who are doing small trials. As far as I'm  
18 concerned, they need to be held to the same  
19 standard. They need to show that the way they  
20 process the cells, and the way that they deliver  
21 the cells has some reasonable expectation in their  
22 preclinical studies. And I don't think that they  
23 should be able to avoid that responsibility.

24 CHAIRMAN RAO: Did you have a point, Dr.--

25 DR. CUNNINGHAM: Yes, I just wanted to

1 comment that there is a subset of the population  
2 who do not like primate studies, but the large  
3 segment of the population expects the FDA to  
4 ascertain safety. And so there's a large segment  
5 of the population who would also expect everything  
6 that was reasonable to be done to ascertain safety  
7 be done.

8 CHAIRMAN RAO: We're still trying to focus  
9 on the point that: what do we want in the readouts,  
10 and it is specific to specific cell types, so that  
11 Dr.--you know, that we address some of these  
12 issues. So if you can try and--

13 DR. SCHNEIDER: Yes. With respect to  
14 skeletal myoblasts, I think the goal in the  
15 preclinical data, along with the safety issues, is  
16 more straightforward than for some of the other  
17 cell types that we've talked about. The goal in  
18 skeletal muscle therapy is to replace cardiac  
19 myocytes with another contractile cell type which,  
20 regardless of the presence or absence of electrical  
21 coupling, does have strong animal data--as Doris  
22 and Phillipe showed yesterday--suggesting that they  
23 improve pump function in the regions of the  
24 ventricular wall that receive the cells, and also  
25 global pump function.

1           So, to your point and Jeff's I would say:  
2 the skeletal myoblast trials are very simple. What  
3 they're trying to treat is heart failure, and it's  
4 functional correlates as measured by MVO2, or  
5 ejection fraction, or pressure volume loops; and in  
6 patients--but not in the animals--symptomatology,  
7 and whether or not they also have the other kind of  
8 clinical correlates--reduction of hospitalizations,  
9 reduction of the need for transplantation,  
10 reduction of mortality. That's one of the things  
11 that the animal models just won't answer.

12           For me, the goals in the angiogenesis--or  
13 in the pluripotent cells is more complex. And  
14 maybe Steve or Silviu could comment. Many of those  
15 are also being done with pump function as a major  
16 endpoint. These studies are done in a different  
17 setting clinically, typically, with treatment  
18 within the first days of myocardial infarction, and  
19 it's likely that the mechanisms of efficacy include  
20 at least some cytoprotective effect on jeopardized  
21 myocardium, plus angiogenesis, plus--and this point  
22 is highly controversial in the field--the  
23 conversion of the bone marrow or circulating cells  
24 into cardiac myocytes.

25           Steve, I'm not aware of any cell therapy

1 trial that's been aimed at intractable angina as  
2 the--

3 VOICE: I think there have been several.

4 DR. EPSTEIN: Yeah.

5 DR. SCHNEIDER: Okay. So I think what one  
6 is asking of the animal models in those cases is  
7 different, and not the same as for skeletal  
8 myoblasts and chronic heart failure.

9 CHAIRMAN RAO: Dr. Epstein?

10 DR. EPSTEIN: Yes, and I would agree with  
11 you--

12 CHAIRMAN RAO: Before you answer, Dr.  
13 Epstein--

14 DR. EPSTEIN: Yes--sorry.

15 CHAIRMAN RAO: Dr. Harlan, did you have--

16 DR. HARLAN: Oh, I was just going to  
17 respond to Dr. Rieves' question that he asked about  
18 should there be different standards of safety and  
19 product release for cellular products that just  
20 come out of the patient, versus those that get  
21 manipulated.

22 And I would simply endorse what the FDA  
23 does; that the further you get from what is taken  
24 out of the patient, the more rigorous the testing.  
25 I think what you guys do is right.

1 CHAIRMAN RAO: Dr. Epstein?

2 DR. EPSTEIN: Yes, there have been, I  
3 think, four studies published, including our  
4 own--and Dr. Perin's, really--which are not an  
5 acute myocardial infarction studies. They really  
6 are angiogenesis trials. Even Dr. Perin looked at  
7 patients with reduced ejection fraction.

8 But I think the goal there was to improve  
9 perfusion of that ischemic myocardium. And our  
10 study was in chronic stable angina.

11 So I think there are two approaches for  
12 angiogenesis. One is in the acute myocardial  
13 infarction setting, which may be part myogenesis,  
14 part angiogenesis. But then there are  
15 many--several centers that are involved in sort of  
16 chronic refractory angina.

17 And I completely agree with you. The  
18 endpoints that one looks for in the animal models  
19 for efficacy would be very different than for  
20 myogenesis.

21 DR. KURTZBERG: I have two comments.

22 One, I want to really endorse what Kathy  
23 said and just also say that I think if you define  
24 all these things going forward, you will save time.  
25 You will make progress more quickly. I think we



1 learned that in the bone marrow world after we  
2 didn't do that. And it took us 15 years to get  
3 together and agree what engraftment meant.

4           But if you have those common definitions  
5 ahead of time, you can talk between your studies,  
6 and you can compare things. And so I don't think  
7 it matters whether it's a Phase I study at a single  
8 institution, or it's a multi-institutional study.  
9 I think having those agreements makes you be able  
10 to talk and make progress much more quickly.

11           And I also think, you know, you may be  
12 doing skeletal myoblasts now, but who knows what  
13 other kind of myoblasts you'll do in a few years.  
14 And if you don't agree to have the same common  
15 terms and endpoints--even though you'll modify  
16 them--you won't be able to compare one to the  
17 other.

18           So I think that's really important.

19           And then the second thing I wanted to say  
20 was just to clarify my comments about autologous,  
21 unmanipulated cells. I think they should be  
22 studied under IND for delivery, but I don't think  
23 they should become a product. I don't think that  
24 we should have to pay--the patient should have to  
25 pay extra for their own cells that are not

1 manipulated in any non-classical sort of standard  
2 of care fashion.

3           So once they're proved to be efficacious,  
4 and the delivery systems are defined, etcetera,  
5 then I just don't want to see them turned into a  
6 commercial product.

7           CHAIRMAN RAO: So, you know, there are a  
8 couple of things that are still a little bit  
9 confusing to me, and I'm going to try and see if we  
10 can focus on those as well.

11           So--you know, to me, it's pretty clear  
12 that one needs animal models to do some sort of  
13 safety study. But, to me, what's not clear is what  
14 kind of safety studies are absolutely critical, in  
15 terms of when you put the cells into an animal  
16 model?

17           For example, if I were to deliver cells by  
18 IV, you know, I don't just want to look at the  
19 heart and look at safety studies and look at  
20 V-tach. You know, I really would like to know, for  
21 example, what's happened to the cells where we  
22 didn't want them to know. You know, probably a lot  
23 of them go to the kidney and the spleen and the  
24 lung. And should we be, in animal studies, be  
25 worrying about where they are if that's the method

1 of delivery?

2           If we put cells in, you know, with a  
3 catheter, and then that's the animal model that  
4 you're studying in terms of safety, should we be  
5 saying that, you know, you always want to have to  
6 look at a leak--right?--because that happens with a  
7 certain frequency. Is that a really specific thing  
8 that we need to worry about? And are there  
9 certain, sort of simple, obvious things like this  
10 which need to be considered, or should be required  
11 in an animal model?

12           I mean, Kathy pointed out one thing and,  
13 you know, it came up with Dr. Murray and with  
14 several people, is that we need something about  
15 dose--right? It doesn't matter whether they're  
16 minimally manipulated or not, or whether they've  
17 been grown in culture. We really need to know  
18 what's a safe dose when you deliver them, and we  
19 need to know that in that animal model, and we need  
20 to know where they are. And that came up when we  
21 talked about it.

22           So are there any such other things that  
23 one might want to consider, specifically with  
24 taking a particular cell type which has been  
25 manipulated in culture, you know, and that has been

1 put in by a certain methodology, that you would  
2 absolutely want to highlight and say, you know, "If  
3 you do this, and you put in an animal model, we  
4 really want to worry about this?"

5 For example, in the nervous system, you  
6 know, epogen cells which have maintained in  
7 culture, I mean we really, really want to know  
8 whether they continue dividing for up to six  
9 months, and whether they form a proliferating mass.  
10 I mean, we consider that a requirement when you put  
11 the cells in--right?-- in terms of doing it.

12 Maybe there are some things like this that  
13 should be clear to the whole cardiac community.  
14 And maybe there's a consensus. And maybe the  
15 cardiologists can tell us.

16 Who wants to take a first shot at that?

17 [Laughter.]

18 DR. SIMONS: You know, I find it very  
19 difficult to speak about these models in such  
20 general terms. I think we haven't gotten into any  
21 amount of detail you need to sort of talk about it.

22 And I'm not sure we have enough people  
23 around the table, or in the room, who are  
24 extensively familiar with these models--of course,  
25 we will get into the use of devices, the use of

1 cells. And if that's an important issue--and I  
2 believe it is--maybe it should be a subject of a  
3 separate discussion by a separate panel. I would  
4 really like to suggest that that could be an  
5 important step forward.

6 And we're talking about the cells sort of  
7 transformed as if this was a single disease. It's  
8 not. There are at least two different  
9 circumstances in which this is going to be used  
10 clinically, and each of the circumstances will  
11 actually dictate a very different model and a very  
12 different delivery strategy.

13 And we either have to get into a very  
14 profound amount of detail to sort of go over it, or  
15 we should maybe sort of postpone this.

16 CHAIRMAN RAO: That's an important  
17 perspective and I think, unfortunately, the FDA  
18 doesn't have that luxury. And so--

19 [Laughter.]

20 --we have to see whether--that the  
21 conclusion can be that this is all we know, that  
22 there's some even generic sort of advice that one  
23 can offer.

24 DR. TAYLOR: I'm not a cardiologist, but  
25 I've been thinking about this for a long time.

1 And, obviously, moving into clinical trials is an  
2 important step.

3 I think we're in a very early stage here.  
4 When the first myoblast trial was designed, for  
5 example, MRI was the surrogate marker that was used  
6 as an efficacy endpoint. Partially through the  
7 trials, people discovered that, in fact,  
8 ventricular tachycardias were emerging, and that  
9 people had to have AICDs implanted. And all of a  
10 sudden the endpoint was no longer useable.

11 And I think it illustrates how dynamic  
12 this field is right now, and how flexible we have  
13 to be in terms of changing as more knowledge comes  
14 forward. And I think that's what the FDA has to  
15 keep in mind; that many of us who have been  
16 thinking about this for 15 years haven't yet gotten  
17 together and defined all the terms. We're doing  
18 that now, but we don't know.

19 CHAIRMAN RAO: You're right. It's  
20 important. You're echoing what Dr. Simons just  
21 said.

22 But let me ask one specific question  
23 before we get to Dr. Harlan.

24 Do you think that one could say that,  
25 well, we really, really, absolutely, for safety,

1 need to know about cells, so they have to all be  
2 labeled so that we can follow them--in a reasonable  
3 study.

4 Is that something that should be like a de  
5 rigeur requirement--you know, an observation. We  
6 don't--

7 DR. TAYLOR: In a clinical study? Or in a  
8 preclinical study?

9 CHAIRMAN RAO: In a preclinical--since  
10 we're talking about animal models, and--

11 DR. TAYLOR: I think right now it probably  
12 depends, to some degree, on the cell type. The  
13 short answer would be: I think we need to do  
14 bio-distribution studies that we haven't done.

15 There are data that, you know--we know  
16 some of these cells track to bone marrow. We know  
17 they then get recruited to other places that we  
18 don't know anything about yet.

19 On the other hand--and a lack of a label  
20 has been a real issue, and that's one of the reason  
21 small animals--mice--where you can use genetically  
22 labeled cells are an incredibly important model to  
23 be able to track these cells in vivo. That's a  
24 situation where safety studies could very easily be  
25 done in small-animal models and be important.

1 Do I think clinically we need to be able  
2 to label and track these cells? No.

3 CHAIRMAN RAO: Dr. Harland?

4 DR. HARLAN: Well, I just want to respond  
5 to your specific question about other potential  
6 safety tests to consider, and to follow up on a  
7 comment you asked about this morning about  
8 karyotype.

9 I wonder if anybody is looking at, say,  
10 cells that have been propagated in culture,  
11 transplanted into a non-SKD mouse two years later?  
12 You know, I think that may be--it may be something  
13 that's not being looked at right now: the  
14 tumorigenicity of these cells. And I would suggest  
15 that would be a good way to look for that.  
16 Karyotype's one way, but an in vivo test would be  
17 better.

18 CHAIRMAN RAO: But would you agree--I think  
19 it's a very valid point. But say, for example,  
20 that that can't be generalized to all cell types  
21 because there might be a lot of data on, say,  
22 minimally manipulated bone marrow cells, for which  
23 there's long history of operative reporting, but  
24 may not be true for other cell types that are put  
25 in.



1 I want to ask: do you feel that this sort  
2 of addresses some of the issues that you had  
3 raised, in terms of the kind of things that one  
4 needs in an animal model?

5 DR. MULE: It does.

6 DR. TAYLOR: I slightly disagree with  
7 regard to minimally manipulated bone marrow cells,  
8 because of some rodent data that haven't fully been  
9 explained yet, which are that GFP  
10 transplanted--animals were ablated and green  
11 fluorescent protein cells were used to replenish  
12 their bone marrow. Tumors were implanted in those  
13 animals, Several weeks later the tumors were  
14 explanted and the vessels were green in those  
15 tumors.

16 I think we don't know exactly where  
17 these--we know these cells go where we want them.  
18 We also suspect they go places we don't want them.  
19 And until we started--we do need to begin to  
20 address that preclinically, I think.

21 At the same time, that's no different than  
22 is already being required. I think people are  
23 doing tumorigenicity studies with most of these cell  
24 types before they have the ability to implant them.

25 CHAIRMAN RAO: On this note--and I know

1 that there still is sort of sense that there's no  
2 perfect model, and that we don't have a single  
3 model that we can look at, and everything depends;  
4 and that "everything depends" is sort of anathema  
5 to, you know, the FDA--I guess.

6 But let's add to that just one more issue  
7 here so that we can see whether this sort of  
8 uncertainty also extends to specific models of  
9 ischemia.

10 So--a lot of things that we've looked  
11 at--there has been--the data that we've heard has  
12 been about transplanting cells in the animal trials  
13 and the human trials has been in some sort of  
14 either ischemic infarct, or with low ejection  
15 fraction where there has been damage.

16 Are there any merits to any specific  
17 model, that you would say one is better than the  
18 other, in terms of either the cryo model or the  
19 banding model, or it's any species? And, again,  
20 maybe I can ask one of the cardiologists who has  
21 experience with this to make some general statement  
22 and see.

23 DR. RUSKIN: There are a number of canine  
24 models that are relevant to the human situation  
25 with regard to ischemic left ventricular injury.

1 So, certainly, if I were given a choice, I would  
2 choose one of those over a cryo model, which is  
3 really not a physiologic model. Cryo produces very  
4 uniform scarring, very discrete margins. It  
5 doesn't bear any relationship to the architecture  
6 of myocardial infarcts.

7 So I think if I had to pick a model--

8 CHAIRMAN RAO: Dr. Ruskin, when you make  
9 this, would you also sort of consider this in the  
10 light of "predominantly safety" versus efficacy  
11 studies, as well, in terms of a bias?

12 DR. RUSKIN: I think that it's relevant  
13 with regard to both safety and efficacy.

14 The model that we developed in the late  
15 70s, and continue to use, are the transmural  
16 myocardial infarction in dogs. It is highly  
17 analogous to some of the questions that are being  
18 raised here in humans, in terms of wanting to treat  
19 areas of myocardium that are truly dead. This is a  
20 model of LAD occlusion with ligation of all the  
21 collaterals, from the right and the left circumflex  
22 coronary arteries to produce an aneurism at the  
23 left ventricular apex. It produces a thin  
24 transmural scar, with very little in the way of  
25 islands of viable myocardium--which would seem to

1 me to be an ideal substrate in which to test this  
2 kind of question.

3           So, if you asked me to pick a model,  
4 that's certainly one of them that I would pick.  
5 And, generically, I would certainly pick a true  
6 ischemic-induced injury over a cryo or some other  
7 synthetic kind of injury, if you will.

8           CHAIRMAN RAO: Dr. Simons?

9           DR. SIMONS: I certainly agree with Dr.  
10 Ruskin that the cryo injury model is probably not  
11 the way to go, for a number of different reasons.

12           There are two standard ischemia models  
13 that's been used as a basis for a lot of the  
14 growth-factor trials. One is an amyloid model,  
15 which is the most--which, over the last 10 years  
16 became the most common cause of coronary artery  
17 disease in pigs. And we know a lot about this  
18 model. We know how it works.

19           We also know that the drugs or devices or  
20 cells or genes that work in that model don't work  
21 in people--which raises an issue of how useful that  
22 is. It certainly can be useful for safety testing,  
23 and a lot of other natural history. But it's clear  
24 that if--a pig, and if you have coronary disease,  
25 we're going to fix you. And unfortunately that

1 doesn't happen in people. And this could be the  
2 fact that these are young pigs, that are going to  
3 grow. They don't have any lipid disease, and they  
4 are not taking drugs. So, it's a hard one to  
5 study.

6 As the other model that I think is getting  
7 a lot of play now is the hind-limb ischemia in an  
8 APE knockout, or an LD with a septal knockout mice,  
9 because here you can mimic age, which you cannot do  
10 in pigs and dogs. And here you can mimic a lot of  
11 human disease.

12 And it's interesting that the data is sort  
13 of emerging now is that none of the growth factors  
14 actually work in that model, and that could well  
15 explain why they actually don't work in people.

16 So I think you can use pigs or dogs with a  
17 chronic ischemia model such as an anaberoid to  
18 study how effective your devices are in getting the  
19 desired cells in place, and you can use the  
20 diseased-mice models to get more of a functional  
21 readout of whether the desired therapies will work  
22 in the setting of age and disease.

23 CHAIRMAN RAO: Can I ask one more question  
24 here, is that even in terms of safety, is it really  
25 important to study the effect of these cells in an

1 animal model--in some kind of ischemia model?  
2 Because the environment has changed; you know, the  
3 cytokines have changed, the milieu has changed. So  
4 when you look at safety studies, should you be  
5 really doing it in some kind of model of ischemia  
6 or not?

7 DR. SIMONS: I think the safety study in  
8 animals should sort of mimic as close as possible  
9 the clinical trial design. So, if this is going to  
10 be a trial of cells injected in-- that's how the  
11 testing should be in animals, because of ischemic  
12 milieu, and actually it has to work in ischemic  
13 milieu, will have a different effect than if you're  
14 doing it in healthy animals without it.

15 CHAIRMAN RAO: Dr. Schneider, then Dr.  
16 Borer.

17 DR. SCHNEIDER: To follow up on Dr. Simons'  
18 comment about using ischemic models to mimic  
19 ischemia, the harder part, I think, will be using  
20 heart failure models to model heart failure.

21 And if one considers the heart failure  
22 population that Dr. Perin was speaking of  
23 yesterday, and which is nominally the substrate for  
24 many of the skeletal myoblast trials and some of  
25 the other therapies, many patients have heart

1 failure as the result of prior coronary artery  
2 disease and infarction; not all of them do. Many  
3 of them have longstanding hypertension as a  
4 contributing cause, but not all of them do.

5           And what I would say is that a number of  
6 animal models--rather than seeing a limitation of  
7 the field being that no one can agree on an animal  
8 model which is perfect--specifically, no one can  
9 agree on an animal model which is perfect for all  
10 clinical situations. I would also say no one can  
11 agree on an animal model which is perfect for  
12 either of the clinical situations.

13           You know, you've heard convincing  
14 allusions by Dr. Ruskin and Dr. Simons to one of  
15 the best ischemic models, in the dog, and one of  
16 the ischemic models, in the pig. And I personally  
17 don't think that someone who comes to the FDA with  
18 preclinical data should be precluded from using one  
19 of those rather than the other. You know, a number  
20 of alternatives are possible, as well.

21           The situation becomes more complex in  
22 heart failure, where there is far less agreement on  
23 what an adequate large mammal is. Some  
24 investigators used rapid ventricular pacing in a  
25 dog or some other species. I would say that the

1 smaller mammal models, or the rodent model--Syrian  
2 hamster model of cardiomyopathy, which one speaker  
3 alluded to yesterday--in fact mimic human heart  
4 failure better than the pig or dog models that  
5 currently exist.

6 CHAIRMAN RAO: Dr. Borer.

7 DR. BORER: Yes, unless Richard is going to  
8 disagree, I think we're all in unanimity  
9 here--those who are cardiologists around the  
10 table--because I think the point is well made that  
11 if the therapy is going to be given to people with  
12 ischemic heart disease, then a physical injury  
13 model is not appropriate, because the myocardial  
14 milieu--the response of the extracellular matrix,  
15 etcetera, etcetera--is going to be very different  
16 in that setting than in an ischemia setting.

17 Having said that, of course, the animals  
18 don't perfectly mimic people. So what one would  
19 like to do would be to look at several models. The  
20 dog model is the one that we used to use at the NIH  
21 regularly, because it was easy to manipulate and  
22 seemed to be predictive. And there's a god deal of  
23 information about the predictive value of the dog  
24 and the pig in certain situations.

25 The point I would make here, though, is



1 the one that Steve Epstein has mentioned again and  
2 again: the selection is going--the selection of  
3 model is going to depend, in part, on whether  
4 you're thinking about myocardial function alone, or  
5 angiogenesis--or arteriogenesis, to be politically  
6 correct--because the different species differ, for  
7 example, in their collateral development response  
8 to coronary occlusion, etcetera, etcetera.

9           So one would like to select the animal  
10 depending upon what it is you want to look at.

11           Having said that, the point that mike made  
12 is very important. There is no really--you know,  
13 there's no perfect model for heart failure. All of  
14 them are deficient in one or another. And earlier  
15 today Dr. Grant said that heart failure is the only  
16 cardiac disease that's increasing in incidence over  
17 time.

18           There's a subset of that: valvular  
19 diseases are also increasing over time. And the  
20 valvular--the valve manipulation models produce  
21 heart failure that mimics human disease as well.

22           The point is, there are several types of  
23 models one could use. As Mike said, I think you  
24 want to use several, and try to find some degree of  
25 consistency of the effect of the therapy in the

1 different models, none of which is absolutely  
2 perfect.

3           The only thing I think that I would avoid  
4 is the physical injury model, which I don't think  
5 has much relevance.

6           CHAIRMAN RAO: Would it be fair to say that  
7 if you were looking at the behavior of cells which  
8 are being transplanted, that it's far better to  
9 look at them in an ischemia model--preferably as  
10 close to a human disease as possible--and that that  
11 would be better than looking at it in a wild-type  
12 or a non-injured model?

13           DR. BORER: Absolutely.

14           CHAIRMAN RAO: Because the behavior would  
15 be--

16           DR. SCHNEIDER: Absolutely--not only for  
17 the reason that you cite--that the milieu in which  
18 those cells are required to function would be  
19 different, but also for the reason--going back to  
20 Dr. Mule's more general question, "What are we  
21 looking for in the animal models?"--we're looking  
22 for evidence of efficacy.

23           And so to rescue ischemic dysfunction, one  
24 needs ischemia.

25           CHAIRMAN RAO: Can I ask one more question

1 before--

2 DR. EPSTEIN: Just--directly--just to  
3 support what you've said, there was a very recent  
4 and very interesting paper in Circulation, where  
5 they were looking at adverse events of cells,  
6 namely a pro-atherosclerotic effect. And they were  
7 using the ischemic mouse hind-limb model.

8 Cells derived from APLE knockout mouse  
9 increased atherosclerosis, but only in the presence  
10 of hind-limb ischemia. So that's another point  
11 that substantiates what you and the others have  
12 been saying.

13 CHAIRMAN RAO: Did you have a comment?

14 DR. WEISS: Yes, I'd like to make a  
15 comment. My name is Judy Weissinger, of Weissinger  
16 Solutions.

17 I wanted to make more of a philosophical  
18 comment in the concept of agreeing on an animal  
19 model, or requiring large animals for certain  
20 things; requiring small animals for certain areas.

21 I think what I'm hearing today is a lot of  
22 people are identifying the question we need to  
23 answer, and the considerations that we need to  
24 address in developing these products, along with  
25 the potential models, the studies, the methods that

1 we need to address.

2           And these questions and considerations are  
3 really important for the sponsor to propose a plan  
4 based on the product--the uniqueness of the product  
5 they're developing, and the clinical design of the  
6 study.

7           And so I just want to caution again--and  
8 go along with the traditional biologics approach of  
9 identifying the criteria that are needed to  
10 evaluate a new therapy, as opposed to specifying  
11 and requiring the exact studies that are needed.

12           Thank you.

13           CHAIRMAN RAO: That's a good point.

14           On that same--I just want some sort of  
15 general feeling from people is that, you know,  
16 almost all the viral studies we think about, or any  
17 other study, we also always have some sort of sense  
18 of how long you want to follow--right? Even in a  
19 safety thing--like we talked about tumors.

20           I mean, is there any sense in the  
21 cardiology field, for example, that, you know, if  
22 we do this animal study and, you know, you want to  
23 look at an animal, and we have to look at  
24 this--should it be six months? Should it be one  
25 year? Should it be--you know, "Well, three weeks

1 is enough?"

2 DR. BORER: Let me try to answer that in  
3 two ways.

4 First of all, you asked a question before  
5 that's relevant to this point. And I don't think  
6 it received a specific response.

7 You said given the fact that with some  
8 forms of delivery cells will be distributed  
9 systemically, leads to questions about whether you  
10 should look at other issues besides cardiac issues.  
11 And I think the answer is absolutely yes, but there  
12 must be--and I don't know what it is--must be a  
13 rich experience from hematology studies over the  
14 years to tell us about how and what one should be  
15 looking for. In that situation, I think one would  
16 want to do that, in cardiac studies as well. And  
17 that would drive the duration of follow-up in some  
18 animal studies in a certain way.

19 In terms of the duration of follow-up for,  
20 specifically, cardiac problems, that depends upon  
21 the outcome you're interested in, and it depends  
22 upon the model; and, specifically, it depends upon  
23 the expected outcome of the animal naturally. You  
24 know, mice don't live very long. I'm not sure what  
25 the duration of follow-up of a mouse would be that

1 would be meaningfully extrapolatable to people.

2           But, you know, the average life span of a  
3 dog or a rabbit is known, and one would like to  
4 follow the animal for a substantial period of that  
5 life span that might be relevant to the natural  
6 history of the disease, I think. That doesn't mean  
7 that every study has to be done that way, but one  
8 might like, for example, in a rabbit to be able to  
9 follow it for two years, if you're looking at heart  
10 failure issues.

11           I mean, I don't want to give a specific  
12 number. But the principle is that the follow-up,  
13 at least in some studies, should be relevant to  
14 what you expect the outcome to be in people, I  
15 would think.

16           CHAIRMAN RAO: So that seems to be the  
17 consensus from a lot of other stem cell fields,  
18 where you expect cells to persist for a long  
19 period.

20           I mean, for example, when you think about  
21 the nervous system, that seems to be the case;  
22 where you say, well, we do it in mice, we want to  
23 look at least 50 percent of the life span. They  
24 live about two years, so you're going to follow at  
25 least--not "at least" in every study, but for

1 particular studies, at least follow for a period of  
2 a year--but not in every study.

3 DR. TAYLOR: Talk about a hurdle! I mean,  
4 we know that in most of our preclinical studies,  
5 the function gets better in a month to two months,  
6 and it doesn't get better after that. It stays  
7 pretty level.

8 In patients, the data seem to suggest  
9 there's an improvement at three months. It seems  
10 to be maximal about six months, and it stays stable  
11 after that.

12 I think requiring two-year follow-up in  
13 these animal studies, in light of that, doesn't  
14 make a lot of sense--at least from what I can see.

15 CHAIRMAN RAO: Dr. Ruskin.

16 DR. RUSKIN: I was going to agree with  
17 that. I'm not sure--I would say that it doesn't  
18 make sense. I think that it's very difficult to  
19 do, and part of this has to be tempered by the  
20 patient populations that are going to be addressed  
21 by the studies. And if it's going to be Class IV  
22 heart failure, with ejection fractions less than 20  
23 percent, I don't think you need, you know,  
24 five-year follow-up unless we're talking about a  
25 miracle here--

1 [Laughter.]

2 --which would be wonderful.

3 So I suspect that the preclinical  
4 requirements will evolve as the therapies evolve,  
5 and as we begin to use them in earlier phases of  
6 heart failure, if this pans out, then clearly the  
7 preclinical requirements will become much more  
8 rigid and demanding with regard to longevity of  
9 follow-up.

10 CHAIRMAN RAO: Dr. Borer.

11 DR. BORER: Yes, I mean, I agree with what  
12 Jeremy said, and I agree with what Dr. Taylor  
13 said--but, to me, the issue isn't whether  
14 continuing improvement occurs, but whether  
15 deterioration occurs. And you can't know that  
16 unless you study--at least at some point, in some  
17 model, and in some way--the natural history of the  
18 treatment effect; you know, which may not persist.  
19 And I think we ought to know that somehow before we  
20 start giving it to people.

21 Jeremy is, of course, quite right. If  
22 somebody is expected to live six months and they  
23 live two years because of the therapy, that may be  
24 a clinically acceptable benefit and you don't have  
25 to know any more. But still, at the outset, I



1 think you'd like to know the natural history of the  
2 effect of the treatment.

3 DR. ITESCU: I think that the barrier  
4 should be set exactly the same as you would set it  
5 for any other pharmaceutical product or biological  
6 compound that the FDA requires for testing at the  
7 present time.

8 And an example--it depends on which cell  
9 you're using, and what type of outcome you're  
10 looking for. Some of the cells--as Dr. Epstein  
11 presented yesterday--simply are agents that release  
12 preformed effect, and you're looking for an  
13 immediate cytoprotective effect that may be fairly  
14 short-lived, and the cells themselves may not  
15 engraft, may not survive beyond the first couple of  
16 days.

17 So I think you've got to keep those things  
18 in mind, and not expect a more rigorous approach  
19 here than you would with any other type of an  
20 approach.

21 DR. BORER: I think that may be correct,  
22 but I'd like to point out that the approach to  
23 testing with pharmaceuticals is aimed primarily at  
24 other issues--at least in cardiac diseases--because  
25 we give the drugs every day. So the issue there is

1 development of tolerance, or tactiphylaxis, and  
2 there are--you know, we know from trial and error  
3 that if an anti-anginal drug continues to work for  
4 three months, the patients will continue to  
5 benefit, you know, for a long time--who knows how  
6 long?--but for a long time.

7 Same thing with drugs for heart failure,  
8 etcetera, etcetera, where the follow-up has been  
9 even longer. But the drug is given every day.

10 Here we're giving one treatment, once.  
11 And we don't know about its persistence.

12 So I would say that while what Dr. Itescu  
13 says is absolutely right, I do think that there is  
14 a slightly different standard here because of the  
15 differences in administration, and expectations of  
16 the administration regimen.

17 CHAIRMAN RAO: I'm going to ask the FDA--go  
18 head--

19 DR. MCFARLAND: I was just going to--from a  
20 practical standpoint--I mean, I've really enjoyed  
21 the discussion, the way you've been managing it,  
22 and the scientific points that have come out.

23 From a practical perspective, what I'm  
24 getting--and I want to see if this is the correct  
25 consensus--let's say next week when I have a

1 pre-IND meeting and they ask what preclinical  
2 trials should we do? What preclinical studies?--it  
3 would be reasonable--a degree of flexibility, I  
4 mean, on what particular model people choose; that  
5 it should be a model that if you're looking at an  
6 ischemic disease, it should be a model that  
7 clinically monitors ischemia; that there isn't  
8 really a consensus on versus cell types, versus a  
9 myoblast product versus a hematopoietically-derived  
10 product, in terms of what kind of preclinical  
11 models people should suggest.

12           And there's not definitive consensus on  
13 chronicity of the study, except that it should be  
14 long enough to cover the period where we would  
15 expect maximal time of safety readouts; and that,  
16 you know, given the fact that none of the animal  
17 models--particularly double-sided models--a point  
18 Dr. Ruskin's made and others have made, is that a  
19 positive signal is very important, but a lack of a  
20 positive safety signal shouldn't give us over  
21 assurance.

22           And--is this sort of the consensus of--  
23           CHAIRMAN RAO: I would add two more points,  
24 which I thought were emphasized. And one is that  
25 bio-distribution, at least to some extent, in a

1 particular model is really quite critical, and that  
2 a dose escalation of any kind is really quite  
3 important in terms of being able to do it. And  
4 that's irrespective of cell type--that's important.

5 DR. McFARLAND: And one specific question  
6 that I don't have an idea of a consensus on: the  
7 point was made that large models are important for  
8 monitoring delivery systems, and you would expect  
9 to see animal models when you're doing innovative  
10 catheter delivery systems.

11 There was no comment on, you know,  
12 chronicity of the model with respect to that. I  
13 mean, we've heard various viewpoints outside of the  
14 room about--well, from an hour to six weeks to--and  
15 I would like some discussion on that particular  
16 specific point related to the catheter delivery  
17 systems.

18 CHAIRMAN RAO: How long to follow?

19 DR. McFARLAND: What sort of a length of  
20 time in an animal study of a catheter, in a large  
21 model. Is it any different--I mean--there are  
22 problems with acute toxicity with the procedure  
23 itself--potentially. And then, you know, problems  
24 with somewhat of chronicity, and I haven't heard  
25 discussion about that.

1 CHAIRMAN RAO: Should we be considering  
2 that point when we talk about devices and delivery  
3 in the next question?

4 DR. McFARLAND: Oh, right. Okay.

5 CHAIRMAN RAO: Does the committee feel  
6 we've captured some of this discussion in a  
7 reasonable summary? That we really can't be  
8 specific, but we need more than one kind of model.  
9 It's important that we have models that are run in  
10 parallel when we're doing this, and that they are  
11 important for safety, even if you're using  
12 minimally manipulated cells--what you require.

13 DR. SCHNEIDER: I wanted to reiterate Dr.  
14 Borer's point that a reasonable duration of  
15 follow-up is advisable, even for interventions like  
16 angiogenic cells, where the expected mechanism of  
17 action might be over a short period of time.

18 I think it's logical to insist, before  
19 going into a clinical trial, to ascertain, in a  
20 relevant animal, that the benefit of induced  
21 angiogenesis is persistent rather than transient.

22 CHAIRMAN RAO: Did you have a comment?

23 DR. SERABIAN: My name is Mercedes  
24 Serabian. I'm the branch chief for the Pharm-Tox  
25 Branch, and also Soft Tissue and Gene Therapy.

1 I just have a couple of comments, real  
2 quick. I mean, the more I'm hearing with respect  
3 to all the animal models that are potentially  
4 possible is I stress early communication with  
5 FDA--pre-IND; what we call "pre-pre-IND" even;  
6 connect with us early. You're deciding you're  
7 going into preclinical studies, because these are  
8 very resource intensive studies, and we want to  
9 make sure that we're in agreement with what you're  
10 planning on doing. I think that's really, really  
11 important, the more I hear the conversation.

12 And just one more general comment. Again,  
13 with all these models that we're talking  
14 about--these disease models, specifically. I  
15 always question the potential validity of the  
16 model. I mean, whose--is it a lab that's doing it?  
17 Is it a--you know, a model that's been used before?  
18 Is it published in the literature?

19 Even more important than the number of  
20 animals that are used, the controls that are used;  
21 the potential blinding for the study. Again, just  
22 because if this is your efficacy as well as your  
23 safety study, that's really, really important for  
24 us.

25 CHAIRMAN RAO: On that note, I think we can

1 break for lunch. And the committee has some lunch  
2 for it already ready.

3 [Off the record.]

4 CHAIRMAN RAO: Back on the record.

5 So now that everybody's well fed, I think  
6 we're going to have a much shorter discussion.

7 [Laughter.]

8 We'll see. No, I think it will be shorter  
9 just because many of the points have been discussed  
10 throughout--from yesterday and today.

11 Before we start, Dr. Cunningham wanted to  
12 make a statement, and I think this might be a good  
13 time to make it.

14 DR. CUNNINGHAM: Thank you.

15 I just wanted to make a comment that  
16 doesn't fit any of the questions that we've been  
17 asked today, and yet I think it's important to  
18 include. And that is the importance of looking at  
19 both genders when we study this issue; that I think  
20 that Dr. Taylor has indicated--her data indicated  
21 that there's a signal that there may be a  
22 significant difference between the genders.

23 There may be more differences than that,  
24 and I think that, overall, in the long term, we  
25 want the populations to be studied to be

1 representative of the populations that we wanted to  
2 treat. But if we're talking even just a Phase I, I  
3 think at least we should begin with being sure that  
4 the safety data includes both men and women, and  
5 then from there on we'd like to have more diversity  
6 as possible.

7 CHAIRMAN RAO: So, I'm going to set up two  
8 extreme positions for this next question, and  
9 that's in terms of devices.

10 And you heard one relatively extreme  
11 position, I guess, which was that if you've got a  
12 device and it's already approved, and it's been  
13 approved for use, and there's a lot of studies and  
14 data on the safety of that particular device, then  
15 you don't need to worry, as long as you have some  
16 simple tests on saying that you can use those--give  
17 cells, and that the cells are viable, then that's  
18 fine.

19 And then the other extreme is that, you  
20 know, there are many, many things we don't know,  
21 and we'll never know about how they interact, and  
22 so we can't really make sure that we understand  
23 this in any simplistic way, and so we need lots of  
24 detailed studies; and that lots of detailed studies  
25 often is a red flag.



1           And perhaps there is a happy medium. But  
2 let's maybe sort of think about it, and try and set  
3 this up and whether it all makes sense to the  
4 committee as well.

5           To me it seems that catheters can be used  
6 to deliver in a variety of ways. And whether  
7 you're doing it through the venous end, or you're  
8 doing it through the arterial end, there are going  
9 to be differences. And so can't generalize from  
10 one site of delivery to another.

11           There's another thing that I felt that one  
12 can't generalize at all--and which, I think, Doris  
13 Taylor raised in her talk, too--is that a lot of  
14 the data in delivering cells has been using a  
15 needle which is at right-angles to the orientation  
16 of the fibers. And that's important. Orientation  
17 is really important. While a lot of catheters,  
18 when they deliver it with a needle, may be  
19 delivering it at right-angles to the epicardium, or  
20 delivering at a different angle than what we have  
21 studies on.

22           So, keeping those sort of thoughts in  
23 mind, maybe we can have people think about what  
24 should be studied, if somebody came to the FDA and  
25 said, you know, here is a device. It's already

1 approved, or we know how to use it and we've used  
2 it for hundreds of years. And, you know, here are  
3 cells. And we've already got a lot of data on  
4 cells.

5 What would be sort of really important in  
6 consideration that we'd have to worry about?

7 And one obvious thing has already been  
8 talked about, and that's pressure effects, and size  
9 and gauge of the needle; and, you know, how you're  
10 going to give it; and issues of vessel wall and  
11 pressure on the catheter.

12 So those are all straightforward things  
13 which are obvious. But there are also particular  
14 interactions between cells and reagents, and the  
15 FDA already raised them when they talked about  
16 things like the lubricants which coat, and so on.

17 So there are probably things that we who  
18 are not familiar with the field don't understand.  
19 And maybe some of the cardiologists can enlighten  
20 us, or raise red flags on this as well.

21 DR. KURTZBERG: I have a real simplistic  
22 question for the interventional cardiologists.

23 As I think about it, you're in there with  
24 a catheter, in a beating heart, trying to be  
25 precise about delivering whatever many cells in a

1 very small volume to an exact perimeter. I mean,  
2 how realistic is that, to think that you really can  
3 do that?

4 DR. SIMONS: I actually think that's the  
5 easiest part. There are lots of systems for doing  
6 this with any degree of sort of precision that you  
7 want. And I'm not sure that you want an extreme  
8 degree of, you know, precision. You can do it  
9 with, you know, a millimeter accuracy. If that's  
10 not good enough with a biosense system, you can do  
11 it with 100 micron accuracy. If that's not good  
12 enough, with--you can probably do it even better  
13 than that.

14 I think that really is the easy part.

15 DR. KURTZBERG: Even if you're moving a  
16 needle--

17 DR. SIMONS: Oh, yes. Absolutely.

18 DR. KURTZBERG: --through, that's causing  
19 trauma as it's going--

20 DR. SIMONS: Yes. Mm-hmm.

21 [Laughter.]

22 CHAIRMAN RAO: Dr. Borer?

23 DR. BORER: I think there are two sets of  
24 questions--maybe--

25 CHAIRMAN RAO: Will you hit the button?

1 DR. BORER: --or maybe six sets of  
2 questions here. But let me dispense with one that  
3 I think is important, first, and then move on to  
4 the second.

5 The issue of the safety of the catheter  
6 does depend, obviously, on the prior--the issue of  
7 how much testing you have to do about safety,  
8 placement, etcetera, depends upon prior experience.  
9 And I think, you know, there's a lot that can be  
10 done with catheters. And I should tell you I speak  
11 from the point of view of someone who did several  
12 thousand, first at the NIH, and then when I was  
13 running the cath lab at Cornell. There are a lot  
14 of things you can do with catheters.

15 The issue I would think, however, with a  
16 device that's already been approved for something  
17 else is, first, where are you going to put it? Are  
18 you going to put it in the same place that you've  
19 put it in for a hundred years, or are you putting  
20 it someplace new?

21 Manipulating the catheter can be  
22 relatively simple, but if you're putting it into a  
23 new location, you have to be reasonably certain you  
24 can do that safely; and not nine times out of 10.  
25 It probably has to be 99 times out of 100, or maybe

1 better than that. I don't know. And so some  
2 experience might be necessary there.

3           And then I would say, too, for the  
4 applications we're talking about, there probably  
5 will be multiple new devices with potential and  
6 putative advantages developed as better delivery  
7 systems than the already available delivery  
8 systems. And there, I would say that it is not--I  
9 don't think it's actually right to believe--and you  
10 said this yesterday, Dr. Rao--to believe that  
11 testing at the bench a few mechanical parameters is  
12 quite enough. You actually have to feel the  
13 implement, and to know how easily it turns, and  
14 torques, and da-da-da-da-da. And in gaining that  
15 experience, you have to keep count of the serious  
16 and non-serious adverse events.

17           So I think one has to have some experience  
18 with a new product, just in terms of the mechanical  
19 viability and ease of handling, and safety of  
20 putting a device into a body--as opposed to an old  
21 device that's being dealt with with new use. And  
22 there, I think the issue is a little simpler, but  
23 you do have to be sure that putting it in the new  
24 place is viable.

25           Now, once you get past that set of issues,

1 there is the major issue, it seems to me, of the  
2 viability of the product after it's extruded  
3 through the catheter. I was surprised--I tell you,  
4 honestly, I was surprised to hear about the  
5 27-gauge needle. And you already made the point,  
6 Dr. Kurtzberg. You know, you do have to know that  
7 the product, once it's extruded through the  
8 delivery system, is not fragmented; that it is  
9 viable; that there are cells there, and not, you  
10 know, junk.

11           And, of course, there's the whole issue of  
12 the interaction of the--chemical, as well as  
13 physical interaction, of the product with the  
14 substance from which the device is made. You know,  
15 I mean, you've said it already. I don't want to  
16 belabor the point. But, you know, there are so  
17 many examples--not just with biological materials,  
18 but with simple drugs--where the drug is adsorbed  
19 to catheter materials. If some key component of  
20 the diluent, or the excipient, or something was  
21 adsorbed to the catheter, who knows what would  
22 happen when the product is delivered into the  
23 myocardium?

24           So, there are several different levels of  
25 questions, beginning with the safety of the device

1 mechanically, through the effectiveness of  
2 mechanically delivering a viable product, through  
3 the issue of chemically or biologically delivering  
4 a viable product.

5           And I separate the mechanical and the  
6 biological or chemical--or biochemical, or  
7 whatever--because the fragmentation of the product  
8 can raise safety issues by itself. And it would be  
9 naive to believe, in this latter context--that is,  
10 the interaction of the product with the  
11 device--again, that bench testing can tell you  
12 about safety issues beyond the viability of the  
13 product. I'm thinking specifically of  
14 thrombogenesis, for example. I mean, there are two  
15 different heart valves that, you know, meet the  
16 mechanical--valve prostheses that meet all the  
17 specifications. Both are approved, made by  
18 different companies. And it wasn't know until  
19 multiple years of experience that one of them  
20 turned out to be more thrombogenic than the  
21 other--importantly so, changing the recommendations  
22 for anticoagulation of one versus the other. It  
23 wasn't known until clinical testing.

24           Now, how much clinical testing you need so  
25 that you can be reasonably safe in a population as

1 sick as the population we're talking about is a  
2 different set of issues, and we can't solve that  
3 here. But that some information is necessary from  
4 direct testing--to some extent in animals, to some  
5 extent in patients--about the mechanical safety,  
6 the safety of manipulating the device in the heart  
7 and in the patient; the mechanical--the physical  
8 viability of the product, and the chemical and  
9 biological viability of the product, I think must  
10 be defined before you can approve the device.

11 CHAIRMAN RAO: Before Dr. Ruskin, I just  
12 want o make a statement and ask you to comment on  
13 it as well.

14 So, from what you said--or what I heard  
15 from this--was that it almost seemed that you would  
16 want to test this in an animal model. Is that what  
17 it seemed like?

18 DR. BORER: Absolutely. And before  
19 approval, I would think you'd want a certain amount  
20 of patient experience. But--sure.

21 CHAIRMAN RAO: Dr. Ruskin.

22 DR. RUSKIN: First, I'd like to just second  
23 Dr. Borer's comments about the need for getting  
24 hands on experience with any catheter design.  
25 Bench testing tells you a great deal, but it



1 doesn't tell you how it's going to perform in the  
2 body. And that can only be answered in large  
3 animal models, and in early clinical trials.

4 I want to come back to Dr. Kurtzberg's  
5 first question, though--or previous question--and  
6 expand a little bit on the answer that Jeff gave,  
7 and also Mike Simons. I think he was kidding, by  
8 the way, when he told you we could do this with 100  
9 micron accuracy.

10 [Laughter.]

11 I think there are couple of components to  
12 the question as I heard it. One is mapping  
13 substrate, which we can do pretty well. We can  
14 delineate, by voltage mapping and other criteria  
15 the presence of what we believe to be scar, and we  
16 can do it with a reasonable precision, and we can  
17 do it reproducibly.

18 Getting the catheter where you want it to  
19 go is also achievable with current mapping systems,  
20 but I must emphasize something that Nick Jensen  
21 brought up yesterday, which is that catheters are  
22 inherently unstable in terms of holding a position  
23 in the left ventricle, and that problem has not  
24 been overcome yet. The mapping systems do help you  
25 mark spots and get back to them, but it doesn't

1 ensure that your catheter will stay there.

2           The other is the deliver, which is a  
3 needle of some sort. And I think that is a huge  
4 challenge, and one which is not yet solved. And I  
5 don't think we know where we're putting materials  
6 when we inject through needles via catheters. And  
7 we've done some of this with gene delivery, and I'm  
8 not at all convinced that much of the time we get  
9 anything into the tissue; or, if we do, I suspect  
10 it's a small amount.

11           So I view that, right now, as an area of  
12 enormous challenge, and not a problem that is  
13 solved, from a technological standpoint.

14           CHAIRMAN RAO: Dr. Lederman, you had a  
15 statement?

16           DR. LEDERMAN: I think I agree with most of  
17 the points made, except in the execution. So,  
18 sure, we'd probably need to know most of the  
19 information mentioned before deploying  
20 drug-delivery devices in early clinical studies.

21           But let's take the example of cells.  
22 Let's say than in animal models we have proof of  
23 principle for a given cell preparation that we'd  
24 like to deliver by direct myocardial injection.  
25 And let's say that those data come from small

1 mammals, and that there's no satisfactory  
2 large-mammal model of that cell.

3           What is it that we need to know about the  
4 catheter device before we can declare it adequate  
5 to deliver cells into humans? Would it not be  
6 satisfactory to measure a simple index, like tripan  
7 blue exclusion after passage, or after some dwell  
8 time? Do we really need to push cells through and  
9 then show preserved biological activity by some  
10 more complex in vivo measure? Doesn't that seem  
11 excessive?

12           CHAIRMAN RAO: So--before Dr. Simons--I  
13 think that's a point we want to try and really get  
14 to here is that are there certain minimum things?  
15 Is there a consensus on what's excessive or not?

16           And I think from the earlier part of what  
17 we looked at, we said that cells themselves need to  
18 be characterized in quite a lot of detail, and that  
19 we need to characterize them when they get there,  
20 in the heart. And that's why you needed to do them  
21 in animal studies.

22           So we have to keep that in mind and say:  
23 that's absolutely true, that what we need to study  
24 about catheters in general is true, and that those  
25 are simple things, and maybe we can look at them

1 and you can also get an answer to how you deliver.  
2 But then subsequently we really need to know, once  
3 they've been delivered from the catheter, what are  
4 the characteristics of the cell.

5 And--Dr. Simons?

6 DR. SIMONS: Well, you just said what I was  
7 going to say. Because you can damage cells at  
8 several different points when you use a catheter to  
9 put them in. One is in a physical contact with the  
10 catheter polymers; second when it goes through the  
11 27-gauge needle. And, actually, most cell types  
12 will not get damaged by passage through the  
13 27-gauge needle.

14 But a lot of damage occurs when the cells  
15 contact tissue at high sort of pressure, and you  
16 are not going to model that in vitro. You really  
17 have to model this in vivo, and you need to know  
18 what happened to the cells once they're in the  
19 tissues.

20 CHAIRMAN RAO: Perhaps even that could be  
21 modeled, say, in an animal prep, you know, where  
22 you--you have a heart prep, and you can look at  
23 those sorts of pressure--maybe.

24 So, I'm not arguing that we have to  
25 absolutely make it specific. I just want people to