

1 ahead of you if we're going to move on. So you're  
2 second.

3 But any other issues with  
4 transmissibility? Fair warning, although we can  
5 return to it if someone needs to.

6 Dr. Markovitz.

7 DR. MARKOVITZ: Yes. I wanted to follow  
8 up on a question Dr. Myers posed earlier, which I  
9 thought was very appropriate, and I think we need to  
10 hear a little bit more about, and that has to do  
11 with just how reactogenic the allantoic flu and  
12 placebo was, and I'm wondering whether there are  
13 some data comparing this to a true placebo because  
14 obviously the vehicle for the vaccine does count in  
15 terms of how patients perceive what's going on.

16 And I'm wondering. From the tables it's  
17 kind of hard to tell when it says, for example, that  
18 a patient had a runny nose or whatever. I mean, was  
19 this the runny nose to end all runny noses or was  
20 this a little runny nose?

21 In other words, is this something that  
22 would have patients coming back and saying, "I'll  
23 never get that vaccine again," or is it just one of  
24 those little things?"

25 And I don't know that I've heard any

1 data to speak to this issue.

2 CHAIRMAN DAUM: Let's reframe that or  
3 frame that by are there data comparing  
4 reactogenicity with other placebos? I think that's  
5 fair.

6 DR. MARKOVITZ: I think Ed Connor  
7 started to answer that before, in fact, but I'm not  
8 sure I fully followed it. So --

9 CHAIRMAN DAUM: Let's hear from Bob  
10 Belshe and then Ed Connor and if there's other data  
11 bearing on this issue, let's hear them next.

12 DR. BELSHE: Hi. I'm Bob Belshe from  
13 St. Louis University, and I've been involved in a  
14 number of these clinical trials.

15 The data we're displaying here is a  
16 clinical trial of a different intranasal vaccine.  
17 These are the saline placebo recipients in young  
18 children age six to 18 months. Now, they selected  
19 at time zero on day zero for the absence of illness.  
20 Specifically, they do not have a cough. They do not  
21 have a runny nose.

22 And you can see at the end of day one 12  
23 percent have a runny nose. They're receiving saline  
24 intranasally.

25 And so what we believe this phenomenon

1 represents is selecting a healthy population who  
2 normally have about 20 percent of the group having  
3 rhinorrhea or runny nose, and so this is a return to  
4 the mean by day five to seven. We've returned to  
5 the mean where about 20 to 25 percent of children  
6 here have a runny nose.

7 And so this is, I think, a very good  
8 example, which is a true saline placebo and not  
9 normal allantoic fluid and reflects what we've seen  
10 in the FluMist trials.

11 CHAIRMAN DAUM: Bob, that's very helpful  
12 data.

13 Dr. Connor, did you want to expand on  
14 that?

15 I have Dr. Snider next.

16 DR. SNIDER: Well, I was going to change  
17 the topic unless you wanted to stay on it.

18 CHAIRMAN DAUM: No, I think we've  
19 addressed the question, and we can move on to the  
20 topic of choice.

21 DR. SNIDER: Okay. The topic of my  
22 choice at the moment has to do with the efficacy  
23 data and particularly the fact that we're being  
24 asked to make some comments about the adequacy of  
25 data to support the efficacy of FluMist in

1 individuals five to 17 years of age and 50 to 64  
2 years of age.

3 And as has been mentioned earlier,  
4 although there is quite a bit of safety data  
5 available for the five to 17 year age group, there  
6 is at least not in the BLA data with regard to  
7 efficacy.

8 And then as has been pointed out by FDA  
9 and others, the number of people in the 50 to 64 age  
10 group is considerably less than in some of the  
11 younger adult groups.

12 And I was wondering if the sponsor could  
13 make some comments about why that was the case, what  
14 the problems were in trying to get numbers of people  
15 there in those age groups or if there is historical  
16 information they feel, you know, has an important  
17 bearing on this issue, if they could tell us what  
18 that is.

19 CHAIRMAN DAUM: Thank you very much,  
20 Dixie.

21 Is there a sponsor response?

22 DR. CONNOR: I think there weren't any  
23 particular difficulties in getting patients into the  
24 study. The studies were simply done as childhood  
25 studies that went up to 71 months of age and as

1 adult studies that included patients all the way up  
2 through 64 years of age.

3 Our view, I think, is that we've clearly  
4 been able to demonstrate efficacy in children, and  
5 we've been able to demonstrate effectiveness in  
6 adults, and that there doesn't seem to be a  
7 biologically plausible reason why the middle group  
8 would have any other different effect.

9 The studies were simply designed and  
10 executed in the way that they were looking at  
11 specific issues in children and adults.

12 The issue of the 50 to 64 year olds is a  
13 post hoc fact, that is, that's how many patients  
14 were in the trial as the trial was recruited, and I  
15 think that our view of looking at that data is that,  
16 first of all, when you look at all of the specific  
17 or the more specific influenza measures within the  
18 AV009 trial, you see reductions in measures of  
19 effectiveness.

20 In addition to that, when you actually  
21 look at the days of illness, clearly there weren't  
22 differences when you look at the group as a subset  
23 among the occurrence of illnesses, except in the DOD  
24 ILI definition, but across all of the other measures  
25 of effectiveness for severity of illness, days of

1 illness, day of missed work and antibiotics, you see  
2 significant effects in all of those measures.

3 So I think the perspective is that we've  
4 demonstrated efficacy in children. We've  
5 demonstrated effectiveness in adults. The issues  
6 about any of the age groups go to the question of  
7 whether there's any evidence that there were  
8 differences in the populations either of children  
9 and adults in that population, and we actually have  
10 seen no substantive evidence that there's any  
11 difference.

12 Certainly in the pediatric population  
13 the data that we showed suggests that there is not  
14 even a trend to anything happening as you get to the  
15 edges of the population base, and the data that  
16 we've shown in the 50 and 64 year olds, while  
17 smaller in that population, doesn't have significant  
18 evidence that that population is substantively  
19 different than the population as a whole.

20 There weren't any other issues or  
21 difficulties related to actual inclusion of those  
22 other populations.

23 DR. SNIDER: So if I understand  
24 correctly, you're saying from a biological  
25 standpoint you feel like we should be able to

1 extrapolate to the five to 17 year old group based  
2 on the other data.

3           And then as far as the 50 year olds and  
4 older, I guess I was wondering. I mean it may have  
5 just come out that way depending on who was served  
6 by the particular caregivers who participated in the  
7 trial, but I was also wondering if maybe people were  
8 excluded because there were at that age a lot more  
9 people who wind up with contraindications, and that  
10 may have been a reason why they were smaller.

11           DR. CONNOR: Maybe I can ask Kristin  
12 Nichol, who conducted the effectiveness trials to  
13 make some comments.

14           DR. NICHOL: Kristin Nichol from the VA  
15 Medical Center, Minneapolis, University of  
16 Minnesota, one of the investigators for AV009.

17           Dixie, with regard to the specific  
18 question about enrollment, there were no specific  
19 difficulties of which I'm aware, and of course, we  
20 conducted AV009. This predated the ACIP  
21 recommendations putting people 50 to 64 in a high  
22 priority group because about 25 to 30 percent of  
23 them are high risk.

24           By the way, the ACIP is not suggesting  
25 that healthy people 50 to 64 are high risk. They

1 are high priority because about a quarter to a third  
2 of them may have a high risk condition.

3 So there were no specific issues. We  
4 certainly did exclude participants who had any of  
5 the ACIP indications for vaccination at the time  
6 that we conducted the trial.

7 With regard to the question about  
8 evidence of benefit or lack thereof, perhaps I could  
9 make a comment as well. Again, the 50 to 64 year  
10 old high priority designation from the ACIP came  
11 after this trial. So this is truly a post hoc  
12 analysis.

13 We did pre-specify an analysis by age  
14 40, under and over 40, and did not find any evidence  
15 of a differential effect. With regard to the 50 and  
16 over, there are fewer subjects, only about 640. So  
17 we do have limited power to have precision in our  
18 estimates or to find significant P values.

19 However, as summarized in this slide,  
20 which looked at the febrile upper respiratory  
21 illness category, you will see that the confidence  
22 intervals for people 50 to 64 around the point  
23 estimates for effectiveness across the different  
24 outcomes categories include the point estimate for  
25 the entire group, as well as the point estimates for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS



1 people 18 to 49.

2 There is imprecision in those estimates,  
3 particularly in occurrence in days of illness,  
4 somewhat amazingly actually given the sample size.  
5 We do find statistically significant benefit when we  
6 look at days of work lost, health care provider  
7 visits, and antibiotic use.

8 If we looked at other health care  
9 illness definitions, you would see a virtually  
10 identical pattern.

11 But in any event, we are not able to see  
12 any evidence of a differential effect by age.

13 CHAIRMAN DAUM: Thank you very kindly  
14 for those comments.

15 Dr. Myers, please, and then Dr. Gellin.

16 DR. MYERS: Well, I had a comment and  
17 then a question.

18 The comment, Ms. Fisher, is that between  
19 20 and 25,000 Americans die every year from  
20 influenza, and so it is a significant disease, and  
21 although we don't see the damage from polio as a  
22 consequence of influenza, it is disease of  
23 significant morbidity and mortality, and I just  
24 wanted to correct the record on that.

25 My question for the sponsors, I was

1 really glad to see the HIV data because that helped  
2 a lot as we're struggling with these questions.  
3 With that said, the absence of data on high risk  
4 patients is really striking from your application,  
5 influenza being a disease with specific high risk  
6 groups.

7           And the reason, and I was wonder if  
8 there's other data. That's my question. The  
9 concern, of course, is that as a licensed vaccine is  
10 utilized, we've already seen the asthmatic in the  
11 children, but they're going to be high risk people  
12 immunized inadvertently either accidentally by  
13 transmission or more commonly because they just  
14 don't know their high risk because they have  
15 underlying diseases.

16           And so I was wondering if I could ask  
17 the question about the absence of the data, your  
18 plans to collect that data or what your thoughts are  
19 about inadvertent administration of vaccine to  
20 people who have high risk conditions.

21           CHAIRMAN DAUM: So that's a very  
22 worthwhile question. We'll ask Dr. Mendelman to  
23 respond, and I'd also like to hear from Dr. Mink on  
24 this question as well.

25           DR. MENDELMAN: The question is broad,

1 and prior to formerly Aviron pursuing the studies or  
2 initiating the studies with FluMist, there were 99  
3 peer reviewed journal articles in the literature and  
4 32 review articles, and that went back about 25  
5 years, and in those studies, they were published,  
6 and I'm sure there were file drawer studies that  
7 were not that we're aware of also, children with  
8 cystic fibrosis were studied; children with  
9 bronchopulmonary dysplasia were studied; children  
10 with asthma were studied.

11 Now, these are small numbers, Dr. Myers,  
12 going back, but when you add them all up, they come  
13 up to that number of 8,091 that was shown on one of  
14 the initial slides by Dr. Young across those 25  
15 years of studies.

16 Now, studies in chronic obstructive  
17 pulmonary disease were also, you know, conducted as  
18 well so that there's a supportive data base that  
19 goes back 25 years, but the data that the FDA has to  
20 review on our file are there that we've submitted to  
21 the agency.

22 And so there's a background of  
23 supportive information that, you know, one could  
24 give credit to because they are the Maassab master  
25 donor viruses that were made in those monovalent and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D. C. 20005-3701

1 bivalent.

2 And if I could have that first slide,  
3 George.

4 The largest study, of course, is Dr.  
5 Edwards' study, and the question has been brought up  
6 about repetitive -- it's in the new slide set,  
7 George -- and it was conducted over five years.  
8 It's one of the largest or largest studies between  
9 1985 and 1990, 5,210 participants, one to 64, and  
10 most of them were over 15 years of age.

11 And in that study, and the design is  
12 shown here, there were -- of the four seasons when  
13 flu circulated, two were H1N1 seasons and two were  
14 H3N2 seasons, and the next slide will show the data  
15 for all participants, and you can see that this was  
16 compared to inactivated vaccine; that in 1986, 1987,  
17 that the cold adapted bivalent, 78 percent with  
18 confidence intervals you see, and the inactivated  
19 vaccine, 79 percent. That's with the H1N1, and  
20 1988, 1989, 90 percent, point estimate for cold  
21 adapted and 74 percent for the inactivated.

22 And then in the two H3N2 years, 59  
23 percent and 56 percent for cold adapted and 71  
24 percent and 79 percent for the inactivated.

25 And in the publication Dr. Edwards notes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there was no serious reaction to the vaccine across  
2 these five years of study.

3 Subsequent to that, in the next slide,  
4 Dr. Neusel (phonetic) pulled out the pediatric data  
5 with Dr. Edwards from that trial. There were about  
6 800 children in that trial who received a bivalent  
7 cold adapted, and you see here the efficacy similar  
8 for both the inactivated vaccine and the bivalent  
9 vaccine in that trial by Dr. Edwards of Vanderbilt.

10 CHAIRMAN DAUM: On this very subject and  
11 very brief.

12 DR. CONNOR: I just wanted to as Brian  
13 Murphy again if he wanted to comment at all on the  
14 high risk populations that have been studied  
15 previously.

16 DR. MURPHY: No.

17 DR. CONNOR: Okay. That's fine.

18 CHAIRMAN DAUM: Thank you very much.

19 Dr. Mink, could you speak for the agency  
20 on this issue?

21 DR. MINK: What we consider as part of  
22 the BLA is the product is manufactured by the  
23 sponsor with the clinical safety data submitted in  
24 support of the labeling indication requested. So  
25 what we consider in reviewing this product are the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 20 studies that have been reviewed and presented to  
2 you.

3 CHAIRMAN DAUM: I guess if I could press  
4 you just a little bit, if there were a licensure of  
5 such a product is there a position yet or is it too  
6 early to ask as to what would be required afterwards  
7 in terms of assessing these issues?

8 That's what I really --

9 DR. MINK: That's discussion point  
10 number four.

11 CHAIRMAN DAUM: You will hear from us.

12 (Laughter.)

13 Dr. Edwards, this very issue?

14 DR. EDWARDS: Yes.

15 CHAIRMAN DAUM: Okay.

16 DR. EDWARDS: I have a question,  
17 particularly in the 50 to 64 age group. Why the  
18 approach was taken to not do an efficacy trial or  
19 given the fact that there is a licensed product that  
20 now is suggested to be given in that age group, why  
21 there might not have been studies that compared the  
22 licensed and the unlicensed product.

23 I think effectiveness measures are  
24 generally not what we see for licensure.

25 CHAIRMAN DAUM: Sponsor want to speak or

1 FDA want to speak to that question? It's a good  
2 one.

3 DR. NICHOL: I guess I'm the elected  
4 official, or unofficial person.

5 CHAIRMAN DAUM: Thank you.

6 DR. NICHOL: With regard to  
7 effectiveness versus efficacy, it's my understanding  
8 that this was discussed at some length before the  
9 onset of the trial with various people. I'm looking  
10 to the sponsor here, but I'm quite sure there were  
11 some discussions with FDA and others with regard to  
12 whether or not effectiveness might be an outcome as  
13 opposed to culture confirmed efficacy that would be  
14 acceptable.

15 Of course, this is a randomized, double  
16 blind, placebo controlled trial. When we looked at  
17 effectiveness outcomes rather than efficacy, what  
18 this meant for us was several things.

19 One was that we were interested in  
20 looking at a real world outcome, and of course, in  
21 the real world most often we do not have culture  
22 confirmed influenza that we're looking at. We are  
23 looking at people coming into the medical care  
24 community with influenza-like illness.

25 By choosing a less specific outcome than

1 culture confirmed influenza, which is very specific,  
2 we realize that we inflated our sample size need  
3 substantially, but we really wanted to have a real  
4 world look at what would happen in a population if  
5 you immunized them with live attenuated influenza  
6 virus vaccine.

7 Recall, as was discussed earlier today,  
8 that when one sees a reduction of, for example, 34  
9 percent in influenza-like illness, if one backtracks  
10 to what that might have corresponded to if one had  
11 culture confirmed influenza, in a clinical trial of  
12 the inactivated vaccine conducted over two years and  
13 the second year or actually in the second year of  
14 the trial, which was the year after our study was  
15 done, the efficacy against culture confirmed  
16 influenza, the specific outcome that we're most used  
17 to seeing perhaps was 86 percent.

18 But when they translated that into a  
19 reduction in influenza-like illnesses, the kind of  
20 clinical effectiveness that we saw, they saw a  
21 reduction of 34 percent.

22 So, yes, we did choose the effectiveness  
23 outcome. We were very interested in a real world  
24 outcome as opposed to the culture confirmed outcome  
25 which doesn't replicate what happens in the health

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701



1 care provider's office.

2 DR. EDWARDS: Was there a thought to  
3 including in that an inactivated arm?

4 DR. MINK: At the time that we did  
5 AV009, we already had a sample size requirement that  
6 was substantial because we were looking at a  
7 clinical effectiveness outcome, and we chose not to  
8 really inflate the sample size requirement by going  
9 to a three arm study.

10 CHAIRMAN DAUM: Thank you.

11 I think Dr. Gellin has been patient.

12 DR. GELLIN: Perhaps a related question,  
13 but I'll ask the same question that Kathy had, but  
14 maybe inverted and maybe as has been set up as a  
15 real world example, maybe this is an un-real world  
16 question. So it's a question of the institutional  
17 memory that I don't have that other people in this  
18 room do.

19 How often do new products come to a  
20 committee like this when the question is efficacy  
21 and the data is effectiveness?

22 CHAIRMAN DAUM: I think we're going to  
23 ask for some agency input on that question.

24 Dr. Midthune.

25 DR. MIDTHUNE: I can't think of any.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Obviously we have brought products here where the  
2 efficacy parameter is the immunogenicity comparison,  
3 but those are for products where there have been  
4 previous clinical disease endpoint studies.

5 CHAIRMAN DAUM: Thank you.

6 Dr. Decker, some industry perspective on  
7 this question?

8 DR. DECKER: Yeah, just a reminder that  
9 I think it was the last meeting of this committee we  
10 voted or the committee voted to approve a license  
11 extension based on effectiveness data, if I remember  
12 correctly, Plevnar and otitis media.

13 That wasn't a totally new product. It  
14 was already licensed, but it was an extension of the  
15 indication.

16 DR. MIDTHUNE: That was an extension of  
17 that indication, but there were data that actually  
18 showed tympanocentesis results where there were  
19 actual serotyping of the pneumococcal isolates  
20 derived from that.

21 That was the Finnish study. There was  
22 also the Kaiser study, which just looked at acute  
23 otitis media, but there was both in that particular  
24 application.

25 CHAIRMAN DAUM: In fact, the issue might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have been viewed quite differently were there only  
2 effectiveness data in that instance.

3 Yes? Did you want to speak to this  
4 again? Would you please? Obviously an important  
5 issue for us.

6 DR. NICHOL: Forgive me for coming to  
7 the microphone again. Kristin Nichol from  
8 Minneapolis, one of the AV009 investigators.

9 I forgot to mention perhaps, after  
10 Kathy's question about effectiveness, just to remind  
11 the committee that there is a challenge trial  
12 demonstrating efficacy against culture confirmed  
13 illness among adults. It's a relatively small  
14 trial, but it is an efficacy trial looking at wild  
15 type challenge, and efficacy was 85 percent against  
16 all three wild type strains combined. The study was  
17 not sized to be able to look at efficacy for each  
18 type specifically.

19 CHAIRMAN DAUM: These subjects were 18  
20 to 41 years of age?

21 DR. NICHOL: That's correct, and they  
22 were randomized either to placebo or to receiving  
23 the FluMist or trivalent inactivated vaccine.

24 CHAIRMAN DAUM: Other committee  
25 comments?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I guess I would take the initiative then  
2 and ask in studies like these or others where we've  
3 had efficacy data presented against culture  
4 confirmed influenza, do we know anything about the  
5 people who failed. Has there been any attempt to  
6 study the folks against whom efficacy did not occur?  
7 And do we know anything? Is there anything special  
8 about them or unique about them that we should hear  
9 about?

10 Anyone want to take that question on?  
11 Dr. Mendelman?

12 DR. MENDELMAN: Again, the proof of  
13 principle that we got was the pediatric study, which  
14 was culture confirmed and large. The efficacy trial  
15 in adults that did involve a TIV arm gave us proof  
16 of principle that we could go on and do the large  
17 effectiveness trial in adults.

18 And the supportive data and multiple  
19 efficacy trials was submitted in the license  
20 application in the historical review section, 7.8,  
21 which is certainly available, and that reviews those  
22 efficacy trials that were done.

23 In those, for the committee, and we  
24 could present them on screen if you would like, but  
25 the range is, you know, wide, but the overall is in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that 70 to 90 percent efficacy for the cold adapted  
2 vaccine across multiple efficacy trials, but the  
3 largest is Dr. Edwards' that we've noted to the  
4 committee, which was comparing it with TIV.

5 DR. CONNOR: Bob, just to answer your  
6 question, I think that the --

7 CHAIRMAN DAUM: Please.

8 DR. CONNOR: I think that in most of  
9 those trials obviously the efficacy in the pediatric  
10 trials were quite high. I mean well above 90  
11 percent, and there obviously were very few of the  
12 patients who failed, and we haven't actually  
13 characterized those patients any further, but the  
14 numbers are really very small also.

15 CHAIRMAN DAUM: Dr. Katz.

16 DR. KATZ: This is perhaps to beat a  
17 wounded if not a dead horse. That is that antibody  
18 studies sometimes help.

19 (Laughter.)

20 DR. KATZ: And I was going to ask Nancy  
21 Cox because each year when we review new influenza  
22 virus vaccines at CDC, she presents us data on  
23 antibody response, HAI antibodies usually to new  
24 strains, the cross-reactivity with other strains,  
25 and you must have some feeling, if not data, not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 relating to these studies, but what are the  
2 necessary antibody studies and the antibody levels  
3 which will guarantee you protection against  
4 influenza.

5 DR. COX: I thought you knew better than  
6 to ask that question.

7 (Laughter.)

8 DR. COX: There is no specific antibody  
9 level that guarantees protection. The level of an  
10 HA titer of 40 or greater is often used as an index  
11 of protection in the studies that we do and the  
12 studies in various vaccine trials. That level is  
13 expected to protect about 50 percent of the  
14 vaccinated population.

15 What we can say generally speaking for  
16 an activated vaccine is that the greater the  
17 antibody level, the better. For live attenuated  
18 vaccine, there hasn't been as good a correlation of  
19 antibody levels with infection. So there probably  
20 are other factors, including local antibody, that  
21 are contributing to protection.

22 But there is definitely some correlation  
23 with antibody, even with the live attenuated.

24 CHAIRMAN DAUM: Thank you, Dr. Cox.

25 Dr. Belshe, you wished to speak to this

1 very issue?

2 DR. BELSHE: Yes. I'd like to just  
3 contribute a little bit. Regarding the breakthrough  
4 infections in AV006, there were a small number of  
5 vaccinated children who did develop natural  
6 influenza. Those illnesses were significantly  
7 shorter in duration in terms of days of fever, less  
8 than two days of fever compared to placebo  
9 recipients, which had an average of approximately  
10 five days of fever.

11 So there was a more mild illness in  
12 those breakthrough infections.

13 Regarding the correlates of immune  
14 protection, we did extensive studies on behalf of  
15 the NIH in the AV011 trial, which was a challenge  
16 study using vaccine virus as a challenge. We were  
17 able to demonstrate that secretory IgA and serum HAI  
18 antibody were independent of correlates of immune  
19 protection, and that there was very weak correlation  
20 between those two correlates.

21 So if you had either antibody or  
22 secretory IgA, you were significantly protected  
23 against a vaccine virus challenge. They were very  
24 powerful correlates. Approximately 85 percent were  
25 secretory IgA, and more than 90 percent for serum

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 HAI antibody.

2 CHAIRMAN DAUM: Thank you, Bob. Those  
3 are very helpful comments.

4 Let me call on Dr. Overturf. I  
5 apologize.

6 DR. OVERTURF: Just as a follow up. In  
7 the document that we've all been given, it looks  
8 like the historical data on antibody levels shows  
9 that the cold adapted vaccine in terms of fourfold  
10 immune responses is only about half as good as TIV,  
11 maybe 60, 70 percent in some studies.

12 So that brings up the other issue, and I  
13 thought maybe Dr. Cox would address this, is what is  
14 used currently standardized each year's lot of  
15 vaccine if it's not antibody. Obviously since  
16 systemic antibody doesn't seem to be as good with  
17 cold adapted inactivated vaccine, what will be used  
18 or is that going to be necessary?

19 DR. COX: I think that that's a question  
20 that could best be answered by my FDA colleagues.  
21 We're involved in regulatory issues.

22 CHAIRMAN DAUM: Dr. Levandowski, you  
23 want to catch the pass here?

24 (Laughter.)

25 DR. LEVANDOWSKI: I'll do my best. The



1 questions about potency of inactivated vaccines,  
2 that's not done by antibody tests using antibodies  
3 from animals or from people. It's done by a method  
4 that's called single radial immunodiffusion, which  
5 is an immunologic type of test, but it's an in vitro  
6 test, which is done with a standard antigen,  
7 comparison between the standard antigen and the test  
8 antigen, which would be the vaccine, and from that  
9 the quantity of antigen that's present can be  
10 quantitated.

11 That has been correlated with  
12 immunogenicity in studies that were done way back  
13 during swine flu and the return to the H1N1 viruses  
14 in the late 1970s. So we use the antibody testing  
15 in terms of looking at whether current vaccines are  
16 likely to make antibody responses that are  
17 reasonable to newly circulating strains, but we  
18 don't use that so much as a tool to determine  
19 whether the vaccine -- let's see -- what the potency  
20 of the vaccine is.

21 I'm not sure I've answered your  
22 question.

23 DR. OVERTURF: Well, the question is:  
24 has somebody thought about what will be used as the  
25 standard measures for this vaccine, which may not be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the same as used in previous influenza vaccines.

2 DR. LEVANDOWSKI: Well, now you're  
3 asking about potency of this vaccine, I guess is the  
4 question.

5 DR. OVERTURF: Yes.

6 DR. LEVANDOWSKI: The potency of this  
7 vaccine is based on infectious units. So the number  
8 of infectious units in the product is what that will  
9 be based on.

10 CHAIRMAN DAUM: Thank you very much.

11 What I'd like to do is to change gears a  
12 little bit now, and we'll take a very short break,  
13 but before we do that, we'll put the first question  
14 back up on the screen, and when I come back, when we  
15 come back, I'd like to have the committee focus on  
16 additional issues that need to be explored to deal  
17 with the first question.

18 I think you'll find that most of them  
19 have been explored, perhaps not all of them, and  
20 then we will begin the process of actually voting  
21 and being heard on these questions.

22 Before we take this break, I'd like to  
23 make a brief presentation of my own.

24 (Laughter.)

25 CHAIRMAN DAUM: Through the miracle of

1 Jody Sachs, the committee has been able to procure a  
2 present, and the present, of course, is for Dr.  
3 Kathy Zoon.

4 We just learned this morning of your  
5 decision to move over to NCI and leave FDA, and it's  
6 obvious from Dr. Katz's comments and others' that  
7 there are some circumstances here, but I think the  
8 most important point is this is an enormous loss for  
9 FDA, and I can only hope from what I know of  
10 interacting with you all of these years that it's a  
11 good move for you and that it will be a wonderful  
12 benefit for NCI to get someone of your caliber, but  
13 this agency will sorely miss your work.

14 This is a small token.

15 (Applause.)

16 DR. ZOON: I don't want to hold up your  
17 break because I know how important breaks are, but I  
18 just want to say how much I appreciate your gift.  
19 It means a lot to me, and the recognition of both my  
20 colleagues around the table, the audience, for your  
21 recognition, and in my new job I will try to do my  
22 very best to make a significant impact on the public  
23 health at NCI.

24 Thank you.

25 (Applause.)

1 CHAIRMAN DAUM: Tax dollars, of course,  
2 were not used to fund that gift.

3 (Laughter.)

4 CHAIRMAN DAUM: It is 2:35 here in the  
5 central time zone -- Eastern time zone. I'm sorry.  
6 At exactly ten to three we will reconvene, and with  
7 the first question up, we will have question focused  
8 discussion.

9 Thank you.

10 (Whereupon, the foregoing matter went  
11 off the record at 2:40 p.m. and went  
12 back on the record at 2:54 p.m.)

13 CHAIRMAN DAUM: Could the final  
14 conversations sort of cease and we move back to our  
15 business of the day?

16 I'd first like to say a special thank  
17 you to Dr. Sachs for upgrading the quality of the  
18 Musak. I don't know if any of you have noticed, but  
19 we've been treated to her tapes and music at  
20 lunchtime and during breaks. I must say it's a lot  
21 better.

22 The second thing is as usually happens  
23 during these kinds of meetings, there are actually  
24 three people who have asked for some time before we  
25 turn to the question itself, and so we're going to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hear three separate comments.

2 First, I'd like to call on Dr. Midthune  
3 of FDA to clarify an issue that came up last hour.

4 DR. MIDTHUNE: I just want to clarify  
5 that the sponsor, at that time Aviron, did discuss  
6 their plans for the efficacy evaluation with us, and  
7 that we were in agreement with their approach to  
8 evaluate efficacy for the pediatric population and  
9 effectiveness for the adult population.

10 CHAIRMAN DAUM: Thank you. That  
11 certainly helps shed some light on some things  
12 people were concerned about.

13 Dr. Parsonnet wanted to raise a global  
14 issue not focused on one question or another, and  
15 this is the time to do that.

16 DR. PARSONNET: I guess my point comes  
17 out really from the hat I used to wear, which is on  
18 the Anti-infective Advisory Committee of the FDA and  
19 where we always had comparators. We always looked  
20 at a new antimicrobial agent and compared it to one  
21 that was already in existence, and that, I guess,  
22 sort of brings up this issue of the elephant in the  
23 room here, which is that there already is a flu  
24 vaccine that exists, and we've seen very little data  
25 comparing the proposed vaccine to a currently

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D C. 20005-3701

1 existing product.

2 And that gets to issues both of safety,  
3 where we really haven't seen head-to-head  
4 comparisons of safety, head-to-head comparisons of  
5 recurrent use of the vaccine, annual revaccination,  
6 and really very little on comparative efficacy.

7 And I guess it just really raises a  
8 question for the FDA about how we consider a new  
9 vaccine in the setting when there is one that is  
10 already approved and also even a more broader  
11 question about how if it is approved, a clinician  
12 would then go about making a decision about the use  
13 of these two competing products.

14 And so I guess, I think partly because  
15 of my previous experience on another committee, I'm  
16 just a little bit unsure about how we put a new  
17 product in comparison with one that already exists.

18 CHAIRMAN DAUM: Does FDA or sponsor want  
19 to comment on Dr. Parsonnet's issue?

20 DR. MIDTHUNE: I mean, obviously it's  
21 always interesting to have comparative data, but the  
22 primary requirement is that you demonstrate safety  
23 and effectiveness, and in this case it has been done  
24 in comparison with the NAF control, and that  
25 certainly is acceptable to us.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   You know, clearly, I think that some of  
2 the evolving recommendations moved ahead of the  
3 development of this vaccine, and for example, since  
4 the adult study was, you know, done, you know, the  
5 ACIP subsequently made recommendations to recommend  
6 influenza vaccine for individuals above 50 years of  
7 age.

8                   I think had that recommendation been in  
9 place at the time, we clearly would have asked  
10 actually for a comparative study in that particular  
11 situation because, you know, clearly sort of a  
12 standard would have been to give the inactivated  
13 vaccine, but that's not where we were at that time.

14                   And also at that time, for healthy  
15 children, there was no recommendation for  
16 administering influenza vaccine. So perhaps that  
17 puts a little bit of the history into a context.

18                   CHAIRMAN DAUM: To this issue? Dixie  
19 and then Dr. Katz.

20                   DR. SNIDER: Well, I'll speak, you  
21 know, with my ACIP hat on and say that I think Dr.  
22 Parsonnet's points are well taken, and they are  
23 issues that are going to be highly problematic, and  
24 I'm sure subject of vigorous discussion not only of  
25 the ACIP, but the AAP and the American College of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Physicians and other professional societies that are  
2 going to have to, you know, weigh in on how they  
3 feel about the use of this particular product vis-a-  
4 vis the other available product and hopefully give  
5 some guidance to clinicians as to how to deal with  
6 the situation.

7 But they are very important points.

8 CHAIRMAN DAUM: Dr. Katz, this issue?

9 DR. KATZ: One, Julie, I don't think we  
10 ever demanded any studies of pneumococcal  
11 polysaccharide vaccine versus pneumococcal conjugate  
12 vaccine. The licensure of pneumococcal conjugate  
13 vaccine was on its own virtues and assets and not on  
14 comparison. That's a specific example.

15 A generic one is I think those of us who  
16 call ourselves vaccinologists are very interested in  
17 there being more attitude and aggression towards  
18 mucosal immunity, and I think the idea that mucosal  
19 immunization could in some ways supplant injectable  
20 vaccines is very appealing.

21 I have a slide that I wish I had brought  
22 with me which shows a 15 month old child being told  
23 that, well, there'll be one more injectable vaccine  
24 each year, and if you will forgive a nasty comment,  
25 this one year old is pictured going, "Not on your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C 20005-3701



1 life."

2 (Laughter.)

3 DR. KATZ: So that I think the people  
4 who complain that we're giving kids 20 and 22  
5 injections in the first two years of life or the  
6 first five years of life have a lot of interest in  
7 mucosal vaccines, and especially as we talk about a  
8 vaccine that, if you believe in it and you use it,  
9 is going to be administered annually. The  
10 pragmatics of it become a major issue which the  
11 pediatricians have been discussing because somebody  
12 said this morning "recommended." It isn't yet  
13 recommended. It's encouraged for children six to 24  
14 months of age.

15 But if that is followed, as many people  
16 anticipate by recommendation and not just  
17 encouragement, aside from the idea of another  
18 injection, the logistics for physicians who take  
19 care of children are rather formidable if you have  
20 the window from September to November to give a  
21 vaccine.

22 And the question arises: does it have  
23 to be given in physicians' offices? Can it be given  
24 in day care centers?

25 There are a lot of other things that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701

1 make us a lot more enthusiastic about the whole  
2 field of mucosal immunization rather than more  
3 injections.

4 CHAIRMAN DAUM: Thank you very much.

5 There's one more preliminary piece of  
6 business to address, and that is Dr. Mendelman asked  
7 me if he could show two slides to address the issue  
8 of Dr. Faggett, and that is minorities and others  
9 who might have been immunized with FluMist present  
10 or absent from the BLA database.

11 DR. MENDELMAN: Present. These are the  
12 demographic characteristics in the healthy working  
13 adult study. So most of the individuals were  
14 Caucasian, ten to 11 percent were black, and the  
15 median age was 38 across both groups.

16 In the 19 study, the Northern California  
17 Kaiser study, I don't know if we can move that up,  
18 but I guess you can see it. Ten, 11 percent Asian  
19 Pacific Islander, 20 percent versus 19 percent  
20 Hispanic, 55 percent Caucasian, and six percent  
21 African American, et cetera. This was Northern  
22 California. So it was primarily Oakland and the  
23 surrounding areas.

24 And the last one, and you have this in  
25 the briefing document from the FDA, I think the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 request was also to look at efficacy by race, and  
2 the efficacy, Caucasian or non-Caucasian, 94.9  
3 percent for any influenza and 92 percent for  
4 Caucasians in the pivotal efficacy trial in  
5 children.

6 DR. FAGGETT: One follow-up question, if  
7 I may. Do you have any experience in terms of  
8 emergency room visits of the various populations as  
9 well? Probably not. Is that available?

10 DR. MENDELMAN: We have the emergency  
11 room visits from the Study 19, the Northern  
12 California Kaiser, because one of the three settings  
13 that was analyzed for medically attended events were  
14 all emergency department visits within the 42 days  
15 after vaccination, and hospitalizations and any  
16 clinic visit.

17 DR. FAGGETT: Thank you.

18 CHAIRMAN DAUM: Okay. That completes  
19 our sort of preliminary break generated housekeeping  
20 issues. What I'd like to do is just literally read  
21 the first question now and then ask the committee  
22 for discussion of things that we haven't addressed  
23 that they would like to address before we actually  
24 begin our voting process.

25 So the first question, as I understand

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it -- Dr. Mink, please correct me if I say anything  
2 wrong here -- has two parts, A and B. Part A is:  
3 are the data adequate -- it's a safety question,  
4 right? -- are the data adequate to support safety of  
5 FluMist for individuals in the three age groups that  
6 you see up there, five to 17 years, 18 to 49 years,  
7 and 50 to 64 years?

8 And to please consider the data relative  
9 to respiratory events, asthma, and URI, shedding and  
10 transmission of vaccine strains following receipt of  
11 FluMist, and annual revaccination.

12 And then Part B becomes relevant if you  
13 believe the data are not adequate for Part A, and  
14 that is: if the data are not adequate for specific  
15 age groups or if there are other safety concerns,  
16 please discuss what additional data should be  
17 requested.

18 DR. MINK: With the addition that it  
19 should say "healthy individuals."

20 CHAIRMAN DAUM: Yes. I even wrote that  
21 and I still forgot to say it. So it's normal people  
22 we're talking about in this question. So thank you.

23 Okay. So are there any other issues  
24 that we need to talk about to have an airing of the  
25 issues in this question? Dr. Stephens.

1 DR. STEPHENS: I'd like to have comments  
2 about the revaccination issue because this is going  
3 to be something presumably given every year, and yet  
4 at least from my understanding, the data for older  
5 children and adults really doesn't exist for  
6 efficacy, for example or in most instances safety  
7 for revaccination, and I'd just like to get a  
8 comment on the revaccination question because that's  
9 what we're going to be doing presumably with this  
10 vaccine.

11 CHAIRMAN DAUM: Let's get you a couple  
12 of comments. Let's hear from the sponsor, and let's  
13 hear from the agency as well.

14 DR. CONNOR: I think the primary  
15 revaccination data, as you point out, comes from  
16 pediatric trials. The data that we've provided for  
17 you shows both on the efficacy side, the second year  
18 efficacy of data, as well as on the safety side the  
19 data both from the AV006 trial and multiple  
20 revaccination years, and we also have data that  
21 looks at SAEs across those years and demonstrates  
22 that there isn't any difference and that generally  
23 things are lower in the reactogenicity cycle  
24 following multiple years.

25 It is a setting in pediatrics where

1 there is more reactogenicity generally than in the  
2 older population. So we believe that that  
3 represents the opportunity to best look at the  
4 issues of revaccination, but there's not specific  
5 revaccination data in the older populations. I  
6 guess those are just the SAE data.

7 CHAIRMAN DAUM: Dr. Mink, do you or  
8 someone else in the agency want to comment on that  
9 issue from your perspective?

10 DR. MINK: On the slides that I showed,  
11 slide number 18 shows the total database. It shows  
12 that across all age groups there was 7,354 second  
13 dose experienced with FluMist. I believe it's page  
14 5 on your handout, slide 18. Those are the  
15 revaccination data for second dose.

16 You can see that about 3,000 of those  
17 are in one to four years of age; 2,600 are from five  
18 to eight years of age; and just over 1,000 are in  
19 children from nine to 17 years of age. So those are  
20 the total number of subjects in the database with  
21 repeat vaccinations.

22 However, in the second dose for some of  
23 the kids, those will be the same dose in the same  
24 year. They're not all a second year or a second  
25 season.

1                   For subjects in the AV006 trial, we do  
2 have the repeat vaccination data for safety and  
3 efficacy in year two and safety data from year  
4 three, which I presented, and then also for  
5 individuals over ten years of age there are some  
6 vaccinees in study AV012. I believe there was a  
7 total of 2,100 subjects in AV012 who received doses  
8 in two years. We have SAEs mostly from those  
9 individuals, and there was no increase in the repeat  
10 vaccinees.

11                   CHAIRMAN DAUM: I trust that reviews the  
12 available data and addresses Dr. Stephens' question.

13                   Other questions specifically focused on  
14 question one?

15                   (No response.)

16                   CHAIRMAN DAUM: Okay. So, Dr. Stephens,  
17 you are in the hot seat for the last time probably  
18 and perhaps you could initiate our discussion of  
19 question one.

20                   Now, I should say as a procedural item  
21 that I have a voting sheet somewhere, right in front  
22 of me, and that we will record your vote separately  
23 for each of these age groups so that when you're  
24 finished speaking I'll know how you felt about five  
25 to 17 years, 18 to 49, et cetera, and then your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments regarding question B will be recorded as  
2 well.

3 Then once we complete this, we'll then  
4 put up question two and again look at discussion  
5 issues and we'll repeat the process till we're done.

6 Dr. Stephens.

7 DR. STEPHENS: A couple of kind of  
8 opening comments. One, I think there's been a lot  
9 of progress made since we first heard about this  
10 vaccine a year and a half or two years ago. I think  
11 there's pretty convincing data in terms of the new  
12 analyses that the vaccine does have a problem in  
13 kids under five in terms of potentially probably at  
14 a small rate increasing the incidence of asthma and  
15 croup. I think that's certainly borne out by the  
16 new analysis of the data.

17 In older individuals, I think that's  
18 probably not the case. There is evidence of viral  
19 shedding. There is evidence of transmission, but I  
20 am somewhat relieved by the data that's presented  
21 today in terms of certainly the transmission issue.

22 The issue of reassortment, I think, is  
23 still on the table, and I preface all of that by  
24 commenting on the safety categories.

25 I think the one kind of issue that we're



1 facing is that this was a vaccine largely designed  
2 initially for children, young children, and now it's  
3 kind of being reassessed, if you will, and relooked  
4 at for older groups, and I think that the problem  
5 that we run into in some of these age categories is  
6 lack of specific data about the specific vaccine.

7 So from my perspective, and I'll start  
8 with what I think are the easy categories first, the  
9 18 to 49 year old, I think there is data that is  
10 adequate to support the safety of FluMist in healthy  
11 individuals in that particular age group.

12 I think also that there is reasonable  
13 data in the five to 17 year old age group. My  
14 concern is actually that five to nine group where  
15 the issue of asthma may not be completely solved at  
16 least in my view, but the data would suggest that in  
17 all likelihood, and I would probably vote yes, that  
18 in five to 17 year old individuals that there is  
19 adequate data for safety in the individual, in the  
20 healthy individual.

21 I don't think thought that with an n of  
22 500 or so, 511 I think it is, that there is good  
23 data in the 50 to 64 year old age group for safety,  
24 and I think that's largely an issue of numbers.

25 For individuals undergoing

1 revaccination, we just heard that data represented.  
2 I think it's more of an issue of efficacy than it is  
3 safety. So I would probably vote yes in terms of  
4 safety for this category.

5 I think there continues to be though  
6 concerns about this attenuated virus in terms of  
7 reassortment issues, in terms of introducing this  
8 into a very large population, a very large amount of  
9 this vaccine being administered to the population,  
10 and we'll come to -- so that remains an issue in my  
11 mind.

12 And I think I'll stop there and turn it  
13 over to Dr. Katz.

14 CHAIRMAN DAUM: Before we leave you, I  
15 heard three yes votes for the different categories.

16 DR. STEPHENS: You heard yes, yes, no,  
17 and yes.

18 CHAIRMAN DAUM: I end up confused. I  
19 apologize. No, it's good we get this straight in  
20 the beginning and then it will go well.

21 DR. STEPHENS: For the age group --  
22 there are four questions here as I read it -- five  
23 to 17 year olds.

24 CHAIRMAN DAUM: Correct. Eighteen to --

25 DR. STEPHENS: And I would vote yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Eighteen to 49, yes; 50 to 64, no;  
2 individuals undergoing annual revaccination, yes.

3 CHAIRMAN DAUM: No.

4 (Laughter.)

5 CHAIRMAN DAUM: What I think we've been  
6 asked to do is --

7 DR. STEPHENS: It looks like I'm working  
8 from an older version of this particular question.

9 CHAIRMAN DAUM: Yes, the newer version  
10 is what we were showing this morning.

11 DR. STEPHENS: Okay. Three age groups:  
12 yes, yes, no.

13 CHAIRMAN DAUM: Okay.

14 DR. SACHS: Everybody has a newer  
15 version in your packet, your folder, your blue  
16 folder. So it should be more than one page, and  
17 we'll all work from the same version.

18 CHAIRMAN DAUM: I think we're there now.  
19 Now I understand what happened. Okay. So it's good  
20 to straighten these things out early because then we  
21 streamline the process and it gets much easier.

22 So Dr. Katz.

23 DR. KATZ: Dr. Katz has an initial  
24 question, which is: who came up with these age  
25 divisions and on what basis?

1 I don't understand how we divided the  
2 life span from five to 64 into these three groups.  
3 I don't know of any other vaccine where we've ever  
4 studied in that particular way.

5 I tried to think. This is a live  
6 attenuated vaccine, and I tried to think of the  
7 other live attenuated vaccines we use: measles,  
8 mumps, rubella. No one has ever shown any  
9 difference in age groups with measles or mumps.  
10 With rubella, yes. Post menarcheal females are more  
11 apt to have arthralgia. That's the only thing I can  
12 think of.

13 OPV, when we used oral polio vaccine,  
14 which as my colleague has pointed out we don't use  
15 anymore fortunately, there was a suggestion in very  
16 early years that perhaps people over 18 were more  
17 likely to develop vaccine associated paralysis,  
18 though that was never borne out.

19 Yellow fever vaccine? Dr. Snider has  
20 brought us information at the last meetings on  
21 adverse events in adults, but I don't think many  
22 children get the vaccine. So the denominator  
23 doesn't give us any data on which to base. So I'm  
24 left with a basic question: why should I have to  
25 worry?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Vaccinia, which we're thinking very  
2 seriously of using again. The very young child in  
3 the first two years of life perhaps has a higher  
4 rate of adverse events, but you get above that and  
5 there's no difference among different age groups.

6                   So I find it very difficult to get  
7 excited about differentiating. So I'd vote yes for  
8 all three.

9                   DR. MINK: Dr. Daum, may I answer?

10                  CHAIRMAN DAUM: But of course.

11                  DR. MINK: The reason the 50 to 64 year  
12 age group has been divided out is because of the CDC  
13 acknowledgement of them being high priority because  
14 of the significant percentage of those --

15                  DR. KATZ: Yeah, but there's nothing to  
16 suggest that they're at higher risk of adverse  
17 events.

18                  DR. MINK: I'm just explaining to you  
19 why the questions are presented to you by these age  
20 groups.

21                  DR. KATZ: Okay. I don't accept that as  
22 justification.

23                  (Laughter.)

24                  CHAIRMAN DAUM: I have a suspicion that  
25 we are not going to resolve this issue.

1 (Laughter.)

2 CHAIRMAN DAUM: Right here and right  
3 now, and so we're going to move on and hear from  
4 Dr. Edwards.

5 DR. EDWARDS: I think for many of the  
6 same reasons that David articulated, I think that  
7 the five to 17 years' safety data is quite  
8 extensive, as is 18 to 49, given the data of the  
9 effectiveness trial.

10 I'm less comfortable, however, with the  
11 data from 50 to 64 in terms of safety primarily  
12 because of the numbers and because that this has  
13 been a group that has been looked at and targeted as  
14 many of their members in higher risk groups.

15 So I would like to vote yes, yes, and  
16 no.

17 CHAIRMAN DAUM: And can you address Part  
18 B also? I think I mischarged the committee a little  
19 bit because you can answer Part B if there are other  
20 safety concerns, even if you felt the data were  
21 adequate.

22 So do you have any Part B issues?

23 And, Dr. Katz, if you did, you could  
24 pipe up as well.

25 DR. KATZ I think with Part B I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 only add that there should be continuing studies of  
2 transmission.

3 CHAIRMAN DAUM: Thank you.

4 Dr. Edwards?

5 DR. EDWARDS: I think the transmission  
6 issues obviously need to be looked at, and I think  
7 as we're going to come back to in post marketing,  
8 there has to be attention to reactive airway disease  
9 and asthma in post marketing.

10 But I think that other than that  
11 currently we're safe.

12 CHAIRMAN DAUM: Thank you very much.

13 Dr. Snider.

14 DR. SNIDER: I think I'd generally agree  
15 with my colleagues who have spoken thus far. I  
16 think the problem of asthma, reactive airways  
17 disease is potentially a problem for those less than  
18 five, and it may be a problem of lower frequency in  
19 older age groups.

20 At the same time, as I mentioned  
21 earlier, unfortunately we don't have the data to  
22 know whether what might be precipitated by FluMist  
23 is less than what would occur with natural  
24 infection. We don't know if TIV, as far as I know,  
25 really protects against asthma reactive airways

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 disease as well as FluMist might do it because we  
2 don't have a head-to-head comparison on that.

3 So I think the point is it's an open  
4 issue and one that needs to be studied in more  
5 detail.

6 As far as the specific questions about  
7 the age groups, I'm comfortable with the safety data  
8 for the five to 17 year age group and the 18 to 49  
9 year age group. The 50 to 64 year age group, I  
10 think it's 511, and I would prefer to see a larger  
11 population.

12 I think with regard to additional  
13 concerns I don't have huge concerns about  
14 transmission. I don't have huge concerns about  
15 reassortment. There are these things we've talked  
16 about in terms of inadvertent administration to  
17 people in whom it would be contraindicated according  
18 to the current application and high risk people who  
19 might receive it because of transmission.

20 So I think additional studies there are  
21 indicated, but I don't have a high level of concern  
22 about it.

23 The revaccination issue has already been  
24 clearly outlined. I think the data there indicate a  
25 high level of safety in the younger age groups. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 can't think of biologically plausible reasons why  
2 that would be a major problem in the older  
3 populations, but the fact is if we look at the data  
4 submitted under the BLA, we don't have data,  
5 extensive data, on revaccination. So that's  
6 additional data that would be nice to have somewhere  
7 along the way.

8 And that's all I have to say about  
9 question one.

10 CHAIRMAN DAUM: Dr. Snider, so I  
11 understand you, in the 50 to 64 you voted no?

12 DR. SNIDER: Yes, yes, no.

13 CHAIRMAN DAUM: Thank you very much.  
14 Dr. Hamilton.

15 DR. HAMILTON: Yes, yes, no.

16 With respect to considering the  
17 additional data, I think that one merely has to look  
18 at the numbers in the study design and the  
19 confidence is related to that, but more of the  
20 studies were designed to look at respiratory events,  
21 and by necessity perhaps shedding and transmission  
22 received a lot less attention, as did annual  
23 revaccination. And perhaps additional data should  
24 be generated to reflect that.

25 CHAIRMAN DAUM: Thank you very kindly.

1 Dr. Eickhoff, the time has come.

2 DR. EICKHOFF: A year and a half ago I  
3 think I voted no on the safety issues, and I'm going  
4 to change my vote this year.

5 For the shedding and transmission data,  
6 sure, I think that should be studied. We need  
7 further studies of that. I'm less concerned about  
8 transmissions to the high risk host simply because  
9 wild type influenza by and large is not seen as a  
10 problem in immunocompromised hosts, including those  
11 who are organ transplant recipients and including  
12 those who have AIDS, for example.

13 We don't see serious disseminated  
14 disease in the same way we see serious disease if  
15 they are exposed to other live attenuated viruses  
16 like MMR or varicella or perhaps all too soon  
17 vaccinia. So I am less concerned about transmission  
18 to a high risk host. It's going to be less of a  
19 problem than it is with wild type influenza.

20 Annual revaccination? Yes, again, I  
21 would like to see more data about that as the years  
22 go by. There is some data with regard to annual  
23 revaccination of TIV, but again, not that much. So  
24 as cold adapted influenza virus comes along, I think  
25 that's a subject for further study.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C 20005-3701

1                   Asthma has been identified as a problem  
2                   in the one to five year olds. There may be a  
3                   problem. I'm not convinced it is a problem, but the  
4                   answer will come from comparable studies of wild  
5                   type influenza studied in the same way as these  
6                   individuals who have received CAI vaccine were  
7                   studied.

8                   It may not be intrinsic to this vaccine.  
9                   It may be intrinsic to influenza viruses in general.

10                  Given all of that, for safety in the  
11                  first two age groups, I will say yes to both of  
12                  them. The 50 to 64 year old age group, if I could  
13                  think of any biologically plausible reason why they  
14                  might behave different immunologically from those  
15                  ten years younger, I might hesitate, but I can't  
16                  think of such a reason. So I will vote yes.

17                  CHAIRMAN DAUM: Thank you very much.

18                  And we'll go on to Dr. Cox.

19                  DR. COX: Yes, I would like to, first of  
20                  all, say that both the sponsor and the FDA have made  
21                  our lives so much easier. Although we're awash in  
22                  data, we have been pointed in the direction, and  
23                  it's just much easier than dealing with the  
24                  information that we had last time.

25                  So for the first three questions, I

1 would say yes, yes, and yes.

2 With respect to the 50 to 64 year old  
3 age group, I agree with Ted and with Sam. I cannot  
4 think of a biologically plausible reason why the  
5 safety would differ in this age group.

6 I think that the additional studies that  
7 I would like to see done have to do, first of all,  
8 with developing tools that could be used by  
9 practitioners to screen out those with high risk  
10 conditions so that we can be sure that those with  
11 high risk conditions are receiving trivalent  
12 inactivated vaccine.

13 I think we do need continuing studies on  
14 transmission, reassortment, and genetic stability,  
15 and I would also like to see additional information  
16 generated on annual revaccination both in terms of  
17 safety and efficacy.

18 CHAIRMAN DAUM: Thank you so much.

19 Before we go on, I'd just like to remind  
20 everybody that there is some confusion, and the  
21 preliminary questions are not what we're using  
22 today. We're using the questions that are here. So  
23 there are really only three age groups to address.

24 And I presume from the global tone of  
25 your comments that you voted yes on all three, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 please correct me if I'm wrong.

2 DR. COX: Yes, I voted yes on all three.

3 CHAIRMAN DAUM: Yes, but I think David  
4 Stephens got a little confused by that as well, but  
5 were using this version of the questions today. So  
6 committee members could try and remember that.

7 Dr. Gellin.

8 DR. GELLIN: I'll assume that was so I  
9 didn't screw this up as well.

10 Since the question is are the data  
11 adequate, I'll stick to that question, and for that  
12 reason will vote no on the older category, 50 to 64,  
13 but yes on the two younger categories.

14 I think, I mean, somewhat along the  
15 lines of Sam about the age stratifications. I  
16 actually would like to see subsequent data better  
17 represented in children nine and above and less than  
18 nine, particularly since the recommendation for  
19 those less than nine will be two doses. So I think  
20 that has some relevance to the annual revaccination  
21 issue.

22 CHAIRMAN DAUM: Thank you very much,  
23 Bruce.

24 We'll move on to Dr. Steinhoff, please.

25 DR. STEINHOFF: I'm going to vote yes on

1 all three groups, but I want to raise an issue  
2 that's been raised before in a slightly different  
3 way, and I'm concerned about the issue of  
4 transmission to household members who might be at  
5 high risk, and I don't know quite how this should be  
6 dealt with, but one approach might be the obverse of  
7 the recommendations for the inactivated vaccine is  
8 that it should be given to healthy persons who are  
9 in a household with persons at high risk who would  
10 also get the vaccine.

11 You might want to observe of that, that  
12 this vaccine maybe should not be given to persons  
13 who have household exposure to subjects at high  
14 risk.

15 That's not too confusing, right? Who  
16 are also unvaccinated. What I'm trying to say is we  
17 need some more data on the actual transmission and  
18 risk in the likely common household exposures to  
19 people who get this vaccine.

20 CHAIRMAN DAUM: Mark, thank you.

21 We'll move on to Dr. Myers.

22 DR. MYERS: Well, I'll start off by  
23 saying I don't like the questions because I agree.  
24 I think the age stratification is not the way it  
25 should be. It should be eight and below and eight

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N W

WASHINGTON, D C 20005-3701

1 to 17 and 18 and above.

2 With that said, like Mark I have concern  
3 about the transmission within households when we  
4 have remarkably little data on people with  
5 underlying diseases, and so let me take 1(b) first.

6 I think there needs to be more safety  
7 data collected on the 50 to 64 year age group. I  
8 think there ought to be specifically data collected  
9 on safety in individuals with chronic diseases,  
10 particularly chronic lung disease.

11 I think there should be a comparative  
12 trial to trivalent inactivated vaccine, and the  
13 question I would like to have been asked but we  
14 weren't asked was do I think there's sufficient data  
15 on annual revaccination. My answer would have been  
16 no, that I don't think there is.

17 We're talking about this vaccine used  
18 year in and year out, and we just have no data  
19 except for two or three doses to children. So I  
20 wasn't asked that question, but I'll give you the  
21 answer anyway.

22 CHAIRMAN DAUM: Thank you very much.

23 DR. MYERS: So my voting would be I  
24 believe the data does support the safety of FluMist  
25 in healthy individuals five to 17, 18 to 49, and 50

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to 64, and for the same reasons that Sam does, I  
2 can't think of any reason why 62 year olds are  
3 different than 49 year olds.

4 CHAIRMAN DAUM: Thank you very much, Dr.  
5 Myers.

6 Did you want to say something?

7 DR. MYERS: No.

8 CHAIRMAN DAUM: Okay. Moving right  
9 along, Dr. Overturf.

10 DR. OVERTURF: I would vote yes, yes,  
11 and yes, based upon the fact, again, I do not feel  
12 there's any biologically plausible reason to expect  
13 differences in the 50 to 64 year old age group.

14 I also think that for all the questions  
15 below regarding data for respiratory events and  
16 shedding and transmission of the vaccines and annual  
17 revaccination there's a critical need for a lot more  
18 data and will have to be a critical part of the post  
19 licensure evaluation of this vaccine.

20 I'm also a little bit concerned about  
21 what the demand for this vaccine might or might not  
22 be, and that it would be interesting to see in the  
23 future how the production of the vaccine which has  
24 to be done on an annual basis -- it seems rather  
25 complicated to me -- will be able to keep up with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 that demand.

2 Obviously part of that will be dependent  
3 a little bit upon how the ACIP and other  
4 professional groups decide how to recommend this  
5 vaccine or whether they choose to recommend it or  
6 let people decide for themselves whether they want  
7 to take this vaccine.

8 I think there will be, because of a lack  
9 of education, a lack of data, a critical need for  
10 serious education of individuals who choose to take  
11 this vaccine over another vaccine which already has  
12 a safety and efficacy profile defined for it. So I  
13 think that's going to be a critical role for  
14 professional bodies in the future to try to define  
15 this for potential vaccinees.

16 CHAIRMAN DAUM: Dr. Diaz

17 DR. DIAZ: I would vote yes, yes and no,  
18 and purely from taking the purist standpoint in  
19 answering the question is the data adequate to  
20 support safety. I think we're splitting hairs in a  
21 sense because it really comes down on that last  
22 question to whether one is willing to extrapolate  
23 from the data that's presented.

24 I think the sponsor did a good job of  
25 looking carefully at the data in the 50 to 64 year

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 old age group that they had, and in my mind I don't  
2 think that there's any reason to suspect likewise  
3 that there would be any ill effects safety-wise in  
4 that age group.

5 But based upon the question and the  
6 small n, my vote would be yes, yes and no.

7 I do think there's more need for  
8 information on transmission and reassortment. In  
9 particular, I would very much like to see a movement  
10 toward capturing information on annual revaccination  
11 not only with this vaccine, but also with the  
12 inactivated flu vaccine because there isn't much  
13 data, and we're using it in younger and younger  
14 populations where the accrual rate over time in  
15 terms of revaccination events is going to be much  
16 higher than it was in the past.

17 So I think there is a need to get  
18 information on revaccination on an annual basis.

19 CHAIRMAN DAUM: Thank you very much.

20 Dr. Faggett, please.

21 DR. FAGGETT: Yes. Starting with B  
22 first, I really would like to see some other data  
23 relative to populations in other geographic  
24 locations, such as the TennCare population that Dr.  
25 Edwards mentioned earlier. I think that there are a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lot of differences not just with ethnicity, but  
2 socioeconomic status that you probably won't capture  
3 in a closed system, health care provider population.

4 Saying all of that, I do vote yes, that  
5 the data is barely adequate for five to 17, 18 to 49  
6 and 50 to 64. So yes in all three.

7 We do need more studies in terms of  
8 shedding and transmission of the vaccine strains. I  
9 think the jury is still out in terms of annual  
10 revaccination.

11 CHAIRMAN DAUM: Dr. Faggett, thank you  
12 very much.

13 We'll go on with Dr. Markovitz, please.

14 DR. MARKOVITZ: Yes. For the reasons  
15 outlined already by Drs. Katz, Eickhoff and Cox, I'd  
16 vote yes, yes, and yes. In 1(b) for data that we  
17 need, clearly besides whatever else we need for  
18 these age groups, and I do believe it's very  
19 important to vaccinate healthy people in these age  
20 groups, we need a lot more data on older people and  
21 people with underlying problems who, of course, are  
22 the absolute top priority for flu from a public  
23 health point of view. So that's what I'd like to  
24 see more of.

25 CHAIRMAN DAUM: Thank you so much.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Parsonnet.

2 DR. PARSONNET: I'm also going to vote  
3 yes, yes, and yes. I think they looked at the study  
4 to look at 18 to 64 and showed that it was safe from  
5 18 to 64 and doing a post hoc subgroup analysis of  
6 50 to 64, they just need to really show that it's  
7 comparable in those age groups, and otherwise they  
8 could take out each individual year. Fifty-one, is  
9 that safe? Fifty-two, is that safe?

10 I mean, you can't even looking  
11 afterwards for these post hoc analysis, I think it  
12 should be -- unless we have some reason to think  
13 otherwise, it should be considered safe in those  
14 groups.

15 I'd like to see more comparative data  
16 with the currently available vaccine in terms of  
17 safety because I think it will help in making  
18 decisions for various groups in using these vaccines  
19 in the future. I'd like to see more data on smokers  
20 and safety in smokers.

21 And I don't think biologically it's  
22 likely that there are really going to be safety  
23 issues with revaccination, but it would be nice to  
24 see more revaccination data and more data in the  
25 elderly population.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Thank you very kindly.

2 Ms. Fisher.

3 MS. FISHER: No, no, and no.

4 The data are inadequate to support the  
5 safety of FluMist for individuals five to 64 years  
6 of age. The increased risk of asthma in young  
7 children and the increased risk for some children in  
8 these studies for upper respiratory infections,  
9 musculoskeletal pain, otitis media and croup, as  
10 well as upper respiratory symptoms in adults suggest  
11 that an unknown number of health, but perhaps  
12 genetically vulnerable individuals across all age  
13 groups will not be able to handle this vaccine well,  
14 and this will over the long term also lead to the  
15 public perception that when you get the flu vaccine  
16 you get the flu.

17 And this is an important consideration  
18 long term because when you make healthy people sick  
19 after they get a vaccination, whether it's with live  
20 virus polio vaccine or live virus flu vaccine, when  
21 you have inactivated vaccines that do not cause  
22 disease symptoms, you're going to pay a price in  
23 terms of the public perception of the risks  
24 associated with vaccination.

25 You were able to successfully make the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 argument to prevent polio, but as I said, flu is not  
2 polio, and because most healthy children and adults  
3 are not permanently injured or die from the flu, I  
4 think careful thought needs to be given to this  
5 issue.

6 The fact that live vaccine flu virus is  
7 shed in 80 percent of recipients poses an additional  
8 risk for our population at large, particularly for  
9 immune compromised individuals across all age  
10 groups.

11 The outstanding questions about the true  
12 rate of transmission of vaccine strain viruses among  
13 children needs to be clarified, as does the  
14 retention of the attenuation of the shed viruses and  
15 the high frequency of nucleotide changes. Because  
16 this live virus nasal vaccine is not indicated for  
17 high risk health groups, which have historically  
18 been the targeted population to receive the flu  
19 vaccine, it's a very serious step to move to use of  
20 a live virus vaccine for the majority of healthy  
21 individuals, and a standard for proof of safety must  
22 be very high.

23 I don't think that standard has yet been  
24 met by the data which have been presented so far.  
25 I'd like to see a trial of a genetically diverse

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 group of American children and adults which  
2 addresses safety and efficacy of simultaneous  
3 vaccination with FluMist and other vaccines,  
4 revaccination, vaccine shedding, and the rate of  
5 household transmission to the unvaccinated  
6 individuals, as well as genetic stability.

7 CHAIRMAN DAUM: Thank you, Ms. Fisher.

8 We'll move on to Dr. Goldberg.

9 DR. GOLDBERG: Okay. I guess yes for  
10 five to 17 years, recognizing that I think that in  
11 the younger age groups here it's not clear to me  
12 that there may not still be some effects that are  
13 continuing on from what we saw in the younger  
14 children. I do believe we made the right  
15 recommendations the last time, that there were  
16 problems with the younger children that have been  
17 borne out.

18 Eighteen to 49, yes.

19 Now, I don't believe in post hoc  
20 subgroup analysis, but that said, I do think that  
21 there really are inadequate data for 50 to 64 if  
22 we're going to split the hair and label it in that  
23 way. So my answer would be no.

24 I think we need more information, more  
25 trials on shedding and transmission and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reassortment, as well as annual revaccination  
2 studies.

3 CHAIRMAN DAUM: Dr. Goldberg, thank you.

4 I guess it's my turn. I'd like to  
5 compliment the company and the agency team working  
6 together on the progress that's been made sine the  
7 last time we've heard about this vaccine. Many of  
8 the anxieties and concerns, not all, have been  
9 addressed adequately, in my opinion.

10 I think that the decision to move the  
11 requested indication to age five, an age when we  
12 currently don't actually immunize healthy children  
13 against influenza, was a bit of a master stroke in  
14 terms of corporate strategy because a lot of the  
15 issues in children under five were swept off the  
16 table.

17 I believe that the answer should be yes  
18 on the safety data across the board. Having said  
19 that, I would like to see more data generated by the  
20 company's sponsorship working together with the  
21 agency's guidance.

22 The shedding issue is an important one  
23 to me, and I think we don't know enough about it,  
24 and we don't know enough about the impact on the  
25 people on whom the virus is shed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   Having said that, I'm persuaded that  
2 this is a relatively low frequency event, a  
3 relatively low inoculum event, and is, after all, an  
4 attenuated virus.

5                   The concurrent vaccine issue, of course  
6 went by the boards by and large with the moving the  
7 lower age to five, but there are adults who will get  
8 simultaneous vaccines, particular vaccines against  
9 pneumococcal disease, and so there are some issues  
10 there that I would like to see explored, but I don't  
11 think that's an issue for holding this up at this  
12 point.

13                   The annual revaccination issue that  
14 several have addressed is obviously a very important  
15 one and needs additional information. I'm always  
16 persuaded by Dr. Faggett's argument that there  
17 aren't enough minorities. We saw especially in  
18 populations where people were likely to come back  
19 and likely to comply, and I think that the real  
20 world contains large segments of people who aren't,  
21 period.

22                   And we need to make sure that the  
23 vaccine performs in those settings as well, and I'd  
24 like to at least have some safety data generated in  
25 the future about those.

1 I'm also intrigued by and persuaded by  
2 Dr. Cox's suggestion that we know more about the  
3 molecular documentation of the transmission and  
4 genetic stability of this virus, but I think we saw  
5 enough data that I feel confident that this won't be  
6 a deal breaker, so to speak.

7 So I'm willing to vote yes on all three  
8 of these issues, and with those 1(b) issues that I  
9 raised.

10 And that brings question one to a close.

11 Say it again, please.

12 DR. FREAS: Industry's position on  
13 record.

14 CHAIRMAN DAUM: Industry's position for  
15 the record, but of course.

16 Dr. Decker, would you give us industry's  
17 position, please?

18 (Laughter.)

19 CHAIRMAN DAUM: As best you can.

20 DR. DECKER: No, I can't do that, and  
21 let me take this opportunity to clarify. I'm the  
22 industry representative, but of course, I'm Michael  
23 Decker. I'm not some distillation --

24 CHAIRMAN DAUM: We know that.

25 DR. DECKER: -- of industry. All right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So let's be clear on that.

2 Also, because it did come up, let me  
3 comment that you can't have an industry rep. who  
4 represents the industry who is not involved in  
5 industry. So it is inevitable that as long as  
6 there's an industry rep. there will be discussion  
7 here of products that that representative has  
8 something to do with.

9 In this case, I think everybody knows  
10 that I work for Aventis Pasteur, which is the  
11 world's largest manufacturer of inactivated  
12 influenza vaccine.

13 This arises all the time at these  
14 meetings. So I also hope everybody knows that when  
15 I make comments, at least I think they're solidly  
16 grounded in the scientific issue, and they're not  
17 simply trying to stroke either Vanderbilt, one hat I  
18 wear, or Aventis Pasteur, the other hat I wear.

19 So with that preface out of the way, my  
20 comments have all been articulately spoken by the  
21 members of the committee. I really truly have  
22 nothing to add to what's been said here.

23 CHAIRMAN DAUM: Most unusual in my  
24 experience.

25 (Laughter.)

1 DR. DECKER: It's a going away present  
2 for you, Bob.

3 CHAIRMAN DAUM: Michael, we are mindful  
4 of the difficulties that you find yourself in,  
5 speaking for, quote, all of industry and at the same  
6 time obviously a member of one specific company, and  
7 we appreciate your candor with respect to that.

8 I know Dixie wants to make a comment,  
9 but before I call on him, I'd like to announce the  
10 results of the vote on question one. The question  
11 concerns the adequacy of safety data for individuals  
12 in three age categories.

13 For the first category, age five to 17,  
14 the committee voted 17 to one that the data are  
15 adequate.

16 For the second category, 18 to 49 years,  
17 the committee voted 17 to one that the data are  
18 adequate.

19 For the third category, 50 to 64 years  
20 of age, the committee voted ten to eight that the  
21 data are adequate.

22 That is the vote on question one, and  
23 before we move on, Dixie, let's hear your comment,  
24 please.

25 DR. SNIDER: Well, my comment had to do

1 with the 50 to 64 year age group, and what I wanted  
2 to make clear is that from my perspective if we're  
3 talking about this question in a purist sense, in a  
4 sort of abstract way, in other words, healthy people  
5 50 to 64 years of age, I would have no problem  
6 voting yes.

7 The difficulty I see, being a pragmatic  
8 person, is that when people were talking about  
9 biologic plausibility, people 50 to 64 years of age  
10 begin to get in significant proportion a number of  
11 chronic health problems, and the question becomes:  
12 can you effectively screen those people out?

13 And I would submit that you don't always  
14 successfully do that. And so from a pragmatic  
15 standpoint, I'm comfortable with those numbers,  
16 realizing the pragmatic difficulty of trying to  
17 actually identify a true healthy population.

18 CHAIRMAN DAUM: Thank you very much,  
19 Dixie.

20 I'd like to ask that question two -- oh,  
21 look at that. Okay -- be put on the screen and  
22 begin by asking committee members if there are  
23 issues that they feel like haven't been discussed  
24 today that they need clarity on before we start the  
25 voting process. Question two, are the data adequate

1 to support efficacy of FluMist in individuals in the  
2 same age groups as we saw before, Dr. Katz demurring  
3 perhaps and others?

4 And then if the data are not adequate,  
5 what additional data should be requested? So are  
6 there discussion issues unaddressed?

7 Dr. Edwards.

8 DR. EDWARDS: I guess that goes to say  
9 to support efficacy/effectiveness, right?

10 CHAIRMAN DAUM: FDA, comment, please.

11 DR. MIDTHUNE: Yes.

12 DR. EDWARDS: Yes.

13 CHAIRMAN DAUM: Dr. Midthune says yes.

14 And also we add the word "normal" or  
15 "healthy" to the question again, correct? Again,  
16 yes.

17 Okay. So there's two clarifications  
18 there. All right. David, let's go.

19 DR. STEPHENS: Thank you, Bob. I  
20 appreciate it.

21 CHAIRMAN DAUM: If I ever come back as a  
22 guest, you know where I do not want to sit.

23 DR. STEPHENS: You know where you're  
24 going to sit though.

25 (Laughter.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: My votes are yes, yes,  
2 and no on these three age groups. I certainly think  
3 based on the effectiveness data that the 18 to 49  
4 year old group, there is evidence of effectiveness,  
5 and I interpret that to be efficacy.

6 I'm bothered by that to some degree, but  
7 I'm willing to accept it for that age group.

8 I'm a little more concerned about the  
9 younger age group. We really don't have a lot of  
10 data on the, say, ten to 17 group. In fact, there's  
11 very little data, but I'm prepared to, looking at  
12 the efficacy data across the board in the older  
13 studies to accept the fact that for this age group  
14 that there is reasonable data to suggest or indicate  
15 that there would be efficacy of FluMist in healthy  
16 individuals.

17 I am concerned about this issue which  
18 has been raised about whether we would use FluMist  
19 or whether we would use an inactivated vaccine in  
20 this particular cohort of individuals, and we have  
21 no head-to-head comparison, and I think that, again,  
22 the data on 511 individuals regarding efficacy --  
23 and I appreciate the arbitrariness of breaking out  
24 this age group, but that's what we've been asked to  
25 do, and that's what the ACIP has now done in terms

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the recommendations for inactivated influenza in  
2 this particular group.

3 So I appreciate that, as he's saying,  
4 without any doubt. But I'm --

5 (Laughter.)

6 DR. STEPHENS: I remain concerned that  
7 this is a group that we do not have adequate  
8 efficacy data, and rather than give FluMist versus  
9 the inactivated, I think we need a study to address  
10 that particular issue.

11 CHAIRMAN DAUM: Thank you very much.

12 Dr. Katz, please.

13 DR. KATZ: I may surprise Dr. Stephens  
14 by somewhat agreeing with him because now I turn the  
15 question not to safety, but to efficacy, and there  
16 certainly is suggestion that with advancing age  
17 there is loss of immunologic responsiveness to  
18 various antigens.

19 I'm very comfortable in the younger  
20 groups beginning at age five. A lot of data on five  
21 to six years of age is all we know about the immune  
22 system. It's mature at five years of age, and I  
23 think we can, to my way of thinking, extrapolate  
24 from that on through healthy adult life.

25 When you get up into -- and again, it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 has been an arbitrary decision which I had no vote  
2 on -- but when you get up into the 60s, you begin to  
3 get people who don't respond to vaccines. Again,  
4 we're talking about a live vaccine, not an  
5 inactivated.

6 Who doesn't respond to Hepatitis B  
7 vaccine? Inactivated admittedly, not live, but it's  
8 the older age group. As you get older, as you  
9 smoke, as you're fat, you're less likely to respond  
10 to inactivated antigens.

11 I don't know about this. So that I  
12 guess I would vote yes, yes, no, requesting more  
13 data on immunogenicity. I'd settle -- you should  
14 forgive me -- for antibody data.

15 (Laughter.)

16 DR. STEPHENS: Which may be easier to  
17 collect than efficacy data.

18 CHAIRMAN DAUM: Thank you very kindly.  
19 Dr. Edwards.

20 DR. EDWARDS: I have similar  
21 conclusions. I think that the revaccination issue  
22 is more important for efficacy effectiveness because  
23 I think we do know that the more antibody that you  
24 have either locally or humorally, the less you're  
25 going to respond to the cold adapted vaccine. So I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think that really does need to be looked at, the  
2 revaccination question in terms of efficacy and  
3 effectiveness.

4 So I would vote yes, yes, and no in  
5 terms of the efficacy/effectiveness.

6 CHAIRMAN DAUM: Moving right along, Dr.  
7 Snider.

8 DR. SNIDER: I would agree and vote yes,  
9 yes, and no, and point out that if you're going to  
10 do that study, you can also get some additional  
11 safety data in 50 to 64 year olds.

12 CHAIRMAN DAUM: Could you clarify what  
13 study you mean, for the record, Dixie, please? The  
14 question contains a hook at the end of it. What  
15 additional data are requested? And you said if  
16 you're going to do that study. So what --

17 DR. SNIDER: If you're going to study  
18 efficacy of the vaccine against placebo and/or with  
19 the inactivated vaccine, it gives you an opportunity  
20 to look at additional safety data in that large  
21 group.

22 CHAIRMAN DAUM: Thank you.

23 Dr. Hamilton.

24 DR. HAMILTON: Yes, yes, no, and I agree  
25 to study older people.

1 CHAIRMAN DAUM: Dr. Eickhoff?

2 DR. EICKHOFF: Yes, yes, and yes. Yes  
3 on the third age category simply because I think the  
4 distinction between those two adult categories is  
5 artificial and not biological.

6 Just one other comment. I appreciate  
7 Dr. Nichol's justification for the effectiveness  
8 study. By and large in pre-licensure studies,  
9 however, I vastly prefer efficacy studies.

10 CHAIRMAN DAUM: Thank you. Before I  
11 call on Dr. Cox I'm going to call on Dr. Overturf  
12 because we're beginning to run into airplane  
13 schedule problems and ask him to weigh in next.

14 DR. OVERTURF: I would vote yes, yes,  
15 and no, based upon I think there are some biological  
16 differences in the older adult, and I think there's  
17 precedent in other studies. So I think there needs  
18 to be more data.

19 I think the data could be more easily  
20 obtained by efficacy rather than effectiveness data  
21 and could be done in a smaller group probably. So  
22 although I think either study would be useful in  
23 that group, certainly I think true efficacy would be  
24 a better study and easier to obtain perhaps.

25 CHAIRMAN DAUM: Thank you, Dr. Overturf.

1 We're going to return now to Dr. Cox.  
2 Sorry and thank you.

3 DR. COX: Sure. I would vote yes, yes,  
4 and yes. I think that while there are immunologic  
5 differences in older age groups, I think that they  
6 apply both to an activated vaccine and to the live  
7 attenuated vaccine.

8 And I would suggest that annual  
9 revaccination studies are particularly important  
10 with respect to efficacy.

11 CHAIRMAN DAUM: Excellent. Thank you.  
12 Dr. Gellin.

13 DR. GELLIN: I seem to be hung up on the  
14 E words here of efficacy, effectiveness, and  
15 extrapolation, and while I was tempted, you know,  
16 the changing of the question to make it, slash,  
17 effectiveness, I think it's actually a pretty  
18 significant inclusion in the question, particularly  
19 for a new product, and it strikes me that this is a  
20 precedent setting inclusion in that question.

21 Nevertheless, my vote would be yes, yes,  
22 and no because I know the effectiveness data is  
23 interesting. I'd like to see a formal efficacy  
24 study of all the population.

25 CHAIRMAN DAUM: Thank you, Bruce.

1 Dr. Steinhoff, please.

2 DR. STEINHOFF: I would mark this yes,  
3 yes, and no.

4 CHAIRMAN DAUM: And therefore, we ask  
5 for Part B comments.

6 DR. STEINHOFF: Right. Additional data  
7 on the efficacy in the older group.

8 CHAIRMAN DAUM: As opposed to  
9 effectiveness. Thank you.

10 Dr. Myers.

11 DR. MYERS: I'm going to vote no, yes,  
12 and no. I think the efficacy data is for five and  
13 six year olds. There is no data for seven or eight  
14 to 17 year olds. I don't think you could  
15 extrapolate from a 49 year old effectiveness data  
16 into the eight year old population or to children  
17 who are getting two doses of vaccine, and so I don't  
18 believe that effectiveness or efficacy has been  
19 demonstrated for that age group.

20 Yes on the 18 to 49. I think Dr. Nichol  
21 made a good case for the effectiveness study.

22 No, I think there were several other  
23 people who have already made the point that I think  
24 that the 50 to 64 year age group could be less  
25 immunologically responsive.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So I think we need studies of the eight  
2 year old to the 17 year old for at least  
3 effectiveness or at least seroconversion, and I  
4 think there needs to be studies of trivalent vaccine  
5 versus FluMist versus placebo in the 50 to 64 year  
6 age group.

7                   CHAIRMAN DAUM: Thank you very much,  
8 Marty.

9                   Dr. Diaz, please.

10                  DR. DIAZ: Yes, yes, and no, for the  
11 same reasons that Dr. Katz raised, especially in an  
12 older age group where the efficacy of the product,  
13 it would be nice to have some comparison data, a  
14 little bit more data in that age group in comparison  
15 to the efficacy in that age group for the  
16 inactivated vaccine.

17                  CHAIRMAN DAUM: Dr. Faggett, please.

18                  DR. FAGGETT: Yeah, this is becoming the  
19 cat's corner. I vote yes for the five to 17; yes  
20 for the 18 to 49; and no for the 50 to 64. I do  
21 agree we need more studies in the 50 to 64 age group  
22 and more comparative studies, as well.

23                  CHAIRMAN DAUM: Dr. Markovitz.

24                  DR. MARKOVITZ: Yeah. On the easy ones  
25 I'd like to vote yes for five to 17, yes for 18 to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N W  
WASHINGTON, D C. 20005-3701

1 49. For 50 to 64, while I believe more data would  
2 be helpful, and especially a direct comparison,  
3 again, in this age group and people who are yet  
4 older with the inactivated vaccine, I think if one  
5 had to extrapolate, there is, again, I don't think  
6 any biological reason why a 49 year old and a 55  
7 year old are that different.

8 I also think that if anything, an older  
9 person is more likely to respond to the live vaccine  
10 than they would to an inactivated vaccine based on  
11 sort of general immunologic principles.

12 And then lastly, there's certainly old  
13 data that we haven't seen as a committee, but there  
14 are old papers showing the efficacy of previous  
15 iterations of this vaccine that's quite efficacious  
16 in yet considerably older people than 64.

17 So I believe that it's quite likely to  
18 be efficacious in the 50 to 64 age group, and while  
19 I'd like to see more studies, I vote yes in that age  
20 group also.

21 CHAIRMAN DAUM: Thank you, Dr.  
22 Markovitz.

23 Dr. Parsonnet, please.

24 DR. PARSONNET: I agree with Dr. Myers.  
25 No, yes, no. I think if you're thinking about

1 potentially giving this vaccine to millions of  
2 children, I'd like to see some data in that age  
3 group, and there's really no data in children  
4 between the ages of six and 17, and so I'd like to  
5 see some data about efficacy in that group.

6 In terms of the subgroup analysis from  
7 50 to 64, again, I'm not a big fan of subgroup  
8 analysis, but when you have a subgroup analysis that  
9 actually kind of pushes you in the opposite  
10 direction of the main group analysis, you have to  
11 take it somewhat seriously, and so I say no to 50 to  
12 64.

13 CHAIRMAN DAUM: Thank you very much.

14 Ms. Fisher.

15 MS. FISHER: I think the data support  
16 efficacy for children ages 60 to 72 months, but are  
17 inadequate to demonstrate efficacy for healthy  
18 children and adults older than 72 months. I think  
19 another trial including healthy subjects in all age  
20 groups should be held, and ideally it should compare  
21 the efficacy of the live virus vaccine to the  
22 inactivated vaccine, including what happens after  
23 revaccination.

24 CHAIRMAN DAUM: Thank you, but we will  
25 need your vote on the question. So I'm going to --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MS. FISHER: No, no, no.

2 CHAIRMAN DAUM: Thank you.

3 Okay. Dr. Goldberg.

4 DR. GOLDBERG: Okay. No for the five to  
5 17 year olds based on the need for that extensive  
6 extrapolation beyond the 72 month data.

7 For the adult trial, I'd just like to  
8 make a comment. The primary endpoint that was  
9 specified was any febrile illness. In neither the  
10 entire cohort or the 18 to 49 or the 50 to 64 met  
11 that standard compared to placebo as being  
12 significantly better than placebo.

13 That said, all of the supported  
14 endpoints do hang together and do support  
15 effectiveness in the 18 to 49 year olds, but I don't  
16 believe they do in 50 to 64 year olds. So it's yes  
17 and no.

18 And I think we need a study comparing  
19 FluMist to the inactivated vaccine in the 50 to 64  
20 year olds, and we need to think very carefully about  
21 what the endpoint does need to be. I certainly,  
22 based on these data, would not recommend that we do  
23 another study on any febrile event.

24 So that said, and then in the younger  
25 children you need to do an efficacy study.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: So to make sure I have  
2 it right, you're no, yes, and no.

3 DR. GOLDBERG: It's no, yes, no.

4 CHAIRMAN DAUM: Okay. So I guess I'm  
5 the last one here, and I'm concerned about a number  
6 of things. One is that there aren't any real world  
7 data with H1N1 viruses in any population.

8 Secondly, that we don't have good  
9 efficacy data in the six to 17 month old age group.  
10 I think we do have good efficacy data for the 18 to  
11 49 year old group, and I can solidly vote yes on  
12 that part.

13 So I come to I think it's -- I don't  
14 remember whose issue it was -- but whether the --  
15 Dr. Katz's issue -- whether the younger children,  
16 the five to 17 year old ones, can be extrapolated  
17 knowing that there's efficacy in even younger  
18 children and in older people.

19 And I think that the answer to that is  
20 yes, that they can be, and so I'm going to vote yes  
21 on that, although I would also prefer to have had  
22 more direct efficacy data in that age group, and  
23 perhaps one way to reaffirm that my vote is correct  
24 would be to get some antibody data in those  
25 children.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Then comes the 50 to 64 year old age  
2 group, and here I really am a little more nervous  
3 about assuming efficacy. On the other hand, we've  
4 been asked about effectiveness as well, and I think  
5 effectiveness was demonstrated.

6                   So that I'm going to vote yes for that  
7 older group, but it's a back door yes, reasoning  
8 leaning on the effectiveness issue rather than  
9 efficacy.

10                   So I'm going to end up with yes on all  
11 three, but I would really like to have more data,  
12 particularly in the elderly -- 50 to 64 is elderly.  
13 I hope I'm not offending anybody -- age group, and I  
14 think that antibody data would be very helpful, and  
15 perhaps it could be constructed to bridge to the  
16 inactivated influenza vaccine.

17                   So that concludes, I think, the  
18 committee's vote, and let me just rub shoulders with  
19 Jody here for a second.

20                   (Pause in proceedings.)

21                   CHAIRMAN DAUM: Michael, I apologize.  
22 Let us hear from our industry representative, Dr.  
23 Decker.

24                   DR. DECKER: In this case, the industry  
25 representative does have a specific comment, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is although I was overseas at the time of the last  
2 meeting on this, if I recall my briefing materials  
3 and reports correctly, there was a vote on efficacy  
4 of this product at the last committee meeting, and  
5 it prevailed for all of the age groups, for the 50  
6 to 64 age group, among others. Am I right?

7           Could I ask FDA to comment? Was the  
8 issue of 50 to 64, was that age group included in a  
9 prior vote of this committee on this product?

10           DR. MINK: That was not. The vote from  
11 the previous VRBPAC was across the ages.

12           DR. DECKER: All ages.

13           DR. MINK: For adults.

14           DR. DECKER: Right.

15           DR. MINK: It was 18 to 64.

16           DR. DECKER: Right, and 50 to 64 is a  
17 subset of that.

18           DR. MINK: And the 50 to 64 data was  
19 presented briefly by Dr. Nichol at that time, but  
20 the subset comparison had not yet been submitted to  
21 the agency. So this is the first time that these  
22 data, though it's a post hoc analysis, it's the  
23 first time these data have been presented to you.

24           DR. DECKER: Okay. So it's a murky  
25 issue. The point that I'm trying to raise though is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that I think one thing. There's a couple of  
2 unfortunate things in the data in the presentation  
3 here. One, of course, is that in retrospect I think  
4 many people wish it was an efficacy trial and not an  
5 effectiveness trial, although effectiveness ought to  
6 be adequate.

7 The second thing is that I think the  
8 sponsor suffered a little from the effectiveness  
9 trial in that I suspect because of the ancillary  
10 measures all being consistently in favor of  
11 effectiveness, there's probably not biological  
12 meaning to the absence of demonstration of reduction  
13 in illness, but yet they're saddled with that  
14 outcome, which is unfortunate.

15 But then the third thing is procedural.  
16 In essence, they thought already home free on  
17 efficacy in 18 to 64 and here it is addressed again  
18 and they're shot down on 50 to 64. I think having  
19 been at this committee for a couple of years, my  
20 experience is that sometimes we don't always honor  
21 our prior decisions.

22 I don't know if this exactly fits that,  
23 but I thought it was worth mentioning.

24 DR. MYERS: The question that was framed  
25 at the --

1 CHAIRMAN DAUM: Are you going to -- tell  
2 me what you're going to do.

3 DR. MYERS: I was going to just comment.

4 CHAIRMAN DAUM: Please go ahead. I'd  
5 like to announce the vote as soon as you -- go ahead  
6 and make your comment.

7 DR. MYERS: I was just going to say you  
8 weren't at the last meeting, but the question as  
9 formulated did not include the breakdown of the  
10 subgroups. It was 16 to 64, not broken down the way  
11 it is.

12 CHAIRMAN DAUM: Okay. Thank you, Dr.  
13 Myers.

14 The committee had voted, and the issue  
15 of efficacy for ages five to 17 years, 14 members in  
16 favor, four opposed.

17 For the issue of efficacy in 18 to 49  
18 year old folks, 17 members in favor, one opposed.

19 For the issue of efficacy in 50 to 64  
20 year old folks, four members in favor, 14 opposed.

21 And that concludes our deliberation on  
22 question two. I'd like to move on now to discussion  
23 point three. I suspect that the agency has already  
24 heard many of the issues that we would raise vis-a-  
25 vis question three, discussion point three. This is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not a voting question. So we can have some free  
2 discussion about this if people wish or we could  
3 just ask people to comment on this question.

4 If anyone wishes to open the discussion.  
5 Dr. Katz.

6 DR. KATZ: I don't want to open the  
7 discussion. I want to ask a question of the FDA,  
8 and that is: what is done each year with the  
9 inactivated vaccine when new strains are  
10 incorporated into the vaccine for that year? Are  
11 there human trials done? And if so, what's their  
12 magnitude?

13 DR. LEVANDOWSKI: No, there are no human  
14 trials that are done for inactivated vaccine each  
15 year, but the purpose for this study is somewhat  
16 different in that it's looking at a safety parameter  
17 of a new live virus.

18 DR. KATZ: I wasn't asking for  
19 justification, Roland. I was just asking for the  
20 record.

21 So for the record, there are no trials  
22 done with the new vaccines.

23 CHAIRMAN DAUM: Okay. Dr. Edwards and  
24 Dr. Decker.

25 DR. DECKER: Wait a minute. Can I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clarify that? Because that's not correct. There  
2 are no trials required. They are done. There are  
3 trials done every year.

4 The FDA is not one of the licensing  
5 bodies that requires those results. Other licensing  
6 bodies elsewhere in the world do require them, and  
7 the trials are done.

8 CHAIRMAN DAUM: Can in about 30 seconds  
9 you tell us a little about those trials?

10 DR. DECKER: Virtually identical to  
11 what's proposed for this.

12 CHAIRMAN DAUM: Thank you.

13 Dr. Edwards.

14 DR. EDWARDS: I think one of the  
15 interesting features about the cold adapted vaccine  
16 is that in certain years the H1N1 may look more  
17 immunogenic than in other years, and the same with  
18 the H3N2. So that I think that at least in our  
19 trial, which is not FluMist, but is from the same  
20 mother or father, and preferably mother, that you  
21 would notice that there may be some need to look at  
22 the intrinsic immunogenicity of each strain because  
23 there is some difference.

24 And so I wonder if that should be  
25 something that would be asked more routinely than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 with the inactivated because with the inactivated  
2 the immune response is pretty much comparable each  
3 year, in that same ball park, but that may not be  
4 the case.

5 I mean, certainly with Dr. Belshe's  
6 studies, the H1N1 strain was less immunogenic, and  
7 in our studies the H1N1 was more immunogenic. So  
8 there may be intrinsic differences when you give a  
9 different code to the same virus.

10 CHAIRMAN DAUM: Thank you.

11 Other comments about discussion point  
12 three? Dr. Stephens, would you care to offer any  
13 comments about this?

14 DR. STEPHENS: Well, I'm in agreement  
15 that the studies as proposed should be done. I  
16 think that without question they should be done  
17 given some of the safety concerns that were  
18 mentioned.

19 It would also be nice, going to Dr.  
20 Katz's repeated comments, to obtain some additional  
21 immunological data on the new reformulated vaccine  
22 each year as a correlate, obviously appreciating  
23 that the surrogate of protection isn't fully, but I  
24 think more immunological data and more testing in  
25 animal models is also something that I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 suggest.

2 CHAIRMAN DAUM: Thank you.

3 Dr. Snider?

4 DR. SNIDER: I had a similar question in  
5 looking at the annual clinical release testing that  
6 was the data we were shown and the indication that  
7 it demonstrated the feasibility of annual testing.

8 The question of, you know, what  
9 endpoints might be useful that could be gathered  
10 really rather quickly that would be of utility to  
11 the manufacturer, FDA, and all of us involved raises  
12 the question of whether we could get some  
13 serological data, and so I guess I would ask Nancy  
14 or Roland or someone who is more familiar with this  
15 if that would be a problem or something that would  
16 be doable.

17 CHAIRMAN DAUM: Dr. Cox or Dr.  
18 Levandowski, do you want to comment on Dr. Snider's  
19 idea?

20 DR. COX: Well, I think it's a good  
21 idea, and it certainly is doable. So that's  
22 something that's desired.

23 DR. SNIDER: I would concur and  
24 recommend it, and since it seems feasible.

25 CHAIRMAN DAUM: Roland?

1 DR. LEVANDOWSKI: Well, I actually was  
2 having another conversation while you were making  
3 your comments. So I'm not quite sure I got it all,  
4 but if the question was, you know, why not collect  
5 information on the immunogenicity of the vaccine at  
6 the same time, of course, that could be done. It  
7 just adds another parameter of difficulty in getting  
8 things done in a fairly quick period of time.

9 I think everybody is aware that for  
10 influenza vaccines to be useful they have to be  
11 available, and part of the point of doing this  
12 study, as I mentioned, is really looking at safety  
13 parameters for a new strain that might be  
14 incorporated into the vaccine.

15 That by itself takes some period of time  
16 to do just that clinical study, and although the  
17 information could be available at some point, it's  
18 likely that it would not be available at any time  
19 that you could use it for anything meaningful  
20 related to production of the new vaccine or what's  
21 going to happen with it.

22 I think it's a very similar situation  
23 that we find ourselves in with making inactivated  
24 vaccines where by the time they're available to do a  
25 clinical study, they really pretty much have to be

1 used.

2 So I think there are some logistical  
3 issues that would, although the information could be  
4 useful, ultimately would probably not be useful in a  
5 fashion that you would have it before the vaccine  
6 could be made.

7 CHAIRMAN DAUM: Dr. Diaz, this issue?

8 DR. DIAZ: Actually it's somewhat  
9 related to that. I was curious to the  
10 manufacturer's comments about the annual time frame.  
11 Every year we sit here, and we march through in  
12 getting to the next season's flu vaccine under a  
13 fairly tight time frame based upon making an  
14 inactivated influenza vaccine. I'm wondering how  
15 that time frame compares to what you have to go  
16 through or would have to go through with an annual  
17 cold adapted flu strain.

18 CHAIRMAN DAUM: Manufacturer like to  
19 speak to that? I think we've heard Dr. Levandowski  
20 on this point, but of course, he's welcome to say it  
21 again if he wishes.

22 DR. YOUNG: Yeah, I think if I  
23 understand your question correctly you're wondering  
24 in terms of when VRBPAC actually selects the strains  
25 for the upcoming season's vaccine do we actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have enough time to make it --

2 DR. DIAZ: Right.

3 DR. YOUNG: -- and go through the  
4 process of --

5 DR. DIAZ: Exactly. I mean, Dr.  
6 Levandowski was addressing doing safety studies, but  
7 I'm just looking at the pure manufacturing aspects.

8 DR. YOUNG: Yes. I think, frankly, the  
9 issues are similar with either the inactivated  
10 vaccine or the cold adapted vaccine. We actually  
11 are already making cold adapted strains for the  
12 Brisbane, for instance, that has been identified in  
13 the southern hemisphere as a potential candidate for  
14 next season's vaccine. We try to stay ahead and  
15 obviously monitor the discussions of all the  
16 agencies around the world who are monitoring flu  
17 variability around the globe.

18 But in terms of once the actual strain  
19 is selected, if we haven't as yet started making  
20 that new master virus strain, it takes about four  
21 weeks to make that strain, and frankly, I think the  
22 inactivated vaccine manufacturers have to get a PR8  
23 recombinant that grows in eggs well from the  
24 agencies. They make a reassortant for that wild  
25 type virus as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So I don't think that the timing is  
2 really that much different. The actual amount of  
3 manufacturing, the amount of eggs used, for  
4 instance, is quite a bit different for the  
5 inactivated vaccine compared to the cold adapted  
6 influenza vaccine because we actually rely on the  
7 nose to make a lot of the vaccine for us. So we put  
8 far less virus into the nose than you actually do  
9 when you inject it into the arm.

10           So the level of eggs that we need to  
11 make the same number of doses is probably ten to 100  
12 times less.

13           CHAIRMAN DAUM: Thank you.

14           Dr. Hamilton, any comments on discussion  
15 point three?

16           DR. HAMILTON: It's already been stated.

17           CHAIRMAN DAUM: Good.

18           DR. HAMILTON: We're interested in  
19 efficacy data.

20           CHAIRMAN DAUM: Thank you very much.

21           Dr. Eickhoff.

22           DR. EICKHOFF: A comment. Only, again,  
23 the efficacy data, and that could be purely a subset  
24 of those 300 adults. Twenty-five or 30 individuals  
25 probably would suffice.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 That's all I wish to say.

2 CHAIRMAN DAUM: Dr. Cox.

3 DR. MINK: Discussion point number three  
4 is about the committee's input for the design  
5 endpoints of the clinical study for release of new  
6 strains.

7 CHAIRMAN DAUM: Right. so it's not  
8 building on what you told us about questions one and  
9 two. It's anticipating, I guess, a world where  
10 this vaccine were on the market and being revised  
11 every year with new strains, and then what studies  
12 would you like to have on those new strains each  
13 year.

14 DR. MINK: Right.

15 CHAIRMAN DAUM: Do I have it right?

16 DR. MINK: And the study that was  
17 performed was a safety trial in adults using you saw  
18 about 330 or 300 or so adults. So the next  
19 discussion point is about post marketing studies.

20 CHAIRMAN DAUM: Thank you.

21 Is that clear or are people still  
22 mystified by that?

23 DR. SNIDER: I'm still mystified.

24 CHAIRMAN DAUM: Mystified. Okay. Check  
25 in here, Dixie.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SNIDER: Sam brought it up earlier,  
2 but I mean, I seem to hear something different from  
3 Nancy and Roland about the feasibility of doing some  
4 serological studies, and I seem to recall a few  
5 years ago we had a problem around some elderly  
6 patients with flu vaccine that didn't give us  
7 serological responses that we had hoped for in a  
8 nursing home, and so I'm confused at this point  
9 about the role of serological testing in terms of  
10 annual evaluation, as well as even its potential  
11 role in helping us sort out whether the 50 to 64  
12 year olds are going to respond as well as younger  
13 adults.

14 So if someone could clarify for me a  
15 little bit more about serologies, I understand about  
16 serologies in general not necessarily having a  
17 surrogate marker, but I also seem to hear that  
18 there's some utility to it, especially in terms of  
19 relative responses in different age groups or from  
20 year to year.

21 So if I could get some clarification I'd  
22 appreciate it.

23 CHAIRMAN DAUM: Dr. Levandowski and Dr.  
24 Decker wanted to comment on this as well.

25 DR. LEVANDOWSKI: Okay. Well, I'm not



1 sure I'm going to clarify much, but I just wanted to  
2 comment on a couple of things. The study or the  
3 experience that Dr. Snider was referring to with the  
4 vaccine where there were low responses, I think  
5 you're referring to the vaccine that was the vaccine  
6 that was recalled in 1996, and the concern there was  
7 really that the vaccine itself was so potent. So  
8 there was an attempt made to identify whether there  
9 was a good antibody response in the recipients of  
10 that vaccine or not and whether a recommendation  
11 should be made to revaccinate.

12 That is a little bit different situation  
13 from, I think, what we're dealing with generally  
14 from year to year in terms of immunologic studies.  
15 We don't have a requirement for doing a clinical  
16 trial in the United States for inactivated vaccine,  
17 but we're glad to have studies done so that we can  
18 obtain sera from people who are being immunized with  
19 the most recent, current vaccines that aren't  
20 available until the fall each year when we can get  
21 those materials from a clinical trial.

22 We generally use that information to  
23 help us in trying to predict whether the current  
24 vaccines will produce antibody responses that will  
25 cross-react with the newer strains that are out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE, N.W.  
WASHINGTON, D.C. 20005-3701

1 there. We're not really looking to compare the  
2 vaccines one to another, nor are we looking to  
3 compare what happens with the current vaccine with  
4 what happened in terms of immunogenicity with the  
5 previous year's vaccine because the trials, first of  
6 all, can't be probably big enough to do what we  
7 would need to do, and the funding certainly isn't  
8 there to do really massive kinds of studies.

9 But what we're generally trying to do  
10 every year with that information really is to help  
11 us with vaccine strain selection, and my comments  
12 earlier about timing. I think there could be  
13 information that could retrospectively or, you know,  
14 would be retrospective data by the time we got it.  
15 I don't think it would help us with the current  
16 vaccine, but could help in the long run with  
17 understanding immunologic responses from the  
18 attenuated vaccine, if that's what the intent of the  
19 questions and comments was earlier.

20 But I still don't know and others may  
21 want to comment whether that could be used in a  
22 prospective fashion to say anything about what the  
23 likelihood of live attenuated vaccine was having in  
24 terms of being immunogenic and effective. And I  
25 think we still don't have full understanding on what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the meaning of antibody responses from the live  
2 attenuated vaccine would be and only because there  
3 are multiple pathways by which the immune response  
4 may be the systemic antibodies, the local  
5 antibodies, secretory antibodies, and also probably  
6 some cell mediated responses that help in that.

7 I think we won't get the full facts from  
8 any one measurement on that, and it's something that  
9 needs to be done in a better way than just as part  
10 of a study that we're really trying to use to  
11 identify safety parameter for a new strain.

12 CHAIRMAN DAUM: Thank you, Roland.

13 Is this a very, very brief comment?  
14 Because we're really beginning to get some time  
15 pressure here.

16 DR. CONNOR: I just wanted to clarify  
17 the intent of the study just to make clear that the  
18 trial that we have done and are proposing to do is a  
19 safety release trial for the vaccine each year. So  
20 obviously as people pointed out, the goal is to get  
21 the vaccine tested and released quickly.

22 The other thing is that the adult  
23 population is probably not the best population to be  
24 looking at the immunogenic response and that we  
25 usually can't detect immune response in most of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 adult patients post vaccination.

2 So that would have to be done in a  
3 pediatric population or some other setting in some  
4 other venue, I guess, is my point.

5 CHAIRMAN DAUM: Thank you very much, Dr.  
6 Connor.

7 I would like to continue just polling  
8 the troops here and ask people to refocus themselves  
9 on discussion point three, which is the clinical  
10 studies that you would like to see done/required for  
11 the release of new strains.

12 And I think we left off with Dr. Cox  
13 next up. Maybe you've already said your piece.

14 DR. COX: I think that the study that  
15 was presented here is really adequate. We are  
16 holding the live attenuated vaccine to a higher  
17 standard than we do the new trivalent strains, and I  
18 think that that's appropriate, and that the proposal  
19 here is a good one.

20 CHAIRMAN DAUM: Can you just say in  
21 about six words which proposal you mean?

22 DR. COX: Where they looked on page 22,  
23 the FDA's slide set, there is a slide that talks  
24 about annual clinical release testing methods.

25 CHAIRMAN DAUM: Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701