

DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS
ADVISORY COMMITTEE MEETING

+ + + + +

THURSDAY,
MAY 17, 2007

+ + + + +

The Committee convened at 9:00 a.m. in the Grand Ballroom of the Hilton Hotel, Washington, D.C. North/Gaithersburg, 620 Perry Parkway, Gaithersburg, Maryland.

COMMITTEE MEMBERS PRESENT:

RUTH A. KARRON, M.D., Chair
 MONICA M. FARLEY, M.D.
 PHILIP S. LaRUSSA, M.D.
 STEVEN SELF, Ph.D.
 BONNIE WORD, M.D.
 JOHN MODLIN, M.D.
 SETH HERTHERINGTON, M.D. (Non-Voting Industry Representative)
 LISA JACKSON, M.D., M.P.H.
 JACK STAPLETON, M.D.
 HASSAN AZIZ, Ph.D. (Temporary Voting Member)
 LIMONE COLLINS, M.D. (Non-Voting Temporary Member)
 BRUCE GELLIN, M.D., M.P.H. (Non-Voting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

Temporary Member)
BARRY MASSIE, M.D. (Temporary Voting Member)
PAMELA McINNES, D.D.S., M.Sc. (Temporary
Voting Member)
MICHAEL NELSON, M.D. (Non-Voting Temporary
Member)
GERALD PARKER, D.V.M., Ph.D., M.S. (Non
-Voting Temporary Member)
COL. JAMES SCHULTZ (Ret.) (Temporary Voting
Member)
JOHN TEERLINK, M.D. (Temporary Voting
Member)
MELINDA WHARTON, M.D., M.P.H. (Non-Voting
Temporary Member)

EXECUTIVE SECRETARY PRESENT:

CHRISTINE WALSH, R.N.

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

C-O-N-T-E-N-T-S

<u>AGENDA ITEM</u>	<u>PAGE</u>
CALL TO ORDER	4
ADMINISTRATIVE MATTERS	4
TOPIC 3: SAFETY AND IMMUNOGENICITY OF LIVE VACCINIA VIRUS SMALLPOX VACCINE, PERCUTANEOUS SCARIFICATION, ACAM2000 MANUFACTURED BY ACAMBIS, INC.	
INTRODUCTION/BACKGROUND	11
DHHS'S SMALLPOX PROGRAM	16
ACAMBIS PRESENTATION	86
CLARIFICATION/QUESTIONS	154
FDA PRESENTATION	174
CLARIFICATIONS/QUESTIONS	193
OPEN PUBLIC HEARING	214
COMMITTEE DISCUSSION AND RECOMMENDATIONS	236
ADJOURN	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:05 a.m.)

3 CHAIR KARRON: Good morning,
4 everyone.

5 CALL TO ORDER

6 CHAIR KARRON: I'd like to ask
7 everybody to please take their seats. We are
8 going to call this meeting to order. This is
9 the second day of the May VRBPAC meeting.

10 Christine Walsh is our executive
11 secretary, and she has some announcements.

12 ADMINISTRATIVE ANNOUNCEMENTS

13 MS. WALSH: Good morning. I'm
14 Christine Walsh, the executive secretary for
15 today's meeting of the Vaccines and Related
16 Biological Products Advisory Committee.

17 I would like to welcome all of you
18 to this meeting of the advisory committee.

19 Today's session will consist of
20 presentations that are open to the public. I
21 would like to request that everyone please
22 check your cellphones and pagers to make sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they are off or in a silent mode.

2 I would also like to request that
3 any media inquiries be directed to Ms. Karen
4 Riley from the FDA Office of Public Affairs.
5 Karen is right there. Thank you, Karen.

6 I would now to like to read into
7 public record the conflict of interest
8 statement for today's meeting.

9 This brief announcement is in
10 addition to the conflict of interest statement
11 read at the beginning of the meeting on May
12 16th and will be part of the public record for
13 the Vaccines and Related Biological Products
14 advisory committee meeting on May 17th, 2007.

15 This announcement addresses
16 conflict of interest for Topic 3 related to
17 the discussion and recommendation of the
18 safety and effectiveness of ACAM2000, live
19 vacciniar virus, smallpox vaccine,
20 percutaneous scarification, manufactured by
21 Acambis, Inc.

22 In accordance with 18 USC Sections

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 208 (b)(3), waivers have been granted to Dr.
2 Lisa Jackson, Dr. Jack Stapleton, and Dr. John
3 Terling. Dr. Bruce John, Dr. Michael Nelson,
4 Dr. Lemone Collins, Dr. Gerald Parker, and Dr.
5 Melinda Wharton are participating in today's
6 meeting as a nonvoting member, which there is
7 a change if you look at the roster. Dr.
8 Melinda Wharton is listed as a voting member;
9 she will now be a nonvoting member.

10 Dr. Seth Hetherington is serving as
11 the industry representative, acting on behalf
12 of all related industry, and is employed by
13 Icagen, Inc.

14 In addition Dr. Hetherington's
15 spouse is employed by GlaxoSmithKline.

16 Industry representatives are not
17 special government employees, and do not vote.

18 In addition there may be regulated industry
19 and other outside organization speakers making
20 presentations. These speakers may have
21 financial interest associated with their
22 employer and with other regulated firms.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The FDA asks in the interest of
2 fairness that they address any current or
3 previous financial involvement with any firm
4 whose product they may wish to comment upon.

5 These individuals were not screened
6 by the FDA for conflicts of interest.

7 With regard to FDA speakers, guest
8 speakers for Topic 3, the Agency has
9 determined that the following information
10 provided is essential.

11 The following information is being
12 made public to allow the audience to
13 objectively evaluate any presentation and/or
14 comments. Dr. Gerald Parker is employed as
15 the deputy assistant secretary for
16 preparedness and response, Department of
17 Health and Human Services.

18 Lieutenant Colonel Stephen Ford is
19 deputy director, scientific affairs, military
20 vaccine agency, Office of the Surgeon General.

21 This conflict of interest statement
22 will be available for review at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 registration table.

2 We would like to remind members and
3 participants that if the discussions involve
4 any other products or firms not already on the
5 agenda for which an FDA participant has a
6 personal or an imputed financial interest, the
7 participants need to exclude themselves from
8 such involvement, and their exclusion will be
9 noted for the record.

10 FDA encourages all other
11 participants to advise the committee of any
12 financial relationships that you might have
13 with any firms, its products and if known its
14 direct competitors.

15 Dr. Karron, I turn the meeting back
16 over to you.

17 CHAIR KARRON: Thank you very much,
18 Christine.

19 I'd like to welcome everyone, and
20 ask all the committee members to introduce
21 themselves. And we'll start with Dr. Farley.

22 DR. FARLEY: Monica Farley from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Emory University, infectious disease.

2 DR. McINNES: Pamela McInnes,
3 National Institute of Dental and Cranio-Facial
4 Research, NIH.

5 DR. PARKER: Jerry Parker, HHS.

6 DR. WORD: Bonnie Word, Baylor
7 College of Medicine.

8 DR. JACKSON: Lisa Jackson, Group
9 Health Center for Health Studies.

10 DR. TEERLINK: John Teerlink,
11 University of California, San Francisco, and
12 San Francisco VA Medical Center, and
13 cardiologist.

14 DR. SELF: Steve Self, Hutchinson
15 Cancer Research Center in Seattle.

16 DR. WHARTON: Melinda Wharton,
17 Centers for Disease Control and Prevention.

18 DR. HETHERINGTON: Seth
19 Hetherington, Iogen, Research Triangle Park,
20 North Carolina.

21 DR. LaRUSSA: Phil LaRussa,
22 pediatric and infectious diseases, Columbia

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 University.

2 COL. SCHULTZ: James Schultz, agent
3 representative.

4 DR. GELLIN: Bruce Gellin, HHS.

5 DR. MASSIE: Barry Massie,
6 University of California, and also, San
7 Francisco VA, and also a cardiologist.

8 DR. AZIZ: Hassan Aziz, professional
9 medical technology, Armstrong Atlantic
10 University.

11 DR. STAPLETON: Jack Stapleton,
12 infectious diseases, University of Iowa and
13 Iowa City VA.

14 DR. MODLIN: John Modlin from
15 Dartmouth Medical School.

16 DR. COLLINS: Limone Collins from
17 the Vaccine Health Care Centers Network and
18 Walter Reed Regional Center.

19 DR. NELSON: Mike Nelson, Walter
20 Reed Army Medical Center.

21 DR. BAYLOR: Norman Baylor, Food &
22 Drug Administration, Center for Biological

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Evaluation and Research.

2 DR. MERCHLINSKY: Mike Merchlinsky,
3 division of bioproducts, CBER.

4 DR. ROSENTHAL: Steve Rosenthal,
5 division of vaccines, CBER.

6 CHAIR KARRON: Thank you, everyone.

7 Our first speaker is Dr.
8 Merchlinsky.

9 INTRODUCTION/BACKGROUND

10 DR. MERCHLINSKY: First of all, I'd
11 like to thank everyone for coming today to
12 help the Agency in its evaluation of ACAM2000,
13 the new smallpox vaccine.

14 After my brief introduction Dr.
15 Parker will give a talk with regard to the
16 departmental and government requirements, and
17 the anticipated use of the vaccine.

18 Following his talk Colonel Ford
19 will give a talk on the experience the DOD has
20 had with its vaccination campaign using
21 Dryvax, which is the progenitor of ACAM2000.

22 The sponsor will give five talks

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with regard to their evaluation of the
2 product. And after a break Dr. Steve
3 Rosenthal from CBER will give our take on the
4 product.

5 ACAM2000 small pox vaccine is a
6 live vaccinia virus smallpox vaccine prepared
7 from infected Vero cells.

8 It is a clonal isolate of Dryvax, a
9 New York City Board of Health vaccine used in
10 the campaign to eradicate small pox.

11 Dryvax was used directly against
12 smallpox, and has been shown to have great
13 efficacy against the disease itself.

14 Dryvax is presently the only
15 licensed vaccine against smallpox in the
16 United States that has been licensed by the
17 FDA. And Dryvax is the vaccine which is
18 presently being used by the DOD and used to
19 vaccinate health care workers and laboratory
20 workers against smallpox.

21 ACAM2000 is purified after
22 infection of Vero cells by cell disruption

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bulk filtration and tangential flow
2 filtration. The viral stock is diluted to the
3 proper concentration and lyophilized. The
4 lyophilized powder is reconstituted with a
5 packet of diluent. After reconstitution each
6 vial has 100 doses of vaccine containing
7 between 2-1/2 to 7-1/2 X 10 to the 5th plaque
8 forming units of vaccinia virus.

9 The intent was to make this like
10 Dryvax. The diluent, the vial, the doses, the
11 main method of administration, are all
12 identical to that used for Dryvax. And as I
13 indicated Dryvax has historically been shown
14 to be extremely effective against smallpox.

15 ACAM2000 is proposed for the
16 prevention of smallpox in persons determined
17 to be at high risk for smallpox infection. It
18 is not going to be used for routine
19 vaccination at this time.

20 Now in making a new smallpox
21 vaccine, we took advantage of the advances in
22 modern molecular biology and manufacturing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So the last time that Dryvax was manufactured
2 was over 25 years ago, and there are only
3 limited doses of Dryvax remaining.

4 And by using this there are certain
5 advantages to make a self culture smallpox
6 vaccine, including the use of well
7 characterized viral seeds; the fact that if
8 you start with a well characterized viral seed
9 and a well characterized cell bank, you can
10 get reproducible product manufacturing.

11 This product has a high level of
12 purity, and there is a high level of
13 adventitious agent testing, and many of these
14 tests were not in existence 25 years ago.

15 Now our evaluation of ACAM2000, we
16 used a series of Phase III clinical trials to
17 demonstrate non-inferiority to Dryvax. In
18 this case, as I indicated earlier, what we are
19 looking for is a vaccine that behaves like
20 Dryvax, because Dryvax has a historical
21 demonstration of efficacy in smallpox.

22 And the trials were both in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vaccinia naive, which was trial No. H-400-009,
2 and in those previously vaccinated with
3 Dryvax, which would be H-400-012.

4 Now the questions we would like the
5 committee to address, first one is, are the
6 efficacy data sufficient to support the use of
7 ACAM2000 in situations where it is determined
8 that there is a high risk of exposure to
9 smallpox virus?

10 Second question: Are the safety
11 data sufficient to support the use of ACAM2000
12 in situations where it is determined that
13 there is a high risk of exposure to smallpox
14 virus?

15 And third, please discuss benefits
16 versus risks of ACAM2000 for use in the high
17 risk situations.

18 In addition, what we would like the
19 committee to discuss is, does the committee
20 agree that the risk minimization action plan,
21 the RiskMAP for ACAM2000 composed of the
22 following components is needed: one, vaccinee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 education; health care provider education;
2 expedited reporting of certain serious adverse
3 events; phase four studies to better define
4 the safety profile, long term outcomes, and
5 risk factors for myocarditis; and evaluation
6 of the RiskMAP.

7 And finally this is the actual
8 discussion point, discuss methods to increase
9 the sensitivity of case ascertainment of
10 myocarditis and long term follow up and
11 methods to evaluate the effectiveness of this
12 RiskMAP which we presented today.

13 I'd like to thank you for your
14 attention. And Dr. Parker I think is next to
15 talk.

16 CHAIR KARRON: Thank you, Dr.
17 Merchlinsky.

18 DHHS' SMALLPOX PROGRAM

19 DR. PARKER: Well, thank you very
20 much. It's indeed my honor to be here with
21 you today to give you at least a brief
22 overview of some of the department's all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hazards preparedness plans, and then
2 specifically focus on some of the activities
3 we have in regards to smallpox preparedness
4 plans.

5 And just a brief introduction about
6 my office. Because it's a new office just
7 created by legislation in December in the
8 Assistant Secretary for Preparedness and
9 Response.

10 We have responsibilities for really
11 coordinating an enterprise wide phenomenon
12 from R&D to actual delivery of medical
13 products to the patient. And so it's a job of
14 integrating the entire federal, state, local,
15 private sector and individual activities in
16 all hazard preparedness, and then also
17 implementing some of the actual procurement
18 advanced development programs in medical
19 countermeasures.

20 But today we are focused on
21 smallpox vaccine. I will give a brief
22 overview of the requirement for smallpox

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vaccines, and we have actually just heard some
2 of that, and so I will be very brief on some
3 of that, description of ACAM2000.

4 And I'll spend a little bit more
5 time on the utilization policy, and amplify
6 that a little bit, that we've recently
7 refreshed that policy through a working group
8 in the department.

9 And then just to highlight some of
10 the preparedness planning activities that
11 we're doing at all levels including the
12 federal level, what CDC is doing, and the
13 states.

14 First of all, in fact I just
15 learned this fact this week, and I'm sure many
16 of you are very aware of this. But if you're
17 not, this week actually marks the 211th
18 anniversary, May 14th, 1796, of the inoculation
19 of James Phipps, a young boy, with cowpox by
20 Sir Edward Jenner. This boy was larger
21 challenged twice with virutous materials and
22 lived.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Well, certainly the eradication of
2 smallpox from the human population is one of
3 the greatest public health triumphs of the 20th
4 century. The last documented case of
5 naturally occurring smallpox in the general
6 population occurred in 1977 in Somalia, and
7 because of this successful eradication of the
8 virus, general and domestic and international
9 vaccination against smallpox has not been
10 practiced in over 25 years, and research into
11 the development of new vaccinees had
12 languished until recently.

13 Domestic vaccination program was
14 halted in the mid-1970s, and half of the
15 population has no immunity, and the other half
16 has only limited immunity.

17 It was around the mid-'90s that
18 really culminated in 1999 that there became a
19 much more acute awareness about the potential
20 threat of a biological attack using smallpox.

21 And it was 1999 was really the focal point
22 when the public health community realized that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more needed to be done to prepare for that -
2 for the potential of a smallpox attack.

3 And also there was a lot of
4 discussion at the time, and although
5 antivirals, with a limited supply of smallpox
6 Dryvax vaccine at the time, it was agreed that
7 the only - that the best defense that the U.S.
8 government and the U.S. would have was to have
9 a modern, safe and effective vaccine.

10 That led to a consensus going
11 forward, pre-9/11, in the 1999 time frame,
12 that developed a requirement for a new
13 smallpox vaccine. And even before 9/11 that
14 requirement was set for 40 million doses. But
15 then post-9/11 the requirement for vaccine
16 stockpile increased to 300 million doses, or
17 essentially enough vaccine for the entire
18 population.

19 We've already really heard the
20 summary of the ACAM2000, but the initial
21 contract for the ACAM2000 was let by CDC in
22 2000 again for the 40 million doses. In 2001

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the requirement of that contract was upped to
2 250 million doses.

3 There are other vaccines in the
4 strategic national stockpile. They include
5 Wetvax, 85 million doses. And then a limited
6 supply of the licensed Dryvax vaccine.

7 And of course we've heard of some
8 of the characteristics already of the ACAM
9 vaccine candidate. But just to repeat that,
10 it's from the New York City Board of Health
11 strain of vaccinia, derived from Dryvax, and
12 it's using modern manufacturing capabilities
13 to include growth and barrel cells. It's a
14 clonal isolate derived from Dryvax and is well
15 characterized, free of adventitious agents.
16 And it elicits immune response analogous to
17 the current Dryvax licensed vaccine.

18 Now I'll spend a little bit more
19 time on the utilization of the vaccine under
20 different scenarios. And I think this chart
21 if you walk through all the components of this
22 matrix really gets at a way to balance risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and benefits of the use of the vaccines of
2 what will be demanded by the public if there
3 is an outbreak of smallpox.

4 I mentioned that we recently had in
5 the department an effort, a working group that
6 was pulled together led by Dr. D.A. Henderson
7 in the 2005-2006 time frame to relook and
8 refresh our utilization policy for the
9 utilization of the vaccine. And that work
10 concluded in 2006, and really this table is
11 the conclusion and culmination of that work.

12 And it stratifies the use of the
13 vaccine really into four components: first is
14 pre-event; second is if there is an outbreak
15 outside the United States; third, if there is
16 an outbreak within the United States; and
17 subdivided them within the United States are
18 low risk and high risk.

19 And so that allows us an
20 opportunity also if there is an opportunity in
21 the United States to really then tailor and
22 focus where vaccine would be needed and would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be used even in the United States in the face
2 of an outbreak.

3 And so you see from laboratorians
4 who are working with various pox viruses
5 today, that recommendation, and laboratorians
6 are receiving immunization.

7 And of course that is today now in
8 a pre-event setting.

9 Health care workers, as you know a
10 few years ago health care workers began to get
11 immunized. The vaccine was made available.
12 And today there are 39,000-plus health care
13 workers who have received the Dryvax vaccine.

14 And in a pre-event mode that
15 vaccine remains available to the health care
16 workers.

17 If there is an outbreak outside the
18 United States, in anticipation that there is a
19 probability that we would then see an outbreak
20 in the United States, the committee recommends
21 that in fact health care workers who may be at
22 a potentially higher risk of exposure if in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fact the virus enters the United States, then
2 that recommendation would be up to more than
3 available but recommended for those who would
4 really be on the front line in the health care
5 community.

6 And then of course if there is an
7 outbreak in the United States, in a low risk
8 area or high risk area, health care workers,
9 there would be a recommendation.

10 But for first responders - and
11 that's first responders as defined more like
12 the fire, police, emergency management type
13 personnel - there is not - the committee felt
14 that the vaccine would be made available
15 throughout that except for when - if there is
16 an outbreak in the United States only in the
17 high risk area, where there is high potential
18 for exposure to those individuals.

19 And all the way down to community
20 wide vaccination is, only make it available in
21 low risk areas if there is an outbreak in the
22 United States. But it would only be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommended in those high risk areas where
2 there is active disease.

3 And so I think you can see from
4 this table that the working group actually was
5 able to come to a very logical place in
6 recommending the use of the vaccine, only when
7 there is a high potential for actual exposure
8 to the virus.

9 And I think this really allows us
10 then to really tailor and balance the risk
11 benefit in the use of the vaccine knowing its
12 efficacy and safety profile, and then only
13 when there is high risk of exposure.

14 Now some of the preparedness
15 activities that we were doing to make sure
16 that we do have vaccine in the stockpile, but
17 at the end of the day for that vaccine to be
18 useful it's got to be delivered to patients.

19 So were doing a lot within the
20 department, and encouraging our partners at
21 the state level, the local level, private
22 sector, to also be a part of preparedness.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And our preparedness has gone much
2 more than individual agents like smallpox or
3 anthrax, but much more to all hazard
4 preparedness to include pandemic influenza.

5 And so we are doing quite a bit in
6 that all-hazards preparedness approach to make
7 sure we can deliver what kind of
8 countermeasures are needed to the patient.

9 But in regards to our smallpox
10 preparedness we've had several significant
11 national level exercises to really help us
12 identify what our capabilities are, what are
13 our gaps, what are our vulnerabilities, and
14 where do we need to put additional resources.

15 And then just in 2006 we had a
16 cabinet level table top exercise that focused
17 on small pox preparedness. And so you can see
18 that this is getting attention at the highest
19 level, smallpox preparedness, but all hazards
20 preparedness as well.

21 Within our office, we are actually
22 developing very detailed what I call play

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 books. But it really prescribes what we need
2 to do at the federal level with all our
3 federal partners, particularly in the medical
4 and public health domain, and that would be
5 the Department of Defense, Veterans Affairs,
6 Department of Homeland Security, and other
7 components.

8 And so that we have prescribed
9 actions that we need to take, but also, know
10 how we need to make audibles and adjust to the
11 situation.

12 We also in our medical
13 countermeasures group, now BARDDA, we are also
14 looking at next generation vaccines as well,
15 antivirals for smallpox, and a number of
16 potential threats.

17 At the Centers for Disease Control
18 they have also focused in on all hazards
19 preparedness, and that is encompassing
20 smallpox preparedness. But they are working
21 very closely with the state health officers
22 and local health community to improve their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 preparedness and the ability to deliver
2 vaccine effectively if the need were to arise.

3 This includes our state grant
4 programs, preparedness programs that the
5 states have - there's been \$8 billion invested
6 in these preparedness programs since the
7 inception in the 2002 time frame. Today over
8 90 percent of the states have an approved
9 smallpox preparedness plan, so I think that is
10 an indication of a lot of progress we have
11 been able to make at the various levels in our
12 preparedness activities.

13 Adverse event monitoring is
14 included in this preparedness plan. And the
15 adverse event monitoring is happening today
16 and will happen through the drug services
17 program and to the VAERS at the CDC.

18 And of course the strategic
19 national stockpile where we do now have
20 sufficient vaccine to include the ACAM, 192
21 million doses of the ACAM2000 vaccine. We
22 have sufficient vaccine available for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 entire population if that need were to arise.

2 And I also want to note that my
3 boss, the ASPR, the assistant secretary, has
4 been traveling quite a bit, visiting with the
5 local health officials. And there is a lot of
6 indication of a lot of great progress at the
7 state level in all hazards preparedness, which
8 is very encouraging for all of us.

9 Training for smallpox vaccination
10 is a critical element in our preparedness
11 planning, and CDC has done I think a
12 tremendous effort in establishing training
13 programs and making sure that response teams
14 would be able to administer the vaccine if
15 needed.

16 They developed a lot of training
17 material that I think is readily accessible
18 through websites, videos, CDs, and other
19 manners, and these 39,000 health care workers
20 that had been immunized, some of those
21 constitute smallpox response teams at the
22 state and local level. And some of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 members then have also been received training
2 in the how-to immunize with the smallpox
3 vaccine.

4 So in summary, ACAM2000 is a new
5 product derived from the New York City Board
6 of Health strain. It is a strain that has
7 been proven to be effective in controlling
8 smallpox outbreaks in the past. There are
9 sufficient quantities in the strategic
10 national stockpile of the smallpox vaccines
11 today.

12 The U.S. government, the CDC, is
13 working closely with ACAM to maintain a
14 domestic warm base capacity of the ACAM2000.
15 Those - that is currently - continues in
16 negotiation. My office and the CDC are
17 working closely with all levels of state,
18 local and private sector to improve our
19 smallpox preparedness and vaccination planning
20 efforts.

21 Pre-event vaccination plan will not
22 change. You will hear more from DOD following

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 me. Laboratorians continue to be - receive
2 vaccinia immunization who are in research
3 endeavors with pox viruses. Host event plans
4 are predicated on containment of disease by
5 revaccination, followed by carefully
6 controlled surveillance plans, i.e. the high
7 risk areas, low risk areas.

8 Reporting the vaccine adverse
9 events will be through the VAERS program, and
10 monitoring the vaccinees will be by states and
11 documentation will be provided to vaccinees.

12 And I think I will conclude there.

13 But I do want to, also, before I conclude, I
14 also want to say a special thanks to everybody
15 who has really been working in this
16 enterprise, whether it be CDC, whether it be
17 the NIH, whether it be our colleagues in the
18 private sector, whether it be our colleagues
19 at the state and local level, this has truly
20 been, over the last six or seven years, truly
21 an enterprise effort to get us to the state of
22 preparedness that are at today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I just want to say a special
2 thanks to everybody that has been a part of
3 this enterprise effort.

4 Thank you very much.

5 CHAIR KARRON: Thank you, Dr.
6 Parker.

7 Are there questions for Dr. Parker?

8 Actually, I have one, which is, can
9 you tell us what the current stockpile of
10 Dryvax is?

11 DR. PARKER: I don't have those
12 exact numbers, but it's around 15 million.
13 But a part of that is licensed, and a part of
14 that is not licensed. I'll get that exact
15 number for you.

16 CHAIR KARRON: Thank you. Dr.
17 Modlin.

18 DR. PARKER: But I think it's a good
19 question. I think I mentioned several times,
20 there are only limited quantities of Dryvax,
21 and a component of that is not licensed.

22 DR. MODLIN: Dr. Parker, John Modlin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of Dartmouth right here. What can you tell us
2 about the ongoing assessment of risk from
3 smallpox?

4 And I ask the question because I
5 like many other people -

6 DR. PARKER: The risk of a smallpox
7 attack?

8 DR. MODLIN: Exactly - participated
9 in the whole extent of policy issues and so on
10 from five to six to seven years ago,
11 particularly around the ACIP, and the
12 discussions that went on there, and one of the
13 biggest things that we struggled with,
14 normally when we make policy around vaccines
15 of course as everybody knows we weigh benefit
16 and risk.

17 And the inability to characterize
18 and quantify potential benefit here with a
19 known risk, and now we have a vaccine that is
20 recognized as probably a little riskier than
21 we recognized even at that time, it turns out
22 to be a critical issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I would think that this would
2 be one of the most important things that the
3 federal government would be focusing on so
4 long as we continuing to immunize large
5 numbers of service personnel.

6 DR. PARKER: Well, the threat - the
7 potential threat is real. Can I quantify the
8 probability that we are ever going to be
9 attacked by an adversary using smallpox? No,
10 I cannot.

11 But I think that threat remains
12 real. The consequences of an attack however
13 are grave. I believe with that, and
14 utilization policy that we have - are coming
15 to, that the vaccine would be reserved for
16 those who have a high risk of exposure I think
17 accounts for that.

18 Now part of the other question is,
19 is the DOD - and there is a special I think
20 need and requirement there, and members of our
21 Armed Forces who are deploying to potentially
22 high threat areas where we know we are dealing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with adversaries who may be thinking about
2 using unconventional means, and that our
3 deployment - and DOD will speak much more to
4 this than I will - but there is a need to make
5 sure that our forces are not degraded in that
6 environment.

7 So the threat remains real in my
8 mind, and many of us within the U.S.
9 government circle - but again I cannot give
10 you the probability of that.

11 DR. MODLIN: I guess my question
12 really is, do we know anything more about the
13 threat now than we did five years ago?

14 DR. PARKER: I think our conclusion
15 and our thinking about the threat five years
16 ago is the same today, and it really comes
17 down, as I used to talk about the threat, and
18 what I really studied more on the threat side
19 as opposed to the countermeasure side, it
20 really came down to, there is some probability
21 that we may see smallpox in the future.
22 Therefore we must be prepared.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 To not be prepared, if you had my
2 job, I can tell you that I don't want to be in
3 a position where we don't have a vaccine when
4 we could have.

5 CHAIR KARRON: Dr. Self.

6 DR. SELF: Yes, on your slide four,
7 the utilization matrix, could you give a rough
8 sense of the size of the top three
9 populations, the lab workers, the health care
10 workers, and the first responders where in a
11 pre-event the vaccine would either be
12 recommended or be made available?

13 DR. PARKER: Let's see. I don't
14 know if somebody from CDC may help me out here
15 on the laboratorians and how many are being
16 immunized today.

17 DR. WHARTON: Melinda Wharton, CDC.
18 My understanding is that about 600
19 laboratorians have been vaccinated within the
20 last year. These are primarily people who are
21 working with ortho pox viruses in the
22 laboratory setting. And they are deemed at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 high risk of exposure for that reason. It
2 has nothing to do with the release of weapon
3 smallpox.

4 DR. SELF: I couldn't quite hear.
5 But then the health care workers and first
6 responders?

7 DR. PARKER: Well, the health care
8 workers, actually, when we originally began to
9 look at health care workers, I believe it was
10 anticipated there may be upwards of 400,000 or
11 so health care workers that might be in that
12 population, but only 39,000 have come forward
13 to request immunization.

14 Some of that, the request for the
15 vaccine did fall off when there was an
16 increased incidence of myocarditis. Whether
17 that is a cause and effect relationship, I
18 don't know. But that's when the demand seemed
19 to diminish. And so the population reported
20 by the states may be in that range. The
21 first responders, we're actually working
22 through some of that in our pandemic influenza

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 preparedness activities, and defining those
2 populations. I'll get those numbers, so that
3 we can kind of gauge those with what we are
4 doing in pandemic influenza preparedness
5 planning. But we are having to make some
6 very, very tough decisions with pandemic
7 influenza, because there is relatively little
8 vaccine. So you have to make some hard
9 choices. And who would be able to receive the
10 vaccine, and not only first responders, but
11 the maintenance of critical infrastructure.

12 And one of the - one of the - I
13 guess if we were experiencing a smallpox
14 attack, at least today we don't have to make
15 those hard choices. Because we at least have
16 vaccine available that right now could be used
17 under an IND in emergency use. But I can get
18 those numbers to you from how we looked at
19 that with pandemic influenza that really
20 categorizes not only first responders, broadly
21 defined first responders beyond the health
22 care community, but then also looking at what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are some of the critical infrastructure.

2 And that may not apply as much in
3 smallpox, because we can really look at where
4 the outbreak is occurring, and focus the need
5 for vaccine in the area of an outbreak, and
6 not necessarily need to - it's different from
7 pan flu in that case.

8 CHAIR KARRON: Dr. LaRussa.

9 DR. LaRUSSA: So two questions.

10 One, I tend to think of this as a
11 combination of routine use in laboratory
12 workers and the military, and then held in
13 storage if needed for an emergency.

14 So I guess the first question is,
15 what is the plan for integrating this with the
16 available supplies of Dryvax? Are you going to
17 use the Dryvax first and then use this for
18 routine use only if you don't have any left
19 over?

20 And I guess the second question is,
21 I guess in a national emergency all bets would
22 be off. What is the reason for bringing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vaccine to the committee now and asking for
2 the approval of the committee?

3 DR. PARKER: Well, first, of course
4 we have been - there has been considerable
5 thinking about which vaccine would be used.
6 Because there is Dryvax, Wetvax, and ACAM2000.

7 And today we will use a licensed
8 vaccine first. It is perhaps premature for me
9 to really comment. I don't want to presuppose
10 a decision here on ACAM2000, so it's really
11 premature I think to conclude what would be
12 different in the future. But certainly we
13 want to use a licensed vaccine first, and
14 that's the policy now.

15 But that would have to be changed
16 as we move forward. But I don't want to
17 presuppose anything.

18 DR. LaRUSSA: So I guess the
19 question is, at the current usage rate, how
20 long will Dryvax last?

21 DR. PARKER: Well, depending on the
22 Department of Defense - maybe some of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 answers, what we might want to do is a tag
2 team after DOD presents, because it depends on
3 the DOD use.

4 But it's also going to depend on
5 the continued potency and viability of Dryvax.

6 So it's not just utilization in the
7 utilization policies for the laboratorians or
8 DOD or any future health care worker that
9 wants to volunteer to get it, but it's also
10 the viability of the current product.

11 So it's complex.

12 DR. LaRUSSA: You don't want to
13 hazard a guess?

14 DR. PARKER: Well, I need to get
15 what DOD -- the numbers that they are going to
16 be using. But there are 15 million doses of
17 Dryvax. Does somebody remember the number of
18 doses that are still licensed from CDC?
19 What's a component of that? So you can see
20 it's not going to be long, depending on DOD's
21 needs, and continued loss of potency, it's
22 limited. What that time is, it's not long.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But we can do the math I think
2 after we hear what DOD is going to say. Then
3 you can project something on potency.

4 Our goal is we need to have safe
5 and effective medical countermeasures, and we
6 need to use licensed products, is our goal.

7 And this product is at its point in
8 the developmental life cycle that it needs to
9 be considered.

10 CHAIR KARRON: Dr. Massie.

11 DR. MASSIE: Two questions.

12 One is, in this plan for
13 preparedness is it envisioned that these
14 groups would be exposed on a voluntary basis
15 to the vaccine or mandatory if there were an
16 outbreak?

17 DR. PARKER: Well, I mean at the end
18 of the day for civilian population I think it
19 really will come - it's voluntary. They need
20 to request that they get the immunization.
21 And I think in face of a high risk exposure
22 many would volunteer to receive a vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MASSIE: The other question, and
2 I don't know if we know, and I don't know if
3 you are the right person to answer, but
4 obviously the concern, and the reason why
5 we're all here is, the high case fatality rate
6 in morbidity mortality related disease.

7 But do we have any idea what those
8 figures would be in this type of situation, in
9 a developed population? Smallpox hasn't
10 really affected people with good health care
11 and modern anti-infectious treatments
12 available.

13 Do we have any idea of whether that
14 30 percent figure is what would really be a
15 realistic expectation in a situation like
16 this?

17 DR. PARKER: Well, I think we have
18 to look back on what are the historical
19 mortality rates depending on the strain of
20 virus that we might see in the future.

21 We have to factor that half the
22 population has no immunity, and half the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population has some but probably little or
2 waning immunity. And so we have a very
3 vulnerable population.

4 But it's not only mortality; it's
5 the morbidity associated with the disease that
6 also has to be factored in. So it's morbidity
7 and mortality. And it's also part of the
8 strategy to help contain the spread of an
9 outbreak so we can keep - assuming that there
10 is a smallpox outbreak, that we have fewer of
11 those high risk areas rather than more of
12 those high risk areas, so less of the American
13 population would be affected.

14 DR. MASSIE: I understand the
15 strategy and the rationale. It's just that 30
16 percent figure I thought probably represents a
17 fair amount of super-infection and other
18 complications that might be dealt with
19 differently than were they outbreaks that
20 occurred within the last 50 years such as they
21 have been, and perhaps now the case fatality
22 rate would be lower though not trivial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 obviously.

2 DR. PARKER: Well, it wouldn't be
3 trivial, and I don't think the morbidity
4 associated with the disease would be trivial
5 either. And you have to take into
6 consideration both morbidity and mortality,
7 and again, what strain of virus we may be
8 exposed to.

9 So it's hard to say whether it's
10 going to be 30 percent. But we need to
11 prepare though for the worse. Hopefully it's
12 not that. But I think we don't want to
13 underestimate the morbidity associated with
14 the disease as well and must take that into
15 consideration.

16 CHAIR KARRON: Other questions?

17 Thank you very much , Dr. Parker.

18 DR. PARKER: Thank you.

19 CHAIR KARRON: Our next speaker is
20 Colonel Ford from the DOD.

21 DOD PRESENTATION

22 LT. COL. FORD: Thank you for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 invitation to come represent DOD and our
2 smallpox vaccination program.

3 I chose this as our introductory
4 slide to hopefully provide some context for
5 everybody in the room. I'd like to introduce
6 you first to this website, which is an
7 information repository for all the data and
8 all the clinical information related to our
9 smallpox vaccination program, but also
10 introduce you to the people that I work for,
11 which are the war fighters there in the upper
12 right-hand corner.

13 And make no mistake: it's our non-
14 negotiable contract with our service members,
15 with their families and the American people,
16 which leads our program and weighs heavily on
17 us and the responsibility in delivering a
18 quality immunization program that's founded in
19 science and one that is delivered according to
20 quality standards for immunization delivery
21 and medical care.

22 In December of 2002, the president

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 directed smallpox immunization of our armed
2 forces. On December 16th, 2002, the Department
3 of Defense initiated our vaccination program
4 primarily of forces deploying to higher risk
5 areas, emergency, essential civilians and
6 contractor personnel performing mission
7 essential functions; and again, assigned
8 usually to the U.S. Central Command area of
9 responsibility or career.

10 I want to provide the distinction
11 that this is a mandatory program within the
12 Department of Defense. And because it's a
13 mandatory program obviously we have to weigh
14 the risk of immunization and the threat posed
15 by smallpox against an obviously low threshold
16 for accepting adverse events in a pre-event
17 exposure scenario.

18 To date we've screened over 1.3
19 million service members for contraindicating
20 conditions, and exempted 110,000. And we've
21 actually immunized over 1.2 million troops.

22 To familiarize you with our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 program, our program is based on the
2 principles of providing education through
3 multimedia communication channels, and we
4 provide educational resources to leaders, to
5 health care workers, and to vaccinees and
6 their family members.

7 A robust screening program using a
8 standardized form to identify ACIP recognized
9 contraindicating conditions; an adverse event
10 monitoring system, again, using multiple
11 surveillance systems, standard case
12 definitions, a national pregnancy registry,
13 and provide long term follow up care through
14 our vaccine health care center network.

15 And all to ensure that
16 immunizations are delivered by quality
17 standards, and that quality standards are
18 adhered to before - during the actual
19 immunization process, and in after care if an
20 adverse event occurs.

21 To familiarize you a little bit
22 with the process of how a service member is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 immunized, health care workers and actual
2 immunizers have education that they receive
3 through multiple mediums, and that the
4 immunizers actually have their technique
5 validated.

6 When but when a service member
7 comes to an immunization clinic and is
8 eligible for smallpox vaccination, they
9 receive an education tri-fold in addition to
10 watching a video that provides them
11 information about the threat; the vaccine;
12 expected adverse events; and what to do in the
13 event of an adverse event occurs.

14 And this is an example of our
15 smallpox tri-fold which each service member
16 receives.

17 And what's important is that much
18 of the information that is contained in this
19 tri-fold is what would be contained in a
20 medication safety guide. And if you'll see in
21 the middle of the tri-fold, there is actually
22 a cutout area which has important contact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information for individuals both related to
2 who to contact if you have questions about
3 policy or who to contact in the event you have
4 an adverse event, and where to go if you
5 experience an adverse event, and how to seek
6 care.

7 On the reverse side, which you
8 can't see, is an area which reinforces
9 vaccination site care, recommendations as well
10 as good hand hygiene and other recommendations
11 for protecting household contacts.

12 And again this is all augmented
13 through PowerPoint slides and other training
14 aides and materials.

15 Not focusing solely on the service
16 member, we also have educational information
17 that we provide to protect household contacts.

18 And again it emphasizes and reinforces
19 recommendations for protecting household
20 contacts.

21 In fact we even have educational
22 materials for families that have pets, because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we know pets are important members of
2 everybody's family, and how to protect them in
3 the household.

4 So after they receive their
5 educational materials and watch the video and
6 receive their briefing, they complete this
7 medical note for contraindications.

8 And you can see it's a screening
9 form where by answering the questions
10 contraindicating conditions are determined.
11 And then through a triage system, based on
12 their responses to these questions, they would
13 see a health care provider, and a
14 determination would be made by the health care
15 provider whether to exempt them from the
16 immunization, or whether to immunize.

17 This is an example of a clinic
18 flyer that's in our immunization clinics that
19 makes everyone aware of the national pregnancy
20 registry. And to date there are 392 women
21 that have been enrolled in the registry; and
22 the data analysis that is available from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 registry shows no increases in pregnancy
2 losses or birth defects in those currently
3 registered.

4 An important component of our
5 program is obviously the monitoring and
6 surveillance of adverse events. Our joint
7 regulation - and when we say joint, that means
8 all services, Army, Navy, Air Force, and
9 Marines - are governed by this requirement
10 that reporting of adverse events is required
11 in any situation where an adverse event
12 results in hospitalization, a life-threatening
13 event, time lost from duty for more than 24
14 hours, which is more than one duty shift, any
15 event related to suspected contamination of
16 the vaccine, or any event warranting permanent
17 medical attention.

18 That said, what has been the
19 results of our monitoring activities since
20 2002 through May of 2007?

21 Again, we have screened over 1.3
22 million troops for contraindicating conditions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and vaccinated over 1.2 million. We believe
2 the exemption process is working well. There
3 have been no cases of eczema vaccinatum among
4 service members. There has been one
5 unfortunate case of a contact transmission to
6 a child with a contraindicating condition. No
7 cases of progressive vaccinia. The number of
8 VIG treatments are more rare than expected -
9 the number is at six.

10 We believe our education is working
11 well as evidenced by the rates of auto-
12 inoculation and contact transfers, although we
13 emphasize in all our materials and in all our
14 outreach to our facilities that we can't let
15 our guard down despite these numbers at home.

16 In other case evaluations of other
17 serious adverse events there has been four
18 cases of encephalitis, and of course, the
19 unexpected number of myopericarditis cases
20 currently at 140, although not an
21 unanticipated adverse event.

22 Fortunately most of the vaccinia-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 associated myopericarditis patients experience
2 complete resolution of their myopericarditis
3 symptoms and objective findings by six months.

4 There have been two deaths in the
5 post-vaccination period, and one that is
6 currently under review, which perhaps does
7 have myocarditis as a contributing factor.

8 However I would strongly everybody
9 in the room not to misinterpret this
10 information. And the reason being is that for
11 only one of the cases is there actually a
12 causality assessment that has been completed.

13 And it was conducted by an expert independent
14 panel of civilian experts. And based on some
15 confounding factors related to receipt of
16 multi-immunizations and a lupus-like illness
17 that occurred, the causality was deemed only
18 possibly associated with receipt of the
19 vaccine.

20 The second case is currently being
21 evaluated, and is again confounded by a parvo
22 virus B-19 infection, which also causes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 myopericarditis.

2 And the third case is under just
3 initial evaluation, and I can't provide any
4 information for that.

5 We use standard case definitions.
6 And DOD was important in developing the case
7 definitions for myocarditis and
8 myopericarditis, and in our multidisciplinary
9 review of these cases which occurs monthly we
10 determine, confirm probable or suspect cases
11 based on a combination of those subjective and
12 objective findings in assigning causality.

13 Other monitoring activities include
14 cohort studies that contrast unvaccinated and
15 vaccinated personnel. The defense medical
16 surveillance system is an important tool for
17 us. It's a large linked database analogous to
18 the vaccine safety data link project. And it
19 includes the information listed there for you.

20 And most importantly the information is tied
21 to serial serum specimens which may be useful
22 in doing serum studies after an event.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There are some unpublished
2 manuscripts that are under review, one of
3 which is a paper that describes the lack of an
4 association of ischemia or chest pain after
5 smallpox vaccination. And another important
6 resource to us as a transition to an
7 electronic medical record in the Department of
8 Defense, it's the Armed Forces Health
9 Longitudinal Technology Application, often
10 called the AHLTA which is being phased in
11 which will assist us in monitoring adverse
12 events through the electronic medical record
13 and the encounter system.

14 We have a commitment to scientific
15 communication. Our program is transparent,
16 and DOD has been sharing its experience since
17 the program's inception back in 2002 with our
18 interagency partners.

19 We were again involved in the first
20 case definitions development for generalized
21 vaccinia and myocarditis, and we participate
22 in multiple working groups to include CDC,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACIP and the Defense Health Board, which is
2 formerly the AFEB. And a small list of
3 scientific publications are listed there for
4 you.

5 Talking about quality care, when an
6 adverse event occurs DOD has the vaccine
7 health care center network, which is an expert
8 clinical consultation group that is available
9 24/7. They have a call center. It's a toll-
10 free number, and a secure consultative email
11 system to provide support to service members
12 and their families, and to other health care
13 providers, both within the military and in our
14 civilian counterparts, when they have a
15 service member that presents perhaps with a
16 vaccine-associated adverse event.

17 They are advocates for our
18 patients. This is an example of one of the
19 treatment guidelines, and the algorithm for
20 myopericarditis which resulted from activities
21 begun at the vaccine health care center, and
22 what we use in determining or doing our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 causality assessments. And again it's based
2 on case reviews and expert consensus opinions.

3 Some examples of some research that
4 the VHC has done and which contributes to our
5 understanding of the monitoring process is the
6 knowledge, attitudes and believe study which
7 they published. And this was to assess the
8 knowledge, attitudes and belief within the
9 military health care system regarding the
10 identification and reporting of adverse
11 events. And it was a survey with a high
12 response rate. And 54 percent of the study
13 respondents said that they were at least
14 somewhat familiar with the VAERS system, and
15 48 percent of those that responded said
16 they've identified an adverse event; and about
17 45 percent reported that adverse event through
18 VAERS, which is actually higher than what you
19 would see from the general population.

20 And they all - the preferred method
21 of reporting to VAERS was using the web-based
22 system.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And to reinforce the importance of
2 documenting adverse events through VAERS are
3 each of the surgeon generals has developed
4 policy statements encouraging our health care
5 providers to use the VAERS system.

6 Another example of some research
7 that has been resulted from hypothesis
8 generation through our monitoring programs is
9 this immunogenetic study that is being
10 conducted in collaboration with Dr. Wilson at
11 University of Washington and CDC and Kaiser,
12 in trying to determine risk factors for
13 myopericardial injury or inflammation, since
14 we know that it appears that primary
15 vaccinees, male, young people, Caucasian, seem
16 to be at highest risk. So perhaps there is a
17 genetic link, and that study is ongoing.

18 And I'd like to acknowledge the
19 contributions of these other individuals in
20 preparing these slides.

21 CHAIR KARRON: Thank you. Are there
22 questions for Colonel Ford? Dr. Modlin.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MODLIN: I wonder if you can
2 tell us more about the sequellae of
3 myopericarditis. You did mention that most
4 had recovered and that there were two or three
5 deaths that were possibly related.

6 But we know that there are both
7 short term and long term complications of
8 myopericarditis including dilated
9 cardiomyopathy which can be obviously a very
10 serious life threatening condition. And I'd
11 be curious if that and other complications
12 have been noted, and if so, in how many of
13 these 140.

14 LT. COL. FORD: Yes, I'm actually
15 going to defer to Dr. Nelson for a discussion
16 of this, and I have some slides. DR.

17 NELSON: I anticipated this question, so I'm
18 going to help out Colonel Ford. Thank you for
19 putting that slide set up.

20 What I'm presenting today is some
21 data that was presented at the most recent
22 American Academy of Allergy, Asthma and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Immunology meeting in February. So it is only
2 published in abstract form. And there are
3 several limitations, as I go through some of
4 these descriptions.

5 So the take home conclusion is that
6 these individuals do quite well. And most of
7 them as stated in Colonel Ford's original
8 presentation have resolution of their symptoms
9 usually well before six months, but certainly
10 by six months.

11 But I did want to give you some of
12 the data, what we have within our registry,
13 and present to you some of the difficulties
14 that are encountered in conducting such a
15 registry in the setting of the Department of
16 Defense surveillance system.

17 So what you have here on the left
18 are findings at various time points. In acute
19 presentation, everybody of course was
20 symptomatic. Anybody with data would follow
21 up between one and six months. We had 72 of
22 our cohort of 123. We're in the midst of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recategorizing and reacquiring and reanalyzing
2 the data for the full 146. So this originally
3 originates for the first 123.

4 We have data for some individuals
5 with follow up visits from six to 18 months,
6 and only a limited number beyond 18 months.

7 I too would love to have a Kaplan-
8 Meyer curve for each of these data points.
9 But in fact it is impossible to do so due to
10 the way in which this data is reported.

11 So to show you where these data
12 points came from, what the Vaccine Health Care
13 Center does is very expertly go out and find
14 these individuals who have experienced these
15 events, and they have to contact individual
16 providers.

17 It would be nice if they were all
18 captured within the Department of Defense
19 health care system. But in fact with these
20 acute emergent presentations, the majority of
21 them or many of them are actually seen in
22 private clinics and hospitals throughout the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 world.

2 So what we have is an individual
3 evaluation which then must be combed and
4 somehow coalesced into some formidable data
5 set. And this is what is presented before
6 you.

7 So in this particular study we went
8 back and looked at essentially medical records
9 for acute presentations and follow up visits,
10 conducted in a nonstandardized manner. The
11 criteria we used were any symptom within the
12 CDC case definition, essentially chest pain.
13 Any other new persistent symptom that
14 developed along the line of Dr. Modlin's
15 question, that yes, if you have
16 myopericarditis, somewhere down the line you
17 may develop some late sequellae. While in
18 fact we had none that developed any persistent
19 new sequellae beyond the six month time point.

20 We also wanted to find any new
21 symptoms that affected the quality of life at
22 these later time points as well. And I was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not able to identify any in this particular
2 cohort.

3 Of symptoms that were presented
4 both acutely and during the follow up visits,
5 fatigue and chest pain were indeed the most
6 commonly reported. And this is consistent
7 with prior studies.

8 Late chest pain, you'll see, and
9 that is depicted as the red line on the left
10 side in the lower corner, is not zero beyond
11 six months. So I would - everybody resolves
12 by six months, so why are there percentages
13 above zero for these later time points?

14 And the answer is that these
15 individuals responded to surveys or reported
16 to their clinical providers some transient
17 twinge of chest discomfort, chest pain, that
18 was judged for the most part to be atypical
19 for pericarditis or myocarditis. Nonetheless
20 in transparency we had to report that as a
21 positive symptom. So that's why that symptom
22 is not zero.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So despite percentages in the 30
2 percent range for chest pain and fatigue and
3 other things, none of these were judged to be
4 directly attributable to the initial
5 myopericarditis event.

6 As we move over to the right-hand
7 side of this slide we look at the objective
8 finding. There was no persistence of
9 clinically relevant findings; nonpersistent
10 EKG changes are the reason why we're not at
11 zero percent beyond six months as well.

12 These include ST changes, T-wave
13 changes, that came and went, or were sometimes
14 persistent or present during the initial
15 presentation. They were judged to be normal
16 variants by our interpreters.

17 Again, this is another factor in
18 analyzing these particular data. There were
19 multiple interpreters of these EKGs. So we
20 were not able to get the original data for all
21 the subjects within the registry. At some
22 point we were still attempting to accumulate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all that data and to reanalyze it in a
2 systematic fashion where we may be able to
3 clean up the data set a little bit better.

4 But what you have before you today
5 is the low pass filter if you will, any
6 reported symptoms, any vague abnormality that
7 you might see on an EKG, and anything that you
8 might see in a prospective clinical study
9 that evaluates vaccine candidates where, under
10 normal circumstances, individuals may sustain
11 transient EKG changes, or transient twinges of
12 atypical chest pain.

13 So we think this is a relevant
14 subset. But again, late sequelae, such as
15 dilated cardiomyopathy, et cetera, we did not
16 observe on a significant basis in this data
17 set.

18 So the take home conclusion, if we
19 can go to the next slide, are that most
20 vaccinia associated myopericarditis outcome
21 patients experience complete resolution of
22 their myopericarditis symptoms and objective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 findings by six months.

2 Up to 30 percent may have
3 nonspecific symptoms, especially chest pain
4 and fatigue, and/or EKG findings that are
5 atypical greater than six months after onset.

6 Avoidance of activities that
7 increase cardiovascular risk should be
8 undertaken for at least six months by these
9 patients.

10 And the other take home would be,
11 it's very difficult to do surveillance of
12 these individuals. So as we go to look at
13 implementing risk map programs for future
14 vaccine programs, we have to understand the
15 context that these service members are being
16 vaccinated in the context of other vaccines
17 and usually right before deployment where it
18 is difficult to do the prospective clinical
19 follow up that we would all around this table
20 desire.

21 CHAIR KARRON: Thank you.

22 Dr. Teerlink, did you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something directly related to that?

2 And then Dr. Jackson.

3 DR. TEERLINK: Thanks very much for
4 presenting that. I did notice that there was
5 still about a 10 percent - so go back - you
6 know which one - persistence of
7 ecocardiographic abnormalities. And given
8 that echos probably weren't obtained in all
9 these people, this is exactly the kind of
10 thing we're interested in. Because 18 months
11 is where there might be this development of
12 the dilated cardiomyopathy, the development of
13 actually relatively subtle changes in
14 ventricular volumes and structure.

15 So what were those 10 - and I may
16 be off, maybe it's eight or five, I can't tell
17 - but some persistent echocardiographic
18 abnormalities. What were the nature of those.

19 DR. NELSON: I didn't go into detail
20 of those, and I actually don't have the
21 original data. Some of them were mild
22 hypokinetic effects, but not really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 persistent, so at later time points they would
2 disappear. So for example, just because it's
3 at 10 percent, it's not those same
4 individuals. Some would experience a
5 borderline ejection fraction on one followup
6 visit but not another.

7 The percentages you see here are
8 not the percentages of the total cohort at
9 that time point. It's the percentage of
10 individuals who we had echodata for, as an
11 example. And I can tell you that the N for
12 echos at time points of six to 18 months or
13 greater than 18 months was less than 10 for
14 the entire cohort. So that percentage you are
15 seeing is, I think one or two individuals may
16 have had an ejection fraction in the lower
17 40s, upper 30s, but later resolved. It was
18 asymptomatic at the time.

19 DR. NELSON: So another way to look
20 at that is, 10 to 20 percent of the patients
21 who had an echo had persistent - or had
22 abnormal findings at 18 months. And those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 findings were hypokinesia or of a finding that
2 was exactly the kind of thing that would be
3 potentially a concern.

4 DR. TEERLINK: I wouldn't say
5 concern, because these individuals were
6 asymptomatic. And the individual I believe
7 who had that borderline abnormality was
8 functional at work without restrictions.

9 DR. NELSON: And so to clarify for
10 me, as a heart failure specialist, recognizing
11 that heart failure is a progressive disease
12 that progresses over time, and usually that it
13 is - in fact patients start out being
14 asymptomatic, with tremendous decreases in
15 their heart function. And then later on, 10
16 - 20 years, develop the actual heart failure
17 syndrome. That is actually my concern.

18 DR. TEERLINK: I certainly
19 appreciate that, absolutely. I understand
20 that concern. And we have concern for all of
21 our members within the registry. We think,
22 and I believe the data shows it, that for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients who have myocarditis and develop
2 cardiomyopathies down the stream, these
3 individuals often develop it well beyond 18
4 months, at the onset of their late clinical
5 sequellae, which is why this cohort is being
6 followed so closely, and it's anticipated it
7 will be followed for quite some time to come.

8 Thank you very much for your
9 comments.

10 CHAIR KARRON: Dr. Jackson?

11 DR. JACKSON: I have two questions,
12 maybe for Dr. Nelson or a colleague.

13 So your rate of 140 per 1.2 million
14 doses is about 12 per 100,000. And is that
15 with Dryvax? What vaccines were they
16 receiving?

17 LT. COL. FORD: Yes, all Dryvax.

18 DR. JACKSON: All Dryvax, okay. And
19 the time point of exposure is not on entry
20 into the Armed Services, but rather when
21 deployment is imminent; is that correct?

22 LT. COL. FORD: That's correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It's an operational requirement based on area
2 of assignment or whether you have a special
3 mission role or biodefense mission.

4 DR. JACKSON: So in general
5 following the vaccination, what proportion of
6 vaccinees are still with the armed services
7 say one year or two years later? Do you have
8 any idea?

9 LT. COL. FORD: I do not have that
10 data for you. I don't have the exact number,
11 but the deployments are generally for a year.

12 So almost all of them are in for the first
13 year, and most of them you know unless they
14 are near their elected termination of service
15 or near a retirement date, the reenlistment
16 rates are very high, so the numbers are very
17 high.

18 DR. JACKSON: So in general you
19 would expect to be able to follow these people
20 through military channels for an extended
21 period of time?

22 LT. COL. FORD: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MASSIE: I guess the same
2 question is, I mean since this is such an
3 important thing to know, and this is the only
4 way we're going to find out, having identified
5 a case, I can't understand why there isn't a
6 plan for serial echos being done on these
7 people rather than an informal registry trying
8 to capture information, might possibly acquire
9 it from multiple sources.

10 Clearly when we get to risk
11 management, that will be something. But these
12 are people in the military, or in some way
13 recent servicemen, and why can't we just fly
14 them to a place and get an echo, in a
15 systematic way viewed by a Corps lab.

16 And the second question of course
17 will be, well, how do you interpret it when
18 you get 20 - 30 percent of people who aren't
19 quite normal? So I think a plan for a control
20 group is going to have to be important to.

21 CHAIR KARRON: Dr. Farley.

22 DR. FARLEY: I was struck by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 same thing, that a standardized follow up
2 would be very desirable.

3 I have a couple of questions. One
4 is, so we've established that they would be
5 immunized near the time of deployment. I'm
6 curious whether there is some that window of
7 time, whether they are freed up from duties,
8 or whether they are in a strenuous training
9 period of time while they are being immunized
10 in the time when they are caring for the
11 wound, or the vaccination site.

12 And if they do manifest any signs
13 of myopericarditis, are they still deployed?
14 I almost got the sense that they were
15 deployed. Are there any changes that occur?

16 And then the final question is, if
17 they refuse the vaccine what are the
18 consequences to them? If they don't have one
19 of the screening criteria for exemption?

20 LT. COL. FORD: I can answer probably the
21 first and the last one. I can let Dr. Nelson
22 answer the second question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Service members can be immunized
2 anywhere up to 60 days before deployment. And
3 there is a great deal of training that takes
4 place, predeployment training that is
5 mandatory before they enter the central
6 command area of responsibilities.

7 So I mean what they do is strenuous
8 on a daily basis. So yes, they are perhaps
9 engaged in strenuous activities. And of
10 course there is physical training that is
11 required in most units. So the answer to your
12 first question is yes, it's part of our job.

13 And in answering the third
14 question, which now I -

15 DR. FARLEY: Well, if they refuse.

16 LT. COL. FORD: Oh, it's a
17 commander's program. And certainly the
18 commander is responsible for determining what
19 is going to happen. The service member is
20 counseled, and obviously, tries to --
21 encouraged to take immunization. And of
22 course it's up to the commander's discretion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what the punishment will be if they refuse.

2 DR. NELSON: So to address your
3 question, if you will allow me to rephrase, I
4 believe that was deployment of those who
5 develop symptoms of myopericarditis. And in
6 fact we do not deploy those individuals.

7 What we haven't highlighted for you
8 this morning is that the Vaccine Health Care
9 Center has developed a management algorithm
10 for all of these individuals, for those who
11 present with symptoms suspicious or even
12 remotely possible for myopericarditis.
13 Included in that is a litany of studies
14 including imaging as well as enzymes, et
15 cetera, and periodic followup just as you
16 alluded to, at several time points, and well
17 beyond six months, et cetera, so we don't
18 allow these individuals to fall off the face
19 of the earth if you will.

20 Individuals who are recognized with
21 acute presentation of myopericarditis are
22 certainly not deployed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: Dr. Modlin.

2 DR. MODLIN: If you want to create
3 viral myocarditis in the laboratory, the way
4 you do that is, you give a mouse coxsackie
5 virus, and then you force them to exercise.
6 And it raises - of course the cases of
7 myocarditis that have been observed have been
8 observed within a few weeks after immunization
9 right at the time that you would expect peak
10 viral replication to be occurring, which would
11 at least suggest that you have a similar
12 mechanism of pathogenesis, which is direct
13 involvement in myocardium with the virus.

14 I guess it raises the question of
15 whether or not one couldn't do the experiment,
16 an actual experiment, if actually asking a
17 vaccinee not to exercise vigorously for the
18 first two weeks or first three weeks after
19 vaccination to see if that would in anyway
20 modify the risk.

21 And it sounds to me like you might
22 have a high enough number of cases that that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be not an unreasonable experiment to do.

2 DR. NELSON: I certainly agree that
3 that is a possibility and a good suggestion.
4 I can tell you that there appears to be no
5 pattern amongst those who are vaccinated and
6 sent to a rigorous training center before
7 their deployment, and seeing an inordinate
8 number of cases from those individuals who we
9 know are under heavy exertion, compared to
10 individuals who we are now encouraging to get
11 vaccinated three and four and even 60 days -
12 three and four weeks or eight weeks before
13 their actual deployment; where their level of
14 activity is certainly much less than right
15 before or during their initial deployment.

16 We have not seen that. I think
17 Colonel Ford can echo that comment as well.

18 LT. COL. FORD: Again, we temper the
19 requirement to deliver the immunization in a
20 time interval where if a service member is
21 going to develop myopericarditis, that they
22 would develop the myopericarditis here in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 state versus in the theater of operations
2 where now they are deployed and their team is
3 relying on them to perform a function, and
4 they are no longer available and actually have
5 become a casualty and have to be evacuated and
6 an individual replacement found to make up the
7 team.

8 So we highly encourage earlier
9 versus abrupt immunization at the time they
10 are getting on an airplane to go to the
11 theater of operations.

12 DR. NELSON: And it's often
13 difficult to meet that time line, because they
14 don't get notified until right before. But if
15 we can increase that number as we are
16 certainly trying to do we could do the studies
17 that you are alluding to. So I think that is
18 a very good direction that we need to move in.

19 The longer team followup with the
20 serial echos and serial formal cardiac
21 evaluations has been an issue. And it's
22 certainly been on the table, and we've been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conducting it on those we continue to have
2 access to.

3 As you might imagine individual
4 service members who at the conclusion of their
5 deployments - so no, with acute
6 myopericarditis are we deploying them, but
7 once they are cleared by cardiology several
8 months later, after a period of rest and
9 resolution of any objective and symptomatic
10 findings, some of those individuals are in
11 fact deployed and do quite well.

12 But as they return back from their
13 deployments, or decide to get out because of
14 their acute event, or sent out because of
15 their acute event, we do in fact lose control
16 of them, and are not able to formally demand
17 that they come in for their cardiac studies.
18 And in fact there are issues with regards to
19 us being able to evaluate civilians within our
20 health care system.

21 We partnered with representatives
22 of the CDC and the University of Washington in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this clinical study that Dr. Ford alluded to,
2 and we hope to recapture that lost population
3 to help do some of these follow up studies,
4 and we hope to expand those efforts in the
5 near future.

6 DR. TEERLINK: I mean certainly as a
7 VA clinician I would be more than willing to
8 help personally with this. But in addition it
9 is hopefully made clear to these individuals
10 that it is in their best interests, because
11 this is a service connected issue.

12 DR. NELSON: Absolutely.

13 DR. TEERLINK: And certainly I know
14 I'm not alone among VA's physicians in saying
15 that this is part of our responsibility to
16 take care of those who served, and provide the
17 information that is required to help those who
18 are serving.

19 So I think there certainly are
20 channels to maybe not mandate, but certainly
21 increase and get a relatively high capture
22 rate. Certainly among my patients there is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very high interest in continuing the clinical
2 research and helping in these ways. So I
3 hope and encourage you to continue to pursue
4 these avenues.

5 CHAIR KARRON: Dr. McInnes.

6 DR. McINNES: Certainly the number
7 of cases that you have at the moment, and
8 hopefully will lend themselves to sort of
9 doing a genome wide association study, but
10 what are the plans looking at linkage studies
11 using genomic technology?

12 DR. NELSON: So they are doing the
13 genome wide scan as you saw some of the -
14 well, not the actual data from it. It's been
15 presented I believe by Chris Wilson in a
16 meeting earlier. They have identified some
17 candidate genes in very preliminary work that
18 we don't need to go into details today about.

19 Some of the linkage analysis I
20 believe are planned as the follow up studies
21 once the real signal for the candidate genes
22 are evaluated. And I agree that perhaps a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more wide approach to doing linkage studies
2 could be appreciated. And I believe as a
3 follow on study, though not in the current
4 plan, will be added on to Dr. Wilson's study
5 in collaboration with the Vaccine Health Care
6 Center. Excellent point.

7 CHAIR KARRON: Thank you.

8 We do need to move on to the next
9 section. But I would just like to ask you a
10 couple of questions, Colonel Ford. One is
11 just a very practical one. If a service
12 member has medical exemptions, does that mean
13 that person is not forward deployed? What
14 happens?

15 LT. COL. FORD: No, just a
16 screening, contraindication, and a medical
17 exemption does not make the service member
18 nondeployable.

19 CHAIR KARRON: So they then would be
20 deployed without vaccination.

21 LT. COL. FORD: Correct. And those
22 people are obviously identifiable from our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 immunization tracking system. And the
2 commanders know who those individuals are
3 because they get monthly reports on individual
4 medical readiness.

5 CHAIR KARRON: And can you give us
6 some sense of how many - I know that you said
7 I believe 1.2 million doses total. But on a
8 yearly basis is that number increasing,
9 decreasing, staying the same on a yearly
10 basis?

11 LT. COL. FORD: I can't give you a
12 specific number because it would identify
13 numbers of operational forces that are moving
14 in and out of the theater. I can only tell
15 you that as our number of primary
16 immunizations goes up, and they return to the
17 theater, not requiring a second immunization,
18 our requirements would go down.

19 CHAIR KARRON: And then just -
20 obviously you can't give us much information
21 about this - but in terms I think analogous to
22 the question that Dr. Modlin asked for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 civilian sector, risk assessment, is that done
2 on an ongoing basis? Is it done for each
3 theater separately?

4 LT. COL. FORD: I can tell you that,
5 again, as Dr. Parker alluded to, it's the
6 consensus opinion of the intelligence
7 community that smallpox is a real threat, a
8 clear and present danger to our operational
9 forces. And I can tell you that as new
10 intelligence is gathered and made available,
11 all our force health protection immunization
12 programs undergo thorough review by the
13 civilian leadership of the Department of
14 Defense.

15 CHAIR KARRON: Okay, thank you.

16 At this point we'll move on to the
17 Accambis presentation, and I believe Dr.
18 Wonnacott will begin.

19 ACAMBIS, INC. PRESENTATION

20 DR. WONNACOTT: Good morning. My
21 name is David Wonnacott, and I'm pleased to be
22 with you to provide a few introductory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 remarks, and to briefly set the stage for the
2 next hour of presentations from Acambis.

3 Acambis is a company that is highly
4 focused on the development of novel vaccines
5 with the majority of our staff working in R&D
6 in Cambridge, Massachusetts.

7 We also have a manufacturing
8 facility for bulk manufacturing and final fill
9 for final container vaccine.

10 Following 9/11 there was - and the
11 subsequent anthrax incident, Acambis responded
12 quickly to the government's urgent call to
13 develop a new smallpox vaccine.

14 ACAM2000 is the result of those
15 efforts. It is a unique vaccine, because it
16 was developed in the absence of a disease.
17 And it's only targeted at those at risk for
18 infection as determined by government
19 agencies.

20 The question might be asked, why
21 didn't industry just make more Dryvax? After
22 all it's the vaccine that is already licensed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Well, the answer to that question
2 is illustrated in the next slide. The bottom
3 line is that it was time to update our
4 bioreactors. Dryvax is harvested from calf
5 skin, whereas ACAM2000 is manufactured using
6 modern cell culture technology.

7 ACAM is purified, homogeneous,
8 clonal isolate derived from Dryvax. It was
9 selected to be less neurovirulent, and tested
10 to be free of adventitious agents.

11 It is with this type of technology
12 that large amounts of vaccine can potentially
13 be manufactured. Indeed, during the clinical
14 development program, almost 200 million doses
15 of the vaccine were delivered to the strategic
16 national stockpile.

17 I should add that we have delivered
18 all the doses that have been ordered to date.

19 I would also like to point out in
20 the slide that the IND was filed less than a
21 year after 9/11, and the BLA was just filed
22 less than a year ago.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That's now I'll introduce the rest
2 of the program. We'd like to begin with a
3 brief review of the history of this disease
4 and the reasons we need the vaccine. Dr.
5 John Neff is well qualified to discuss this
6 subject, having participated in smallpox
7 eradication and safety surveillance programs,
8 starting with the CDC in the early '60s and
9 continuing through collaborations while at
10 Johns Hopkins.

11 John served as chair of the CDC DOD
12 smallpox safety working group from 2002 to
13 2004. Following our history lesson, Dr. Tom
14 Monath will review the preclinical and
15 clinical data that supports the safety and
16 efficacy of ACAM2000.

17 Prior to joining Acambis in 1992,
18 Tom spent 20 years at the CDC, as the division
19 director for the vector borne viral diseases,
20 after which he served as the chief of virology
21 for USAMRID.

22 He is an adjunct professor at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Harvard School of Public Health.

2 During our clinical studies we look
3 carefully at all vaccines related to
4 myocarditis, vaccine-related myocarditis, and
5 we learned quite a bit from these studies.

6 We've invited Dr. Jay Mason to
7 discuss these findings with you today. Jay
8 was the lead investigator in the U.S.
9 myocarditis treatment trials that were
10 reported in the New England Journal of
11 Medicine in '95.

12 Our concluding speaker will be Dr.
13 Michael Watson. I notice in the speaker
14 roster he was listed as heading up our quality
15 and regulatory; actually that's me. Mike
16 heads up the research and development efforts
17 at Acambis, and he will discuss post-marketing
18 risk management and risk minimization plans.

19 With that I'd like to turn the time
20 over to Dr. Neff.

21 DR. NEFF: Thank you very much. I'm
22 John Neff, and I'm going to be talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the history of the smallpox disease
2 vaccination eradication. But before I start I
3 would like to acknowledge D.A. Henderson who
4 is present who is really the true expert in
5 this area and responsible as a leader for much
6 of the eradication, and then also my mentor
7 for many years. Glad to see you here, D.A.

8 These are the topics that I'm going
9 to cover. I'm going to very briefly talk
10 about the history of smallpox, its control,
11 eradication, and potential for bioterrorism
12 use. Talk about smallpox vaccination - its
13 development, protection and adverse events.,

14 Descriptions of smallpox, the
15 clinical types and expected mortality. And
16 then some concluding comments.

17 Here's the history of smallpox. It
18 first appeared around 1100 B.C. Its origin
19 was probably from a closely related animal pox
20 virus of the orthopox virus group. And then
21 as it became more epidemic and endemic, it was
22 responsible for worldwide and epidemic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patterns throughout Europe, and certainly
2 caused massive pandemics with high mortalities
3 in the Americas.

4 There is no doubt that smallpox
5 over the years has changed the course of
6 history several times. It was responsible for
7 an estimated 300 million deaths in the 20th
8 century.

9 This is just a very brief history
10 of the control of smallpox. Variolation was
11 practiced early on but had relatively little
12 impact on the main control. But it really
13 started with Edward Jenner's discovery and
14 observation that vaccinia based vaccination
15 could indeed protect against smallpox.

16 That was then used progressively
17 throughout the European world and throughout
18 the world. And by 1967 there was an enhanced
19 WHO eradication program that we all know
20 about.

21 In 1972 vaccination ended in the
22 United States. Actually the last cases of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 importation smallpox in the United States
2 occurred in the 1940s. And endemic smallpox,
3 variola minor form, probably occurred - ended
4 sometime in the early part of the 20th century.

5 The last natural case of smallpox
6 occurred in Somalia in 1977. But really the
7 last case or death of smallpox occurred in
8 1978. It was laboratory acquired, presumably
9 through the air vents. And Janet Parker was
10 the unfortunate person in Birmingham, England
11 who died. Her mother also contracted smallpox
12 and survived. Her father had a heart attack
13 and died. And the director of the laboratory
14 where that variola was being tested committed
15 suicide. So it was a very tragic event.

16 In 1980 WHO declared smallpox to be
17 eradicated. And in 1984 variola was
18 designated to be placed in two secure
19 repositories and nowhere else in the world, in
20 the CDC in Atlanta and in a laboratory outside
21 of Russia.

22 This is what has happened to our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 current concern. Subsequently we have learned
2 that the Soviet government had developed a
3 bioweapons program with the intent to produce
4 smallpox in large quantities and adapt it for
5 use in bombs and ICBMs. Their intention was
6 also to develop industrial capacity capable of
7 producing many tons of smallpox virus
8 annually.

9 The other thing that happened is
10 that during the latter part of the '70s and
11 '80s the official repository in the Soviet
12 Union was not really all that secure. And
13 there were probably many scientists in and out
14 of the laboratories at that time. And they
15 represented some of the so-called rogue
16 states.

17 But there is the risk that these
18 rogue states did not destroy the stocks of
19 variola, and that it could be used for
20 bioterrorism purposes. And to my knowledge
21 this concern has not changed in any way since
22 2001.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is a little bit about the
2 smallpox vaccination. Vaccinia was obtained
3 from animals originally, presumably cows or
4 horses - it's obscure and not certain. It's
5 certainly a member of the orthopox family, but
6 it's a very distinct virus within that group,
7 and it is related to both cowpox and variola.

8 It was initially propagated from
9 person to person. In fact it wasn't until the
10 middle part of the 19th century that it began
11 to be propagated consistently through the use
12 of calf lymph or inoculation of calves.

13 By the 1950s and '60s there were
14 many different strains of vaccinia available
15 in the world, but it became standardized into
16 two specific strains, the lister and the New
17 York City Board of Health. With these two
18 responsible for eradicating smallpox worldwide
19 by 1980.

20 The evidence for protection is
21 fairly historical and fairly clear. The
22 cutaneous reaction was what was used for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 demonstration of protection long before there
2 was ability to measure neutralizing antibodies
3 or T-cells. And that was shown, the major
4 reaction, which was defined by WHO,
5 demonstrated protection, provided protection
6 against smallpox.

7 But also subsequently it noted that
8 the major reaction correlated with the
9 development of neutralizing antibody T-cells
10 after vaccination.

11 Subsequently in a very small number
12 of studies, neutralizing antibodies or the
13 presence of them certainly correlate with
14 protection against smallpox in humans. And
15 there have been laboratory tests where mice
16 and monkeys with neutralizing antibodies
17 against variola, but who have also been T-cell
18 depleted have been protected against challenge
19 with the corresponding orthopox virus.

20 Also in some studies passive
21 immunization has been demonstrated to provide
22 some protection both in humans and in animals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And T-cells certainly may play an important
2 part.

3 The protection of vaccinia is
4 derived from a variety of different studies,
5 many of them were done between 1950s and 197s.

6 And this is a study that is also published in
7 Fenner that studied all the cases of
8 importation smallpox into Western countries
9 during this period of time.

10 And from that, anyone who had had
11 vaccination between one to 10 years before
12 exposure, the case fatality rate in that group
13 was 1.4 percent. Eleven to 20 years after
14 exposure the case fatality went up to seven
15 percent, but not much much higher.

16 But even those individuals who had
17 been vaccinated more than 20 years exposure,
18 about 11 percent of them had a case fatality
19 rate. There is some protection, protection of
20 about 29 percent of those individuals who had
21 been vaccinated immediately after exposure.

22 But for those who had never been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vaccinated and had no experience with either
2 smallpox or vaccinia, the case fatality rate
3 was 52 percent.

4 So it's generally felt that
5 complete protection from vaccination lasts
6 from three to five years; partial protection
7 up to 25 years; and there may be some long
8 protection against death that is lifelong.

9 This is just a summary of the
10 adverse events that were observed during the
11 1960s in the United States. And the first two
12 at the top, progressive vaccinia and eczema
13 vaccinatum are definitely preventable.
14 Progressive vaccinia is a fairly serious
15 disease - one to seven cases per million
16 vaccinations - that occurs in individuals who
17 have a depletion of T-cell counts. The
18 severity of the disease is highly dependent on
19 how depleted those individuals are. Generally
20 we saw a mortality of between 25 to 60 percent
21 in that particular condition.

22 Eczema vaccinatum, which occurred

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in about two to four cases per 100,000, is a
2 generalized form of generalized vaccinia that
3 occurs in individuals with eczema or a history
4 of atopic disease. The mortality in that
5 group was one percent, but about 20 to 30
6 percent of those were in context. These two
7 certainly can be prevented through careful
8 screening as we have seen.

9 Post-vaccine encephalitis, there is
10 no known predisposing cause. It's probably
11 similar to acute disseminated
12 encephalomyelitis. There we observed about one
13 to two cases per 100,000 primary vaccinations
14 with a mortality of about one to 10 percent.
15 And I'm sure both the mortality in eczema
16 vaccinatum and post-vaccine encephalitis has
17 been improved considerably with the
18 availability of modern therapeutic and
19 intensive care support.

20 Contact vaccinia is simply the
21 transfer of vaccinia from a vaccinated person
22 to a person who has not been vaccinated;

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 generally requires close body contact. In the
2 1960s it occurred in about two to six cases
3 per 100,000, and about one-third of those
4 cases were in children with a history of
5 eczema.

6 Accidental infection is simply an
7 auto-inoculation, often at the time of
8 vaccination, and is fairly mild unless it gets
9 into the cornea, and then it may develop some
10 moderate or severe ocular impairment.

11 And then there is a whole group of
12 conditions. Into that is often lumped what
13 people call generalized vaccinia. They are
14 erythematous. Some are vesicular. They occur
15 about one per 100 primary vaccinations. They
16 are very mild. They are poorly understood.
17 But they certainly do occur.

18 Clinical description of smallpox.
19 Very briefly, this is a slide, also from
20 Fenner. During the first 13 days, during the
21 incubation period, there is no contagion;
22 there are no symptoms. But during that time,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after the virus is introduced into the
2 respiratory tract, it appears and then
3 replicates in the lymphatic system, and then
4 breaks out into a viremia fever, backache,
5 headache, nausea and malaise. And it's during
6 that period of time that the patient becomes
7 contagious.

8 And then that moves on to the rash,
9 which is progressive, going to macules,
10 puples, vesicles and pustules and finally to
11 scabs. In the early phases of that rash, the
12 patients are very contagious. But the
13 patients may contain some minimal degree of
14 contagiousness, because the virus can be found
15 in the scabs, for a long period of time.

16 This just shows the progression of
17 smallpox from the CDC collection of slides.
18 On day three you can see the papular rash. On
19 day five the vesicle rash. And then on day
20 seven the pustular rash, and it moves on to
21 scabs.

22 The clinical features of smallpox,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discrete, confluent and flat, and hemorrhagic,
2 and as you project, progress down that ladder,
3 the mortality becomes higher and higher.

4 This is the discrete form. These
5 are very punctate lesions. They are very well
6 - it shows that the virus has been very well
7 contained dermally. Then it becomes confluent
8 or semi-confluent, moving on to the more
9 confluent form where it's just a massive
10 confluence of vesicles, and the rash actually
11 appears quite flat.

12 In this form the mortality becomes
13 fairly high. The worst manifestation is
14 hemorrhagic. There are two forms. In the
15 acute form of the disease where there is just
16 an erythematous rash, and the patient dies
17 fairly quickly. And then in the late form
18 where the pustules become quite hemorrhagic,
19 and the patient can die, and this is probably
20 a manifestation of a disseminated
21 intravascular coagulation syndrome.

22 So mortality from infection of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 variola in the unvaccinated individuals can be
2 up to 50 percent, and that of course is going
3 to vary to some degree depending on the
4 virulence of the given strain. But it's
5 expected that in a bioterrorism attack the
6 most virulent strains are probably the ones
7 that have been preserved.

8 So in conclusion smallpox is a
9 devastating disease with a very high mortality
10 in the nonimmune. Vaccination historically is
11 associated with significant adverse events.
12 The populations are immunologically
13 vulnerable, once eradication has occurred and
14 there are no longer any indigenous cases of
15 smallpox, and at the end of a vaccination
16 program.

17 In the United States, as has
18 already been mentioned, few people have been
19 vaccinated in the past 34 years, and those who
20 have been were vaccinated a very long time
21 ago.

22 So as long as variola virus exists

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anywhere there will be a need to have a
2 smallpox vaccine available in the event of a
3 bioterrorism threat or possibly a laboratory
4 accident. It's in our best interests to have
5 a modern smallpox vaccine available.

6 Thank you very much. DR.

7 WONNACOTT: Tom.

8 DR. MONATH: Good morning.

9 It is also my pleasure to be able
10 to tell you about the ACAM2000 program. And
11 my job is to describe principally the clinical
12 development program of the vaccine. Most
13 of our goals in this program were indeed met.

14 As you've heard we developed a new vaccine
15 candidate which was derived as a clonal or
16 plaque purified virus from a pool of Dryvax,
17 multiple lots of Dryvax.

18 We developed a well characterized
19 seed virus which was tested and shown to be
20 free from adventitious viruses.

21 We engaged in a large scale GMP
22 manufacturing campaign using viral cells and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 serum free medium according to modern
2 standards for vaccine manufacturing.

3 And all of the lots that were
4 produced met an array of quality control
5 tests, and release specifications, including
6 designated potency which should exceed 10 to
7 the 8th plaque forming units per mill.

8 We showed in clinical trials that
9 the safety intolerability of the vaccine was
10 similar to or better than Dryvax. I'd just
11 mentioned that of course myopericarditis was
12 determined in our studies to be a more
13 frequent event than anticipated by the DOD or
14 civilian experience. We'll say more about
15 that of course.

16 We demonstrated clinical efficacy,
17 although there are a number of differences
18 from Dryvax, which we will go through.

19 As you heard, vaccination with this
20 vaccine is indicated for protection of persons
21 who are determined to be at high risk for
22 smallpox infection. It's not for general use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the population unless there is an event.

2 And it's stored and controlled by
3 the strategic national stockpile, or SNS, and
4 not distributed outside that government
5 agency.

6 Briefly mention some salient
7 nonclinical data, which I think would put into
8 perspective some of the things that have been
9 mentioned about neurovirulence in particular.

10 Of course we do rely for a number of these
11 biodefense agents on animal data.

12 A variety of toxicology studies
13 were done in mice and cynomolgus monkeys which
14 were inoculated by the intracerebral route,
15 and those studies invariably showed that
16 ACAM2000 was indeed less neurovirulent than
17 Dryvax.

18 Now what does this mean? Of course
19 we don't have enough clinical experience with
20 this vaccine to understand whether these
21 animal data would relate to a lower incidence
22 of post-vaccinal encephalitis. But historical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data quite clearly show that strains that were
2 more neurovirulent than mice - baby mice -
3 those vaccine strains were associated with a
4 higher incidence of post-vaccinal encephalitis
5 in humans, particularly for example vaccine
6 made in China.

7 ACAM2000 Dryvax have similar
8 immunogenicity in mice and monkeys. And both
9 vaccines protected these animals against
10 lethal challenge with homologous and
11 heterologous pox viruses.

12 One data slide on neurovirulence:
13 this is the test for neurovirulence that was
14 conducted not only on the seed viruses but on
15 every batch of vaccine that was made, in which
16 three to four day old mice were inoculated IC,
17 statistically powered study to show the
18 difference between Dryvax and ACAM2000. Here
19 you see the survival curves with ACAM2000
20 being less virulent higher survival ratio than
21 Dryvax. That was a reproducible finding, and
22 it was a good way to test the consistency of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 manufacturing using a biological assay as
2 well.

3 These data show the result of a
4 study of protective immunization in cynomolgus
5 monkeys who received either ACAM2000 or Dryvax
6 or a sham vaccine.

7 All eight out of eight monkeys in
8 each treatment group developed a typical
9 cutaneous response to the vaccination. They
10 developed high titers of neutralizing
11 antibodies. Here you see the geometric means
12 there that were similar across the treatment
13 groups.

14 The animals were challenged with a
15 high dose of monkey pox virus by the
16 intravenous route. This is - I'm not quite
17 sure how many LD50 this represents but it
18 results in 100 percent mortality in these
19 animals.

20 None of the vaccinated animals
21 developed pox on the skin or oropharynx,
22 whereas the controls developed large numbers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of lesions. None of the vaccinated animals in
2 either group developed fever in comparison to
3 the unvaccinated controls which did.

4 There was little viremia - no
5 viremia in the ACAM group; a little shedding
6 in the Dryvax group but no viremia in the
7 blood in contrast to the controls. And all of
8 them developed no signs of illness and no
9 deaths in the vaccinated group, whereas all
10 eight animals in the control group were
11 euthanized.

12 So go on to the clinical trials
13 now. I'll first describe safety. This slide
14 just simply lists the two phase-one, two
15 phase-two, and two phase-three trials, the
16 status of the population whether naive or
17 previously vaccinated.

18 In all trials safety was
19 determined; the cutaneous response to
20 vaccination and antibody response was
21 measured.

22 In the phase-two program in naive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and previously vaccinated subjects we also did
2 some dose response studies.

3 In the phase-three naive trial we
4 determined lot consistency with three
5 conformance lots tested in the trial, looking
6 at both cutaneous response and antibody
7 response.

8 And in one phase-one trial we also
9 looked at the T-cell responses. We looked at
10 shedding of the virus from the inoculation
11 site, both at the skin and on the bandage, and
12 we determined whether the vaccine elicited
13 nonspecific serological test for hepatitis,
14 HIV and syphilis.

15 The number of subjects in the
16 ACAM2000 program, nearly 3,000 overall; 868
17 received Dryvax. You can see the numbers
18 here. I won't repeat them. But the main
19 point is that the phase-three study enrollment
20 was curtailed when about 40 percent of the
21 naive subjects, or 67 percent of the
22 previously vaccinated subjects had been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enrolled, because of the incidence of
2 myocarditis which was discovered to be
3 occurring at a rate which was unsuspected at
4 that point.

5 And the planned number of subjects
6 in these two trials was 2,040 ACAM and 680
7 Dryvax, so you see that the study was stopped
8 because of those events.

9 Power calculations were then done.

10 It was deemed appropriate not to continue
11 these trials because we had sufficient power
12 to estimate efficacy.

13 Nearly all, 99 percent of the
14 subjects, completed the studies, and very few
15 withdrew.

16 The treatment groups were very well
17 balanced with respect to gender, age and race.

18 I'd just point out that of course the trial -
19 oh, nine involving naive subjects was in
20 younger individuals 18 to 30 years of age who
21 were born after cessation of routine
22 immunization. But the two treatment groups

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had a similar age. And the subjects in the
2 previously vaccinated trial were older,
3 ranging up from 31 through about 84 years,
4 with a similar mean age across the two
5 treatment groups.

6 Now the adverse event profile was
7 that expected based on knowledge of vaccinee
8 in general and Dryvax specifically. The
9 expected adverse events were observed,
10 principally inoculation site reactions;
11 lymphadenitis; feverishness; and some systemic
12 symptoms that you see on the slide. The
13 incidence of these adverse events was slightly
14 higher for Dryvax than for ACAM2000 in both
15 trials. Maybe a little hard to see in the
16 back of the room. This shows the incidence of
17 common adverse events, those occurring at 10
18 percent incidence or greater. In the
19 vaccinium naive or previously vaccinated
20 subjects, by treatment group, the yellow
21 highlights are those adverse events that were
22 more frequent statistically, and the Dryvax

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group and ACAM, those were largely as I
2 mentioned, inoculation site reactions or
3 systemic signs.

4 And overall the frequency of
5 adverse events, as would be expected, was
6 lower in previously vaccinated than in naive
7 individuals.

8 We will talk a lot more about
9 myocarditis. That was the most important
10 serious adverse event. This slide shows the
11 serious adverse events in naive and previously
12 vaccinated subjects by treatment group.
13 Overall the incidents of myocarditis was point
14 five to point eight percent. There was no
15 statistical difference between the two
16 treatment groups in incidence of myocarditis.

17 Other adverse events occurred
18 infrequently, less than one percent. There
19 were some possible cardiac adverse events in
20 the previously vaccinated subjects but these
21 were determined not to fit the diagnostic
22 criteria for myocarditis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now myocarditis by protocol design
2 was prospectively ascertained in these trials.

3 And also in the 400-002 phase one trial. So
4 probably the fairest estimate of incidence in
5 our studies is from those three trials in
6 which case ascertainment was performed by a
7 uniform method.

8 That was done by performing
9 electrocardiograms at baseline, and on day 10
10 and 21 in the phase-three program, or on day
11 15 in that phase-one trial.

12 Cardiac enzymes were measured at
13 screening and on day 10 in the phase-three
14 program and day 15 in the phase-one study.
15 And of course on all clinic visits and in the
16 diaries cardiac adverse events were sought.

17 Myocarditis was seen only in naive
18 individuals, and as we'll talk more about, the
19 incidence was higher than seen in the DOD
20 program in which the reports were symptomatic
21 patients only, and were spontaneous reports
22 rather than being prospectively ascertained.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In these three studies, or in the
2 phase-one trial and the phase-three vaccinia
3 naive subjects, there were - the incidents of
4 myocarditis after ACAM2000 was 6.6 per 1,0000,
5 and in Dryvax 9.4 per 1,000. It's important
6 to point out that four of the six cases after
7 ACAM2000 were asymptomatic; i.e. they were
8 what we call subclinical myocarditis, and
9 would not have been picked up had we not
10 prospectively done cardiograms and enzyme
11 measurements.

12 One patient in each group was
13 hospitalized, and of this group of nine
14 subjects, there was another subject in a trial
15 for which the ascertainment wasn't exactly the
16 same; that's where the difference comes.

17 But among 10 subjects as here,
18 there was only one individual who we will talk
19 more about in Dr. Mason's trial who had any
20 residua, and that was an individual who had a
21 decrease in left ventricular ejection
22 fraction. He's been followed now for two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 years, and that persists. We'll talk more
2 about that in the next talk.

3 Let me turn now to the clinical
4 data on efficacy. Of course as you've heard
5 this is an eradicated disease, so we have to
6 use surrogates or correlates of protective
7 efficacy to measure that.

8 The cutaneous response is a
9 generally accepted surrogate of protective
10 immunity. Neutralizing antibodies an accepted
11 correlate. In fact, that may be a more
12 accurate reflection of vaccine effectiveness
13 than previously vaccinated subjects, because
14 pre-existing immunity can modify the cutaneous
15 response.

16 Historical data suggests that
17 relatively low titers of neutralizing
18 antibodies are protective. We don't really
19 know what the protective level is, but in two
20 historical studies low titers were associated
21 with protection.

22 There were two co-primary endpoints

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the efficacy trials, cutaneous response
2 rate and geometric mean titer.

3 The statistical methods for
4 evaluation were tested noninferiority against
5 Dryvax, the control group. And in the case of
6 cutaneous response, the goal was to exclude a
7 margin of superiority of Dryvax of 5 percent
8 or greater in the naive subjects or 10 percent
9 in previously vaccinated, the reason for the
10 difference there being the lower expected take
11 rate in previously vaccinated subjects.

12 For GMT, again a test of
13 noninferiority where the goal was to show that
14 the ratio of the GMT for ACAM2000 to Dryvax
15 was at least point five, or a log value of
16 minus .301. Other secondary endpoints shown
17 here, we will talk about some of these, but in
18 particular of interest was the covariate
19 analysis, which was a planned study that
20 looked at the effect of baseline immunity,
21 that is, neutralizing antibodies in previously
22 vaccinated subjects, and the influence of that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 variable on take rate and antibody response.

2 The cutaneous response, for the two
3 trials, naive and previously vaccinated, as
4 shown here, by treatment group, 96 percent of
5 subjects receiving ACAM2000 developed a take
6 versus 99 percent in the Dryvax group; and we
7 met the noninferiority criterion.

8 In previously vaccinated subjects,
9 however, the response rate was lower - 84
10 percent versus 98 percent - and we did not
11 meet the noninferiority endpoint. So
12 we can say that ACAM 2000 was noninferior to
13 Dryvax in naive individuals, but that the take
14 rate was lower than that seen with Dryvax in
15 previously vaccinated subjects.

16 Now further clarity on this,
17 however, comes from the planned analysis of
18 the influence of preexisting immunity on the
19 response, in previously vaccinated subjects.

20 And here we look at the response
21 rate by the baseline titer, that is,
22 prevaccination titer of neutralizing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibodies for each of the treatment groups.
2 The most important take-home message here is
3 that if you have no detectable immunity at
4 baseline, a titer of less than one to 10, the
5 response to ACAM2000 is similar to that seen
6 in naive individuals.

7 The other point is that while
8 Dryvax is less susceptible to preexisting
9 immunity, ACAM2000, the take rate is inversely
10 proportional to the level of antibody before
11 vaccination.

12 And I think that is reflective of a
13 certain attenuation of this virus, which of
14 course was seen in the animal studies and also
15 in the dose response studies.

16 The same analysis was performed for
17 the antibody response. Here again individuals
18 who have no antibody at baseline have a robust
19 30 fold, 30 - 36 fold increase in antibody
20 titers. That fold increase or magnitude of
21 response declined with increasing levels of
22 antibody at baseline for both vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And indeed, this level of response
2 is similar to what we've seen in the naive
3 individuals in the -009 study.

4 Turning now to the GMT endpoint,
5 for naive and previously vaccinated subject by
6 treatment group, the GMT was about 1.5 fold
7 lower in both trials for ACAM2000 versus
8 Dryvax, a relatively small difference.
9 Neutralizing antibody titers were robust in
10 both groups; these are relatively high
11 geometric means. We did not make the
12 noninferiority endpoint in the naive subjects,
13 although the margin, we narrowly missed that
14 statistical endpoint, whereas we did meet it
15 in the previously vaccinated subjects.

16 Perhaps of interest is the
17 cumulative reverse distribution of antibody
18 titers, which is the proportion of subjects
19 that have a neutralizing antibody titer
20 greater than the value shown on the X axis.
21 And here we display those curves for both the
22 previously vaccinated and the naive subjects.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think the important points here
2 are that most subjects had relatively robust
3 high neutralizing antibody titers. Previously
4 vaccinated subjects had higher antibody titers
5 than naive individuals. And that over 90
6 percent of subjects had neutralizing antibody
7 titers that were above those values that we
8 might assume may be protected based on the
9 historical published record.

10 We measured T cell responses in one
11 study, the 400-002 study. This shows the
12 categorical responses, the incidences of
13 positive responses, for three different
14 assays, CTL, gamme-IFNELISPOT, and
15 lymphoproliferation. And we also display the
16 median values in those groups.

17 And all the - the vast majority of
18 individuals in both treatment groups -
19 ACAM2000 and Dryvax - had robust T cell
20 responses. In fact ACAM2000 looked somewhat
21 higher than Dryvax in this trial.

22 Just one of the individual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 datapoints for the ELISPOT assay, which
2 probably is reflective of CD8 responses. For
3 ACAM2000 and Dryvax, all ACAM2000 individuals
4 above the cutoff.

5 And the point here, I think, is
6 that these are robust T cell responses, and
7 hundreds of spot-forming cells per million.

8 So those three slides to kind of
9 sum up and conclude here. We have developed a
10 new vaccine by modern manufacturing methods,
11 and applied quality control tests for
12 adventitious agents. This is large scale
13 manufacturing, delivered 75 lots and 192
14 million doses to the SNS. And I think the
15 safety assurance is greater for a vaccine
16 produced under these conditions than for the
17 old animal tissue vaccines.

18 It's a purified clonal vaccine,
19 less neurovirulent in animal models than
20 Dryvax; is immunogenic and protective against
21 lethal pox in various animal species and
22 models; and the clinical data demonstrated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety and tolerability that was equivalent to
2 that for Dryvax; and as we will talk more
3 about, of course, there was a vaccinia class
4 effect here, myocarditis occurring in both
5 Dryvax and ACAM2000 treated subjects at a rate
6 of approximately one to 150 in the case of the
7 vaccines, which is of course higher than we've
8 seen in the DOD program.

9 Primary indicators of immunity
10 support efficacy in naive subjects; 96 percent
11 had a take, noninferior to Dryvax. There were
12 high neutralizing antibody titers after
13 ACAM2000; a geometric mean of 166. Over 90
14 percent had titers that might be expected to
15 be protective, and we narrowly missed the
16 statistical endpoint for noninferiority on
17 GMT.

18 There were robust T cell responses,
19 probably most important because it's a measure
20 of immunological memory which is critical for
21 these vaccines.

22 In previously vaccinated subjects,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutralizing antibodies may be a better
2 measure because of the influence of immunity
3 on takes. GMT was high following ACAM2000,
4 higher than seen following primary
5 vaccination. The vast majority had titers
6 above 32. Noninferior to Dryvax.

7 The cutaneous response however was
8 lower, 84 percent; the vaccine was more
9 susceptible to the influence of preexisting
10 immunity on take rate than for Dryvax.

11 And in those individuals without
12 baseline antibody there was a 94 percent take
13 rate.

14 So thanks very much. I will now
15 turn the podium over to Jay Mason who will
16 talk about myocarditis in more detail.

17 DR. MASON: Thank you.

18 I'll be discussing mechanisms and
19 detection of myocarditis, as well as outcomes
20 and incidence of smallpox vaccine related
21 myocarditis. I am serving as a consultant to
22 ACAMBIS. My academic affiliations are shown

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here.

2 These are the specific topics we'll
3 review: mechanisms of myocarditis; detection;
4 outcomes of myocarditis - and I'll emphasize
5 here that the classic form of myocarditis that
6 clinicians are used to dealing with is really
7 quite different from the smallpox vaccine
8 related disorder.

9 And finally we will review the
10 incidence of smallpox vaccine related
11 myocarditis, specifically to address the
12 question of whether or not there is an
13 increase in incidence associated with the new
14 vaccine.

15 Now regarding mechanisms, most of
16 us view classical myocarditis in humans as a
17 triphasic disease.

18 The initial phase is the phase of
19 viral replication. In most people, this phase
20 is self-limited and no disease, no overt
21 disease, develops.

22 In some instances viral replication

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may be severe enough to induce heart failure
2 in this early period. However most humans
3 with myocarditis present later; some a few
4 weeks to several months later, during an
5 autoimmune injury phase.

6 This may be followed in some by
7 dilated cardiomyopathy. And in fact dilated
8 cardiomyopathy may develop through several
9 routes. It may result from the initial viral
10 insult. Or that combined with the autoimmune
11 injury. It may also result from an adverse
12 outcome of cardiac remodeling in response to
13 injury.

14 And indeed there is evidence that
15 mere presence of viral genome in the absence
16 of replication can induced dilatation and
17 failure.

18 I'd like to point out that the
19 disease that we usually view as classical
20 myocarditis is presenting out here, when the
21 die have been cast to an extent. The smallpox
22 vaccine related cases are occurring here. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this is a different disease than the one out
2 here.

3 Regarding detection of myocarditis,
4 I've listed currently used methods from the
5 most sophisticated to the simplest.
6 Endomyocardial is considered by some to be the
7 gold standard for diagnosis of myocarditis.
8 The biopsy tissue examination provides you
9 with histology; the detection of inflammatory
10 markers in the myocardium; as well as evidence
11 for viral presence.

12 However, endomyocardial biopsy is
13 not generally available. It requires
14 hospitalization. It carries a risk. And it
15 is clearly not appropriate for a large scale
16 surveillance.

17 There are several imaging
18 techniques - I've listed three of the more
19 common ones here, MRI, ultrasound and nuclear
20 scintigraphy. These are more generally
21 available than the biopsy. However, the
22 expertise to diagnose myocarditis using these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 techniques is not widespread.

2 Circulating immune markers may also
3 be measured to support a diagnosis of
4 myocarditis, but once again this measurement
5 is not appropriate for large scale trial.
6 There are literally only a handful of
7 laboratories in the world that make these
8 measurements.

9 The last three techniques are ones
10 that are practical, and that indeed are
11 planned for prospective use by ACAMBIS.

12 The ECG has a sensitivity of 47
13 percent as shown by a nice study by Morgera,
14 really the only study in early myocarditis.
15 And the observations here were that about half
16 the patients had either significant ST segment
17 shifts, or T-wave inversion, or various
18 degrees of AV block.

19 Troponin has been reported to have
20 sensitivity varying from 34 to 71 percent, and
21 specificity 86 to 94 percent of these
22 variations being due to different patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 populations as well as varying specific
2 criteria for diagnosis of myocarditis.

3 The clinical history has moderate
4 sensitivity of 53 percent as we showed in the
5 U.S. myocarditis treatment trial for which I
6 served as the principal investigator. The
7 symptoms specifically amounting to 53 percent,
8 were fever and chest pain.

9 Now in the next two slides I'm
10 going to review all 10 cases of myocarditis
11 that have been ascertained in ACAMBIS trials
12 in subjects receiving either ACAM2000 or
13 Dryvax.

14 The points I want to make with this
15 slide are, how frequently are the supposed
16 surveillance methods positive in subjects with
17 post-vaccinia myocarditis. We can see that
18 symptoms were not present in four of these
19 individuals. These individuals would not have
20 been identified unless they had undergone
21 either electrocardiography which would have
22 identified all four and did in fact; or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 troponin assessment which was abnormal in two
2 of them; note that those two subjects also had
3 reduced LVEF on echo.

4 Among the symptomatic patients, you
5 will note the symptoms include exercise
6 intolerance, chest pain, dyspnea,
7 palpitations. We find that the
8 electrocardiogram was positive in four of
9 those five, or rather six, five of those six
10 subjects. And troponin was abnormal in four
11 of the six individuals.

12 Interestingly echocardiogram showed
13 reduced LVEF in only this one subject, who we
14 may have an opportunity to talk about later if
15 you wish; this is an individual who continues
16 to have cardiac problems.

17 These are the same subjects listed
18 in the same order. I simply want to make a
19 few more points about these 10 subjects.

20 First, ACAMBIS convened a panel of
21 cardiac experts to review and classify these
22 cases. And the classification scheme divided

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the subjects among those with subclinical
2 presentation of symptoms, and those that did
3 have symptoms.

4 Among the asymptomatic, there was
5 suspected and probable myocarditis, two in
6 each category. I would like to point out that
7 the day of onset in these patients with
8 subclinical disease, as well as those with
9 symptoms, was early. Again, I want to
10 emphasize that we are looking at this disease
11 process at a very early stage, and one in fact
12 which clinicians rarely have the opportunity
13 to see.

14 Among those with symptoms there was
15 one felt to be suspect myocarditis, relatively
16 incomplete evidence to make a definitive
17 diagnosis; and there were five with probable
18 myocarditis.

19 Note that disease resolved in all,
20 and resolution was defined as absence of
21 symptoms; absence of troponin elevation; and
22 LVEF at or above the normal lower limit for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the laboratory.

2 One case has ongoing disease.

3 Now regarding outcomes of
4 myocarditis, I've just shown that in these 10
5 subjects ascertained in the Acambis experience
6 the outcome is quite good with 90 percent
7 resolution. And I will add that there are
8 questions about whether that tenth subject
9 indeed is suffering from a myocarditis related
10 problem.

11 Outcomes however in what we'll call
12 classical myocarditis are much, much worse.
13 And I show this slide to emphasize the fact
14 that in the case of smallpox vaccine related
15 myocarditis, we are not dealing with the nasty
16 disease that many clinicians view myocarditis
17 to be.

18 You can see that in the myocarditis
19 treatment trial, independent of whether
20 treatment was given or not, the mortality rate
21 was about 30 percent in two years, and it was
22 above 50 percent, nearly 60 percent, at give

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 years, obviously a very poor outcome unlike
2 the smallpox related disorder.

3 Now what is the incidence of
4 smallpox vaccine related myocarditis? There
5 is this perception that it is higher than
6 expected, or higher than it used to be, with
7 the new vaccine. And I'd like to use the
8 following data to demonstrate that there
9 really has not been an increase in incidence.

10 What there has been is a more rigorous
11 attempt to detect the disorder.

12 So I've divided these data which I
13 realize are very difficult to see in the back
14 between the four studies in which self
15 reporting was relied upon, or other studies in
16 which in addition to self reporting symptoms
17 were sought, and ACG and/or serum markers were
18 measured.

19 I'll go through each study quickly.

20 The New York Vaccine Campaign of 1947
21 included 5 million individuals, and there was
22 only one case of myocarditis from that group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This case actually was only found after death
2 at autopsy.

3 In the Finnish military experience
4 60,000 vaccinees; 10 cases of very low
5 incidence rate of .02 percent. We don't have
6 follow up in these subjects, although we know
7 that one individual died. The cause is not
8 known.

9 In the DOD Dryvax experience which
10 has already been reviewed, you will recall
11 that there were 140 cases, a low incidence
12 rate, and a substantial resolution. I don't
13 know that the data I have is actually as up to
14 date as that which Dr. Nelson showed you.

15 Let me emphasize that there is an
16 early onset as expected among these subjects.

17 Those three deaths have been discussed.

18 In the DOD experience there are
19 over 40,000 vaccinees. Twenty-one cases have
20 been found through self reporting. Again, a
21 low incidence, .05.

22 These of these cases remain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unresolved; that is, there is ongoing evidence
2 of cardiac disease.

3 Almost all of these patients had
4 onset of disease within two weeks. There were
5 one or two later.

6 Now if we move on to the studies in
7 which there was active surveillance - well,
8 not surprisingly the incidence rate is higher.

9 And it's my belief that that difference in
10 incidence is solely related to the
11 surveillance techniques, and not to any
12 difference in vaccines.

13 The Finnish study in '74, very
14 small study. There were eight cases of rather
15 high incidence. We have follow up in six, and
16 all of them experienced resolution.

17 The Ahlborg study in Sweden, only
18 286 revaccinees in this case, one percent
19 incidence; we don't have follow up.

20 Now the ACAMBIS Dryvax vaccinees in
21 the phase-three studies with rigorous
22 surveillance, three cases were identified for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an incidence rate of one percent. And you
2 will note in the studies, additional studies
3 in which there was not rigorous surveillance,
4 no other cases were identified. And the
5 incidence rates for these three subjects is
6 .35 percent.

7 One of these subjects, a Dryvax
8 recipient, is the one that has not resolved.
9 All these cases presented, these three cases,
10 within three weeks.

11 And finally the ACAM20000 treated
12 individuals, five had myocarditis identified
13 with rigorous screening, an incidence of .57;
14 two more were picked up in the other studies;
15 all of these particular cases have resolved.
16 All again presented early. Emphasize the
17 difference in this disease compared to
18 classical myocarditis.

19 I think this data supports the
20 contention that Dryvax using that as an
21 historical control, has a very similar
22 incidence rate when modern surveillance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 techniques are used, and there is no real
2 increase in ACAM2000 induced myocarditis.

3 My conclusions, at first, the
4 incidence of smallpox vaccine related
5 myocarditis is, as I've just shown, highly
6 dependent on methods of case ascertainment as
7 well as definition.

8 When rigorous case ascertainment
9 and definitions are used, the incidence is
10 below 1 percent. And this incidence rate is
11 not increased by ACAM2000.

12 We've already noted that the
13 majority, more than 90 percent probably, of
14 individuals with vaccinia-related myocarditis,
15 experience spontaneous resolution; quite
16 different from classical myocarditis.

17 And finally clinical history for
18 troponin and ECG are the only practical
19 methods for detection of myocarditis in large
20 scale studies. And they do appear to have
21 reasonably good sensitivity and specificity.

22 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Our next speaker is Dr. Mike Watson
2 who will discuss the risk map.

3 DR. WATSON: Thank you very much.

4 I'd like to finish the Acambis
5 presentations by presenting the risk
6 management plan.

7 As we've already highlighted today,
8 this is going to be a very important part of
9 the license for ACAM2000.

10 There are two important elements to
11 the risk management plan. Firstly, the PVG
12 program, the pharmacovigilance program. And
13 secondly, the risk minimization action plan.

14 The PVG program will allow us to
15 understand better those safety signals already
16 identified, and it will also allow us to
17 detect any new signals that may become
18 apparent when ACAM2000 is used in a larger
19 population.

20 The risk minimization action plan
21 as the name suggests intends to minimize risk,
22 in the vaccines but also in the case of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACAM2000 in the context of the vaccinees.
2 Any risk management plan is designed around
3 the safety experience with the product
4 concerned. And in the case of ACAM2000 just
5 to recap what you've already heard, is that
6 ACAM2000 is well tolerated with a similar or
7 better safety profile to Dryvax for all
8 adverse events.

9 There are really relatively few
10 serious adverse events. But the most
11 important finding, as we've heard from
12 previous speakers, is the finding of
13 myocarditis in ACAM2000 with a rate of .57
14 percent, and in Dryvax 1.04 percent.

15 You'll see that myocarditis is
16 inverted commas, and I just want to remake the
17 point that's been made a number of times, and
18 that is, what is called myocarditis depends on
19 the case ascertainment and the definition
20 that's used.

21 And in phase three in the cases
22 that we've seen and moving into a risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 management program, what we will be calling
2 myocarditis is for the purpose of surveillance
3 and follow up.

4 In reality most of these cases
5 don't fit the current case definitions for
6 myocarditis. For instance in the clinical
7 study none of the individuals have had cardiac
8 biopsies. None were asymptomatic. And a
9 number had ECG changes alone. And none of
10 those would currently be diagnostic of
11 myocarditis.

12 I'm going to go through the
13 pharmacovigilance program and then through the
14 risk management action plan.

15 The goals of the pharmacovigilance
16 program are threefold. Firstly, to monitor
17 for any rare SAEs that may become apparent
18 when ACAM2000 is used in a larger population,
19 so-called signal detection.

20 Secondly, to establish a more
21 precise instance rate for these possible
22 myocarditis disease.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And thirdly to allow us to assess
2 the short, medium and long term outcome for
3 these potential myocarditis cases.

4 There are four main elements to the
5 pharmacovisions plan, and there is a fifth
6 which I will talk about in a moment.

7 The four main elements are the
8 routine pharmacovisions, spontaneous
9 reporting; the enhanced surveillance program;
10 a prospective phase IV clinical trial; and a
11 myocarditis registry to allow us to bring
12 together all potential myocarditis cases into
13 a single long term follow up cohort.

14 The routine pharmacovisions will be
15 in close collaboration with the Department of
16 Defense. These are the only two agencies that
17 will be using ACAM2000. It will be run under
18 the auspices of an expediting reporting
19 agreement with the FDA. What that means is
20 that we will have a list of adverse events,
21 agreed with the FDA, for which expedited
22 reporting is required. And that's a list

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is longer than it would normally be
2 based on the label.

3 We will of course meeting FDA
4 regulatory reporting requirements. That means
5 reporting into the VAERS system. That means
6 providing periodic safety update reports,
7 quarterly for the first three years, annually
8 thereafter. And that means including any
9 foreign reports or literature reports in the
10 PSURs.

11 That will be coordinated through a
12 safety database which we have in house which
13 is operational and validated.

14 And any cardiac adverse events, any
15 possible myocarditis that become apparent
16 through routine pharmacovisions, will be
17 entered in the myocarditis registry for long
18 term follow up.

19 As we are all aware one of the
20 inherent weaknesses of passive surveillance is
21 the under-reporting. And even though as we've
22 heard there are great efforts going on within

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the DOD to increase the amount of passive
2 reporting, that still remains a concern.

3 To try and address that we're then
4 going to put in place an enhanced surveillance
5 program, again in close collaboration with the
6 Department of Defense.

7 The objective of this program, the
8 primary objective of this program, is to
9 collect as large a cohort as possible of
10 myocarditis to follow them up, to get a better
11 understanding of the natural history of this
12 condition.

13 This will also by virtue of the
14 size of this program allow us to detect any
15 other signals that may become apparent, and to
16 learn more about any serious adverse events or
17 adverse events of interest.

18 Schematically we expect something
19 on the order of 100,000 plus vaccinees to be
20 entered into this program. These vaccinees
21 will be contacted proactively by email, by
22 cards, by the most appropriate contact means

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for their context, at days 10 and day 21, to
2 solicit symptoms.

3 Any potential signals, any AES of
4 interest, will then be reviewed by the
5 adjudication committee, and any cardiac events
6 and history - events of interest - will be
7 entered into the myocarditis registry.

8 Whilst enhanced surveillance is intended
9 to overcome much of the under-reporting, it
10 won't clearly overcome all of it. And it's
11 for that reason that we are putting in play a
12 phase-four trial. That phase-four trial will
13 consist of at least 10,000 individuals. And
14 the goals of that trial are, firstly, to get a
15 more precise estimate of these possible
16 myocarditities and vaccine recipients.
17 According to a range of different criteria, we
18 will be looking at symptoms, signs, laboratory
19 and other investigations.

20 It will allow us to collect more
21 cases for short, medium and long term
22 interest. And by virtue of the size of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 study it will also give us more information on
2 other serious adverse events.

3 This again will be in close
4 collaboration with the Department of Defense
5 and deployable troops. We expect this to
6 start within 12 months following licensure,
7 and to be conducted in three to five large
8 military posts.

9 As I said we hope to be able to
10 recruit 10,000 subjects into the study. And
11 one thing we are looking at at the moment is
12 how we might be able to identify a control
13 group for this group in order to better
14 understand any events that are identified
15 during the course of this study.

16 Such a study is expected to take
17 two years to complete, and any study of this
18 size in a population as operational as the
19 Department of Defense, we clearly need to take
20 into account the realities of that situation.

21 So we're in ongoing discussions with the
22 Department of Defense to plan this study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Schematically the 10,000 subjects
2 will be screened, undergo informed consent,
3 and then vaccinated. There will then be
4 medical visits, scheduled for day 10 and day
5 21.

6 At the moment we are proposing a
7 screening of troponin and symptoms to try and
8 identify any potential myocarditis cases.

9 If these are positive they will
10 then be entered into serial follow up as cases
11 of possible myocarditis.

12 As I said earlier one, these three
13 programs - the passive, the enhanced and the
14 trial - will provide cases which will feed
15 into a myocarditis registry, and we are taking
16 advantage of the registries that exist within
17 the vaccine health care centers which we have
18 heard to allow us to ascertain the long-term
19 outcome for these myocarditis cases.

20 We hope to be able to follow up all
21 cases for a minimum of two years, and then any
22 cases with persisting signs or symptoms, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 far as is required.

2 There is a fifth possible risk
3 management activity that we are considering,
4 and that really answers the question. The
5 question being asked her is, is there some
6 kind of subclinical possible myocarditis going
7 on that may lead to long term sequellae.

8 And we've heard mention that the
9 most likely sequellae would be a dilated
10 cardiomyopathy.

11 How can we tell that? Well, we can
12 either recruit a large number of subjects with
13 these possible myocarditis, and then follow
14 them up long term.

15 The other way of doing it is to do
16 a retrospective study. And recognizing that
17 large numbers of individuals were vaccinated
18 prior to 1970, we would expect in a cohort
19 study comparing vaccinate to non-vaccinated,
20 if this was indeed a cause of dilated
21 cardiomyopathy, to find an excess of dilated
22 cardiomyopathy in the vaccinated, and equally

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the case of the control study we would
2 expect to find that the cases were more likely
3 to be in the vaccinated than in the non-
4 vaccinated.

5 This is something that we need to
6 discuss further with the CDC. But there are
7 clearly some cohorts out there that would lend
8 themselves to this, perhaps the Framingham
9 Heart Study, and perhaps the Swedish and
10 Finnish military recruits, military cohorts,
11 that we have seen in a couple of studies.

12 I now want to move on to the risk
13 minimization action plan. What I'm not going
14 to do is represent the detailed information
15 that you've seen from the Department of
16 Defense and the CDC, which clearly represent a
17 very comprehensive toolkit of tools to
18 minimize risk in vaccinees. What I will do
19 though is talk briefly about each of the risks
20 to be minimized, and where we see additional
21 possible risk minimization activities.

22 The (***) 11:38:48) for risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 minimization are the potential vaccinees;
2 because of the nature of the vaccine, the
3 vaccines themselves are a target for risk
4 minimization to prevent secondary
5 transmission, as are the contacts of
6 vaccinees.

7 The vaccinating physicians and the
8 follow up physicians also need to be involved
9 in any risk minimization action plans.

10 The risk to be minimized, as we've
11 heard about already, include auto-inoculation,
12 especially auto-inoculation; secondary
13 transmission; eczema in both primary and
14 secondary contacts; prevention or vaccination
15 of the immuno-compromised; prevention of
16 vaccination of pregnant individuals; trying to
17 minimize risk of cardiac events of
18 encephalitis; and also clearly to avoid
19 vaccination of individuals who are allergic to
20 the vaccine or any of its components.

21 This is really just to illustrate
22 the large number of practical and accessible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tools that are available. And you saw this
2 card earlier. This is a cut out credit sized
3 card, information sheet, the vaccinees can
4 carry with them which gives them an immediate
5 list of contact details should they have any
6 questions following vaccination.

7 We have also seen from earlier
8 speakers the extensive, repeated and clear
9 advice that is available on preventing
10 secondary transmission. And the impact of
11 that is very clear. There has been one case
12 of eczema vaccinatum, when one would expect
13 historically 20 to 40 cases. There have been
14 tens of cases of secondary transmission when
15 would have expected from historical experience
16 hundreds or thousands. So this is clearly
17 very effective.

18 The mainstay of the risk
19 minimization program is the screening form.
20 That screening form is currently used to
21 screen out those at risk of eczema vaccinatum.

22 There has been a single case, far fewer than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 expected. My understanding is that the
2 information is under review to see whether the
3 visibility of that guidance can be improved to
4 further avoid any additional cases of EV.

5 Immuno-compromised, my
6 understanding is, the screening form has been
7 very successful in avoiding the vaccination of
8 immuno-compromised individuals, and therefore,
9 there is nothing additional that we see to be
10 done there.

11 Inadvertent use of pregnancy,
12 that's screened for. There is a pregnancy
13 test prior to vaccination, as we've seen as
14 advice for those concerned. They may be
15 vaccinated around the time of pregnancy, and
16 there is a registry to follow up those
17 individuals.

18 In terms of minimizing cardiac
19 adverse events, the screening form clearly
20 screens out individuals with preexisting
21 established cardiac disease, or with the risk
22 factors for cardiac disease.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In addition to that, as we've
2 heard, vaccination occurs usually 30 to 60
3 days prior to deployment. As we've also seen,
4 most cases of myocarditis present themselves
5 around 11 days after vaccination, and that
6 means the vast majority of vaccinees will
7 present themselves prior to appointment.

8 There is an algorithm for
9 identifying and managing potential cases of
10 myopericarditis, and those that are identified
11 are put on a six-month nondeployable period
12 with specific guidelines for exercise.

13 There are ongoing immunogenetic
14 studies to try to identify any risk groups to
15 prevent myopericarditis, and we've heard that
16 there have been 140 cases among the 1.2
17 million vaccinees, the vast majority of which
18 have resolved.

19 Encephalitis is historically been
20 rare; continues to be very rare. There are no
21 clearly identifiable risk factors that we are
22 aware of. What is sometimes done with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vaccines, where there is a concern about
2 neurological risk, is to exclude individuals
3 with preexisting significant neurological
4 conditions.

5 Our understanding is that that
6 probably doesn't have a place to play in the
7 Department of Defense.

8 Compliance is a very important
9 aspect of any risk minimization action plan.

10 Our understanding is that there are
11 regional analysts who conduct hospital visits
12 within the Department of Defense to check
13 compliance with the use of the screening forms
14 and the educational materials. And we have
15 heard also about the educational materials
16 that are available to make sure that people
17 apply these tools.

18 In addition to that, we will be
19 developing a medication guide. This is a
20 first for a vaccine. This is a clear guide on
21 what the vaccine is, who should be vaccinated,
22 who should not be vaccinated, and what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 benefits and risks of vaccination are.

2 And we will continue to work
3 closely with the DOD and CDC to ensure that
4 our information is consistent. We share
5 information, and we explore the possibility of
6 tools for further assessing compliance and the
7 impact of those tools.

8 So in summary there is an extensive
9 group of proven tools available for risk
10 minimization in smallpox vaccination. There
11 is work ongoing to increase the visibility of
12 the eczema warnings. There is ongoing work to
13 try and identify risk factors for
14 myopericarditis, and we are continuing to
15 explore tools that will enable us to assess
16 physician and vaccinee compliance.

17 And it will be important to
18 continually review and revise these materials.

19 That concludes the Acambis
20 presentations. Thank you for your attention.

21 CLARIFICATION/QUESTIONS

22 CHAIR KARRON: Thank you very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Because we are running a bit
2 behind, and because I think we are going to
3 have ample time in the afternoon to discuss
4 risk math issues, I'd like to ask the
5 committee just to focus their questions on
6 issues related to the trials for the sponsor
7 at the moment.

8 Questions? I'll start out with one
9 actually for Tom Monath. And this actually
10 has to do with a neutralizing antibody.

11 And my question is, do you have any
12 information on duration of titers greater than
13 40 in ACAM2000 induce lower levels of
14 antibody?

15 DR. MONATH: No, we don't have any
16 information on duration. All of the
17 information on duration of immunity to
18 vaccinia is with older vaccines, either Dryvax
19 or lister, in the literature. So neutralizing
20 antibody studies were not continued after the
21 day 30 endpoint in these trials. So that is
22 something that would have to be looked at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prospectively going forward if further studies
2 were indicated.

3 But I'd just say that the response
4 was quite similar to Dryvax, and we know that
5 antibodies last - kind of go up early, decline
6 by about a year, and seem to plateau for up to
7 75 years at constant levels. And the T-cell
8 response was also very long lasting,
9 particularly CD4 cells following vaccine.

10 CHAIR KARRON: Dr. Teerlink.

11 DR. TEERLINK: I have a series of
12 questions related to the noninferiority
13 aspects of the trial design.

14 It's been mentioned before, and I
15 guess one of the advantages of being a
16 cardiologist is, I have the option to ask some
17 stupid questions I guess.

18 The first question is, it's been
19 mentioned a number of times that the Dryvax
20 potency may have been decreasing in potency
21 over time. And yet for noninferiority design
22 you like to compare it to the very best

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 available agent.

2 Is it possible - how confident are
3 you that Dryvax now is the best possible
4 comparator? And if in fact you are being
5 noninferior to that, or may not noninferior to
6 that, how important is that?

7 DR. MONATH: That's a good question.

8 So the Dryvax lots that are still under
9 license in the repository are tested
10 periodically for potency. Actually, by
11 Acambis, under contract to CDC. So it's an
12 ongoing stability program that looks at
13 potency.

14 The potency of the Dryvax lot used
15 in all of these trials was about 1.6 times 10
16 to the 8th. It's very similar to the range of
17 titers for ACAM2000, 1.3 to 2.2 times 10 to
18 the 8, very close; so that's a good match
19 across these trials for Dryvax and ACAM20000.

20 DR. TEERLINK: And related to that,
21 then, when the statistical analysis plan for
22 the trial, you use co-primary endpoints. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we have kind of, some made it, some didn't.
2 Was there a prespecified plan in terms of
3 saying what it would take to declare victory
4 supposedly or actual efficacy.

5 DR. MONATH: That probably is going
6 to be addressed in the FDA presentation. The
7 goal of course was to meet both coprimary
8 endpoints. That was the objective of the
9 trial.

10 DR. TEERLINK: So is alpha split
11 amongst them? Was alpha split amongst those
12 endpoints?

13 DR. MONATH: No.

14 MR. BALSER: John Balser. I'm a
15 statistical consultant to Acambis. The alpha
16 level was not split, because both primary -
17 both coprimary endpoints were required to be
18 met in order to achieve the endpoints of the
19 trials.

20 The power of the tests, though,
21 were increased in order to accommodate the
22 fact that both were required.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. TEERLINK: So to be considered
2 efficacious by the statistical analysis plan,
3 both coprimary endpoints had to be met?

4 MR. BALSER: That is correct.

5 CHAIR KARRON: Dr. Jackson.

6 DR. JACKSON: I just wondered about
7 the workup for the myocarditis cases. Was
8 there any attempt to evaluate things like
9 anti-myocardial antibodies, other marks of
10 inflammation, interleukins and so forth. And
11 was there any correlation between these cases
12 and response to the vaccine?

13 DR. MONATH: So all the subjects who
14 developed myocarditis were in the naive group,
15 and all responded typically to the vaccine,
16 had a major cutaneous reaction.

17 There was no planned analysis, and
18 it was felt after discussion with the
19 cardiology advisory panel that we set up, that
20 there really wasn't a good way to address the
21 etiology question directly.

22 So no - however we do have - the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 algorithm that was used for the investigation
2 of these subjects did request a paired sera be
3 collected. We do have stored serum samples,
4 and the thought was that perhaps they would be
5 useful once we could identify appropriate test
6 schemes.

7 Those serum volumes are quite
8 limited, so I think this would have to be
9 carefully thought through. No specific tests
10 have been done to date.

11 CHAIR KARRON: Dr. Massie.

12 DR. MASSIE: I feel funny following
13 my colleague, Dr. Teerlink, in asking
14 questions outside of the realm of my
15 expertise.

16 But so now that - I was trying to
17 understand whether there was a prespecified,
18 and why it was tested at 97.5 percent in two
19 different things when you might say that you
20 would want to do it at a more stringent level,
21 since there still was sort of a two-sided
22 hypothesis involved.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But the real question that stands
2 out to me, and I'm less concerned about the
3 myocarditis, which seems to be a real issue of
4 concern, but not particularly with this
5 vaccine, is that there were four endpoints.
6 And it was inferior if not significantly
7 inferior to Dryvax in all four. And in two it
8 didn't make the prespecified endpoint; in one
9 it came close to not making it, actually
10 fairly close in both of them.

11 How confident are we that this is
12 equivalent? Because the chance of that
13 happening is one out of 16, when you miss all
14 four endpoints, or turn the wrong way.

15 Or are there differences, perhaps -
16 and this is where the experts come in - these
17 are really good responses no matter what. Are
18 these good enough?

19 Because it doesn't seem to me as a
20 lay person in this sort of area that we are
21 getting the same degree of immunity out of
22 this product as we do out of Dryvax.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MONATH: So I think we developed
2 a vaccine that clearly is a new vaccine. The
3 attempt was to get as close as possible in all
4 the preclinical markers that we had to Dryvax.

5 And clinically when we tested it in large
6 numbers of individuals, we found the
7 differences that you allude to.

8 Many vaccines that we use today
9 have lower efficacies than we are talking
10 about here. Nevertheless, this is a
11 significant disease, and one would like to get
12 as close to 100 percent protection as
13 possible.

14 The response in naive individuals I
15 think is very clearly similar to Dryvax, and
16 we are talking about fine points of
17 statistical endpoints. But what is the
18 clinical relevance, and the difference in
19 neutralizing antibody, geometric mean of 1.54.

20 Probably not important.

21 And if you look at this analysis of
22 titers, you see that the majority of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individuals, over 90 percent in all treatment
2 groups, had these titers that might be
3 considered to be protective, and are known to
4 be - the protection you see years after
5 vaccination is associated with titers, low
6 titers of antibody.

7 So I think that this vaccine will
8 produce a protective immune response in the
9 vast majority of individuals - probably not
10 quite as effective in previously vaccinated
11 subjects who have preexisting immunity. But
12 remember, in those that are most susceptible
13 and have no neutralizing antibody it is very
14 effective, 94 percent.

15 DR. HETHERINGTON: What you stated
16 is that you tried to recreate the Dryvax
17 vaccine using modern manufacturing processes.

18 And I wonder if we could hypothesize that
19 these results were about as good as you could
20 expect?

21 In other words, is the goal of
22 equivalence or noninferiority I should say, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that realistic? Are there impurities in the
2 Dryvax and the old manufacturing process that
3 act as adjuvants that increase traffic in
4 macrophages and other immune cells that are
5 really acting to boost the antibody response.

6 And you are going to give some of that up if
7 you move to a modern manufacturing process
8 where there is far greater purity; remove the
9 adventitious agents so you can increase safety
10 from that aspect.

11 But again you have to give up
12 something. There's nothing that's free. And
13 when you go to a pure more modern
14 manufacturing process you are going to give up
15 some of the immune response.

16 And I wonder if the manufacturer,
17 anybody from Acambis, has thought about this,
18 or if anyone else on the committee would want
19 to make a comment about that.

20 Maybe the only way to get to the
21 next step is to create a new vaccine, using
22 adjuvants or some other process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MONATH: I couldn't have said it
2 any better myself.

3 Live vaccines are always a balance
4 of attenuation and immunogenicity. And I
5 think we made the decision to develop a clone,
6 clonal vaccine versus trying to recreate the
7 subpopulation distribution of genetic swarm in
8 Dryvax.

9 When we passed the virus without
10 plaque purification and cell culture, we
11 actually - the result of that was a more
12 neurovirulent virus than Dryvax. So that
13 evidence underlines really informed that we
14 should develop a plaque-purified population,
15 for a variety of reasons which I won't get
16 into.

17 When we did that, we were working
18 with a subpopulation compared to Dryvax
19 mixture of strains. And it turned out that
20 there are these fine differences between them.

21 And the biological behavior is quite robust.

22 It does reproduce the effects of Dryvax in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the vast majority of people.

2 So I think that if you tried to do
3 this again you might get a little different
4 result. This is what happens when you adapt
5 an uncloned genetic swarm to cell culture for
6 modern manufacturing.

7 And I think probably weighed
8 against these somewhat lower or the
9 attenuation may in fact have a positive side.

10 As I've mentioned with respect to
11 neurovirulence. One of the most feared
12 complications of smallpox vaccination.

13 So I hope I have addressed your
14 question. But I think your comments were
15 quite germane.

16 CHAIR KARRON: Dr. Stapleton.

17 DR. STAPLETON: Yes, Dr. Monath, I'd
18 like to ask two questions. One, I think I'll
19 accept that a take is well associated with
20 protective immunity. But how well are the GMT
21 data with protective immunity?

22 And the second question is, do you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have any data on the revaccination population,
2 of what their neutralizing antibody titers
3 were in the people who did not get a take with
4 either Dryvax or the Acambis?

5 DR. MONATH: So I was concentrating
6 on the hard question I forgot the first part.
7 What was the first one?

8 DR. STAPLETON: The first one was
9 how well it coordinated our geometric mean and
10 titers?

11 DR. MONATH: As I mentioned, we
12 really don't have an established level of
13 neutralizing antibodies that is known to
14 correlate with protection. The older studies
15 that I referred to were relatively small
16 numbers of individuals, and the design of
17 those studies was limited.

18 So I think the conclusion that
19 relatively low titers were associated with
20 protection is valid. But it's hard to put a
21 line in the sand. So the one to 32 level is
22 just put up there as a benchmark.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Attempts to find that level of
2 protective immunity by passing immunization
3 studies with VIG for example have not really
4 shed light on the question either.

5 The individuals who - second
6 question was individuals who did not have a
7 take in the revaccination trial, what was
8 their preexisting level of immunity? It would
9 be helpful to look at a slide. But the
10 majority of those individuals who did not have
11 a take were the ACAM2000 group, and they were
12 the individuals who had neutralizing antibody
13 titers above 20.

14 DR. WONNACOTT: Let me just add one
15 comment that basically those who had the
16 positive cutaneous response, and 97 percent of
17 those had antibody titers greater than one
18 through 20, those who did not have the
19 positive antibody - or cutaneous response had
20 titers less than one to 20; they all did.
21 That's in the primary vaccination.

22 In the revaccination, as you saw

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from the GMTs, they were all higher than the
2 primary.

3 (Off-mike voice)

4 DR. STAPLETON: So you mean whether
5 they had a take or not, they were all high
6 titer post revaccination?

7 (Off-mike voice)

8 DR. WHARTON: Melinda Wharton, CDC.
9 But do we know anything about their
10 prevaccination titers in the reactionees?
11 That's what I thought the question was.

12 DR. MONATH: At baseline in the 012
13 study, the geometric mean in the ACAM group
14 was 33, and it was about 25, a little lower in
15 the Dryvax group.

16 CHAIR KARRON: Dr. LaRussa.

17 DR. LaRUSSA: Just clarify for me,
18 the criterion for noninferiority of the GMT of
19 0.5, was that based on being able to reliably
20 tell the difference between the twofold
21 difference in antibody titer?

22 DR. MONATH: Well, the statistical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 endpoint was the ratio of the GMTs of ACAM2000
2 and Dryvax should be at least point five; is
3 that what you are referring to, which is a
4 twofold difference? That is a statistical
5 endpoint.

6 And I think your question is a
7 little different, what is the variability of
8 the response? Typically one of the secondary
9 endpoints was the seroconversion rate; that
10 is, the proportion of subjects who had an
11 increase in antibody. There we used the
12 fourfold difference between pre and post as
13 the cutoff.

14 CHAIR KARRON: Okay. I just have
15 one other question for Dr. Mason, and that has
16 to do with slide 68, the issue of resolution
17 of myocarditis. And is that by any
18 measurement? So by EKG? By echo, completely
19 resolved for all of those individuals?

20 DR. MASON: I can only comment with
21 direct knowledge about the 10 Acambis cases.
22 And they indeed did experience complete

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resolution. It was defined - nine of them,
2 nine of the ten did - it was defined as
3 absence of symptoms, no troponin elevation,
4 and an LVEF above the core lab - at or above
5 the core laboratory's lower limit of normal
6 which was point five five for echo EF.

7 So nine of the ten met those
8 criteria for resolution.

9 The one subject that did not
10 resolve is an interesting case, because I
11 think it's very difficult to determine or
12 decide if she indeed had myocarditis, and if
13 it had anything to do with her ongoing
14 problems.

15 She, at the time of vaccination,
16 was 22 years old. She received Dryvax. She
17 was obese, and with a BMI of 45, quite high.
18 And by the way that has continued to rise.

19 She was and still is a smoker. She
20 had a history of exertional dyspnea, and in my
21 view, the most important observation is that
22 she had long standing extreme sinus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tachycardia. I will describe her Holter
2 results in a moment.

3 She also had inferior Q waves on
4 her baseline EKG. These Q waves were more
5 narrow than ones on subsequent EKGs. She has
6 met criteria for inferior infarction on
7 several EKGs, and it's notable that she had
8 regional contraction abnormalities on echo
9 consistent with these Q waves.

10 Her troponin and ECG were abnormal
11 on day 10, but the ECG changes were not
12 changes seen in myocarditis. She did not have
13 ST elevation or T wave inversion. She had
14 sinus tachycardia and these Q waves that I
15 noted.

16 The troponin was 3.2

17 A echo on day 15 showed an EEF of
18 .52, and possible concentric hypertrophy. The
19 Holter, very interesting, her mean heart rate
20 was 103. And she had a heart rate exceeding
21 120 beats per minute for more than five hours
22 during that Holter.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This degree of tachycardia is more
2 than enough to induce a cardiomyopathy
3 independently.

4 The expert panel that I mentioned
5 that was convened in 2005 suspected that she
6 had preexisting disease, and that it was a
7 major contributor to her ongoing problems.

8 The echo follow up showed basically
9 ongoing deterioration over a two-year period.

10 She had a CVA in July of last year,
11 not an unusual adverse event in subjects with
12 cardiac dilatation. Of course she was
13 anticoagulated at that point.

14 And her last clinic visit, which
15 was not very long ago, she still had sinu9s
16 tachycardia. Her heart rate in fact was 124
17 at that time. And she has had inferior Q
18 waves present on all follow up exams. The
19 sinus tachycardia on exams. The Q waves on
20 ECG.

21 Any questions about that particular
22 subject?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: Dr. Farley, did you
2 have a question?

3 DR. FARLEY: I was trying to
4 remember the screening for cardiac risk
5 factors that had been proposed for the map,
6 risk map. And would she have been screened
7 out based on that?

8 DR. WATSON: In terms of the current
9 DOD program she would not have been
10 vaccinated, and she should probably not have
11 been entered into the trial.

12 CHAIR KARRON: Okay, thank you.

13 We are running behind. We are
14 going to take a very brief break right now,
15 and reconvene at 12:15, and we will hear from
16 the FDA at that point.

17 (Whereupon at 12:07 p.m. the
18 proceeding in the above-entitled matter went
19 off the record to return on the record at
20 12:19 p.m.)

21 CHAIR KARRON: All right, we are
22 going to begin.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Our first speaker for this part of
2 the session is Dr. Rosenthal from the FDA.

3 FDA PRESENTATION

4 DR. ROSENTHAL: Good afternoon. My
5 name is Steve Rosenthal, and I'll be
6 presenting Sever's (phonetic) review of the
7 ACAM2000 clinical development program.

8 As you've heard earlier, smallpox
9 is considered to be a dangerous biological
10 weapons threat. HHS classifies it as a
11 category A bioterrorism agent because a large
12 proportion of the world's population is
13 susceptible; it can be manufactured in large
14 quantities; it can be stored indefinitely; it
15 has a high transmission rate; a high case
16 fatality rate; would cause large social
17 disruption; and the tools are available for
18 public health action.

19 The only commercial approved
20 vaccinia vaccine available for use in the
21 United States is the Wyeth Dryvax. It is no
22 longer manufactured, and the remaining number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of doses is limited. The vaccine is
2 administered percutaneously with a bifurcated
3 needle.

4 Effective vaccination was indicated
5 by the observation of the cutaneous pustular
6 lesion seven to 10 days after vaccination at
7 the vaccination site which is classified as a
8 take. The take rate has generally been
9 accepted as an accurate correlate of vaccine
10 efficacy.

11 Dryvax has a greater than 90
12 percent take rate.

13 Potential complications from
14 smallpox vaccination are well documented from
15 the eradication era. Such complications
16 include generalized vaccinia, eczema
17 vaccinatum, progressive vaccinia, post-
18 vaccinal encephalitis, fetal vaccinia, and
19 inadvertent inoculation.

20 In late 2002 CDC and the Department
21 of Defense initiated a smallpox vaccination
22 program among military personnel and civilian

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 first responders. Unexpectedly myocarditis
2 emerged as the most frequent serious adverse
3 event. Approximately one case per 2,000
4 primary vaccinations observed in the civilian
5 program, and one case per 6,000 primary
6 vaccinations in the military.

7 The ACAM2000 clinical development
8 program was modified to better characterize
9 this risk. The efficacy trials were halted
10 before they reached full enrollment in April
11 of 2004.

12 In August 2005, the Dryvax package
13 insert was updated with a blackbox warning,
14 the first for a vaccine, and a description of
15 the rates of myocarditis seen in the ACAM2000
16 trials of approximately one case per 145
17 vaccinations were added to the package insert.

18 These data were also made publicly
19 available by Acambis at the October, 2004,
20 ACIT meeting.

21 The safety and immunogenicity data
22 provided to support license approval is based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on two pivotal clinical trials that
2 demonstrate efficacy by surrogate endpoints,
3 major cutaneous reaction, or take rates; and
4 serum neutralizing antibody.

5 Both clinical trials' main
6 objectives were, first, to compare the
7 immunogenicity of ACAM2000 and Dryvax vaccines
8 by comparing the proportion of subjects in
9 each treatment group who develop a successful
10 vaccination or take, and the geometric mean
11 vaccinia neutralizing antibody titer on day
12 30.

13 And second, to compare the safety
14 of ACAM2000 and Dryvax vaccines in health
15 adults.

16 Both trials were randomized, double
17 blind, controlled, multi-center studies.
18 Subjects were randomized three to one to
19 receive either ACAM2000 or Dryvax.

20 Clinical trial, zero zero nine
21 enrolled adults 18 to 30 years of age naive to
22 smallpox vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Clinical trial, zero twelve
2 enrolled adults 31 to 84 years of age
3 previously vaccinated with smallpox vaccine.

4 The coprimary efficacy endpoints
5 were, the proportion of subjects with
6 successful vaccination based on natural
7 cutaneous reaction, or take, and the geometric
8 mean antibody titer on day 30.

9 The endpoints were evaluated based
10 on statistical tests for noninferiority of
11 ACAM2000 versus Dryvax.

12 The ACAM2000 clinical program was
13 placed on hold in April, 2004, due to concern
14 over a higher number than expected of observed
15 myopericarditis.

16 This table shows the sample sizes
17 used in the analysis. Both trials had a
18 planned enrollment of about 2,700 subjects.
19 Trial 009 enrolled a total of 1,037 subjects,
20 and trial 012 enrolled a total of 1,674
21 subjects.

22 Four study sites, approximately 255

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subjects, were excluded in final efficacy
2 analysis due to compliance issues with good
3 clinical practices found on inspection.

4 These subjects were examined
5 however in the final safety analysis.

6 Efficacy was assessed with
7 vaccination site examinations on day zero,
8 seven , 10, 21, and 30, evaluating the site
9 for major cutaneous reaction to find as a
10 pustular, vesicular or ulcerative lesion of
11 measurable size on day seven and/or day 10.

12 Vaccination sites were evaluated by
13 primary investigators in 009, and because
14 vaccination site reactions might be more
15 difficult to interpret in persons with
16 preexisting immunity, site reactions were
17 evaluated by independent review committee.

18 The primary vaccinees, trial 009,
19 take rates were 96 percent and 99 percent in
20 ACAM2000 and Dryvax groups respectively.
21 ACAM2000 was shown to be noninferior to Dryvax
22 with regard to cutaneous response rates as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lower bound in the 97.5 percent one-sided
2 confidence interval was negative 4.67 percent,
3 greater than 5 percent was needed.

4 Subjects revaccinated, trial 012,
5 take rates were 84 percent and 98 percent in
6 ACAM2000 and Dryvax groups respectively.
7 ACAM2000 was not shown to be noninferior to
8 Dryvax with regard to this endpoint.

9 The primary vaccinees, geometric
10 main neutralizing antibody titers were 166 and
11 255 on day 30 after vaccination in ACAM2000
12 and Dryvax groups respectively.

13 GMT in the ACAM2000 group cannot be
14 considered noninferior to that in Dryvax group
15 as indicated by the lower bound confidence
16 interval on the mean difference of negative
17 0.307 and a lower bound greater or equal to
18 negative 0.301 was needed.

19 In the previously vaccinated trial,
20 012, GMTs were 286 and 445 in the ACAM2000 and
21 Dryvax respectively, and ACAM2000 was shown to
22 be noninferior to Dryvax with the lower bound

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the difference that exceeded negative
2 0.301.

3 And in summary ACAM2000 met two of
4 the four coprimary endpoint criteria
5 established for the phase three clinical
6 trials. It passed for the cutaneous response
7 in primary vaccinees, and for GMT in those
8 previously vaccinated.

9 It failed marginally for GMT in
10 primary vaccinees, and failed for the
11 cutaneous response in subjects previously
12 vaccinated.

13 So its preexisting immunity
14 probably can affect the cutaneous response to
15 revaccination. We feel the data are
16 consistent with ACAM2000 being an effective
17 vaccine against smallpox.

18 The safety of ACAM2000 was assessed
19 by physical examinations and structured
20 interviews on day zero, seven, 10 and 21.
21 ECGs were done at screening at days 10 and 21
22 post vaccination.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Troponin was done on screening and
2 on day 10. Suspected cases of myocarditis
3 were identified by clinical symptoms such as
4 chest pain, shortness of breath, palpitations,
5 and with ECG and troponin.

6 Suspected cases were evaluated by a
7 cardiologist and followed for 12 months or
8 longer if there were any cardiac
9 abnormalities.

10 No significant difference between
11 ACAM2000 and Dryvax groups was seen with
12 regard to the overall incidence of adverse
13 events. The overall incidence of at least one
14 adverse event in the ACAM2000 groups was 99
15 percent, and greater than 99 percent
16 respectively.

17 There was no significant overall
18 difference between groups with regard to
19 moderate and severe reactions.

20 This table illustrates the rates of
21 selected adverse events, with point estimates
22 generally a bit higher for the Dryvax group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 compared to ACAM2000.

2 The difference of lymph node pain
3 to vaccine groups was statistically
4 significant.

5 Severe adverse events were
6 infrequent. The most commonly reported severe
7 adverse events for all treatment groups were
8 vaccination site reactions, with severe local
9 reactions occurring in 4 percent and 9 percent
10 of ACAM2000 and Dryvax subjects respectively.

11 Other adverse events reported
12 occurred rarely at an incidence of less than
13 or equal to 1 percent in ACAM2000 groups.
14 Rates were slightly higher in the Dryvax group
15 in primary vaccinees.

16 There were 10 cases of suspected or
17 probable myocarditis in the overall clinical
18 development program, with an overall rate of
19 approximately one case for 145 vaccinations.

20 Seven cases received ACAM2000 with
21 a rate of 5.73 per 1,000 vaccinations, and
22 three cases received Dryvax with a rate of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 10.38 per 1,000 vaccinations. This rate was
2 not statistically - this rate difference was
3 not statistically significant.

4 Nine cases were male with a mean
5 age of 21 years; seven were Caucasian. The
6 mean time to onset was 11 days, ranging from
7 nine to 20 days. Two subjects were
8 hospitalized for acute cardiac symptoms, and
9 the one female case that received Dryvax has
10 persistent left ventricular dysfunction. The
11 ejection fraction is about 35 to 40 percent,
12 and global hypokinesia at followup at 2.5
13 years.

14 The safety profile of ACAM2000
15 appears similar to Dryvax, based on the
16 limited data from the clinical development
17 program.

18 Since the government's smallpox
19 vaccination began again in 2002, the risks of
20 many of the traditionally known adverse events
21 has been significantly reduced with careful
22 screening for known risk factors and with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 education.

2 However, transmission of vaccinia
3 virus continues to occur, illustrated in two
4 recently publicized cases of eczema vaccinatum
5 in a toddler of a soldier that returned home
6 after being vaccinated. And a case of vulvar
7 vaccinia infection after sexual contact with a
8 military vaccinee. And these, both these
9 cases received some media attention within the
10 past couple of months prior to this meeting.

11 Serious adverse events including
12 death are likely underreported to the FDA and
13 CDC vaccine adverse event reporting system.
14 The pre-licensure clinical experience for
15 ACAM2000 is limited. Only about 3000 subjects
16 have received ACAM2000 thus far.

17 So in light of the adverse event
18 profile of ACAM2000, CBER is working with
19 ACAMBIS to develop a risk minimization action
20 plan as a component of the post-marketing
21 commitment.

22 The purpose of the risk map is to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 help ensure that the benefit to risk ratio for
2 ACAM2000 is maintained as high as possible
3 during the entire product life cycle.

4 Specifically the goals are to
5 minimize the risk of auto-inoculation and
6 transmission; inform vaccinees of the risk of
7 myocarditis and other potential serious
8 adverse events; and ensure that the vaccine is
9 administered correctly both for safety and
10 effectiveness.

11 We recognize that a risk management
12 program must not be overly burdensome
13 especially in time of emergency. One purpose
14 we have of this advisory committee meeting is
15 to give the various stakeholders a chance to
16 provide input on the appropriateness of a risk
17 map in the initial phase of planning, so that
18 it won't encumber the delivery of smallpox
19 vaccination to those who need it.

20 The components of the risk map to
21 discuss include the sponsor's plans for
22 limited marketing and distribution in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 United States; targeted education and
2 outreach; expedited reporting of adverse
3 events; post-licensure pharmacovigilance
4 commitments; and program assessment.

5 Acambis has stated that the company
6 has no intention to distribute ACAM2000 in the
7 United States outside of sales to the U.S.
8 government for the strategic national
9 stockpile. We agree with that plan. This
10 will help ensure that vaccine will only be
11 given under controlled conditions that would
12 minimize risk.

13 Health provider education stresses
14 the knowledge of known relative
15 contraindications of smallpox vaccine such as
16 a history of eczema, cardiac risk factors for
17 coronary artery disease, correct vaccination
18 technique, and counseling for vaccination site
19 care to avoid transmission and auto-
20 inoculation.

21 Examples of provider education
22 include the package insert, again, this will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have a black box warning like the Dryvax
2 vaccine; and provider continuing education
3 programs; vaccinee education to prevent
4 transmission and auto-inoculation, and to
5 communicate risk, should include a medication
6 guide, which is an FDA-approved patient
7 labeling which would be required to be
8 provided to every vaccinee.

9 This would be the first med guide
10 ever for a vaccine.

11 In general adverse events listed in
12 the package insert do not need to be reported
13 in an expedited manner unless an expedited
14 reporting agreement has been made.

15 There has been underreportingn of
16 adverse events after Dryvax vaccination to the
17 FDA and CDC, and to those who are using the
18 vaccine are encouraged to report this as well.

19 Therefore we would require an adverse event
20 expedited reporting agreement to include terms
21 listed in the package insert, such as
22 myocarditis, contact transmission, death, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 auto-inoculation among others.

2 Acambis has proposed several
3 pharmacovigilance activities with the
4 following goals: to study ACAM2000 in the
5 larger population than possible in the pre-
6 licensure studies, looking for known and
7 unknown adverse effects; to further study
8 cardiac complications after smallpox
9 vaccination such as long term clinical
10 outcomes and potential risk factors, that
11 which can be genetic immunologic risk factors,
12 or demographic such as behavioral risk factors
13 such as exercise and things like that.

14 The proposed post-licensing
15 pharmacovigilance program will be carried out
16 in the military population, and includes a
17 phase four cohort study and 10,000 vaccinees.

18 They will be vaccinated and followed up in
19 eight to 12 days with structured interview,
20 and will have proponent tests on day one, and
21 I believe there will be a follow up visit on
22 day 21 as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ECGs would be done if clinically
2 indicated or if elevated troponin was
3 detected.

4 A second component is an enhanced
5 surveillance program in about 1 to 200,000
6 vaccinees over a one to two year duration.
7 And the goal of this as well is to establish a
8 myocarditis registry which Acambis hopes would
9 be able to accumulate up to 150 cases for
10 further study for up to two years following
11 onset of disease, and for up to five years for
12 those with persistent cardiac abnormalities.

13 Some possible concerns with such a
14 pharmacovigilance plan. There might be
15 inadequate case ascertainment to be able to
16 determine the natural course of disease
17 associated with ACAM2000. To improve case
18 ascertainment one could increase sensitivity
19 with the addition of laboratory tests such as
20 ECGs and additional follow up with clinical
21 and laboratory visits at day 30.

22 Or one could also increase the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sample size for the phase four cohort study.
2 However resources will be needed to be made
3 available.

4 Other issues for case detection:
5 for example, a soldier that is vaccinated and
6 is going to be deployed into the theater, he
7 might develop chest pain but choose not to
8 report it because he knows it will preclude
9 him from being deployed into the theater.

10 Other issues for example can be
11 long term follow up which can be particularly
12 challenging for persons who go from the
13 military health system into the civilian or VA
14 health systems, and if we want long term
15 follow up, 10 or plus years on these cases,
16 this could be very difficult and expensive.

17 And again the vaccine adverse
18 events surveillance, we always have concerns
19 on completeness and timeliness of reporting,
20 even of nonserious adverse events. But two
21 recent cases described in the media - actually
22 we found out about them in the media and not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through the VAERS reporting system.

2 Evaluation of the risk map will
3 depend on a high functioning VAERS system that
4 will detect the serious adverse events in a
5 complete and timely manner. Adverse event
6 data would be used to detect areas in which
7 compliance with risk minimization activities
8 are weak.

9 And VAERS (phonetic) information
10 could also be used to guide improvements for
11 these activities as well. We might want to
12 suggest other process audits, for example,
13 compliance with medication guides; how the
14 education programs, how frequent they are
15 being given; how big - and how they are
16 performing; things like that.

17 So in conclusion data from phase
18 three clinical trials provide reasonable
19 indication that ACAM2000 would be effective
20 and relatively safe for persons at high risk
21 of exposure to smallpox.

22 However we have to recognize the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACAM2000 safety data is limited, and that the
2 ACAM2000 risk profile is clinically important
3 and unusual for a preventive vaccine. A risk
4 map would be a valuable addition to a risk
5 management program.

6 I'd like to acknowledge my
7 colleagues.

8 Thank you very much.

9 CHAIR KARRON: Thank you, Dr.
10 Rosenthal.

11 Yes, Dr. McInnes?

12 DR. McINNES: Will you entertain
13 questions?

14 CHAIR KARRON: Yes, I believe so.
15 Dr. Merchlinsky is just going to be reading
16 the questions? Is that the presentation?
17 Yes, we can entertain a question.

18 DR. McINNES: I have two questions.
19 One is around whether the company is making
20 this vaccine for other governments or entities
21 that we might actually gain additional data
22 from if there was going to be additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pharmacovigilance program put into place.

2 And the second question is around
3 the subjects enrolled in the trial who have
4 ongoing myocarditis. I want to know if that,
5 retrospectively, or even at the time,
6 constituted an enrollment violation. I
7 understand she would have been captured under
8 what you now have as exclusion criteria under
9 the military program. But I want to know
10 whether she did fall within the inclusion or
11 exclusion criteria for the trial.

12 DR. WONNACOTT: I think that, I
13 guess, is a question, at least the first part,
14 to us.

15 At the current time none of the
16 foreign countries that we have had any
17 interaction with have specific programs that
18 are ongoing or policies that we are aware of.

19 But that is a very good point - we could look
20 further into that.

21 The second part of the question,
22 though?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. McINNES: Subjects with ongoing
2 myocarditis issues and whether she was a
3 violation of protocol, enrollment violation.

4 DR. WATSON: Prospectively she
5 probably wasn't in the eyes of the
6 investigator. But retrospectively we look at
7 the ECG, she probably should have been.

8 So if that makes sense.

9 DR. McINNES: Wasn't there the
10 catch-all phrase, in otherwise good health?
11 I'm just interested in what the inclusion
12 criteria were.

13 DR. BLUM: Paul Blum, operations at
14 Acambis.

15 Yes, there were general criteria of
16 good general health, and there were specific
17 criteria similar to the ones Colonel Ford
18 presented for risk factors. And while she
19 strictly met the specific criteria, one could
20 say that the general, she probably was not in
21 good general health.

22 I hope that answers your question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: Dr. Word.

2 DR. WORD: I guess this is a follow
3 up with Dr. McInnes. When you look at the
4 exclusion criteria, it says you have to have
5 three. Now she may have had two or one, but
6 she technically would still have been
7 eligible. So she wasn't ineligible. So I
8 think that's where you go down to, you are
9 saying, how many. And it says, you are
10 excluded if you have three or more risk
11 factors.

12 DR. WATSON: In retrospect she has
13 preexisting contact disease, a long history of
14 sinus tachycardia. She in retrospect had a
15 childhood history of being told she had a
16 murmur. She has some very suspicious QAS on
17 the ECG. She was obese; she was a smoker. So
18 it comes back, it was a judgment call by the
19 investigator.

20 Hence my answer at the time. You
21 can see why the judgment call was to include
22 the subject. Retrospectively probably the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 judgment call should have gone the other way.

2 But it was one of those judgment calls.

3 CHAIR KARRON: I think we will go
4 ahead with Dr. Merchlinsky reading the
5 questions.

6 DR. MERCHLINSKY: All right, at this
7 time I'd like to reintroduce questions to the
8 committee.

9 First of all, are the efficacy data
10 sufficient to support the use of ACAM2000 in
11 situations where it is determined that there
12 is a high risk of exposure to smallpox virus?

13 Second question: are the safety
14 data sufficient to support the use of ACAM2000
15 in situations where it is determined that
16 there is a high risk of exposure to smallpox
17 virus?

18 And the third discussion point:
19 please discuss the benefits versus the risks
20 of ACAM2000 for the use in high risk
21 situation.

22 And with regard to the post-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 marketing or phase four, does the committee
2 agree that a risk minimization action plan,
3 which is referred to as a risk MAP, for
4 ACAM2000, composed of the following, is
5 needed: including A, vaccinee education; B,
6 health care provider education; C, expedited
7 reporting of certain serious adverse events;
8 D, phase four studies to better define the
9 safety profile, long term outcomes and risk
10 factors for myocarditis; and E, evaluation of
11 the risk MAP.

12 And again, we'd like the committee
13 to discuss the methods to increase the
14 sensitivity of case ascertainment of
15 myocarditis and long term follow up and
16 methods to evaluate the effectiveness of this.

17 I think that's the last one. I'll
18 leave question one on it.

19 CHAIR KARRON: Thank you.

20 Further comment or questions from
21 the committee?

22 Yes, go ahead Dr. Farley.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FARLEY: I have one quick
2 question about the myocarditis. And I think
3 someone earlier was asking about other
4 attempts, looking for etiologies that might be
5 unrelated to the vaccine if I remember
6 correctly.

7 But I wonder, have we been told
8 whether there was ever any clustering of the
9 cases, the myocarditis cases?

10 DR. WATSON: In the clinical study
11 and in the literature there does appear to be
12 this clustering of cases around 10 to 11 days.

13 DR. FARLEY: I mean case to case,
14 that there might have been an outbreak of
15 enter (phonetic) virus or coxsackie virus,
16 particularly in a community or a troop, that
17 sort of thing, clustering cases together.

18 DR. WATSON: Within the clinical
19 trials, there is no indication of that, no.

20 CHAIR KARRON: Dr. LaRussa.

21 DR. LaRUSSA: Could somebody just
22 remind me what the plans are to induce better

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 access to records, once people separate from
2 the military? It seems to me that the long
3 term follow up here depends on getting better
4 follow up than you are getting now.

5 DR. NELSON: I guess everyone is
6 looking at me.

7 I can speak to the fact that there
8 are certainly very active efforts to improve
9 the communication between the active duty
10 military and the Veterans Administration. So
11 both on an electronic data transfer model as
12 well as physician sharing. So the barriers to
13 that transfer and handoff of care are much
14 more systematic.

15 So I see clear improvements in that
16 arena already. And from the outlines I'm
17 looking at, for all clinical conditions cross
18 the board, as our service members are being
19 discharged from that service, we are seeing
20 improvements across the board.

21 The communication with the civilian
22 network is not so regimented from our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perspective, at this point, but there is
2 clearly sentiment on both sides that there
3 needs to be committed effort to improve that
4 data sharing.

5 And it has occurred at the level of
6 the Vaccine Health Care Center and the Centers
7 for Disease Control and Prevention with regard
8 to these myocarditis cases. But actual
9 sharing of data records and HIPPA issues have
10 not entirely been overcome to date.

11 CHAIR KARRON: Yes.

12 LT. COL. FORD: Just to follow up on
13 Dr. Nelson's comments, there also is an effort
14 within the Department of Defense to obtain
15 secretarial designee status for people who are
16 enrolled in the myopericarditis registry, so
17 if they choose upon separation to continue to
18 receive care through the Department of Defense
19 they will be eligible for care as the
20 secretarial designee.

21 CHAIR KARRON: Yes, Dr. Stapleton.

22 DR. STAPLETON: I guess I'm still a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 little confused on the plan. Will this
2 vaccine be used in place of Dryvax? Or will
3 it be administered in the military
4 simultaneously? And if so that would seem to
5 be an opportunity to gain further data
6 prospectively upon the comparison of these two
7 vaccines.

8 CHAIR KARRON: Dr. Nelson, I don't
9 know if you want to comment on that?

10 DR. NELSON: I don't think we've set
11 a clear plan in that regard. I think the
12 question came up earlier, which are we going
13 to use first versus the other, and at this
14 time I don't think there is any plan in place.

15 CHAIR KARRON: Colonel Alvarez.

16 COL. ALVAREZ: So I'm Colonel John
17 Alvarez. I'm the joint vaccine acquisition
18 program manager. It's a tough question. It
19 has a lot to do with what the intent of Wyeth
20 is to manage the Dryvax license in the long
21 term.

22 Is there an opportunity to study if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 both are available at the same time? Yes.
2 The probability of that is probably very low,
3 and I think the long-term intent is going to
4 be to replace it.

5 CHAIR KARRON: Dr. LaRussa.

6 DR. LaRUSSA: So it seemed to me
7 that in the vaccinees that develop myocarditis
8 that the most screening tool is the EKG, but
9 in the plan to study the 10,000 vaccinees,
10 symptoms and tropanin levels were to be used
11 as the screening tests.

12 I can understand where doing 10,000
13 may be a little bit onerous. But would it be
14 conceivable to do EKGs on people without
15 symptoms on a subset of that 10,000?

16 DR. MASSIE: I think it's a good
17 time to chime in.

18 I think the key thing from the
19 point of view of the myocarditis issue is that
20 we really have a prospective way of knowing
21 not only who gets it but what the consequences
22 are. And it's a key thing for the government

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the society as a whole.

2 Because I can easily envision, as
3 has happened with Persian Gulf syndrome and
4 Agent Orange that anybody who has ever been
5 vaccinated who develops heart disease will be
6 service connected unless we can really track
7 what's happening here.

8 It may be expensive; it may be
9 difficult. But it will be very cost effective
10 to get the various agencies that have to deal
11 with it.

12 So I think it gets down to the
13 10,000 patient study as a start, but there are
14 not going to be enough case there. But there
15 will be controls. Because the only way to
16 understand what happens with this is to be
17 able to check controls. We have no signs of
18 early myocardial damage and myocarditis. They
19 should include EKGs. They should include
20 troponins. They should perhaps in some
21 include echos. But certainly in anybody who
22 then develops a symptom, an ECG or a troponin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 level, they need an echo, and then they need a
2 mandatory echo that the government should be
3 willing to go to all extent to do and get to a
4 corps lab down the road.

5 And without that I don't think
6 there is much point in having a phase four
7 study. We have to have a control group. This
8 is a unique group of people. They are exposed
9 to lots of stresses which can cause heart
10 disease -- T-wave inversions and all these
11 types of things. Lots of substance abuse,
12 alcohol, and actually that was one of the
13 questions for the sponsor, is there any
14 connection between these people and -- any
15 evidence of substance abuse, or were they
16 systematically excluded adequately.

17 So getting information about the
18 next group of 50 people, which I guess is the
19 odds out of the 10,000 patient study, without
20 knowing what happens to people who are in the
21 same situation over that period of time, to me
22 won't help us a bit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: Other comments?
2 Questions?

3 DR. WATSON: Can I just make a
4 comment?

5 It's an important question. It's
6 not set in stone. We clearly need to find the
7 right balance between the sensitivity,
8 specificity, and practicality of the screening
9 test to get the greatest compliance. That's
10 something we need to work through.

11 And they'd be coming back, though,
12 to the value of the retrospective study, I
13 think given that the hypothesis is that
14 smallpox vaccination may be responsible for
15 some future cardiac disease, a retrospective
16 study would be a very quick way to address
17 that question. And you saw that in some of
18 the published studies. There are some Finnish
19 cohorts, conscripts, 30,000 a year
20 vaccinations, '77 and '78, and then subsequent
21 cohorts who weren't vaccinated. And that
22 would seem to lend itself to a cohort study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 To look retrospectively at whether
2 there is an overrepresentation of significant
3 cardiac diseases in the vaccinees compared to
4 the non-vaccinees.

5 DR. MASSIE: I think that is a good
6 point. But I think it may be very different
7 in service men going to Iraq. I think there
8 are very different socioeconomic issues
9 perhaps. There is certainly different
10 exposure to all sorts of things. And to
11 decide - and there may be some synergism
12 between those things and developing this
13 syndrome which may not be myocarditis in the
14 traditional sense. What do we know about ST
15 T-wave changes and funny troponin
16 abnormalities? But whatever it is, I bet
17 there are a fair number of servicemen who are
18 having those without the smallpox vaccine.

19 DR. WATSON: Absolutely. And hence
20 your point about the control group, which is
21 going to be very important to be able to
22 balance out those other things.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: I'd just like to make
2 a comment before I take some more questions
3 about the retrospective analysis. And that
4 is the question about, are we considering all
5 smallpox vaccines the same?

6 Were those early vaccinations in
7 the '70s in the Finnish populations with
8 Dryvax? Or were they with other smallpox
9 strains that, at least in my understanding,
10 were thought perhaps to be more
11 myocardiogenic, if you will?

12 So could we perhaps get some
13 information from that, but that's not the same
14 thing as getting prospective information on
15 the Acambis product.

16 DR. WATSON: Clearly the totality of
17 the program will be important. Hence all
18 these questions; absolutely.

19 The historical studies, yes, there
20 are a range of different vaccines used, at
21 least three or four different vaccines used in
22 those studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But it's the totality of the
2 program, and hence the way the program is
3 structured, that we hope will allow us to
4 answer most of those questions.

5 CHAIR KARRON: Dr. Jackson.

6 DR. JACKSON: Or in the
7 retrospective study. I mean the role of the
8 question is, of course, among persons who
9 receive smallpox vaccine, are those who have
10 evidence of myocardial inflammation at higher
11 risk long term than other people? And you
12 can't look back at the '70s and know what that
13 - who those subsets were.

14 So I think those results will be
15 very difficult to determine. And that also
16 goes toward what we should be doing now. And
17 I think we need much more complete
18 identification of the persons who appear to
19 suffer this consequence so that they will be
20 identifiable for later term follow up among
21 other reasons.

22 DR. FARLEY: Where there any signals

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in any animal model that has been used that
2 would have predicted myocarditis? You know
3 you've mentioned that there was a decrease in
4 the neurotoxicity which was an encouraging
5 finding in your preclinical stages.

6 Is there anything we can do in a
7 preclinical - would there be a better clone in
8 the future that we could look forward to that
9 might actually reduce both the neurotoxicity
10 and the myocardial findings?

11 DR. MONATH: I'm not aware of any
12 animal model of vaccinia related myocarditis.

13 We made an attempt in the laboratory to
14 induce this condition in mice by making
15 repeated cardiac passages of the virus to try
16 to adapt it, and by looking for pathological
17 changes. And that really didn't - we were not
18 able to succeed in developing a myocarditis
19 model.

20 That is not to say that one
21 couldn't try other avenues. The etiology or
22 pathogenesis is certainly obscure with respect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to direct viral injury, autoimmunity and so
2 on.

3 I might just mention a favorite
4 observation of mine that I brought up before
5 that I think is very intriguing.

6 In our trial in the study where we
7 look for nonspecific effects on serological
8 tests we found that 18 percent of subjects in
9 both treatment groups developed biologically
10 false positive tests for syphilis.

11 This was actually reporting in the
12 literature before, but this is an antibody to
13 cardiolipin, the reagent in the test. And of
14 course it's associated with autoimmune
15 diseases like lupus and so on.

16 I think this was transient, and it
17 was shown to be a biologically false positive,
18 i.e. treponema specific tests were negative,
19 and all these subjects became seronegative by
20 the RPR test within about two months; most of
21 them earlier.

22 But it's an intriguing finding, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it suggests that the inflammation induced in
2 the local site probably does lead to at least
3 some antibody responses to host proteins, and
4 could be a signal of what happens in patients
5 who develop myocarditis.

6 That's the only light I can shed on
7 this subject at this point.

8 CHAIR KARRON: Other questions or
9 comments?

10 DR. NEFF: I just wanted to add why
11 it's very difficult to do retrospective
12 studies going back to the '70s. The
13 vaccinations that were done in the '60s were
14 all in children, and for whatever reason,
15 myocarditis was not observed in the United
16 States.

17 There were a fair amount of
18 military, though, that were vaccinated from
19 1970s through the '80s. And I think the
20 problem going back and looking at that is,
21 when we were looking at this with the Smallpox
22 Vaccine Safety group there was really a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 paucity of data on the prevalence of dilated
2 cardiomyopathy in the population. So you
3 really don't have - it's very difficult to go
4 back and sort that one through.

5 (Off-mike voice)

6 OPEN PUBLIC HEARING

7 MS. WALSH: As part of the FDA
8 advisory committee meeting procedure we are
9 required to hold an open public hearing for
10 those members of the public who are not on the
11 agenda and would like to make a statement
12 concerning matters pending before the
13 committee.

14 Dr. Karron, would you please read
15 the open public hearing statement.

16 CHAIR KARRON: Both the Food and
17 Drug Administration and the public believe in
18 a transparent process for information
19 gathering and decision making.

20 To ensure such transparency at the
21 open public hearing session of the advisory
22 committee meeting, FDA believes it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important to understand the context of an
2 individual's presentation.

3 For this reason FDA encourages you,
4 the open public hearing speaker, at the
5 beginning of your written or oral statement,
6 to advise the committee of any financial
7 relationship that you may have with the
8 sponsor, its product, and if known, its direct
9 competitors.

10 For example this financial
11 information may include the sponsor's payment
12 of your travel, lodging or other expenses in
13 connection with your attendance at the
14 meeting.

15 Likewise FDA encourages you at the
16 beginning of your statement to advise the
17 committee if you do not have any such
18 financial relationships.

19 If you choose not to address this
20 issue of financial relationships at the
21 beginning of the statement, it will not
22 preclude you from speaking.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. WALSH: I have received one
2 request to speak from Dr. Robert Jordan
3 representing SIGA Technologies.

4 Dr. Jordan.

5 DR. JORDAN: Thank you. Thank you
6 for this opportunity to show our support for
7 Acambis' ACAM2000 product.

8 Currently we have no direct
9 financial connections with Acambis, but we are
10 planning to conduct some joint studies to
11 follow up some of these ideas that I'm going
12 to be presenting today.

13 Our company is developing antiviral
14 drugs to a variety of biodefense targets. Our
15 lead program is a program for an antiviral
16 against smallpox. This product is called ST-
17 246.

18 We feel that the use of this
19 product in combination with the live virus
20 vaccine could potentially reduce some of the
21 serious side effects that are associated with
22 the live virus vaccine as well as protect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individuals who have been vaccinated but who
2 haven't yet acquired protective immunity. So
3 it essentially expands the product value of
4 ACAM2000.

5 And so while this doesn't have
6 anything directly related to the issues that
7 were on today's table, this may come up in the
8 future as we conduct our joint studies to
9 explore this hypothesis further.

10 You can imagine in an outbreak
11 there will be a period of time before the
12 sentinel cases are observed. At that time
13 point vaccines will be administered to the
14 population. ACAM2000, a third generation
15 vaccine, and maybe even an antiviral.

16 However there is a time period
17 before. People would exhibit symptoms and
18 acquire protective immunity where they are
19 vulnerable to disease from smallpox attack.

20 We feel that co-administration of
21 an antiviral drug would protect those
22 individuals while they acquired their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 protective immune response.

2 To give you a brief overview of the
3 ST-246, it's a small molecule, potent,
4 nontoxic, and it's a specific inhibitor of
5 orthopox virus replication.

6 It's effective in all the animal
7 models that we have tested against a variety
8 of orthopox pathogens including monkey pox
9 virus and variola virus in a nonhuman primate
10 model (** 1:05:36) virus disease.

11 It's orally bioavailable and has
12 excellent PK and safety parameters. And
13 currently it's in phase one human clinical
14 trials for safety and PK.

15 ST-246 targets the F13L gene
16 product. As you know the majority of virus
17 particles produced during productive infection
18 are intracellular mature virus. They are
19 responsible for local infection and cell to
20 cell spread. A small portion of these viruses
21 go on to form extra-cellular envelope viruses
22 which are involved in systemic spread, and are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the disease-causing form of the virus.

2 The F13L gene product is required
3 for production of extra-cellular virus
4 particles. The ST-246 inhibits F13L activity;
5 prevents formation of extra-cellular virus
6 particles; and in animal models we see no
7 disease.

8 And interestingly in animals that
9 have been infected in the presence of 246,
10 they all develop a protective immune response.

11 And this is just some visuals to
12 show you clearly that the administration of
13 246 protects animals from disease, compared to
14 a mouse that's been treated with placebo.

15 So just again an overview. 246
16 protects animals from all orthopox pathogens
17 tested. We can administer the drug at 72
18 hours post-inoculation and still see 100
19 percent protection from disease and death.

20 The compound reduces systemic virus
21 spread, especially in the lungs. And we've
22 been looking at studies using 246 in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 combination with Dryvax, and we can show that
2 it elicits a protective immune response equal
3 to that of Dryvax alone, and we plan to
4 continue those studies with ACAM2000.

5 So the indications we are seeking
6 are prophylaxis, post-exposure prophylaxis,
7 and therapeutic, as well as an adjunct to
8 vaccination. We feel that the use of 246 in
9 combination with these live vaccines could
10 prevent smallpox disease during the time
11 period where the vaccinee is acquiring
12 protective immune response.

13 In addition we may be able to
14 prevent some of these vaccine related
15 complications, especially those that may be
16 related to systemic spread of the virus away
17 from the site of inoculation, and potentially
18 prevent disease in those populations that have
19 typically been contraindicated for use of
20 these live virus vaccines, and those would be
21 the immuno-compromised people.

22 So our initial studies have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 done in mice, where we have inoculated mice
2 via scarification with Dryvax in the presence
3 and absence of 246. And interestingly, what
4 we see in the presence of 246, there is a
5 delay in lesion formation. However this does
6 form the same type, size lesions, forms about
7 one to two days later.

8 When we look at a variety of immune
9 parameters, we see equal to if not better
10 immune response with the combination of 246
11 and Dryvax. And this is just a cytokine
12 release assay looking at the acute immune
13 response, and this is 246, and this 246 plus
14 vaccine and 246 alone, and memory response.

15 Additionally with looking at
16 neutralizing the antibodies to vaccinia virus,
17 we see, especially in the memory response,
18 almost equal titers of neutralizing antibodies
19 with the vaccine or the vaccine plus 246.

20 So the combination of 246 plus
21 vaccine seems to generate at least an
22 equivalent if not better immune response than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the vaccine by itself. In addition to data
2 that did show that the combination of 246 plus
3 vaccine elicits an equivalent protective
4 immune response when we rechallenge animals.

5 And we feel that using this
6 combination treatment with the vaccine and
7 246, could protect individuals from severe
8 disease prior to development of protective
9 immune response.

10 Thank you again for allowing me to
11 share our views and our support for ACAM.

12 CHAIR KARRON: Thank you very much,
13 Dr. Jordan.

14 Are there other individuals who
15 would like to make a presentation at this
16 time?

17 Yes. Dr. Mendelman.

18 DR. MENDELMAN: Paul Mendelman,
19 pediatric infectious diseases.

20 My question is, what is the
21 pediatric dose? There is no age indication
22 that is being proposed. It's those who are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to have significant or serious potential
2 exposure.

3 There was a publication I think a
4 couple of years ago, Dr. Belshe in the New
5 England Journal, diluting Dryvax, and showing
6 you could boost the responses to people who
7 had previously been vaccinated with a much
8 lower dose.

9 And obviously some of the primary
10 endpoints, Dryvax gives you a better booster
11 response to Dryvax than Acambis did. Now with
12 Acambis out there, one can do a study with
13 Acambis, and then follow up with booster doses
14 of Acambis versus Dryvax and see if one is a
15 better booster to Acambis which is going to be
16 the only vaccine available.

17 And if one does appropriate
18 dilutions with Dryvax, one could use Dryvax as
19 a dose ranging or dose finding for both
20 booster response in terms of duration. My
21 memory is that if I get smallpox vaccine I
22 think I got a booster vaccine 10 years later.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But I could be confused about that.

2 But I'm more concerned about my
3 children who are going to be exposed. So is
4 this only going to go to military? I
5 understand, first responders, health care
6 workers like myself. But if there truly is a
7 smallpox risk that is real within the
8 community, I don't think from the data I've
9 heard today th8at we know what vaccine and
10 what age they should be given to in children,
11 understanding it's very difficult to get any
12 children or parents, altruistic, to enroll in
13 these trials. It was tried by the NIH and
14 didn't do well.

15 So I think we need to understand
16 more about primary responses with dose
17 ranging. Maybe 10 to the 8th PFUs per mill
18 isn't the right dose, as you go across the age
19 spectrum from 18 to 81.

20 And I think there needs to be some
21 dose ranging studies in adults, under informed
22 consent obviously. They need some booster

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies in adults that are dose ranging so we
2 can do some more dose ranging and dose finding
3 so that we have something. Maybe there are
4 just separate - we have other live viral
5 vaccines like MMR and varovax that are two
6 doses. Because of issues with primary
7 vaccination and getting a better take with a
8 second vaccination that is long lived and
9 durability of response.

10 So I think those kinds of studies
11 ought to be done in the phase four scenario
12 under the IND. In adults. We can all be the
13 experiments and the guinea pigs, not the
14 children; but something to help us understand
15 a little bit more about the immune responses
16 so we have a plan if we need to ever go into
17 children.

18 Thank you.

19 CHAIR KARRON: Thank you, Dr.
20 Mendelman.

21 Dr. Henderson.

22 DR. HENDERSON: There have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions raised about do we have a risk with
2 regard to smallpox today. And I have heard
3 this in many quarters. We haven't had an
4 attack. Why are we concerned?

5 I would take you back to November,
6 2001. There aren't too many here who
7 participated in the discussions we had, and to
8 realize why ACAM2000 came as it did.

9 We knew in 1991 that there was work
10 going on in the Soviet Union with regard to
11 smallpox virus. The first hint we'd had that
12 there was anything going on in the Soviet
13 Union at that time.

14 Shortly after that Ken Alibek
15 defected and presented a horrific tale of all
16 that was going on; it was enormous; and talked
17 about the fact that they were working with
18 smallpox virus at a couple of plants; that
19 they were producing it in ton quantities at a
20 particular place called Sergiyev Posad. This
21 was not really for awhile believed, but as we
22 had more confirmation it was quite clear that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was a lot going on, and it had a lot to
2 do with smallpox.

3 We met in I think 1993 with a group
4 of the primary Russian bioweaponeers at the
5 National Academy of Sciences who provided us a
6 lot of information. The door was open briefly
7 there for awhile.

8 The top of their list was smallpox,
9 and the next two were anthrax and plague.

10 So that there was a lot of work
11 going on at that time in the Soviet Union.
12 These were their priorities at that point.

13 We came to 2001, and we had the
14 anthrax attack as you all know, and there was
15 a lot of intelligence chatter at that time
16 that there was going to be a second event;
17 that the event would be biologic; and the
18 question was, what would it be? And the two
19 that were highest on the list were certainly
20 smallpox and anthrax from the experience in
21 the Soviet Union; and of course Russia was not
22 exactly, at that time or now, all these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 supplies they had, how tightly controlled they
2 were was a real question.

3 We realized at that time, in that
4 November, that we had no vaccine production
5 facilities anywhere in the U.S. or the world.

6 We had a limited amount of vaccine, 15
7 million doses; and only 90,000 of that could
8 we use at that moment for other reasons.

9 We knew that there wasn't all that
10 much internationally, and if smallpox was
11 released anywhere there was going to be a
12 problem, and it was going to be our problem
13 as well as the rest of the world's problem, no
14 matter where it was released.

15 And so the desire to move as
16 quickly as possible on getting a vaccine. And
17 we thought at that time, do we go back to
18 calves and do this on an emergency basis.
19 This was going to be a problem setting up
20 stables and shaving these damn animals and
21 doing all the other things you were going to
22 have to do.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We should go to tissue cell
2 culture, and we should do that rapidly. So at
3 that time when we sat around and we said, let
4 us all do everything we possibly can to move
5 this as rapidly as we possibly could to get
6 something in the stockpile.

7 And of course that was now six
8 years ago, but we are still getting the
9 licensure, but that's another story. But at
10 any rate we do have vaccine.

11 So things have been - it's been a
12 problem to know what to do. Beyond that, such
13 alternatives as we have possible in the
14 pipeline, you wonder how well they will work.

15 Will they work in protecting humans against
16 smallpox? And of course that is beyond the
17 range of testing that we can perform now. I
18 don't think the institutional review boards
19 would permit that.

20 So we are faced with some real
21 dilemmas as to what to do about smallpox. I
22 think in looking at the issue of how we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 use smallpox vaccine, the feeling of having
2 this available as an emergency, being prepared
3 to respond quickly is what the strategy is at
4 this particular time.

5 So the question is, how much more
6 do we need to know about the vaccine. And of
7 course we'd like to have more or less an
8 infinite amount of additional information
9 about the risks of the myocarditis and
10 outcomes and so forth. Inevitably if this is
11 going to be done, it's going to have to be
12 done with DOD and the VA, and VA as we know at
13 this present time is stressed. Are they
14 prepared to take on elaborate studies? And
15 this is a matter of priorities and decisions
16 that will have to be made.

17 So I'm not sure where all it goes
18 in terms of how far we go, but practically at
19 this point the question is, what do we do if
20 we have an epidemic? Whom do we vaccinate?
21 What do we do about it? And there is indeed a
22 utilization policy that has evolved, and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think has been discussed widely at this point
2 in time, and I think soon will be made more
3 widely available for discussion; but it is
4 basically that we would not use it extensively
5 unless we have an attack.

6 And I think we need to keep this in
7 mind, that we do not expect to use this other
8 than in an emergency. We would not expect,
9 based on what we know, to need to worry about
10 long term immunity. We would be worrying only
11 about comparatively short term immunity. And
12 that we don't foresee at this point the
13 situation where we'd have to use a vaccine on
14 a routine basis simply because of the risks
15 that are there, and the difficulties in
16 getting vaccine across to any population in
17 the United States, whether it's influenza or
18 what have you, simply as a routine
19 vaccination. This has not been an easy task.

20 But finally I'd just like to say I
21 think the one thing that continues to worry us
22 now is the question of, suppose we have a new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bug, whether artificially created or coming
2 out of Africa; we don't have a vaccine; we
3 don't have any treatment at this point in
4 time.

5 How long would it take us to
6 mobilize enough to develop - work with the
7 manufacturers, the basic research people, to
8 get something to counter that agent?

9 And I think now we have an
10 opportunity to have a learning experience by
11 going back and looking at 2001 where this was
12 high priority, top priority, to get anthrax
13 vaccine and smallpox vaccine. And here we are
14 six years later, the anthrax vaccine is
15 sometime in the future; the smallpox vaccine
16 is not yet licensed; and I think just a matter
17 of going back to do a detailed review of this,
18 what could we have done to move this faster?
19 It might be an illustrative piece for whatever
20 we need to do in the future, because we are
21 going to be faced with that problem with an
22 agent that we are going to need help on in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hurry. And right now it's quite obvious we
2 are not well prepared to deal with that.

3 CHAIR KARRON: Thank you, D.A. And
4 even though everyone in the room knows you, I
5 was wondering if you could identify yourself
6 for the record.

7 DR. HENDERSON: Well, I had
8 something to do with smallpox eradication at
9 one point, and in 1980 thought we closed the
10 door and I would not be talking about it
11 again. Subsequently I've been, since 2001 I
12 was the first director of the Office of Public
13 Health Emergency Preparedness with the
14 secretary, and worked in that pretty much full
15 time for the next three years as we dealt with
16 many of these issues.

17 I'm now at the Center for
18 Biosecurity at the University of Pittsburgh
19 Medical Center.

20 CHAIR KARRON: Thank you.

21 Yes?

22 DR. ZINK: Thank you, if I may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recognized.

2 I'm Tom Zink. I'm with Emergency
3 Biosolutions. And in following that great
4 rendition from our esteemed colleague I would
5 just like to add for the record that we do
6 have an FDA-licensed vaccine against anthrax
7 currently available to our armed forces and
8 those who determine themselves to be at high
9 risk.

10 I just didn't want Dr. Henderson's
11 last comment about us still waiting for an
12 anthrax vaccine to go uncorrected for the
13 record.

14 Thank you very much.

15 CHAIR KARRON: Thank you.

16 Seeing no other people - ah, one
17 more person, yes?

18 DR. UTEFF: My name is Peter Uteff.

19 I work for the public health agency of
20 Canada. And I have no conflict of interest.

21 I try to put myself in the seat or
22 shoes of the panel. And I wanted to know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether the panel members had a really good
2 understanding of what the definition of high
3 risk of exposure was.

4 Because if you are to be asked to
5 comment on that I would imagine you would need
6 to have a definition.

7 And the second question I had was,
8 a point maybe more than a question was, will
9 the same indications or contraindications used
10 in the clinical trial apply to the licensed
11 product?

12 CHAIR KARRON: I just want to make
13 sure that I - it was a little bit difficult to
14 hear you, and I just want to make sure that I
15 heard the questions.

16 Were the questions for the
17 committee?

18 DR. UTEFF: They weren't questions.
19 I was trying to put myself into your shoes,
20 and I wanted to know how you are going to
21 answer the question about high risk without
22 knowing what the definition of high risk of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposure was, or perhaps you do have a
2 definition and could provide it.

3 And the second thing was, were you
4 made aware of the exact contraindications
5 which will be stated in the licensure product.

6 CHAIR KARRON: I think those are
7 both issues that we will get into in our
8 discussion that goes on after lunch. And
9 seeing nobody else who wants to make a
10 comment, we will now break for lunch.

11 Because I expect that we are going
12 to have a fair amount of discussion, I would
13 like to ask that we all come back at 2:15
14 rather than 2:30, so it will be a quick lunch.

15 Thank you.

16 (Whereupon at 1:24 p.m. the proceeding in the
17 above-entitled matter
18 went off the record to
19 return on the record at
20 2:15 p.m.)

21 CHAIR KARRON: (In progress) - who
22 need to leave on the early side this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 afternoon.

2 COMMITTEE DISCUSSION AND
3 RECOMMENDATION

4 CHAIR KARRON: What we are going to
5 do is, we are going to have a very focused
6 discussion from about 2:15 to 2:45. And at
7 2:45 we will vote.

8 What I've done is, the questions
9 before the committee you have already heard.
10 They were introduced by Dr. Merchlinsky.

11 You will note that the first one
12 that I've put up here for discussion is
13 actually the second question, which is a
14 question about safety data.

15 I purposefully moved ahead from the
16 efficacy data question, because I think that
17 many of the issues have been addressed this
18 morning.

19 If committee members feel that we
20 need to have more discussion on this point,
21 let me know now.

22 Okay. In that case I think we will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then move on to the second question and talk
2 about committee members' feeling relative to
3 safety data. And if you recall, and you have
4 it in front of you on your handout, the next
5 question is about benefits versus risks. And
6 obviously we had some similar discussions
7 yesterday. And I think that we have to think
8 about the safety of this vaccine perhaps in
9 that context, if in fact we are able in this
10 instance to assess benefits.

11 So with that I'll open it up to
12 discussion.

13 Dr. Word.

14 DR. WORD: I don't know if Dr.
15 Baylor can address this one. But I'm still
16 troubled by the last part of the question. If
17 there is a high risk exposure. Because I
18 think if there was a high risk exposure, or if
19 it was there, I think we wouldn't be having
20 this discussion.

21 Because really what you are asking,
22 or the question is, can we use - is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sufficient to utilize right now in our armed
2 services.

3 CHAIR KARRON: Dr. Baylor.

4 DR. BAYLOR: Let me clarify.

5 What we are asking for is a
6 situation where it is determined that there is
7 a high risk of exposure. The military but
8 also Dr. Parker presented a slide where there
9 were recommendations in the civilian
10 population. There is not per se a civilian
11 program, but he did show a slide where there
12 were certain areas of recommendation. Certain
13 areas - I'm trying to pull that slide up now -
14 available, recommended.

15 So I think you have to take that
16 whole scenario when you think about this
17 question. But probably what's facing is most
18 is the military because they are actively
19 immunizing when they are going into those
20 regions.

21 CHAIR KARRON: Yes, Dr. Stapleton.

22 DR. STAPLETON: So one question that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 arises then in the military, and I know a lot
2 of this is policy and not necessarily the
3 purview of this committee, but it would seem
4 that opting out options, based on very
5 extensive - or very thorough educational
6 opportunities to recipients, would be
7 important, particularly about the
8 cardiovascular aspect.

9 Because the incidence of
10 myocarditis of one in 150 is far beyond
11 anything else we deal with in preventive
12 vaccines.

13 CHAIR KARRON: Did someone from the
14 military want to comment on that?

15 DR. NELSON: Reluctantly.

16 In certainly scenarios opting out
17 is certainly a viable option. And I'm not
18 going to make a decision today whether today's
19 situation is one of those.

20 When the Department of Defense
21 approaches these issues in general, they take
22 into account the risks and benefits for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individual and the organization and unit as a
2 whole.

3 So when we do have situations with
4 true bonafide high risk exposures, it may in
5 fact affect a certain portion of a deployed
6 unit; that in fact imperils more than just
7 that single individual.

8 So that balance of when a decision
9 is made, whether a vaccine is mandatory or
10 not, takes into account all these things.
11 Currently the smallpox vaccine is mandatory
12 for individuals deployed to these areas.
13 There are exemptions as we talked about for
14 medical reasons, but not quite for opting out.

15 So yes there are individuals over
16 there who are not actually vaccinated, but who
17 would be in the setting of a true high risk
18 exposure.

19 DR. MASSIE: Refresh me, do people
20 who enroll in the military get - what type of
21 workup do they get going in nowadays? Do they
22 get ECGs? Do they get - how careful - in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other words, would all the information be
2 available to make such a decision as to if
3 they meet the high risk categories if they
4 don't actually know to answer all the
5 questions.

6 And I would say another thing that
7 clearly would concern me about the heart in
8 that age group would be alcohol abuse, which
9 certainly can cause cardiomyopathy in its own
10 right. Now I wouldn't imagine the average
11 service person would be willing to discuss
12 that, perhaps, which may be an issue as well.

13 But certainly if everybody had an
14 ECG, and that were reviewed at this point in
15 time before they were given the vaccine, that
16 would be at least a start, and a careful
17 medical history.

18 The question is, do they have a
19 form that says, do you have heart disease, and
20 they check yes/no, that probably would not be
21 adequate.

22 To answer your question about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inductees into the Department of Defense, they
2 do not currently have a mandatory ECG that is
3 done or serves as a reference baseline for
4 future consideration.

5 And I can tell you however that
6 everybody who is inducted must undergo a
7 history and physical examination that is
8 thorough and addresses all chronic medical
9 conditions, not only at induction, but at a
10 minimum at least every five years, and this is
11 tracked centrally.

12 CHAIR KARRON: Dr. Teerlink.

13 DR. TEERLINK: I'm following up on
14 Dr. Massie's question. It seems then that
15 whereas we are saying that baseline ECG
16 abnormalities we're concerned may put a person
17 at increased risk for development of
18 myopericarditis, or at least increased risk
19 for bad outcomes in relation to that, then it
20 would seem to be prudent to have that be a
21 requirement before vaccination.

22 As we are trying to grapple with -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this is clearly a risk benefit question. And
2 we are right now giving our service personnel
3 a vaccination to prevent something that as of
4 yet hasn't happened but may be a high risk
5 event, we don't know. But there is a clear
6 risk involved.

7 And it's interesting to me that if
8 in fact the appropriate surveillance is done,
9 which is that we get ECGs and follow up on
10 symptoms and follow up on troponins in these
11 patients, that the U.S. military is willing to
12 give a vaccine that will knock out one out of
13 145 people who get the vaccine for a six-month
14 period. If in fact the policies are put into
15 place that we have been talking about where we
16 follow up these patients to see whether they
17 have ECG changes, whether they have changes in
18 troponin in relation to the vaccine, if you
19 then - and we see that it's about one out of
20 145, we are going to be eliminating, by giving
21 this vaccine, getting rid of whatever percent
22 of our armed service personnel that is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So is the plan to do pre-ECGs in
2 this, and this will help us evaluate the risk
3 MAP. And is there a plan to do follow up
4 troponins and follow up ECGs to actually look
5 at what the risk is?

6 DR. NELSON: So across the board in
7 looking at follow up ECGs and triponins
8 certainly is done in our cohort of index
9 cases. But there are also studies underway,
10 the immunogenetic study done in conjunction
11 with the Centers for Disease Control and
12 Prevention and the University of Washington,
13 with a target enrollment of 3,000, does just
14 this. So it has periodic assessment of
15 enzymes and EKGs in a symptomatic individual.

16 So we hope to get that data at least for the
17 current Dryvax vaccine.

18 Your point about the ECGs as a
19 mandatory screening step for receipt of the
20 vaccine is a little bit problematic for me. I
21 think it may be in some circumstances the
22 right thing to do. But in my position as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assistant department chief and a true foot-on-
2 the-ground implementer at the clinic level,
3 which is my main reason for being here today,
4 I see some very practical issues with that.

5 And I think that making the leap
6 that a finding on an EKG increases your risk
7 for myopericarditis cannot be made at this
8 time.

9 As part of that same study with a
10 target enrollment of 3,000, there was a
11 control group of 200 influenza vaccinees.
12 That cohort has been closed out at its target
13 level of 200; 11 percent of those individuals
14 had some EKG change from baseline. None of
15 them were adjudicated as really causative or
16 associated with vaccinia myopericarditis. So
17 I think we may be setting ourselves up for
18 finding spurious EKG findings during the
19 baseline studies that would be problematic to
20 interpret with respect to risk factors for
21 myopericarditis or what else needs to be done
22 with these individuals since some of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 normal variant EKG findings really are quite
2 prevalent.

3 DR. MASSIE: What about follow up
4 troponins?

5 DR. NELSON: As a mandatory for all
6 recipients? Practically again I think that
7 would be problematic because of the fact that
8 these individuals are often deployed to
9 austere locations, and the control for samples
10 and ability to assess these individuals may be
11 an issue.

12 Certainly doing an answer to these
13 questions in a research setting in the right
14 cohort that we can do very active follow up on
15 I think is the right thing to do. And as a
16 scientist in addition to a clinician these
17 questions intrigue me greatly and I think
18 should be addressed in a systematic research
19 setting.

20 CHAIR KARRON: Dr. Self.

21 DR. SELF: So one question that
22 reminds me of yesterday's discussion, is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consideration we are supposed to be making
2 about safety and efficacy data relative to
3 Dryvax? Or is it in some absolute sense?

4 If it's relative - I'm kind of
5 thinking about this as if it's relative to
6 Dryvax which has had extensive use. And I see
7 no data that would suggest the risk profile
8 for myocarditis is any different between
9 Dryvax and Acambis.

10 And so I guess I'm wondering, why
11 we are - whether that's the reasonable
12 benchmark. If it is, then my suggestion would
13 be to really focus on simple but clearly
14 clinically relevant outcomes in large numbers
15 of vaccinees as you have in Dryvax for as long
16 term follow up as you can. Because all the
17 rest, given the use in the context that Dr.
18 Henderson provided, just seems out of place to
19 me.

20 CHAIR KARRON: Actually, before I
21 call on Dr. Farley, Dr. Baylor, do you want to
22 answer that question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BAYLOR: Sure, I'll come on
2 that. I think it has to be both, because when
3 the vaccine was evaluated, when Acambis 2000
4 was evaluated, it was evaluated against
5 Dryvax. Dryvax, it's a vaccine of a different
6 era. This, the ACAM2000 was a new, quote
7 unquote, vaccine manufactured somewhat
8 differently.

9 We are talking about using this
10 vaccine for a specific program as a licensed
11 product. There are stockpiles of Dryvax
12 available. This vaccine if licensed will
13 probably be used in much larger quantities.

14 I think you have to look at the
15 data as it was generated against Dryvax, but
16 in the larger sense looking at this product,
17 how this product will be used, based on the
18 data that you have seen in the clinical
19 trials, and using this product, ACAM2000 in a
20 population, and considering the safety and
21 efficacy data in that context.

22 DR. HETHERINGTON: I wanted to make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a comment very similar to what Dr. Self has
2 said, in that whatever we are discussing here
3 about what is necessary for screening patients
4 or follow up or risk-benefit really applies to
5 both vaccines. The total number of cases, or
6 the case rate was actually higher in the
7 Dryvax group than in the Acambis group,
8 although it wasn't statistically significant.

9 I guess the question is, given
10 those numbers, what's the likelihood that the
11 risk would actually be higher in the Acambis
12 group. And I would guess that it's probably
13 pretty low.

14 So with regard to this question
15 about, are the safety data sufficient to
16 support the use of ACAM2000 in situations
17 where there is a high risk of exposure is as
18 sufficient as the data is for Dryvax I believe
19 based on what we've seen today.

20 That doesn't mean we can't make
21 additional recommendations on what should be
22 done. And I don't think we should shy away

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from doing things that might seem onerous,
2 such as screening people with EKGs. If you
3 are a pharmaceutical company and you are
4 developing a new chemical entity, you are
5 going to do a fully powered QT study which
6 will involve about 2,000 EKGs on about 2 - 400
7 patients. So it's not out of the realm of
8 what is ordinarily done for new therapeutics
9 anyway, and one at an early stage even before
10 you get to the phase three studies.

11 CHAIR KARRON: Dr. Farley.

12 DR. FARLEY: I have a question for
13 the military in terms of whether the
14 availability of the licensure specifically of
15 the ACAM2000 will change how you prioritize,
16 or will it involve, likely involve, an
17 increase in the number of doses given?

18 I mean at present it sounds like
19 you are actually going through an algorithm of
20 the highest risk exposure individuals. And
21 you are not immunizing the entire - everybody
22 in the military. Is that because you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been trying to preserve the number of doses
2 available and not use up more than necessary,
3 and if it were more widely available you would
4 go ahead and just do a universal immunization
5 of the military. That would be I think of
6 interest to know.

7 LT. COL. FORD: The policy is based
8 on the threat, and it's mandatory for again
9 service members who are deploying to those
10 higher threat areas currently assigned to the
11 U.S. Central Command area of responsibility or
12 for Korea, for emergency essential civilians
13 and contractor personnel performing mission
14 essential functions in the same area.

15 I don't expect the policy to change
16 as a result of licensure of ACAM2000 or not or
17 the use of Dryvax. The policy will remain
18 unchanged for the target group.

19 DR. NELSON: This is Dr. Nelson
20 again. To state that in a different way there
21 is no current rationing in place based on the
22 levels of vaccine in the current stockpile.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MASSIE: Maybe I misunderstood.
2 So in terms of - it's deployed did I hear
3 only to Korea at this time? Or is it deployed
4 to anywhere abroad?

5 DR. NELSON: There are high threat
6 areas, and there are multiple areas.

7 DR. MASSIE: Oh, you are not able to
8 tell us - okay. I mean I agree - certainly I
9 don't see a signal for myocarditis that is
10 higher with this vaccine. It happens to be
11 the way the question is worded, however, which
12 doesn't say it is a comparator; it's just - is
13 it the - oops - but basically I mean clearly
14 myocarditis, we don't know how serious it is.

15 We have two different - two roadblocks to
16 making a reasonable decision. One is, we
17 don't know how serious this syndrome is,
18 because we don't have follow up data on what
19 happens to these people, and we are not going
20 to get it for awhile. And the second thing
21 is, we don't know how serious the risk is.

22 So it's a little difficult frankly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to answer the question. But I think that
2 along the line of what we could do, there is
3 reason to believe that people with preexisting
4 heart disease, or at least to have a belief of
5 it, are at higher risk because that's how
6 people designed the studies, and that makes
7 some sense.

8 So I don't know which question in
9 point of discussion it comes to. But it seems
10 to me that we should encourage people to do
11 all the things that we think might limit the
12 risk of individuals getting this. And
13 assuming that there will be situations that
14 are deemed by people high enough risk to make
15 it worth giving, I guess the payback is that
16 you have to screen out the people at risk.

17 CHAIR KARRON: One last comment on
18 the previous question. Dr. Jackson.

19 DR. JACKSON: I was going to move on
20 to four because I was assuming you would be
21 moving us there momentarily.

22 Anyway, since we are getting near

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the end of our time, I have a major concern I
2 guess about the adequacy of the post-licensure
3 risk minimization action plan in that looking
4 at identification of people who may be at
5 increased risk down the road because they have
6 experienced this event. I mean it seems to me
7 that the registry is not adequate if it only
8 identifies the small subset of cases that are
9 symptomatic, and that we don't know what the
10 long term consequences are. Perhaps people
11 completely recovered from this, and there is
12 nothing that happens after that; but perhaps
13 there is not.

14 And if there is not then it seems
15 like we want some ability to be able to
16 identify those persons who fell into this risk
17 group as a consequence of their vaccine
18 exposure.

19 I'm not a cardiologist, but it
20 seems possible that these people may be at
21 higher risk of cardiac decompensation on
22 subsequent insult, such as uncontrolled

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypertension, alcohol abuse, or some things
2 like that that are potentially preventable or
3 modifiable. So you could imagine scenarios
4 where this knowledge may be beneficial down
5 the road.

6 So I'd like to say that I think
7 this plan is built along the lines of more
8 traditional post-licensure and intent on
9 identifying signals, which is fine, except
10 that in this case we already have a signal
11 that seems to be quite robust and quite
12 serious.

13 So I think that some aspect of the
14 plan needs to accommodate that consideration.

15 CHAIR KARRON: Since we are jumping
16 around a little and our time is limited, I
17 actually did want to make a comment about the
18 risk MAP, in addition to, I actually agree
19 with everything that you said, Lisa.

20 But one of the things was about
21 vaccinia education. And I did note, when
22 Colonel Ford put up the original trifold,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which is I believe what troops are getting
2 currently, there is actually very little
3 information there as I saw it on
4 myopericarditis, myocarditis, chest pain,
5 transient, rare event. And I guess I would
6 suggest that probably given new information
7 about both Dryvax and ACAM2000 that particular
8 attention be given to that, and that
9 additional information be included there.

10 DR. COLLINS: There is information -
11 remember you also indicated that there is a
12 video, there is a provider brief that is
13 given, and that information is included in
14 that brief. But we are actually relooking at
15 the trifold to try to incorporate more
16 information.

17 But information regarding the
18 myopericarditis is given in there.

19 CHAIR KARRON: Since we've skipped
20 around a bit, and skipped ahead to this
21 question, are there other comments the
22 committee members want to make that address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the risk MAP? I know that we had deferred a
2 lot of this from this morning.

3 Dr. LaRussa.

4 DR. LaRUSSA: I'll just bring up
5 again the idea about doing the EKGs on the
6 10,000. And I think it would give you an
7 opportunity to sort out what are the real
8 nonspecific findings that mean nothing, and
9 the ones that may really be associated with
10 long term sequellae. So I would encourage you
11 to do that.

12 CHAIR KARRON: Dr. Farley.

13 DR. FARLEY: This has been mentioned
14 before, but I just wanted to emphasize it
15 again, that I think for the phase four trial
16 the idea of having a very well thought out
17 control group is really essential.

18 But I just wanted to make sure that
19 that is part of the planning, which might be
20 as much the company as it is the military.
21 But I'm sure it will end up being a joint
22 venture.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The other thing is, and it's not
2 necessarily the risk MAP, but it's the
3 pharmacovigilance, or somewhere in this, is to
4 really make sure that this is permanently and
5 indelibly on their record if they had
6 myocarditis, whether it be on the DOD side, as
7 well as the service connected side that we see
8 on the VA side . But just making sure,
9 regardless of their recovery, even with full
10 recovery, at least apparent full recovery in
11 that short term, that it be mandated that it
12 be put in their records, that that will be
13 something they can fall back on later and will
14 be of interest.

15 CHAIR KARRON: Dr. Massie.

16 DR. MASSIE: I mean the other thing,
17 and I've said it before, and I don't want to
18 get lost at this moment, in that phase four
19 study there has to be mandated, and if the
20 government doesn't get it done they should be
21 penalized for it, long term echos. Otherwise
22 we will never know. And so I think they need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an echo when they get - have the disease.
2 They need an echo one year later. And the
3 military has got to find a way. And if they
4 can't find a way they shouldn't vaccinate the
5 people.

6 CHAIR KARRON: Actually I want to
7 follow up on something you just said, Dr.
8 Farley, which is the issue of a controlled
9 phase four trial. And my question is, how do
10 you do that? If you could perhaps use the
11 controls for people not being deployed to that
12 area as controls. But that is not an optimal
13 control group. They are not the same.

14 And if you can have controls among
15 the people who are being deployed to those
16 areas then you probably don't need the vaccine
17 in the first place if you are willing to
18 accept a control group among the deployed.

19 So how to do a controlled phase
20 four trial?

21 DR. FARLEY: Well, I guess I was
22 thinking more in terms of those who did not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 develop myocarditis but received the vaccine
2 would potentially be - some group of them
3 would be followed with the same kind of EKGs,
4 troponins, that sort of thing, to match the
5 activity of those who developed clinical
6 myocarditis.

7 CHAIR KARRON: Dr. Self.

8 DR. SELF: So I think we are talking
9 about a cohort study, but a nested case
10 control design within this.

11 I mean we don't know anything about
12 how these vaccines will be used in the
13 military that could inform study design. In
14 listening to the discussion there are lots of
15 good ideas, but I think that we don't have
16 enough information to try and talk about what
17 the most efficient way is to do the (***)
18 2:41:34) and learn what we need to learn.

19 It does seem that with the plan of
20 a cohort study of 10,000 that is more than
21 adequate for most of the studies that we have
22 talked about so far. It may not be adequate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in size for defining very low risk events long
2 term follow up, and so there may be two phases
3 to it. That may be as a statistician about as
4 far down the design road as I think I can see.

5 DR. NELSON: One other very
6 practical issue of looking at a study of
7 10,000 in this setting is that we are making
8 the assumption that we are going to be able to
9 devote any reaction that occurs specifically
10 to the smallpox vaccine, when in real
11 practical use this vaccine is administered in
12 the context of other vaccines, same day, same
13 month, same couple of months.

14 So we need to look at, when we do
15 design that specific cohort, whether or not we
16 can isolate the vaccine, which may be
17 impractical in a lot of situations. It may
18 also set you up for some selection bias for
19 the cohort you are able to do this on.

20 DR. SELF: I guess I would just say
21 that that argues even more for being very
22 specific about the clinical endpoints.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: Other comments?

2 One question that we skipped, and
3 we are actually going to go to the vote in a
4 couple of minutes, we did skip discussion of
5 three, which is please discuss benefits versus
6 risks of ACAM2000 for use in high risk
7 populations.

8 Unless people feel we need to
9 discuss that right now, I think we will
10 discuss it in the voting situation.

11 Okay. Are there other comments
12 that committee members want to make?

13 Dr. LaRussa.

14 DR. LaRUSSA: So just for point
15 number five, methods to increase sensitivity
16 of case ascertainment and long term follow up,
17 the only other thing I can think of is, there
18 are CDC-funded VSD, and centers that could
19 potentially help with long term follow up.
20 And it might be possible to either give people
21 referrals or get permission to have them
22 contacted by CESAR (phonetic) or VSD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 investigators after they leave the military,
2 and that way ensure follow up with some of
3 these people. CHAIR KARRON: Okay,
4 seeing no other comments, I think we are going
5 to move to vote.

6 Just a couple of things I just
7 wanted to make clear. One is that if you look
8 at the questions and the discussion items,
9 there are three questions, and there are two
10 discussion items.

11 I think for simplicity sake what we
12 are going to do is go through each of the
13 questions, which are yes/no questions, which
14 are actually one, two and four, and then we
15 are going to go to the two discussion items,
16 which are three and five. And also again
17 because I think really of time constraints, I
18 am just going to call upon the voting members
19 of the committee to speak in answer to the
20 questions.

21 So I wonder if we could have the
22 first question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The first question is, are the
2 efficacy data sufficient to support the use of
3 ACAM21000 in situations where it is determined
4 that there is high risk of exposure to
5 smallpox virus?

6 And Dr. Aziz, we'll start with you.

7 DR. AZIZ: According to what we've
8 heard today, I really believe that, yes, the
9 data is sufficient.

10 CHAIR KARRON: Thank you.

11 Dr. Massie?

12 DR. MASSIE: I think of people who
13 know how to answer that question say yes, I
14 would agree. There is a difference, but I
15 don't know the clinical or biological
16 significance of that difference. But it looks
17 more similar than different, I guess.

18 CHAIR KARRON: Colonel Schultz.

19 DR. SCHULTZ: I have to go along
20 with Dr. Massie that those who should know,
21 and given the indication, the difference is so
22 slight that there is no reason not to say yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: Thank you.

2 Dr. LaRussa?

3 DR. LaRUSSA: Well, assuming that
4 there is somebody who knows that there is a
5 high risk of exposure I'd have to agree that
6 the data support the use of ACAM2000.

7 CHAIR KARRON: Dr. Self.

8 DR. SELF: Well, first of all, I
9 object to use of the term, efficacy data,
10 because we have none. These are data on
11 surrogates, and we are trying to infer
12 efficacy.

13 There were some statistical issues
14 raised about coprimary endpoints and alpha
15 spending and all. I think all of that was
16 fine, however, there was discussion about
17 missing the preset criteria. And usually on
18 these committees that's a rather big deal.

19 In this case I don't think so. I
20 think the criteria were unusually strict,
21 actually. And in looking at the RCD curves
22 for antibody titer, I don't see that these are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different in any important way.

2 So in the end I would answer the
3 question yes.

4 CHAIR KARRON: That's a yes, is that
5 correct, Dr. Self?

6 DR. SELF: That would be a yes.

7 (Laughter)

8 CHAIR KARRON: Dr. Teerlink.

9 DR. TEERLINK: With all of the
10 foregoing caveats and comments I would say yes
11 as well.

12 CHAIR KARRON: Dr. Jackson.

13 DR. JACKSON: Yes also.

14 CHAIR KARRON: Dr. Word.

15 DR. WORD: Yes, I agree.

16 CHAIR KARRON: Dr. McInnes.

17 DR. MCINNES: In looking at these
18 four endpoints for noninferiority, I think the
19 take rate in the naive is the most important
20 and the dominant one and the one on which we
21 have the most experience, and has meaning way
22 back to the smallpox eradication time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think the others we've backed
2 into in some ways, hoping that we would see
3 some nice clean correlation with plaque
4 neutralization assays, et cetera. I really
5 hold less water in those, and certainly take
6 in vaccine previously vaccinated I think we
7 don't really know a whole lot about what that
8 necessarily means.

9 So with some hesitation only
10 because I don't want this to set a precedent
11 for future that you can fail endpoints and
12 still make it okay, and that this gets pointed
13 to as an example. I think this is a unique
14 setting. I think this is a unique disease, a
15 unique vaccine, a unique procurement for the
16 government, a unique target population.

17 So I think it's important to
18 enunciate that. I don't wish this to be
19 viewed as precedent setting that you can fail
20 noninferiority endpoints and still be
21 licensed. But I think in this particular
22 case, and the historical basis of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vaccine, and it was highly efficacious in
2 eradicating a disease. I am persuaded by the
3 take rate in naive, and so I do think this
4 efficacy is an efficacy surrogate that will
5 support the use of this vaccine in what I hope
6 is really a - I hope it's only used where
7 there is a risk of exposure.

8 So I say yes.

9 CHAIR KARRON: Thank you. Dr.
10 Farley.

11 DR. FARLEY: I very much agree with
12 what Dr. McInnes has just stated. And I think
13 in particular since most of the military
14 personnel, or at least the young people who
15 are fully susceptible coming in, are going to
16 be in that naive category; that the idea that
17 the take rate was high in that group with some
18 of the ancillary immunologic data persuaded me
19 as well. So yes.

20 CHAIR KARRON: Thank you.

21 And I would also vote yes,
22 particularly though underscoring what Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 McInnes said about not setting a precedent.

2 So our second question if we could
3 have that. The second question is, are the
4 safety data sufficient to support the use of
5 ACAM2000 in situations where it is determined
6 that there is high risk of exposure to
7 smallpox virus.

8 And this time, Dr. Farley, we are
9 going to start with you.

10 DR. FARLEY: Okay. Well, again,
11 going back to what was just stated, I feel as
12 if - and this came up somewhat, the pandemic
13 flu vaccine discussion a few months ago - that
14 it would - I think this committee who is used
15 to setting a high bar for things, as is the
16 FDA, for safety and for efficacy, would - if
17 we were looking at a vaccine that was coming
18 through for routine use in the general
19 population, having this kind of myocarditis
20 signal would be unacceptable.

21 And so I think that raises our
22 concern level, but then we have to put it into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 context. And I wish there were some kind of a
2 special licensure process for these kind of
3 diseases that we are dealing with in this
4 setting and also in some respects pandemic
5 flu.

6 Bu9t having said that, we are
7 facing what now I think just has to be balance
8 of high risk exposure versus - to a very
9 significant disease - versus the safety
10 profile.

11 And so I think that after all of
12 the discussion today, that given all the
13 restrictions that we have discussed, including
14 that this would be restricted to only being
15 used and not in a commercial setting but in a
16 governmental setting in a high risk exposure
17 situation, and given the screening and
18 pharmacovigilance that has been also - will
19 happen further down the road; given all that,
20 in this context of this disease and this
21 situation specifically, I would vote yes, that
22 the safety data are sufficient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: Thank you. Dr.
2 McInnes.

3 DR. MCINNES: In follow up to my
4 colleague, it's clearly significant
5 reactogenicity (phonetic) in - there is an
6 adverse event profile that does cause one to
7 pause.

8 I feel very strongly that the
9 vaccine should not be used lightly, to be used
10 in a setting where there really is risk, I
11 think all we are told, and this has been the
12 same way for years is, we are not going to
13 quantify the risk, but it's not zero. And so
14 that's the best that we know.

15 And I think about it really in
16 terms of public sector use the same way I
17 think about an emergency use vaccine. I know
18 that that raises issues that are sort of
19 operational and somewhat strategic.

20 So with those caveats in mind, and
21 that I still - all I know is that the risk is
22 not zero - I vote yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KARRON: Thank you.

2 Dr. Word.

3 DR. WORD: I think everyone has that
4 feeling of ambivalence when it comes - because
5 you are looking at that signal that is coming
6 out.

7 But when you put in that caveat of
8 high risk exposure, then you automatically
9 switch gears. A number of us do anyway in
10 terms of saying the risk and the benefit for
11 them.

12 And so if it is strictly limited to
13 specific targeted group and not for the
14 general population as proposed right here,
15 then I would say yes.

16 CHAIR KARRON: Thank you, Dr. Word.

17 DR. JACKSON: I agree with the
18 previous comments, and would also vote yes.

19 DR. TEERLINK: I agree with the
20 previous comments, and also vote yes, and
21 encourage the military and the Department of
22 Defense to do as you have been doing, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really take into account that this safety
2 signal seems to be much stronger than I think
3 we previously had guessed, and take that into
4 account in terms of who you give it to.

5 DR. SELF: Agree with the previous
6 comments and vote yes.

7 CHAIR KARRON: Dr. LaRussa.

8 DR. LaRUSSA: Yes. Nothing further
9 to add.

10 CHAIR KARRON: Colonel Schultz?

11 COL. SCHULTZ: Yes.

12 CHAIR KARRON: Dr. Massie.

13 DR. MASSIE: Yes, but with all the
14 provisos and the fact that it really depends
15 on further pinning down the safety. We don't
16 know now. It is as safe as the vaccine that
17 is being used. But unless there is further
18 data I think that yes has to be revisited at
19 some point in time. And maybe when we discuss
20 the risk management, we say no, that will be a
21 chance to try to develop something that would
22 maybe make this risk benefit more appropriate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But yes, I think it's as safe as
2 what we are doing now.

3 CHAIR KARRON: Dr. Aziz.

4 DR. AZIZ: And I agree with Dr.
5 Massie. I think what we know right now is
6 sufficient for us to say yes. But on a future
7 date it might be helpful; so it's yes.

8 CHAIR KARRON: And I would also say
9 yes, and I would underscore the second half of
10 this question, which is really only in
11 situations of high risk of exposure to
12 smallpox virus. Because this and Dryvax are
13 the least safe vaccines that we will have
14 licensed in this country. And I think we have
15 to weigh that against the risk of smallpox.

16 Okay. The next, as I said before
17 we are doing the yes-no questions first, and
18 then we are going back to the discussion
19 questions.

20 So the next question is question
21 number four: Does the committee agree that a
22 risk minimization action plan for ACAM2000

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 composed of the following is needed.

2 I won't read each of these, but you
3 can see them. And what I'd like to do in this
4 vote is actually you can say yes, or you can
5 say no. Whether you say yes or no, if you
6 would like to underscore any of these
7 individual points, A through E, we would
8 appreciate those comments as well.

9 So this time, again, Dr. Aziz, we
10 are starting with you.

11 DR. AZIZ: I think I can say yes for
12 all, and with number four, what was discussed
13 also in the committee with the need for a
14 controlled study, control group.

15 DR. MASSIE: Well, the question is
16 whether it's needed, and I think there is no
17 doubt that the answer to that should be yes.

18 Whether what I've heard today is
19 sufficient, the answer no doubt is no, and I
20 think somebody has to come up with a better
21 plan. We can't micromanage it, but I think
22 that should be determined before approval and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be agreed upon by people. Where is it
2 insufficient? We need to know the outcomes of
3 the people who have it. We need to know -
4 that's one aspect. And that's the phase four
5 trial design which may have to be expanded to
6 a larger size.

7 We also need to do a better job of
8 screening out the high risk patients. That's
9 part of risk management.

10 I do believe an ECG, such as it is,
11 and before the patient gets it, is part of
12 that. And then I need to think follow up ECGs
13 in all patients, at least in the phase four
14 trial, and perhaps as Dr. Teerlink has
15 suggested, in all patients at that 10-day
16 check on them visit, would also be good.

17 But I think that there is a big gap
18 here between what is, handing out pieces of
19 paper and getting the information we need and
20 protecting the people who are getting this.

21 CHAIR KARRON: Col. Schultz?

22 COL. SCHULTZ: I will say yes. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I would like to make a comment about the phase
2 four study. If it's going to pose a
3 horrendous problem for the military long term
4 to try to follow through on this. And I think
5 someone has to take a look at that, see some
6 way to get around it.

7 CHAIR KARRON: Okay, Dr. LaRussa.

8 DR. LaRUSSA: So yes, and yes the
9 studies - the phase four studies need to be
10 improved. And I think unfortunately
11 regardless of the problems it poses to the
12 military, it really has to be done.

13 CHAIR KARRON: Dr. Self.

14 DR. SELF: Yes.

15 CHAIR KARRON: Dr. Teerlink.

16 DR. TEERLINK: Yes.

17 DR. JACKSON: I think the current
18 plan is not adequate so I would vote no. I
19 would say the vaccinia education would need to
20 be bumped up considerably and include
21 statements such as, you have a one in 100 or
22 one in 200 risk of sustaining myocardial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 injury following vaccination; and that there
2 has to be some way of identifying persons who
3 were not part of the formal phase four study
4 who have myocarditis by means other than
5 voluntary self report.

6 CHAIR KARRON: Dr. Word/

7 DR. WORD: I'm sorry. I actually
8 will say yes with the caveat that more
9 improved phase four studies. And I do think
10 the military, if you can find a way to deploy
11 people, you can do anything - as Kennedy once
12 said, if you can get a man on the moon, I
13 think you can find a way to conduct these
14 studies.

15 CHAIR KARRON: Dr. McInnes.

16 DR. McINNES: I think these as a
17 minimum are absolutely fine, and I say yes.
18 And I think many views have been expressed
19 today that could be embraced into a little bit
20 of an expansion of this.

21 And I am supportive of what is laid
22 out, and hope that it will in fact become even

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more robust with time.

2 CHAIR KARRON: Dr. Farley.

3 DR. FARLEY: I would vote yes, and I
4 agree with all the comments, and would just
5 add that I think that taking a good look at
6 the cardiac bullet points - this may not have
7 been an exhaustive list, but very carefully
8 looking at the cases that have occurred; what
9 we currently know; discussing it with
10 cardiologists; and coming up with a good list
11 of exclusion criteria would be very helpful.

12 CHAIR KARRON: And I would also vote
13 yes with all the qualifications given as ways
14 to improve the risk MAP plan.

15 Okay, we are now at the point of
16 going back to our two discussion questions.
17 The first one that we will put up is, please
18 discuss the benefits versus the risks of
19 ACAM2000 for use in high risk situations.

20 And here this is just - Christine,
21 correct me if I'm wrong - but this is just
22 going to be an open discussion. So we'll take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a few minutes on that for people who would
2 like to comment.

3 DR. MASSIE: My comment would be, I
4 think that's what we've been doing for the
5 last 15 minutes.

6 (Laughter)

7 CHAIR KARRON: Okay. Well I
8 actually have a comment to make about this,
9 which is that I think that yesterday you heard
10 us all trying to look at issues of risks and
11 benefits and talking about whether we should
12 be or not.

13 But there I think relative to today
14 it was kind of easy. Because I think we all
15 know what the risks of influenza in children
16 are. And we can look at the vaccine, and we
17 could do a comparison.

18 Here I think quite frankly our
19 hands are tied. We only can talk about the
20 risks. We have no way of knowing information
21 about the potential benefits of this vaccine
22 relative to credible threat risk information.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So my feeling is, honestly, I think
2 the people who are in a position to make that
3 decision have to take the safety
4 considerations very seriously; think
5 particularly about this one in 150 risk of
6 myocarditis; and put that into the equation as
7 they are deciding for - about issues related
8 to vaccinating military populations.

9 Other comments? No? Okay.

10 So we are up to the last - the very
11 last item, which is - and we have discussed
12 some of this today, but maybe we could just
13 underscore it, people who have made these
14 points earlier, if you could just make them
15 again for the record.

16 Discuss methods to increase
17 sensitivity of case ascertainment of
18 myocarditis and long term follow up and
19 methods to evaluate the effectiveness of the
20 risk MAP.

21 DR. MASSIE: Since I've been doing
22 it I'll go back, I think the studies were very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nicely designed that we saw. I think they
2 have a good time point to look at the
3 myocarditis developing at that point. They
4 have ECGs. They have troponins. They have
5 CPKs. I think probably the CPKs are probably
6 not worth if you have troponins.

7 So I think that they probably had a
8 good risk ascertainment process. The long
9 term followup is what we really need I think
10 at this point in time. And I would continue
11 that ascertainment process as it was done in
12 the studies and not cut back on it just
13 because we are rolling it out to other people,
14 because we really have to know.

15 And so the question is, what is the
16 long term? I mean I think if you give people
17 a Amazon dot com certificate and say, when
18 your echo is sent to us, you get this
19 certificate, they'll get them. And any VA
20 hospital will get \$25 for doing it.

21 It's not hard to get people to do
22 things with the right incentives, and I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those ought to be there.

2 And I think the other thing is, we
3 do need to get an echo. Whether you need the
4 echo at the 10 day when the troponin and the
5 ECG is positive, or you get the echo at some
6 expert determined time thereafter to see if
7 there is anything residual. And then later,
8 too, because clearly there are many people -
9 and Dr. Mason left - but that believe a lot of
10 the cardiomyopathy we see that's not due to
11 coronary disease is due to subclinical
12 myocarditis.

13 This is not subclinical, and if we
14 can find nothing delayed on that that would be
15 very important for lots of people who are
16 exposed and may worry for the rest of their
17 life, and for the people who may be bothering
18 the VA about all their cardiac complaints. We
19 really need to know that information.

20 So that would be my point, to
21 emphasize the long term follow up, but not to
22 cut back on what you have done in the studies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the people getting the vaccine now.

2 CHAIR KARRON: Just to be clear on
3 that, Dr. Massie, so what you are really
4 recommending is the EKGs and the troponins, to
5 not cut back on those specifically as you are
6 assessing prospectively?

7 DR. MASSIE: Right, and then adding
8 an echo and later historical information and
9 follow up information to find out the
10 significance of the people who have it.

11 And again the devil is in the
12 details about the control group. But I'm
13 afraid there will be people who don't get this
14 myocarditis from the vaccine and people who
15 wouldn't get the vaccine who might have some
16 of these same things.

17 So we need to figure out a way to
18 get a comparator group as well.

19 CHAIR KARRON: Yes, Dr. Teerlink.

20 DR. TEERLINK: So just to extend
21 upon what Dr. Massie just said, I would also
22 encourage that there be an echo substudy of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 echos at baseline, where you actually get -
2 and it may have to be in 500 or 1,000 patients
3 or people - and then you get some serial
4 follow up. Because otherwise you will be
5 stuck with issues of people - of not knowing
6 what your actual attack rate is.

7 And then I would reinforce the
8 importance of having serial studies on
9 patients who have now become index cases of
10 the myocarditis and following serially. And I
11 think that is absolutely essential.

12 I agree in terms of the CKS,
13 dripping those. But the (***) 3:07:43) and the
14 ECGs would also be very useful, both as
15 baseline screens - because as you have said,
16 my guess is, they are going to be very
17 nonspecific - but it would be useful to
18 demonstrate in this context that they are in
19 fact nonspecific.

20 CHAIR KARRON: Yes.

21 DR. ROSENTHAL: I'd like to ask Dr.
22 Massie, Dr. Teerlink, how long is long term

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 follow up? What would you recommend?

2 DR. MASSIE: I wish Dr. Mason were
3 still here in terms of that. But I think that
4 at least one year and two years.

5 But my guess is that if you can't
6 see anything at two years that probably these
7 are not the people who come with low ejection
8 fractions after 10 years. But I don't know, I
9 think you would have to get a variety of
10 opinions.

11 But it presumably is a matter of
12 some time later.

13 CHAIR KARRON: Dr. LaRussa.

14 DR. LaRUSSA: So just because we are
15 being asked to repeat things, I would like to
16 see some at least formal mechanism of referral
17 for follow up care once people leave the
18 military.

19 DR. MASSIE: For the people who got
20 myocarditis in particular?

21 DR. LaRUSSA: I would think that if
22 you got this syndrome you should leave the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 military service connected. I mean it would
2 be nice to make sure we collected that data,
3 and the computerized record theoretically
4 could be queried and found out whether they
5 got it or not.

6 But I would think that that should
7 be available and free to both the military -
8 if not the country - and to the individual.

9 CHAIR KARRON: Dr. Jackson.

10 DR. JACKSON: Again, since we were
11 asked to repeat, I would say that what the
12 Acambis study makes clear is that symptoms are
13 a very insensitive way to identify persons who
14 have evidence of myocarditis.

15 And so an important way to increase
16 sensitivity of case ascertainment is to use
17 methods other than report of symptoms. And I
18 think that is along the lines of the
19 discussion of other committee members.

20 CHAIR KARRON: Other comments?

21 Dr. Word.

22 DR. WORD: In terms of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individuals who develop myocarditis, and when
2 you - the cardiologists suggested doing serial
3 echos. I guess the first model that came in
4 my head, I was thinking that like so the adult
5 - the child - Kawasaki, where we continue to
6 follow them out for X amount of time until,
7 you know, then we just stop.

8 And I don't know how frequently you
9 would propose that they should follow the
10 echos.

11 DR. MASSIE: I'm not sure - I know
12 I'm not the right person. I think that Dr.
13 Mason who was here is one of the right people.

14 But I think it should be long term.

15 I would say a minimum of the two years, and I
16 just don't know whether people feel it would
17 be likely - and of course there will be inter-
18 current events that begin to effect other
19 people too.

20 DR. TEERLINK: So one of the other
21 titles is the head of our echo-cardiography
22 department. So I agree with Dr. Massie that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two years would be at a minimum follow up. A
2 five-year cohort would probably also be
3 useful. And that - as Dr. Massie pointed out,
4 the further you get along, the more
5 intercurrent events you can have that can
6 complicate this. Fortunately, you are
7 starting out with a group that has such a
8 relatively low event rate in terms of
9 cardiovascular illness. They are being
10 selected to be healthy cardiovascularly. And
11 so they are a perfect group to actually study
12 the potential additional risk of this agent on
13 cardiac outcomes.

14 CHAIR KARRON: Other comments? Yes,
15 Dr. Goodman.

16 DR. GOODMAN: I just wanted to ask
17 especially the cardiologists if you are
18 accepting the comments about the high risk
19 individuals being the subjects who had
20 received the vaccine.

21 I heard a lot of comments about
22 screening tests before they are enrolled. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I've also heard about the high incidence for
2 example of nonspecific EKG findings, even in
3 relatively healthy young populations.

4 And I was wondering if you have any
5 guidance about what kind of findings would
6 really make you concerned about an individual
7 receiving the vaccine other than actually
8 having known disease.

9 And the other thing I was going to
10 ask is whether there is any evidence from the
11 DOD cases - I know there isn't from Acambis,
12 because in general these people were excluded
13 - but presumably most of these cases are
14 occurring in people without a history of
15 cardiac disease.

16 So I'm just wondering why that
17 would be a risk factor. Obviously you
18 wouldn't want to give it to someone with
19 active disease.

20 And then what kind of things you
21 would look to somebody - a practitioner or a
22 health system like the DOD using this vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 - what kind of things would concern you, and
2 what kind of things wouldn't?

3 DR. TEERLINK: Thanks. So we don't
4 know. We don't know what are the real risk
5 factors that predispose. So we have to take
6 extensions from what we've learned from other
7 cases of cardiomyopathies and myocarditis and
8 things and try to extend that into this realm.

9 That being said, certainly people
10 who have evidence of - strong evidence left
11 ventricle hypertrophy, I'd be concerned about.

12 People who have evidence of potential
13 ischemic disease that is more clear than just
14 some nonspecific ST-T-wave changes, and then
15 they would have to be followed up for evidence
16 of apicardial (phonetic) coronary disease.

17 Any of the familiar
18 cardiomyopathies, any histories along those
19 lines, should also be excluded.

20 In terms of the conduction
21 abnormalities that I'd specifically exclude,
22 I'm not sure I'd know which of those to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after. Bivesicular block (phonetic) would
2 probably be one that I'd be concerned about.

3 DR. MASSIE: I would certainly rule
4 out anybody with a left bundle (phonetic).
5 First of all, because you can't say anything
6 else about the ECG, and at that age range,
7 left bundle branch block (phonetic) would be a
8 remarkable finding. I don't know about right
9 bundle or the other types of bivesicular (***)
10 3:14:30) but we know that the epidemiologic
11 risk of the left bundle, I'm sure it's true in
12 kids and it certainly is true in adults, is
13 pretty high; whereas the others are not.

14 CHAIR KARRON: Other comments or
15 questions?

16 If not, we are adjourned. Thank
17 you all.

18 (Whereupon at 3:15 p.m. the
19 proceeding in the above-entitled matter was
20 adjourned.)

21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com