

1 that you have made several times, that this is a clinically
2 applicable regimen. In two studies recently, the one with
3 mofetil as the third party, and one with red, you have had
4 three out of seven animals that when they were euthanized or
5 died, had a lymphoproliferative disease, which means they
6 are developing a lymphoma tumor.

7 Now, most clinically applicable programs do not
8 see lymphoma within the first two to three months. Would
9 you like to comment on that?

10 DR. COZZI: Yes, I think it is a very important
11 point which I thank you. You brought this to our attention
12 before at your presentation, and I wanted to comment, and it
13 is good that you gave me this opportunity.

14 I think that what we all here in this room have to
15 realize is that if we do an allotransplantation, not just a
16 xenotransplantation, but if we do an allotransplantation or
17 even if we do not transplant cynomolgus monkey, and we
18 expose them just to cyclosporin A, we will have an incidence
19 of lymphoproliferative disorders which can go as high as 25,
20 30 percent for the non-transplanted animals, which are
21 exposed to cyclosporin A only, they develop
22 lymphoproliferative disorder by the end of the third month,
23 I would say by day 100, when they are sacrificed.

24 So, what I want to say is this is possibly
25 something which is species-specific. I mean these animals,

1 these cynomolgus monkeys are potentially proved and
2 susceptible to lymphoproliferative disorder, and even in
3 what we consider using a clinically acceptable only
4 cyclosporin A administration level, and there are data
5 reported also by Professor Reitz, that all data on the
6 initial experience with cyclosporin A.

7 DR. AUCHINCLOSS: Robert Michler.

8 DR. MICHLER: Two questions. The first is a
9 follow-up to that in that the regimens that you have
10 included in our booklet, the particular doses are not
11 particularly those which we would find acceptable for human
12 transplantation, although you continue to refer that these
13 are clinically applicable doses.

14 The doses you have referred to in the documents
15 are doses that would induce hypertension, certainly induce
16 renal dysfunction if not renal failure in patients, and I
17 would like to comment on that firstly, and secondly, your
18 data is remarkably good on the issue of transgenic animals
19 and the likelihood of developing hyperacute rejection.

20 What I find of interest potentially of concern is
21 that approximately 50 percent of the animals that you have
22 tested were not transgenic, did not develop hyperacute
23 rejection, and therefore, is the beneficial effect of the
24 absence of hyperacute rejection in your transgenic animals a
25 relation to the fact that they are transgenic, or is it an

1 issue of the model you are using?

2 DR. COZZI: If I can start with the third one,
3 which is the therapeutic doses, I think you have got a
4 point, and I thank you for giving me the opportunity to
5 clarify what I mean by clinically acceptable
6 immunosuppressive regimen.

7 There are data here which have proven and shown
8 that if we do an allograft, an allotransplant from baboon to
9 baboon, if we try and use the same dose of cyclosporin A and
10 trough level of cyclosporin A you would use in the clinical
11 arena, it does not work.

12 I mean we have data in vivo and in vitro, I mean
13 Imutran's data, there are data in the literature in vivo and
14 in vitro which shows that the cyclosporin A trough level,
15 you have to aim in the baboon, must be greater than 12,
16 1,500 ng/mL, and maybe Dr. Cooper, you want to confirm your
17 experience in that --

18 DR. COOPER: In baboons, you do have to use high
19 doses of cyclosporin, that is quite correct. I am not sure
20 that is the case with cynomolgus monkeys. Also, your
21 reference to the fact that cynomolgus monkeys are very
22 susceptible to lymphoma, when they were given cyclosporin
23 before by Professor Reitz's group, they were given very high
24 doses, not the sort of dose that we give clinically, so I am
25 not sure that that has been proven, the point you made about

1 the lymphoma.

2 DR. COZZI: The second question was?

3 DR. MICHLER: The issue of transgenic, your
4 control group had a 50 percent absence of hyperacute
5 rejection.

6 DR. COZZI: In our experience, the hyperacute
7 rejection occurred only in 40 percent of the control, that
8 is correct, but I think that for us the thing that was more
9 important was to completely prevent the hyperacute rejection
10 full stop, irrespective of what happens in the control.

11 We now have data generated at least by five groups
12 around the world which have shown that hyperacute rejection
13 is not a consistent finding when you transplant normal pig
14 organs into primate, and this is irrespective if you are
15 dealing with cynomolgus monkeys or baboon as recipients.

16 DR. AUCHINCLOSS: What do you think about that,
17 David Cooper?

18 DR. COOPER: I accept that. It was a surprise to
19 me because the old literature generally, the hyperacute
20 rejection was pretty consistent, but I accept, as Emanuele
21 says, there are now several centers that have shown that it
22 is not as consistent as we once thought.

23 DR. LOGAN: I just had one question with regard to
24 cyclophosphamide induction regime, and it is not a debate
25 whether it's clinically relevant or not--we can have that

1 debate for another day--absent the cyclophosphamide regime,
2 the survival is reduced, I suspect.

3 DR. COZZI: So, absence of cyclophosphamide
4 regime--

5 DR. LOGAN: Yes, induction regime.

6 DR. COZZI: Can I say that in our experience, and
7 as you realize to devise the cyclophosphamide regime, only
8 four doses, to reach as few as four doses, we have tried
9 every possible way to minimize the use of cyclophosphamide,
10 and in our experience, two doses of cyclophosphamide or no
11 cyclophosphamide at all leads to a graft lost by day five or
12 day seven in 100 percent of the cases due to ADR.

13 This is our in-house experience, but I don't know
14 what you have.

15 DR. LOGAN: Could you describe a little bit of
16 what the doses of cyclophosphamide are?

17 DR. COZZI: Absolutely. These are on day minus 1,
18 we--the cyclophosphamide ideally is tailored to reach a
19 nadir in the white cell count of 2,000 cells/mL, and what we
20 are giving to our animals in these four doses is on day
21 minus 1, 40 mg/kg i.v. on day minus 1. On day zero, which
22 is the day of the transplant, up to 20 if this is a heart,
23 up to 10 if this is a kidney, mg/kg, and on day 2 and on day
24 4, up to 30 mg/kg in both cases, exceptionally, we have even
25 been to higher doses, tailoring once again according to the

1 white cell count of design.

2 If this animal is leukopenic, we will not give
3 cyclophosphamide on day 2, and we have more than 90 percent
4 chances to lose the xenograft by day 5 to 7.

5 DR. LOGAN: I think to answer Hugh's question, the
6 doses of cyclophosphamide, we use much, much less than that,
7 and I think we are looking at two very radically different
8 immunosuppressive regimes, although I contend there could be
9 other differences.

10 DR. COZZI: I am sorry, could you speak louder?

11 DR. AUCHINCLOSS: Just having a little bit of
12 trouble hearing from the microphone there. But the bottom
13 line is very different protocols.

14 DR. LOGAN: In terms of hyperacute rejection in
15 baboon model--

16 DR. COZZI: I am sorry, but if I can make it
17 precise, I mean the work described by your group in
18 conjunction with Jack Platt, it didn't hit me, it didn't
19 strike me for substantial differences of cyclophosphamide at
20 least on day minus 1 or on day zero. I think we are talking
21 about similar doses.

22 DR. LOGAN: No, the dose is somewhere around 5
23 mg/kilo.

24 DR. COZZI: Initially.

25 DR. LOGAN: Yes, 5, up to 10, but that's it, no

1 more than 10. That's a maximum dose.

2 DR. AUCHINCLOSS: Now, we are going to move on
3 Louisa's comment, and then we are going to take a break.

4 DR. CHAPMAN: I have listened to this discussion
5 of lymphoproliferative disease in the presence of
6 cyclophosphamide, and the question that keeps occurring to
7 me, that may be relevant to interpretation of your studies,
8 is--you are talking about this as if it is a drug-induced
9 effect, and what I keep wondering is, is this an effect of a
10 persistent virus whose oncogenic potential has been unmasked
11 by the use of cyclophosphamide, so the question I would
12 have--perhaps not to be answered now, but to be looked at in
13 your studies--is how carefully screened are these primate
14 models for persistent infections with viruses particularly
15 categories of viruses that we know have an oncogenic
16 potential like oncogenic retroviruses or herpes viruses.

17 I think that has got to be looked at and
18 controlled for before you can really assess whether the
19 lymphoproliferation is telling you about the limits of your
20 tolerance for the drug or some other aspect of the
21 transplant condition.

22 DR. AUCHINCLOSS: Thank you very much. We are
23 going to take a break and convene here exactly at 10:45 and
24 have a presentation from the FDA and then roughly at 11:00
25 or 11:10, we will start the committee discussion.

1 [Recess.]

2 DR. AUCHINCLOSS: We are going to reconvene now
3 with a brief presentation from the FDA and their perspective
4 on this issue of what kinds of clinical trials might be
5 initiated in the future, Dr. Marzella presenting.

6 **FDA Perspective**

7 DR. MARZELLA: Mr. Chairman, ladies and gentlemen
8 of the subcommittee, good morning.

9 [Slide.]

10 I am going to summarize the preclinical and
11 clinical issues in the transplantation of porcine solid
12 organs. I will introduce the questions that the agency
13 would like the subcommittee to discuss.

14 [Slide.]

15 The first issue to be discussed by the committee
16 is whether the quantity and quality of preclinical data is
17 sufficient to begin clinical trials, and if not, what data
18 are sufficient to begin this investigation.

19 The second issue for discussion is the potential
20 clinical utility and risks of xenografts. Data so far, as
21 we have heard this morning, indicate that the survival of
22 xenografts is expected to be much shorter than that of
23 allografts.

24 Given these considerations, do the potential
25 benefits of xenografts as, for instance, a bridge to an

1 allograft or a short-term support of the reversible organ
2 failure, outweigh the potential risks.

3 The third issue is which patients should be
4 studied first and what efficacy outcome measures,
5 particularly for Phase III studies, should be used. There
6 will be a number of questions to the committee about
7 criteria that might be used to identify patient populations
8 for which risk-benefit would be acceptable.

9 [Slide.]

10 Now, of course, human allografts, as has been
11 illustrated this morning, are a scarce medical resource for
12 patients with irreversible organ failure. Patients are
13 having to wait longer for an allograft and many die before
14 an organ becomes available, and xenotransplantation then
15 fills an unmet medical need, and is one of the potential
16 means for alleviating the current shortage of allografts.

17 [Slide.]

18 For a brief historical perspective on clinical
19 solid organ xenotransplantation, again, this is a point that
20 has been covered this morning.

21 Attempts at cross-species solid organ
22 transplantation began at the start of the century. Renal,
23 hepatic, cardiac transplantation from non-human primates to
24 human primates achieved some degree of technical success
25 starting in the 1960s. Graft and patient survival have,

1 however, been poor, typically a few days to weeks. The
2 longest survival, as long as a few months, were seen in
3 recipients of renal grafts.

4 The most recent clinical studies of solid organ
5 transplantation using non-human primate sources were carried
6 out in the early 1990s, again without long-term success, and
7 efforts are now shifting to explore the potential clinical
8 uses of porcine solid organs.

9 [Slide.]

10 Now, for a brief overview of ongoing clinical
11 studies or porcine xenotransplantation. Current clinical
12 studies of porcine xenotransplantation involve primarily the
13 implantation of cells to replace cell loss and/or correct
14 functional deficiencies of cells. Example are neuronal cell
15 implants or islet cell implants in patients with certain
16 degenerative neurologic diseases or in patients with
17 diabetes mellitus.

18 The advantage of cellular transplants, as we have
19 heard, is that there is reduced immunogenicity because of
20 the absence of the vasculature and the endothelial cells
21 which carry xenoreactive antigens.

22 In addition, there are technologies, such as
23 microencapsulation, which allow the cells to be housed
24 within barriers designed to exclude cellular and humoral
25 components of the immune system.

1 Another area of investigation is in studies of
2 either whole porcine livers or porcine hepatocytes in
3 patients with acute liver failure. In these studies, these
4 devices are perfused ex vivo to provide short-term support
5 until either a liver allograft becomes available or the
6 patient's liver regenerates.

7 [Slide.]

8 Now, to go over to potential clinical trials,
9 discussions between the agency and sponsors have centered
10 around clinical studies of solid organ xenotransplants and
11 the preclinical data that would be necessary to support such
12 studies.

13 Cardiac and renal grafts, transplanted
14 orthotopically or heterotopically, are under consideration.

15 Although the ultimate aim of xenotransplantation
16 is to provide definitive therapy for organ failure with
17 graft and patient survival comparable to that of allografts,
18 a number of immunologic and physiologic obstacles need to be
19 overcome before this ultimate aim can be realized.

20 In the meantime, our reasonable aim might be to
21 use xenografts as temporary supports for a failing organ.

22 [Slide.]

23 I will now summarize the preclinical data. As the
24 sponsors and others have discussed this morning, it is clear
25 that some immunologic obstacles to the xenotransplantation

1 are being overcome. These issues are being addressed in pig
2 to non-human primate, either baboon or cynomolgus monkey
3 models. Challenges being addressed include the hyperacute
4 and delayed vascular rejection.

5 In assessing the quality of the preclinical data
6 and its ability to predict clinical benefits and risks, it
7 is important to consider a number of factors. These factors
8 include the clinical relevance of the immunosuppressive
9 regimens that are used, the parity of immune obstacles, and
10 anatomic and physiologic systems in these models.

11 [Slide.]

12 The details of the treatment strategies in
13 preclinical models have been elegantly provided by the
14 speakers this morning. As we have heard, there is evidence
15 that down-regulation of complement activation and removal of
16 preformed antibody to xenoreactive antigens contribute to
17 success.

18 Immunosuppression of preactivated B cells and
19 blocking sensitization to other xenoreactive antigens are
20 also important considerations.

21 [Slide.]

22 To summarize the results of the initial
23 preclinical studies, in preclinical models, the maximum
24 duration of survival in animal recipients of life-sustaining
25 cardiac transplants is only measured in weeks. Maximal and

1 overall survival are somewhat longer in recipients, in
2 animal recipients of renal transplants.

3 As we have heard, significant technical obstacles,
4 such as requirement for repeated invasive monitoring and
5 invasive therapeutic interventions interfere with the
6 ability to fully assess the potential risks and benefits of
7 xenotransplants.

8 [Slide.]

9 What are then the limitations of the current
10 preclinical data? Again, let me begin with the important
11 advances. Prolongation of graft survival has been achieved,
12 however, survival still falls short compared to what can be
13 achieved with allotransplantation in non-human primates.

14 The preclinical studies, at least that the agency
15 has seen, are few and graft survival is variable. The
16 effect of xenotransplantation on subsequent
17 allotransplantation is not clear.

18 The agency would like to ask the committee to
19 discuss the suitability of the pig-to-primate model for
20 predicting clinical outcomes. The agency also would like to
21 ask the committee to address the clinical relevance of the
22 immunosuppressive regimens and the parity of immunologic,
23 physiologic, and anatomic functions.

24 [Slide.]

25 In closing, then, I will restate the issues the

1 agency would like to ask the committee to discuss.

2 The first issue is what quantity and quality of
3 preclinical data will be sufficient to support the start of
4 clinical trials. The second issue is the potential clinical
5 utility and risks of xenografts. Do the potential benefits
6 of xenograft, for instance, as a bridge to allograft or a
7 short-term support of a reversible organ failure outweigh
8 the potential risks?

9 The third issue is which patients should be
10 studied first and what efficacy outcome measures,
11 particularly for Phase III studies, should be used. The
12 agency would like to ask the committee to discuss criteria
13 that might be useful to identify patient populations for
14 which risk-benefit might be acceptable.

15 DR. AUCHINCLOSS: Thank you very much.

16 We will be having committee discussion. As
17 before, we would welcome comments from sponsors and/or from
18 the floor, as well, at any point.

19 **Committee Discussion**

20 DR. AUCHINCLOSS: The big issue is the move
21 toward, but not yet occurring, move toward the initiation of
22 clinical trials of solid organ xenotransplants. Again, we
23 are not talking about cellular transplants today.

24 There are two big questions which I will try to
25 deal with here in the committee in order. One has to do

1 with the preclinical studies, how good are the models that
2 we have, how serious are the limitations, and what kind of
3 results do we, as a committee, think should be expected
4 before the initiation of clinical trials.

5 The second issue will be what particular clinical
6 trials do members of this committee think make sense or do
7 not make sense from their perspective.

8 Let me emphasize what I think are the big picture
9 features of this, but I want to the FDA to correct me, that
10 this is a very preliminary or exploratory conversation, that
11 we are not here today to approve a particular protocol, to
12 say yes to this particular sponsor.

13 What I understand is that the FDA and the sponsors
14 are both asking essentially what kinds of questions and what
15 kinds of answers would members of this committee like to
16 hear in the future when it does come time to look at
17 individual or particular protocols.

18 Do I have that sense correct, FDA?

19 DR. WEISS: Yes.

20 DR. AUCHINCLOSS: So, that is where we are going
21 at this point.

22 Let's start then with this issue of the
23 preclinical studies, and I guess the place to start there is
24 to ask the question: How serious are the limitations? All
25 of the sponsors and several of the speakers, in addition to

1 sponsors, have mentioned that there are big differences
2 between non-human primates and primates. How serious are
3 those limitations when it comes to predicting outcomes of
4 future clinical trials?

5 DR. LERCHE: I would like to make a comment or
6 observation that is sort of relevant to the quality of some
7 of this preclinical data that we are discussing today, and
8 just to reinforce the comment made by Dr. Chapman just
9 before we took a break about we have all been discussing
10 infectious diseases from the perspective of the donor
11 animals, but also I would just like to emphasize the impact
12 that persistent infections can have on animal model systems
13 and their potential as confounding variables in the
14 interpretation of this experimental data.

15 The lymphoproliferative disease situation that was
16 described to us, the entire tissue of survival of these
17 animal model recipients, if I understood correctly, a number
18 of the speakers said that survival was in some instances not
19 related to graft rejection, and so this may be another area
20 where the persistent infections in the animals used as
21 models may have an impact.

22 I think this is an area that is not fully
23 appreciated in the design of some of these studies and
24 interpretation of data.

25 DR. AUCHINCLOSS: Marian.

1 DR. MICHAELS: This is slightly on a different
2 topic although I certainly agree the infections to the
3 individual patient are a problem, too.

4 With the PRA issues that were brought up in terms
5 of the highly sensitized person that might be a potential
6 beneficiary of these procedures because they wouldn't be
7 eligible for an allotransplant, it seemed unclear to me.
8 Some people thought that it wouldn't be a problem, and some
9 thought it would be. I don't know if any of the sponsors
10 wanted to address that further or whether that is something
11 that needs to be looked at further before clinical trials.

12 DR. AUCHINCLOSS: I am sorry, David. I was going
13 to ask you to comment in response, but you were saying
14 something else. You want to hear the question?

15 DR. SACHS: Yes.

16 DR. AUCHINCLOSS: Will a highly sensitized patient
17 for an allotransplant be sensitized for a xenotransplant?

18 DR. SACHS: We published about two years ago on
19 xenotransplant patient a large series with Guy Alexander of
20 patients with high PRA and the correlation between the
21 antibody levels in PRA to human panel, and there was zero
22 correlation, and the absorption of antibodies with pig left
23 the PRA intact, and as David Cooper mentioned this morning,
24 he has subsequently done that with an anti-Gal absorption
25 and found a similar result.

1 So, while there could be some cross-reactivities,
2 they are probably rather minor, and I think, in general, the
3 answer will be that high PRA patients will not have any
4 excess risk at being sensitized to pig.

5 DR. AUCHINCLOSS: I want to come back to the
6 preclinical models issue.

7 DR. SIEGEL: I had a follow-up question about
8 that, but I guess it addresses particularly cross-
9 sensitivity vis-a-vis antibody. Are there any data about--
10 since we heard a little bit about HLA and SLA cross-
11 sensitization, are there data about that?

12 DR. SACHS: The cellular immune system fortunately
13 is not as cross-reactive as the antibody, so I think we
14 should be pretty good on that score.

15 DR. AUCHINCLOSS: Back to this preclinical studies
16 issue, let me try a statement and then the committee can
17 respond to it.

18 I think personally that too much is being made of
19 the limitations of the preclinical models. The implicit
20 suggestion I think from a number of the speakers was, gee,
21 it's just so hard to care for these animals under the
22 conditions we work in that when we only get to 30-day
23 survival, that is really, frankly, good enough, we should
24 now go to people because we will undoubtedly do so much
25 better.

1 To be honest with you, I don't buy that. I really
2 think that you should expect and that the standard should be
3 that in preclinical studies, survival approximating the kind
4 of survival you expect to see in patients should be
5 achievable.

6 Now, there are some particular exceptions to that
7 statement, and one example would be OKT3. OKT3 is a reagent
8 that works beautifully in humans and doesn't cross-react on
9 any of the non-human primates, so that it wasn't tested
10 there, so there are particular strategies that you can make
11 a compelling case, you have to do this in people, and it is
12 appropriate to do it in people because of the following
13 preclinical data in other ways.

14 But in general terms, I think you should be
15 looking for results in your preclinical models that
16 approximate what you hope to achieve in the clinical.

17 DR. ALLAN: I just wanted to make a comment.
18 There is the commercially available anti-CD3 antibody that
19 reacts with baboon. I am not saying you couldn't do an
20 anti-CD3, I am just using an example.

21 DR. AUCHINCLOSS: There are many reagents that
22 haven't been explored, I think, that are actually available
23 that could be used.

24 DR. ONIONS: I found this morning's discussion
25 very useful. Really, I am just phrasing this more of a

1 question because I am not a clinician.

2 It strikes me that cases are being made for
3 various forms of bridges in terms of as a substitute for
4 ventricular assist devices and also a good case for kidney
5 where you can go back to dialysis if necessary.

6 What i am not quite sure about, and maybe somebody
7 could help me out here, is there seemed to be a disagreement
8 between the availability or the size of the patient on what
9 would be appropriate for a bridging transplant because
10 ventricular assist devices were not used or not available.

11 I wasn't quite sure, what is the ethics of using a
12 xenotron's point here if there is an alternative, which is
13 using a mechanical device? Could somebody perhaps just
14 tease that out for me?

15 DR. AUCHINCLOSS: Robert, do you want to respond
16 to that?

17 DR. MICHLER: I think that is a very good question
18 and one which I tried to allude to in my presentation. It
19 would be difficult, I think, as clinicians to offer an
20 unproven therapy, at least unproven in the human clinical
21 condition, to a patient for whom an accepted albeit not
22 perfect alternative is available meaning the left
23 ventricular assist device.

24 So, in most centers in this country, if not the
25 world, that perform heart transplantation, ventricular

1 assist devices are part of their broad therapeutic regimen,
2 and the guidelines for implantation of ventricular assist
3 devices are fairly well established, not perfect.

4 There is a current U.S.-based clinical trial using
5 ventricular assist devices as a destination therapy called
6 the rematch trial in which patients who exceed the age of 65
7 are included in that trial for permanent implantation of the
8 assist device.

9 So, the simple answer to the question is would I
10 as a clinician feel comfortable looking at a patient and
11 saying I have two alternatives for you, meaning that you
12 meet all criteria for a ventricular assist device, and
13 saying I would like to put in the xenograft because we have
14 this clinical trial versus we have a ventricular assist
15 device to put in. I think the answer is fairly
16 straightforward.

17 DR. HIRSCH: But the question I think is are there
18 individuals for whom the mechanical device is just not --

19 DR. MICHLER: Oh, now that is a totally separate
20 question, that's a different question. If the question is
21 are there a group of subset of patients for whom a
22 ventricular assist device is not ideal, the answer is
23 unquestionably yes, and that is really what we are
24 addressing here.

25 If the question is meeting all criteria, a patient

1 who would be a suitable candidate for a ventricular assist
2 device, should they be offered a xenograft, I think you
3 would have to offer that patient in good conscience what you
4 know to be the best available strategy at that point in
5 time.

6 Now, there are certainly patients for whom a
7 ventricular assist device is not suitable. An advanced age
8 patient with multiple comorbid diseases for whom a
9 ventricular assist device or even an allotransplant is not
10 suitable, a patient of insufficient size in whom to put the
11 device, the device is about this large around, maybe a
12 little bit bigger, and about this thick, and the other
13 devices are not much smaller than that, so you need a
14 patient who weighs at least 120 pounds, and even a 120-pound
15 individual is a little tight to get it into.

16 So, for these patients--and literally, there are
17 thousands of these patients potentially who could benefit
18 from a strategy and who are in imminent danger of death--for
19 those patients, I think it would be a very rational approach
20 to offer them a xenograft as a solution.

21 DR. AUCHINCLOSS: But I thought your question was
22 how large is the subset, and the answer is it's small, but
23 not tiny.

24 DR. ONIONS: Yes.

25 DR. AUCHINCLOSS: Again, I don't want to get to

1 which clinical studies do you think are the good ones or the
2 bad ones quite yet. I want to stick, as your questions go,
3 with preclinical studies, what are the limitations, what are
4 the results that you expect from them.

5 Go ahead, Danny.

6 DR. SALOMON: I wanted to pick up on the
7 chairman's point, not necessarily to say that I specifically
8 disagree with what he said, but, however, to present the
9 counter argument, as well.

10 So, our chairman pointed out that he didn't
11 necessarily buy the fact that there were limitations in the
12 animal models and that perhaps we ought to just stay with
13 the animal models for a longer period of time. I would
14 offer you an alternative.

15 First of all, there is no argument that there are
16 novel reagents that, at this point, have been used and
17 developed for humans that cannot be used in the animals.
18 John's point is well taken. We could say fine, go develop
19 those equivalence in primates and then come back and talk to
20 us, but I just propose to you that that is a major effort
21 and it is not as simple as just saying, oh, hey, there is
22 already a commercially available anti-CD3 antibody.

23 Secondly, I think that the chairman underestimates
24 the fact that there are dissimilar immunobiologies in non-
25 human primates and in humans, and we could give you a lot of

1 different examples, but just recently they published the
2 data in Transplantation for fetal pig islet transplants
3 under the kidney capsule in cynomolgus monkeys, pig-to-
4 cynomolgus monkeys, 13 days with full immunosuppression,
5 several years ago, Karl growth in humans with half the fancy
6 immunosuppression we have now, had measurable C peptide nine
7 and 10 months later. I can give other examples, but I think
8 you get my point.

9 Moreover, complement humoral cascades are going to
10 be potentially very different, and it's evident to everyone
11 here that those are major obstacles. Now, there is an
12 underlying premise here that these complement regulatory
13 protein transgenics are avoiding hyperacute rejection. Is
14 that going to work in the human patient? There is a lot of
15 money and effort going down that road right now.

16 Arguably, at this point, there is also the
17 biology. I mean is the biology in the non-human primate the
18 same? No, it is not. So, the bottom line here is I think
19 that one can make a case for validating the non-human
20 primate models at this point in a limited series of clinical
21 trials. If they look like they are matching up, then, I
22 might be perfectly comfortable with saying, okay, back to
23 the drawing board, but if they are not, I think it is time
24 in this field to figure that out.

25 DR. MCGREGOR: Mr. Chairman, as someone who is

1 practically carrying out clinical cardiac transplantation in
2 substantial numbers of cardiac transplantation in non-human
3 primates using transgenic pigs, as well as the argument
4 about immunobiology being different, the practical
5 management of a non-human primate is so much more difficult
6 than a human, just the practical, every-day management, the
7 taking of blood samples, investigating the function of the
8 organ, the therapies of immunopheresis, which really knocks
9 a baboon, which we do routine clinically for certain
10 diseases.

11 So, really, there is a huge difference in the
12 practical management of a non-human primate receiving a
13 xenotransplant compared to a human. So, I would
14 respectfully submit that the bar in terms of transgenic pig-
15 to-primate xenotransplantation survival should be lower,
16 because it is most of our beliefs who do this work that the
17 results in humans, certainly from a practical point of view,
18 would be substantially better.

19 DR. AUCHINCLOSS: Please understand that we are
20 talking about a matter of degree here. I do recognize that
21 there are differences, and the question is how significant
22 are those differences.

23 I would put it to you in a different way perhaps,
24 that is you came to me and said I just can't treat these
25 animals any longer with this protocol because it's too

1 complicated, I would say, all right, I can understand that
2 in some of these circumstances, but when you come to me--or
3 not you, I don't mean you particularly--but when someone
4 comes to me and says at four or eight weeks our animals
5 suffer from acute vascular rejection and lose their
6 transplant, and I don't hear what it is that you would do
7 differently in the human that would overcome that rejection
8 process, I am not impressed that the time has come to go
9 from the animal to the human trials to see if maybe it will
10 work there.

11 David.

12 DR. SACHS: I have always felt, and I still feel,
13 that the time to do clinical xenotransplantation is when
14 there is a reasonable expectation that it will be
15 successful. I have to share your opinion, Hugh, that at
16 this point, I don't see that reasonable expectation of
17 success meaning success on a reasonably long-term basis.

18 Now, the bridge I have, as I mentioned earlier, a
19 philosophical problem with, because it really doesn't help
20 our major limitation of transplantation, that is, the
21 limitation in our numbers of organs, and increases the
22 waiting list.

23 I guess I would change my mind on that if I
24 thought that the bridge was being done on a tentative basis,
25 but it seemed to me that what you are really saying when you

1 do a bridge is that as soon as a heart becomes available, an
2 allogeneic heart, you are going to take that out whether it
3 has failed or not. That is the only way a bridge would
4 work.

5 So, you will never find out whether it would have
6 worked longer, you will never be really testing the model.
7 You won't really learn anything about the prolongation of
8 xenografts beyond what we are seeing in the primates.

9 DR. MICHLER: David, you know, we had the very
10 same kind of questions before that we had widespread
11 application of ventricular assist devices, and the issues
12 were (a) it is not going to be epidemiologically
13 consequential, and (b) when do we take one out and put the
14 other one in.

15 What we learned were several very important
16 things; first, that if you put a ventricular assist device
17 in a patient on day one, you don't want to take it out on
18 day two or even on day seven or maybe not even on day 28.
19 We found that these patients recovered dramatically their
20 end organ function, so that arbitrarily, many programs
21 around the world set a moratorium on explant of a device
22 until the patient had recovered, and usually meant about
23 three to four weeks.

24 DR. SACHS: Even if a heart became available.

25 DR. MICHLER: Even if a heart became available,

1 because we found that patients died during the explant and
2 the allotransplant simply because they had not recovered
3 nutritional status, functional status, end organ function,
4 and we were dealing with renal failure and a whole host of
5 other problems, and I think that analogy is going to be held
6 true here.

7 DR. SACHS: I will hold off on my next question
8 because I know Hugh wants to.

9 DR. AUCHINCLOSS: We will come back to it, I
10 promise we will.

11 Louisa.

12 DR. CHAPMAN: You partially answered actually the
13 question I want to ask, but in a very simple way, as a
14 nontransplanter, it would be helpful to me anytime we are
15 looking at survival rates in animal models and talking about
16 a change to clinical trials, to have a sense of what the
17 average recovery period is from the time of surgery until
18 the patient leaves the hospital, and from the time of
19 surgery until regaining full function defined, I don't know
20 how, perhaps if I return to school or job or something like
21 that, because it seems to me, in terms of quality of life,
22 and I recognize this is more relevant for destination
23 xenotransplant than for bridging, but in terms of survival
24 time, it seems to me you could only count it as significant
25 from the point where they recover a reasonable quality of

1 life, which I would not consider an immediately post-op
2 cardiac transplant patient to have.

3 DR. AUCHINCLOSS: You don't think that a permanent
4 transplant that lasts 30 months is likely to be good enough?

5 DR. CHAPMAN: I don't know. That's my question.
6 If a patient receives an allotransplant, either a kidney or
7 a heart, assuming no surgical complications and no
8 significant postoperative or other iatrogenic complications,
9 what is the average time from the time of surgery until they
10 are ready to leave the hospital, from the time of surgery to
11 full recovery for a heart, for a kidney? I think that is a
12 relevant comparison.

13 DR. CONTE: To answer that, it is so variable. I
14 think Bob and Chris and I can tell you we have had patients
15 probably go home within five days after a transplant they
16 have done so well, and others who have been so sick
17 beforehand, whether ventricular assist devices, are
18 intubated, have such end organ dysfunction going into it,
19 are in the hospital for months.

20 I guess if you had to pick a number, it is when
21 they are over the effects of the operation, which after a
22 full sternotomy and cardiopulmonary bypass, is going to be
23 in the four to 12 week period I would say. At least that is
24 when I don't let any cardiac surgical patient go back to
25 full activity after a sternotomy. Is that reasonable?

1 DR. AUCHINCLOSS: So, back to the question. How
2 much slack do you want to cut to the non-human primate
3 studies? David Cooper.

4 DR. COOPER: I just want to make one comment, two
5 comments really. One is that although we say that it is
6 more difficult to manage the baboons, and so on, and it
7 definitely is, and it would be easier to manage humans, not
8 everything is to the advantage of the human.

9 For example, the humans have much higher levels of
10 anti-Gal antibody IgG than do baboons or monkeys, so we may
11 find that the problems are exaggerated when we get to the
12 human compared with the monkey. So, don't think that
13 everything is going to be easier when we get to the human.

14 The other thing that has worried me, as I
15 mentioned this morning, is that if you have got animals that
16 are still showing signs of rejection, but at the same time
17 are getting lymphoproliferative disease, lymphomas, tumors
18 developing, it means that they are getting quite heavy
19 immunosuppression, you are not controlling the rejection,
20 but you are still seeing the serious effects of that
21 immunosuppression.

22 DR. WOODLE: Did there animals in any of these
23 trials get prophylaxis or antiviral prophylaxis or antibody
24 prophylaxis of any form?

25 DR. COZZI: If I can comment on what Dr. Cooper

1 was just saying a few seconds ago, I mean if we take, for
2 instance, a usual pig-to-primate model we have in Cambridge,
3 okay, as I said, we have more than 80 percent of our
4 experience developing.

5 If I look at what I do, for instance, in an MMF
6 protocol, just to be impartial and talk about a molecule
7 which I have no interest to defend, okay, when I transplant
8 my animals, I do a pig-to-primate model xenotransplantation,
9 what is the trough level of the compound I am trying to
10 achieve.

11 I said Dr. Michler was saying earlier today you
12 are using a very high level of cyclosporin A in baboons.
13 This is absolutely true, and there are papers that reports
14 that you need to aim a trough level of at least 1,200, 1,500
15 ng/mL of cyclosporin A to have immunosuppressive effect in a
16 baboon.

17 What about in the cynomolgus monkey? In the
18 cynomolgus monkey, we are aiming to a trough level of 300 to
19 400 ng/mL of cyclosporin A. Those of you who are clinicians
20 here do know that in some patients, certainly, for instance,
21 in some heart transplantation program, we use 3- to 400
22 ng/mL also in our patients.

23 So, cyclosporin A, we use in our animals is a
24 therapeutic level, at least according to the level we use,
25 to the parameter we use in human allotransplantation. The

1 MMF, we are aiming for a window of 3 to 6 mcg/mL, and this
2 we are using this trough levels, not because we have data
3 that have been generated in the preclinical setting, because
4 these are the data that are currently being used by our
5 center in patients who are transplanted and exposed to MMF
6 as a drug.

7 Finally, the dose of steroids we are using, we
8 start with 1 mg/kg, and we taper and go down for .20 mg/kg
9 per day after the second week. So, if we look only at this
10 protocol, if we exclude the four doses of cyclophosphamide,
11 I would consider that this immunosuppression is clinically
12 acceptable according to the trough level we are using in the
13 department, which is 50 yards away from where I keep my
14 monkeys.

15 Thank you.

16 DR. COOPER: Can I just respond? I agree
17 entirely, Emanuele, that is a clinically applicable level or
18 the levels are clinically applicable, but they are the very
19 animals, 3 out of 7 have got lymphoma within two to three
20 months, and yet they have still got rejection going on. So
21 your clinically applicable dosages or immunosuppression are
22 not controlling the rejection, but are actually, in that
23 animal model, over-immunosuppressing to the point they are
24 getting tumor formation.

25 So, it shows that there is something still needs

1 to be done there.

2 DR. COZZI: A little comment because that is very
3 important, as well. I mean we in the United Kingdom are
4 under a strict control and pressure from the home office, so
5 the information that I want to bring to this is that we do
6 not immunosuppress these animals from day zero until the day
7 they are killed and they have their acute vascular project.

8 There are some stages where we are obliged to give
9 up the immunosuppression or reduce the draws of
10 immunosuppression because the animal is sick and unwell. I
11 mean in the case of rat, for instance, is because we have a
12 very profuse and severe diarrhea which we do not see in our
13 patients.

14 So, what I mean, yes, we have lymphoproliferative
15 disorders, possibly we have the existence of acute vascular
16 rejection in that animal, but I also have to tell you I had
17 to back up or suspend immunosuppression for four or five
18 days before the death of the animal occurred.

19 DR. WOODLE: I would make the point that Hugh or
20 Dan, and those of you that have a lot of experience in
21 kidney transplantation, if I were to take to you today a
22 regimen with cyclosporin, rifamycin, steroids, or maybe
23 trachomis, mycophenolate, and steroids, immunosuppressive
24 series of patients, and not prophylax them for antiviral
25 prophylaxis, their incidence of CMV disease and EBV would be

1 exorbitant to the point where you would say no way I can do
2 this protocol in humans.

3 The point that I have to make is that none of
4 these animals received any antiviral prophylaxis, nor do you
5 know what are the viruses that are involved in here, and
6 what we are going to do is we are asking these companies to
7 figure these things out and sort them out before you take
8 this to the clinical setting.

9 DR. AUCHINCLOSS: I am sorry, Steve, the final
10 point that you are making there is basically go ahead and
11 give them the viral prophylaxis, so that --

12 DR. WOODLE: If they know which viruses. I mean
13 the problem is that you have, in a lymphoma, you have a PTLD
14 here, and I am not sure that anybody knows the etiology of
15 these PTLDs in these animals, whether or not it is an EBV-
16 like virus or whether it is a true cancer.

17 DR. WALTERS: I have a couple of process questions
18 for FDA. One is are you satisfied that there is a
19 standardized enough format for the reporting of preclinical
20 data from the various groups that are doing preclinical
21 studies?

22 The second question is do you have access to all
23 or virtually all of the preclinical data worldwide on the
24 transplantation of solid organs into animal models?

25 My third question is what fraction of that

1 worldwide data would we around this table have access to
2 either through this meeting or through the literature?

3 DR. SIEGEL: I think that if I understand the
4 question, the answer would be, as far as access, that for
5 those sponsors that are proposing human experimentation, we
6 essentially have access to any and all data that we ask for
7 regarding their preclinical information, which in most
8 cases, including drug development, not some really
9 transplantation development, is substantially more extensive
10 than what might be presented at medical meetings or at the
11 literature. We may ask for a lot more detail.

12 However, beyond those sponsors, when you asked
13 about worldwide experimentation, I think our access to
14 information is pretty much the same as your access to
15 information, what is out there in the public domain.

16 We will do our searches, we will ask that the
17 sponsors do their searches and present, and also submit
18 articles for us. I am not exactly sure about the question
19 about the standard formatting, exactly what you are getting
20 at or if I understood the question or maybe I answered it.

21 DR. WALTERS: I just wonder whether there is--I am
22 thinking of an analogy from a totally different sphere--the
23 recordkeeping and reporting of infertility clinics on their
24 success rates, and there were a wide variety of ways of
25 reporting success for a long time, and gradually, that is

1 getting standardized.

2 Is it quite standardized in terms of graft
3 survival?

4 DR. SIEGEL: I don't know if we know enough to
5 want to standardize to anything.

6 DR. AUCHINCLOSS: I think that there would be
7 reason to suspect that there is information that we don't
8 all have access to that was done in various companies.

9 Do you think that is a fair statement, David?
10 Jump in now with your own comment.

11 DR. SACHS: I gather that what we are really
12 asking now, Hugh, is what should the questions be of the
13 preclinical models in order to go to the clinical trial.

14 I would return to my statement, reasonable
15 expectation of success, and I think that is the only
16 relevant question that has to be asked, any group that wants
17 to try clinical xenotransplantation, does your preclinical
18 data give you a reasonable expectation of success.

19 Then, what is the definition, what is success?
20 Again, I would say it is different if you are talking about
21 a bridge from the survival of the patient with a transplant.

22 DR. AUCHINCLOSS: That is a point that the FDA is
23 asking, and the answer to that to me is quite clearly yes,
24 there are different standards if you are looking for bridge
25 compared to definitive transplant. Do you agree with that?

1 DR. SACHS: I agree with that.

2 DR. ONIONS: I would just like clarification. I
3 wonder if David could comment. We had two pieces of data
4 put on the table which I thought were useful. One
5 suggestion was that, for bridges, that you should be seeing
6 a median survival beyond 60 days I think was one comment.

7 In a slightly different context, I think we got a
8 figure of--again in the bridging situation--60 percent
9 survival of 90 days. I mean are these the kinds of figures
10 that you would find acceptable verbiage, is that what you
11 mean by reasonable expectation?

12 DR. SACHS: No, not for a bridge. I am talking
13 about a transplant, and I think the definition of that has
14 to be made to the investigator. I wouldn't want a heart
15 that was only going to survive 60 days. I think that is an
16 awful lot to put a patient through for an expectation of a
17 60-day survival.

18 If there was an expectation that it was going to
19 possibly go on permanently, I would have a very different
20 answer, and I have always felt that that is what you offer a
21 patient is what you would do for yourself.

22 So, I think success in those terms is unlimited
23 survival, the same as for an allotransplant, possible. That
24 doesn't mean that it is going to happen the first few times,
25 but if you look at the data that is available today, and ask

1 the very people who are obtaining that data if they feel
2 that there is a reasonable expectation of long-term success,
3 I will sure they will all tell you no, and yet they want to
4 go ahead with it.

5 Now, if they go ahead with it, therefore, it is
6 more likely that they are talking about a bridge, and there,
7 if you can get over the philosophical problems about a
8 bridge, I have no objection to it, but I myself am not sure
9 that the bridge is reasonable.

10 DR. AUCHINCLOSS: Bob Michler, you had a question?

11 DR. MICHLER: Yes, I would just expand on your
12 point, David, and that is that included in, inherent in the
13 issue of survival, one must pin the issue of diagnostic and
14 treatment of rejection, meaning that if you can get a
15 patient without--excuse me--an animal model to exceed 60
16 days without evidence of rejection or 90 days with treatable
17 rejection, that is very valuable information.

18 And then to just place it in the context of the
19 patient, if we expect that a patient who is bridged with a
20 ventricular assist device needs a good 60-day period of
21 healthy mechanical or biologic recovery until they get
22 transplanted, that would seem acceptable.

23 On the other hand, if you are looking in a cohort
24 of patients for whom the likelihood of death on the waiting
25 list exceeds 50 percent or 60 percent, and there are

1 patients like that, or a patient who is not even a candidate
2 for allotransplantation, that one might comfortably apply
3 that same kind of animal data to say it would be reasonable
4 to enter the clinical arena with that information.

5 DR. AUCHINCLOSS: Go ahead.

6 MS. BLACK: I was asked to stand up by the FDA
7 contingent. My name is Lauren Black. I am a scientific
8 reviewer for preclinical data in the Division of Clinical
9 Trials for trials in xenotransplantation and tissue
10 engineering.

11 Consequently, I basically am put to the task of
12 reviewing the preclinical data as it comes in from various
13 sponsors in trying to help the division assess the risks and
14 benefits as expressed in those data.

15 I wanted to pick up on--is that Dr. Walters from
16 Georgetown--his comment about the standardized format of
17 some of these things. It is very difficult as a reviewer to
18 be able to access the information as is expressed in data
19 coming in from a lot of academic laboratories when it is
20 particularly formatted for communication to the agency when
21 it is not under IND, for instance, in briefing packets to
22 the advisory committee and other things.

23 The reason for that is that a lot of times these
24 model data are expressed in terms of data sets that you
25 would prepare for publication, and oftentimes don't track

1 down all of the reasons, for instance, for animal mortality.
2 They don't necessarily give a full tabulation of the data
3 from the perspective of successes and failures.

4 Consequently, when we review the data from 10
5 animals that come in, we need to know exactly what happened
6 to each of the 10 animals in order to be able to fully
7 assess risks and benefits, particularly from the point of
8 view of the immunosuppressant regimens, what sort of
9 individual differences in the animals, for instance,
10 differences in level of expression of transgenes,
11 differences in effectiveness of the immunoapheresis
12 regimens, other things that may be able to help us
13 scientifically correlate each individual animal success in a
14 therapeutic sense, with the duration of the survival of the
15 graft.

16 So, when we do the scientific assessment, we
17 really need to know the full tabulation that goes for each
18 animal, for the duration of its care under the clinical
19 tracking that is done, is it the same degree that is done
20 for patient care.

21 So, when we assess that, we try to make an
22 assessment on the total data set, the same way you would a
23 small clinical trial.

24 DR. AUCHINCLOSS: You catch me by surprise. I
25 would have assumed that that would be required information,

1 what actually happens to each individual animal. It makes
2 sense to me.

3 MS. BLACK: It's the depth of reporting issue.

4 DR. AUCHINCLOSS: Jay, you had a comment.

5 DR. SIEGEL: Perhaps it is related in some ways.
6 Dr. Sachs twice mentioned as a standard for what needs to be
7 shown preclinically a reasonable chance of success, and I
8 certainly wouldn't disagree with that standard, but I am not
9 sure it's the only standard, or if it were the only
10 standard, I would say it would be a substantial departure
11 from what we require of preclinical testing for all other
12 biological therapies, as well as drugs, which is that in
13 addition to looking in preclinical modeling for rationale,
14 we typically require substantial testing looking at
15 toxicology, at dose pharmacology relationships, at
16 optimizing of regimens, whatever information we think might
17 be relevant even if we think it has a reasonable chance of
18 success, whatever information might be relevant to improving
19 the safety and efficacy of initial and subsequent human
20 experimentation with that therapy.

21 So, I think one might apply that principle or at
22 least think about that, which is to say even if you thought
23 you are at a level of a reasonable chance of success, if
24 there were critical questions that could well be answered in
25 animal models, if you felt that there were important

1 questions about what happens with retransplantation after
2 failure of the xeno, or questions about certain aspects of
3 concomitant therapy that could be well answered in animal
4 models even if you had a reasonable chance of success, it
5 would at least be a normal agency approach to say those
6 questions should be addressed in animals if they can be
7 suitably addressed in animals.

8 DR. AUCHINCLOSS: Steve, can I let David respond
9 to that, and then we will come back to you?

10 DR. SACHS: I think it is a very good point, and I
11 agree with you in terms of the FDA's answer. I guess my
12 answer is also tempered by my concerns for the field, which
13 I think is a different problem, but I think that there is
14 nothing that will hurt the field more than a series of
15 failures. So, there is both of those things that are
16 involved in my response.

17 DR. AUCHINCLOSS: Steve Woodle.

18 DR. WOODLE: I want to take a few minutes. I
19 wanted to ask Dr. Cozzi if he could come up, and I wanted to
20 just ask him a few questions to try to be illustrative about
21 one of the points that I think is really an issue here, and
22 that is, that you can't take care of an animal in a
23 laboratory setting the way you can a human.

24 Those of us who have done clinical transplants and
25 also done transplants in large animals in the lab, have an

1 understanding of this, but unless you have done those, taken
2 care of patients and taken care of animals in the lab, you
3 don't have the first idea of how you are in the Stone Age
4 with an animal in the laboratory.

5 I wanted to ask Dr. Cozzi, when one of your
6 patients has an elevated--say, one of the animals had an
7 elevated serum creatinine, had a kidney transplant, what
8 would you do to evaluate that? What is your standard course
9 of evaluation?

10 DR. AUCHINCLOSS: Steve, I honestly believe that
11 people do understand that there are substantial differences
12 in the testing that you can do for monkeys versus--

13 DR. WOODLE: I am not sure that--

14 DR. AUCHINCLOSS: If there is a particular point
15 that you want to make--

16 DR. WOODLE: I am not sure that people actually
17 understand the degree of difference that exists, and if we
18 could take just two minutes, I will try to make this real--
19 what you would do with an elevated serum creatinine?

20 DR. COZZI: I can certainly answer.

21 DR. WOODLE: You are worried about a rejection is
22 what you are worried about.

23 DR. COZZI: I can tell you what I have done in
24 roughly 260 out of 280 kidney xenograft we have done in
25 Cambridge. In 260 out of 280 xenografts, which is more than

1 95 percent of the cases, I was working random and I was
2 working blind, and I was not allowed to have access to what
3 we use today in the clinic, which is the gold standard,
4 i.e., the biopsy.

5 DR. WOODLE: So, you wouldn't be able to do an
6 ultrasound to make sure that there wasn't a technical
7 problem with the artery or the ureter, you weren't able to
8 do a biopsy of the graft to make sure that it wasn't
9 rejection, so you are flying by the seat of your pants in
10 order to treat this animal who may have a problem with his
11 ureter for rejection, which is going to predispose them to
12 an exorbitant amount of immunosuppression, point one.

13 If the animal developed, let's say, a cough, were
14 you able to make sure--how would you make sure that animal
15 didn't have pneumonia? Would you be able to do a chest x-
16 ray?

17 DR. COZZI: No.

18 DR. AUCHINCLOSS: Steve, I think you can make your
19 point without going through the exercise here.

20 DR. WOODLE: But the point is if you didn't do a
21 chest x-ray, you didn't do a culture, you didn't have the
22 ability to treat with antibiotics, much less make a specific
23 diagnosis, that most patients we would save in those types
24 of opportunistic infections, but you are going to lose that
25 animal in the laboratory.

1 DR. AUCHINCLOSS: Dr. Vanderpool.

2 DR. VANDERPOOL: Just three observations. First,
3 to pick up David Sach's phrase "reasonable expectation of
4 success," I think that is a fine phrase to work off of, and
5 it doesn't seem to me, Jay, that you really refined it all
6 that much by defining success as safety and efficacy because
7 you could just change the phrase and say reasonable
8 expectation of safety and efficacy.

9 DR. SIEGEL: I guess the refinement I would say is
10 even if you had a reasonable expectation of safety and
11 efficacy, but felt that you could improve that level by
12 reasonable additional animal experimentation, normally, we
13 would require that.

14 DR. VANDERPOOL: At this point, I am just making a
15 rhetorical point about our rhetoric and how to think past
16 the rhetoric itself. Success may be a shorthand term for
17 safety and efficacy.

18 I think my second point would be that I think we
19 need to consider three audiences. First, what we here
20 together would take to be reasonable success, and we talked
21 about that a lot, you know, in terms of what we will offer
22 to patients, and we need to keep talking about that, but I
23 think there are two other audiences to keep in the back of
24 our heads.

25 One is what in the world would patients take to be

1 reasonable chances of success. I think at the point of our
2 deliberations, we need to consider what a patient group--we
3 have one patient with us--would take to be reasonable.

4 I consider things that are reasonable for me that
5 are beyond that someone in dire circumstances would consider
6 to be very conservative and very restrained, because as
7 Antonio said, some patients are willing to take chances when
8 you are in desperate straits that others won't, so I think
9 we need to have allotransplant patients give us some
10 feedback on what they would consider reasonable chances of
11 success.

12 Third, I think we need to think, have in the back
13 of our head, what society would view as reasonable changes
14 of success. I think we have a lot to win or to lose in
15 terms of social responses to initiation of clinical trials.

16 To put the matter in patient-centered terms, I
17 would just ask us to keep in mind what would we be saying to
18 patients right now, with our present preclinical data, what
19 would we say, okay, based on the present preclinical data we
20 have, you stand to be limited or harmed in the following
21 way, and you stand to benefit in the following ways.

22 How would we fill in the blanks for those? It
23 seems to me when we can start filling in those blanks, we
24 are getting a fairly good handle on what we take to be
25 reasonable chances of success from a patient standpoint.

1 MR. LAWRENCE: I am chiming in from the patient
2 perspective on this. Since we have transitioned from the
3 preclinical to the clinical to suddenly the patients begin
4 to have some views that may matter here, I have four
5 comments to make.

6 I work for the United Network for Organ Sharing,
7 and that is an allograft-oriented group. I would like to
8 remind the people that are gathered in this room that we
9 have been engaged for the past 10 years in petty arguments
10 about allocation for the simple reason that that is about
11 all that we can do.

12 We are looking to perhaps the xenograft community
13 to help relieve some of the pressure that reduces us to
14 arguing over such small things. I remember when I first got
15 to UNOS 10 years ago, you could take the entire waiting list
16 of people, and I thought this was very illustrative, and you
17 could pick them in Fenway Park, and I picture Fenway Park--I
18 was raised in Boston--full of people, and that is how many
19 people we are talking about.

20 Five years ago you would have had to move them all
21 to Oriole Park and Camden Yards, because it was up to
22 40,000, and now, much as I hate to say this, you would have
23 to get Yankee Stadium to hold the waiting list, and pretty
24 soon we are going to Wembley for our UK friends, because
25 this is a large group of people that we are talking about

1 here. Half of those people statistically will not receive a
2 transplant, some of them will get better, most of them will
3 die. So, that is the state that we are in.

4 I would like to just lay that out. I have two
5 substantive things to say. One is to thank the FDA for
6 including us here. I think as time goes by, we will be able
7 to play more and more of a role in some of the decisions
8 that you have to make.

9 The other is with regard to informed consent. An
10 old law professor of mine said that in the hospital setting,
11 informed consent reminded him of the Holy Roman Empire,
12 which was neither holy nor Roman nor an empire. There are
13 going to be some informed consent issues here that we can
14 help with, and we look forward to doing that.

15 We urge you to proceed with all deliberate speed
16 as we did with integration and look forward to working with
17 you as you go along. Thank you.

18 MR. BENEDI: I would like to say a couple of
19 things. One is the reasonable chance that a patient will
20 want to take, and I think that the successes in
21 transplantation are its own worst enemy at this point. A
22 bill has been out 12 years, not 7 years, so when you talk to
23 a patient and give them an option of 60 days, they are
24 waiting for a chance at 7 or 12 years, so they need to be
25 given a little bit more reasonable chance to live.

1 With these unknown entities out here, these
2 viruses that everybody talks about, obviously, those things
3 need to be alleviated in the general population before
4 people really take a look at this as a reasonable
5 alternative.

6 I really do think, after what I have heard here in
7 the last two days, and the last conference that we had, that
8 we still have a ways to go before we all feel comfortable.
9 I really highly recommend that we all sign our donor cards
10 before we leave. Thank you.

11 DR. AUCHINCLOSS: Part of the point of this
12 exercise--again, correct me, FDA, if I am wrong--is to give
13 both you and the sponsors a sense of how the committee might
14 respond in the future should proposals come to you for
15 specific protocols for clinical trials.

16 So, let's try a hypothetical case, that a sponsor
17 came to you essentially with the data that we have seen over
18 this morning's presentations and said I want to initiate a
19 trial of definitive xenotransplantation, not a bridge trial,
20 but definitive xenotransplantation for long-term survival.

21 Is there anybody on the committee who thinks that
22 the data are sufficient to initiate such a clinical trial or
23 that we are close to being sufficient?

24 [Show of hands.]

25 DR. WOODLE: I would just qualify my answer here

1 in that I think that there are subsets of patients who are
2 facing imminent death in whom it is very difficult to put
3 yourself in that position and say what decision you would
4 make.

5 I can tell I make rounds on patients--and this
6 doesn't happen all the time, it is very infrequent--but I
7 make rounds on patients who I know are going to die very,
8 very soon, that I wish there was something else that I could
9 do for them, and I know that they would probably go for it.

10 We sat here, this committee sat here a few years
11 ago and we watched a patient stand up who was going to have
12 a baboon bone marrow, and the committee voted to go ahead
13 and go for it, and that patient's primary motivation was
14 altruism, so that people--not that he would benefit--but
15 that people after him would benefit.

16 It's an ethical issue that Dr. Vanderpool can
17 address, but there are people out there who may not have a
18 reasonable expectation of benefit, but may want to do that,
19 purely altruistically, so that people after them may want
20 to.

21 DR. AUCHINCLOSS: Let me carry this exercise a
22 little bit further.

23 Leave aside the question of whether you think
24 bridging is good enough. Supposing that the indication was
25 a bridge, cardiac bridge transplant. Would the data that we

1 have currently--do you want to qualify this, David?

2 DR. SACHS: No.

3 DR. AUCHINCLOSS: Would the data that we have
4 currently convince you that is it appropriate to proceed
5 with such a trial?

6 DR. SACHS: That is what I would like to speak to.

7 DR. AUCHINCLOSS: Go ahead.

8 DR. SACHS: I would like to go back then to my
9 other question, which we were going to follow up on, that's
10 the very point, to Dr. Michler.

11 That is, what would you do--and I accepted and
12 appreciated your answer about having a period of time for
13 the patient to stabilize and the end organs to improve--but
14 what would you do if an organ was available after that
15 period of time, but the xenograft was still working
16 beautifully?

17 Let's say at six weeks an organ became available,
18 but there was nothing wrong with the way the xenograft was
19 working.

20 DR. MICHLER: Quite frankly, I would transplant
21 the patient because I think it is really very, very
22 important to show success, show success in the ability to
23 demonstrate that the graft supported the circulation, kept
24 the patient alive, the rejection was manageable, and that
25 the patient was transplanted, and that patient could go

1 home.

2 MS. MEYERS: I would have tremendous reservations
3 even about that kind of experiment based on the PERV
4 problem, and until that is settled, I don't think that there
5 should be organ transplants with any animal where we can't
6 prove that it is safe.

7 DR. AUCHINCLOSS: I hear your concerns, Abbey, and
8 I think that they are important concerns, but let me break
9 the question into pieces. Let me wipe away PERV for you for
10 a second.

11 MS. MEYERS: If there wasn't a problem with those
12 viruses.

13 DR. AUCHINCLOSS: We are just going to talk about
14 preclinical data, and the trial is a bridge transplant for a
15 select group of cardiac patients.

16 Do members of the committee think that the data
17 are sufficient to support such a trial?

18 DR. CONTE: I think when you would consider that
19 trial, you would have to consider the success with
20 mechanical support devices in general, and they are
21 approaching the point where they are so good, you would have
22 a hard time convincing most practitioners that it is a trial
23 you would want to do. However--

24 DR. AUCHINCLOSS: I am going to narrow it still
25 further. The patient is not a candidate for whatever

1 reason, size, et cetera, for a VAD device. So, it's a
2 bridge and it's a select group of patients who can't get a
3 VAD, and we have the data available now. There is no
4 ethical issue in your mind about a bridge.

5 Is that data sufficient to go ahead with the
6 bridge?

7 MS. MEYERS: And there is no virus.

8 DR. AUCHINCLOSS: And no virus.

9 DR. MICHAELS: I didn't hear the data or else I
10 missed it. Do we have data on the pig to baboon or pig to
11 cyno, and then as a bridge, taking that out and putting in
12 an allotransplant in the primate?

13 DR. AUCHINCLOSS: That is a specific question from
14 the FDA. Would you want to see that data before you said
15 yes?

16 DR. MICHAELS: I would.

17 DR. AUCHINCLOSS: I personally am not terribly
18 concerned, frankly, about the immunologic features of that.
19 Let me give you a counter example--I will stop there.

20 DR. SIEGEL: So, you would be comfortable just
21 providing an informed consent to the patient that this may
22 well harm your ability to successfully receive an
23 allotransplant when it is available, and we don't know and
24 we haven't looked at that question in animals? I am being a
25 little bit provocative, I understand.

1 DR. AUCHINCLOSS: Presumably, if we say you don't
2 have to look in animals first, that is what the informed
3 consent should say.

4 David, what do you think about this?

5 DR. SACHS: I think it is a very important point,
6 and I think that it's not just from the question of the
7 immune system, but the animal and the patients are going to
8 be going through quite a rigorous procedure in getting them
9 not to reject the xenograft.

10 They are going to be getting a lot of drugs, more
11 drug than they would have needed for an allotransplant.
12 There are a variety of reasons why the patient will be in
13 worse condition.

14 DR. AUCHINCLOSS: I think the patient will be in
15 much worse condition. That is why I don't favor bridge
16 transplantation, but the question is should the sponsors do
17 immunologic studies to determine the consequences--

18 DR. SACHS: Well, should the sponsors do bridging,
19 and then give allografts is the question. Isn't that the
20 question? Yes.

21 DR. AUCHINCLOSS: Incidentally, I think both
22 sponsors alluded to the--I think they suggested that they
23 thought they should do it before they came to you, so maybe
24 I should shut up.

25 DR. SALOMON: I think there is a critical

1 perspective, though, to return to. What disturbs me is this
2 tacit assumption that continues on this board, that these
3 animal models are appropriate models for the human
4 transplant, so we talk about appropriate expectations of
5 success, David, I respect that.

6 The question is have you validated that these
7 incredibly--I mean have you pushed the animal model at this
8 point past the limit that is reasonable? There has been a
9 proof of concept in the animal model.

10 How far are we going to demand the sponsors to
11 validate this, or is there a reason? Are there trials that
12 could be designed based on a different premise? Does
13 someone have to be on life and death's door to have a trial
14 date at this point?

15 I would just like to propose the possibility that
16 there are alternative groups of patients who could, under
17 the right circumstances--I am not going to go into the
18 clinical design trial, because I know the chairman wants to
19 get into that in a separate discussion--but just the point.

20 There are people here who could have this
21 transplant, validate the animal models, address the issue
22 you are having, and never be threatened to die.

23 DR. LEVY: Just a quick comment to Dr. Sachs.

24 I have bridged two people with a liver transplant,
25 and both of those patients' families were just delighted

1 that that technology was available, and I think that speaks
2 for itself from the patient's perspective.

3 DR. VANDERPOOL: A quick question. When you say
4 we are going to bridge this patient, I just don't know, but
5 does that mean that you can promise an allotransplant in 30,
6 45, or 60 days? Bridge means going somewhere, and there is
7 land on the other side. Can the surgeons here comment and
8 say for this group of patients, we can bridge you there, and
9 we can guarantee an allotransplant at the end of that time
10 period?

11 DR. AUCHINCLOSS: I think that answer to that is
12 simple. No.

13 DR. VANDERPOOL: Well, I thought it was no, and if
14 it's no, then, what does the phrase "bridge" mean?

15 DR. AUCHINCLOSS: It means you are going to
16 increase your chances of getting an allotransplant.

17 DR. VANDERPOOL: It means increase your chances.
18 It's work in progress. I am picking up different phrases
19 here.

20 DR. SACHS: I just want to respond to Marlin
21 Levy's point. You are talking about an ex vivo perfusion of
22 the liver, which is a standard form of therapy, and has been
23 since the 1960s, I mean standard, but has been done
24 effectively to tied somebody over acute liver failure. That
25 is very different from a xenotransplant. To my knowledge,

1 the only time that a xenoliver has been attempted as a true
2 bridge in the sense of a xenograft, it was a dismal failure.

3 So, I certainly would share your impression that
4 any kind of treatment that will extend the patient's life
5 long enough to get a graft, what I would call standard
6 treatment, but I don't think we are talking about the same
7 thing when we say do a bridge xenograft to transplant, xeno,
8 an organ. I mean that would be actually putting a xenoliver
9 in and waiting for a number of weeks, and I think most
10 people are talking about it more for the heart than for the
11 liver.

12 DR. SIEGEL: As I heard the comments about the
13 bridging that you and some others made, as well, there was
14 another issue, which was that as long as the organs remain
15 the limiting factor, and there is no more organs to the
16 exact same extent that you increase that patient's chance of
17 getting an organ, you are decreasing some other patient's
18 chance of getting an organ, and that that patient who
19 received that organ--that organ wouldn't have gone to waste,
20 it would have gone to another patient, so, in fact, they are
21 delighted, but the net societal benefit--

22 DR. AUCHINCLOSS: Net societal loss is what you
23 get because all you get is sicker patients going to
24 transplantation, but that is going to bring us into our
25 second category of questions again.

1 John Coffin.

2 DR. COFFIN: Countering that, though, I thought I
3 heard that one of the main motivations for using a bridge
4 was to improve the patient's clinical condition and
5 therefore the ultimate success of the transplant.

6 The question is should that be also something that
7 would be considered in the xenotransplant as to whether
8 there was not only a reasonable chance of it surviving, but
9 a reasonable chance of improving--

10 DR. CONTE: We seem to be moving towards
11 discussions of renal and cardiac transplant, but what about
12 organs where there are no options, there are no bridges for
13 lung transplantation, should be bar be lower for lung
14 transplants? Just to throw that out for people to think
15 about.

16 DR. AUCHINCLOSS: Let's put that question on the
17 table. What I am going to do is, there are two or three
18 specific things in the preclinical area that you want us to
19 address, and I am going to get those on the table quickly
20 because I think we can cover them.

21 I am going to force you to tell me what you think
22 about the data with respect to bridging, so that we can give
23 that signal to the FDA and to the sponsors, and then we will
24 move into this discussion of the larger clinical trials
25 issue.

1 Your question was are there other organs. Both
2 sponsors have I think suggested kidney or heart, stay away
3 from the liver, the lungs. I personally think both sponsors
4 are correct.

5 Is there anybody who thinks that it is time to be
6 thinking seriously about pig livers or pig lungs, any other
7 solid organ? No.

8 DR. CONTE: I threw the idea out there, not
9 necessarily to say we should do those, but if we are going
10 to come up with policy, I think you have to have a policy
11 that addresses it.

12 If you are going to put a bar up there saying you
13 have reasonable expectation of survival or if you put it as
14 a bridge, survival to 60 days, so you can get a heart
15 transplant, what about those organs where there is not a
16 reasonable bridge available? Should we come up a policy
17 that is all-inclusive or should we come up with a policy
18 that is organ specific?

19 DR. SACHS: I think it's an excellent point
20 because lung is clearly the most need, but it is so hard
21 that timewise you put things backwards just getting the
22 studies done, but you are absolutely right, there are just
23 no available lungs.

24 DR. AUCHINCLOSS: Worse supply problem of them
25 all.

1 DR. ONIONS: I don't want to prolong this bit of
2 the discussion, but it seems to me that we have correctly
3 suspended our concerns about infectious disease today, but I
4 would just caution that I think that if you are considering
5 or could ever consider lung transplants, there are a whole
6 set of other real complex disease issues that I wouldn't
7 like to get into at the moment, but I think there is a very
8 big barrier there in terms of disease issues.

9 DR. SIEGEL: I would just add that I think we
10 probably all agree that the standard should be different,
11 not just by organ, but also by organ and setting, how
12 serious is the patient's disease, is it bridging or
13 whatever.

14 From a pragmatic point of view, we are more likely
15 to be faced, as we all heard, in the not too distant future
16 with proposals that we will have to decide upon in the heart
17 and/or kidney, so I think pragmatically, that is really more
18 important to focus on now what those standards are, not that
19 the lung is less important.

20 DR. AUCHINCLOSS: One of the questions from the
21 FDA was if you don't like pig to baboon, do you have
22 something better. Everybody agrees that there are
23 limitations to various degrees in pig to baboon, or pig to
24 non-human primate in general? I don't think just baboon is
25 what you mean there.

1 Has anybody got a better model? There is nothing
2 better out there, I don't think.

3 I think I will withdraw my question about what
4 happens to the subsequent--my comment about the subsequent
5 allo after xeno, because I think everybody thinks that they
6 ought to do that, the sponsors ought to do those studies,
7 and the sponsors said they ought to do those studies, so I
8 think that would be the answer to that one.

9 How do people feel about the
10 heterotopic/orthotopic position issue? Do the heart people
11 feel that having a functioning orthotopic heart is an
12 important aspect of a preclinical study or is the
13 heterotopic position good enough?

14 DR. MICHLER: I think for immunologic studies, the
15 heterotopic position is very nice, it's simple, it's
16 reproducible, high success rate, but when it comes to issues
17 of confidence in order to take an organ an implant it into a
18 human, I think it is very nice to have orthotopic functional
19 information.

20 DR. AUCHINCLOSS: Does anybody want to disagree
21 with that? They want to see survival data with a
22 functioning organ.

23 Now, back there to the bridge question again. Do
24 the data at this point, in your view, are the data
25 sufficient that we have heard to justify initiation of

1 clinical bridge trial of cardiac transplantation for a
2 select population? Tell me yes if you think we are ready to
3 go.

4 DR. WOODLE: Which patient populations are we
5 talking about? Not suitable for an LVAD, end-stage disease,
6 about to die, with life expectancy in days to a couple of
7 weeks?

8 DR. AUCHINCLOSS: So the answer to that is--who is
9 ready to go?

10 DR. MICHLER: So, that would be a destination
11 therapy.

12 DR. AUCHINCLOSS: Not destination.

13 DR. SIEGEL: I have a question to help clarify
14 that question in my mind. We heard Dr. McGregor talk about
15 such a potential population, not suitable for LVAD, but he
16 also put another criteria to suggest unlikelihood that they
17 would survive without this therapy for a graft, in fact, so
18 unlikely that I guess he suggested there shouldn't be a
19 control in such a study, and they included issues, such as
20 life-threatening arrhythmias, deteriorating hemodynamics,
21 multiple end-organ failures.

22 I am wondering about what we know about that
23 population, can we identify people who meet certain
24 criteria, who (a) we know are unlikely to survive, are as we
25 have heard about likely to die within the next few weeks,

1 and who are also suitable candidates for undergoing a
2 xenotransplantation.

3 DR. CONTE: I just want to make one point. There
4 are groups of people in the heart transplant circles who
5 think that the only people who are not candidates for an
6 assist device are those who don't make criteria based on
7 size alone, that there are patients who do have other end-
8 organ damage and other systemic illnesses, however, that is
9 just going to put them at higher risk.

10 That group of patients are going to be no less
11 risk to receive a xenotransplant. I think that is very
12 important to put that out there. So, really, what we are
13 talking about is a mechanical issue, can we fit a pump of
14 some type, maybe a not yet developed pump, into a recipient?

15 I think that is really the only issue at this
16 point in time that limits the availability of mechanical
17 devices for patients.

18 DR. SIEGEL: I guess I was not speaking of those
19 factors with regard to availability of mechanical devices.
20 As I understood the proposal, even if you were to exclude
21 people--I mean not everybody who is eligible for a
22 mechanical assist device because of size gets one, because
23 some of them, they are doing well enough without that, that
24 they are likely to--there are people on the waiting list
25 presumably who have prognosis better than others, and it was

1 my understanding that it was trying to define a population
2 using those criteria, not that they couldn't get a device,
3 but who, in addition to not being able to get a device, if
4 they without bridging were unlikely to survive long enough
5 to get a transplant.

6 DR. MCGREGOR: Going back on the 15-year history
7 of ventricular assist device evolution, we with confidence
8 can identify patients who are at imminent risk of cardiac
9 death within 42 to 96 hours.

10 I think we can do that confidently, and that has
11 been done in the past for the application of ventricular
12 assist systems, so that is the answer to that question. W
13 can give you hemodynamic parameters that have been worked
14 through the NIH and whatnot for 15 years for VADs.

15 To get on to a second issue--and think it is a
16 very important issue--there are relative differing opinions
17 between cardiac surgical groups about what percent of these
18 patients should receive ventricular assist systems.

19 The population that I am describing, who are at
20 imminent risk of death, the percentage of that population
21 who would receive a ventricular assist would vary from unit
22 to unit, and we could discuss and argue opinions about that.

23 What is important is, one, the reality in the
24 United States in 1998 is that only 10 percent of patients or
25 actually about 12 percent received VADs, and that is

1 indicative of the factors, there are different opinions as
2 to when they should be applied.

3 This is not an argument against that, simply to
4 say there are clearly patients that most cardiac surgeons
5 would feel would be appropriate for alternative technologies
6 because the risk of VAD would be so high.

7 DR. MICHLER: I would just like to support that
8 and to go on to allude to identifiable groups of patients
9 for whom a VAD is not suitable, that, as Dr. Conte
10 mentioned, includes size, but there are patients with
11 valvular disease, multiple reoperations for whom insertion
12 of a VAD is technically quite challenging, and then to think
13 of trying to explant that and then to put in another
14 transplant is quite challenging, and also the growing
15 population of patients who require retransplantation for
16 whom there is no suitable VAD.

17 DR. AUCHINCLOSS: For the purposes of my question,
18 we will now stipulate that such a population even exists.

19 Do the data warrant such a trial? Give the
20 sponsors and the FDA a sense of how you feel.

21 The answer is yes, raise your hand.

22 DR. WOODLE: Hugh, this is not a vote.

23 DR. AUCHINCLOSS: I wanted to rephrase it, suggest
24 a rephrasing of the question. I would rephrase the question
25 in this manner.

1 Given the state of the art of alternative
2 practices, the limitations of animal models as they exist
3 today, as we discussed here, and the state of the art as
4 exists for xenotransplantation, what we have heard, is
5 clinical trials such as you have suggested warranted at this
6 point?

7 That is all I am trying to get at, just as an
8 indication. This is not a vote.

9 So the answer is yes. Put your hand up.

10 DR. WALTERS: I would like to subdivide the
11 candidates. I would like to subdivide the candidates into
12 those who could understand the proposal and consent to
13 participate.

14 DR. SALOMON: We already know from the committee
15 that nobody understands it.

16 DR. SIEGEL: Hugh, do I take your--your lead-in
17 was given such a population exists, do we mean by that, for
18 example, given a population where we know with a reasonable
19 certainty that they won't be alive in--

20 DR. AUCHINCLOSS: They will not be alive in three
21 or four days.

22 DR. WALTERS: And they are capable of--

23 DR. AUCHINCLOSS: And they are capable of talking
24 to you and making sound, rational decisions, and there is no
25 PERV in the world. What else do you want me to say?

1 Do the data warrant proceeding with such a trial,
2 please raise your hand if you think that that is true--

3 MS. MEYERS: Without any further basic research on
4 this.

5 DR. AUCHINCLOSS: No, there has to be further
6 research.

7 MS. MEYERS: As of today.

8 DR. AUCHINCLOSS: They have to be able to tell you
9 that they have done the experiment where they have done the
10 allo after the xeno. We have stipulated that, as well.

11 DR. WOODLE: I have only seen two or three hands
12 go up.

13 DR. AUCHINCLOSS: Steve, your hand goes up.

14 DR. WOODLE: My hand is up.

15 DR. ONIONS: Mine was.

16 DR. AUCHINCLOSS: That is not what I expected to
17 see. I had a sense that we would see much more than that.

18 Give them some idea of why you are unhappy.

19 MS. MEYERS: I think the companies have said they
20 are not ready.

21 DR. AUCHINCLOSS: I understand that, Abbey, they
22 have said they are not ready.

23 DR. SALOMON: To be specific, what swayed me, I
24 believe we are ready to go for clinical trials in some
25 areas, but what I am uncomfortable with right now--and I

1 would be real interested in Dr. Michler's and Dr. Conte's
2 comment--but now we are only talking about 15 days as the
3 best data I saw median survival with an orthotopic heart
4 transplant.

5 To be honest with you, that is not good enough for
6 me, and that is why I would not go ahead at this point. I
7 have a lot of problems with bridge transplants in trial
8 design, but that is off the table.

9 DR. MICKELSON: I agree with that completely. It
10 was the survival data from the animal studies that we see
11 now just don't make a bridge study worth it. You couldn't
12 accomplish anything for a patient with that survival, if
13 that survival time is translated into the human population,
14 I don't see that it is worth anything to do anything like
15 that to that patient population.

16 I think that there are too many unanswered
17 questions in the preclinical data.

18 DR. AUCHINCLOSS: Dr. Vanderpool.

19 DR. VANDERPOOL: My hand is not up because I don't
20 know. This is the first time in these meetings that I have
21 started hearing about these populations of patients and how
22 desperate they may be, how miserable they may be, and how
23 they would be willing for the sake of some future cure,
24 short-term cure, to have a xenotransplant.

25 Ethics is not worth anything if it is not

1 predicated on fact and good reason, and we all do that. Dr.
2 Walters and I are certainly not the only ethicists here.
3 Everyone here is an ethicist in that sense. As Robert
4 Michler said to me, ethics is collated common sense.

5 But in terms of collated common sense, we need to
6 know what those populations of patients are for whom this
7 would be welcome, and I don't know who these people are, and
8 I would say even if we find that population or those
9 populations, we still have to be concerned about the effect
10 of these trials on social response - would this set back
11 xenotransplant science and clinical application because of
12 the desperation of these patients and early failures would
13 get in the news as being doctors taking advantage of
14 patients instead of patients welcoming and pleading for this
15 trial.

16 I don't know because I still have too many
17 questions unanswered.

18 DR. AUCHINCLOSS: Can I put that question to the
19 FDA, because I think it is a very important one that is on
20 the minds of a number of us here, and that is the tremendous
21 reluctance to proceed with clinical trials that may not work
22 prematurely doing harm to the field because of the public's
23 perception that we were premature. How do you take that
24 into account as you talk with sponsors about individual
25 trials?

1 DR. SIEGEL: It's an issue we certainly deal with,
2 with a lot of novel therapies, it's an issue we discuss
3 greatly. For example, with gene therapy, it's well known
4 that well-publicized failures can create an atmosphere in
5 any variety of communities that can make it very hard to
6 proceed with what might well be promising research.

7 I guess I am not sure exactly how we take that
8 into account. Usually, the sponsor investigators are
9 attuned to that problem as we are, although occasionally we
10 come across some less worry.

11 Somebody referenced a paper earlier today, the
12 Courage to Fail or something like that, and I guess some
13 people have more of that than others.

14 I think, though, in talking about that risk, we
15 probably should differentiate amongst risks. There are
16 different types of failures. If you do a bridging
17 xenotransplantation and the patient dies in two weeks, that
18 is one type of failure.

19 If you do a bridging xenotransplantation and the
20 patient has a lymphoma in three or four weeks or gets
21 bridged and then has some sort of unexpected rejection of a
22 heart that became available a few days later that he might
23 have well received, those are other types of failures--or if
24 they develop some sort of xenosis--there are other types of
25 failures that I think would have very different impacts and

1 really need to be thought about in different ways.

2 DR. ONIONS: I should perhaps state why I did put
3 my hand up, because I was in a very small minority. I think
4 there are two reasons. First of all, by definition, the
5 patient population, I was struck by I think coming from the
6 Nextran group, who said that the comparison should be with
7 what is available, not with allotransplantation.

8 We have, by definition, taken a group where the
9 prospect is just simply death within a few days. So, that
10 is my starting point.

11 My second point is that for any other procedure, I
12 would certainly want to see much better success with the
13 animal models, but I am also impressed by comments made by
14 several of the groups, that there are limitations to the
15 primate models, both in terms of what we can get from the
16 immunology, and it does strike me that at some point, one
17 has to test whether one can control acute vascular
18 rejection, assuming that HAR is under control, and that this
19 does provide an opportunity in an ethical setting that is
20 appropriate.

21 That is my view. However, my underlying concern,
22 the same one raised by I think David Sachs, or was it by
23 Harold, and that is that there is concomitant danger with
24 this, and that is, that a string of failures could serious
25 damage the field, and I am concerned about that, but with

1 that caveat, I think there is some basis perhaps.

2 DR. VANDERPOOL: The concern for the size
3 certainly is relevant for concern for the biotech companies
4 whose images could obviously be adversely affected by this.

5 Let me tell you one of my deepest concerns, and
6 that is what I have heard to so far--I think I have heard
7 it--and that is the degree to which we don't have a handle
8 hold on acute vascular rejection.

9 Now, because we don't the analogy, the historical
10 analogy that Robert Michler gave us earlier doesn't hold.
11 One of the exciting things about the beginning of heart
12 transplants is, sure, Christian Barnard's patient didn't
13 live very long, the first one didn't live long at all, the
14 second one lived a little longer, but then his patients
15 skyrocketed to three years, and so on.

16 The science for this is not that. The science for
17 this is we have a dead-end, it looks a dead-end street we
18 are driving down. We can't see that open future yet, and
19 that concerns me. If Christian Barnard kept hammering away
20 at heart transplants, and they stayed at 14 to 60 days, what
21 would have happened?

22 Well, it probably would have really set things
23 back a long time. So, my concerns are shouldn't we have the
24 acute vascular response more--should we know more about that
25 and have it more under control before we would proceed.

1 DR. HIRSCH: I didn't raise my hand for a lot of
2 the reasons that were raised here. First of all, I am not
3 convinced of the philosophy of the bridge. I think the
4 damage to the field is an important thing to consider, and
5 one thing, Hugh, that you sort of told us to disregard, I
6 think we really have to conclude with the premature data
7 that we have that there is a potential risk of both known
8 and unknown new pathogens being entered into the human
9 arena, and I am not sure it is PERV.

10 It may be. It may be a new herpes virus, it may
11 be something else. So, I think you can't disregard that.
12 So, the overall potential benefits to me haven't been shown
13 convincingly enough in the animal models to take the risks
14 that we are talking about.

15 DR. AUCHINCLOSS: Can you just elaborate on one
16 point there? Did you mean by that, that you think that the
17 risks of heart transplantation from the pig, from an
18 infectious point of view, are greater than the cellular
19 transplants that are actually already underway?

20 DR. HIRSCH: Yes, I would think so.

21 DR. AUCHINCLOSS: They are. Can you explain why
22 that is?

23 DR. HIRSCH: Well, it's some of the reasons we
24 talked about yesterday, the size of the organ, the degree of
25 the immunosuppression, and the like.

1 DR. ALLAN: I would like to add to it, because
2 that is essentially the area that I was considering, too, is
3 you can't separate the infectious disease risks even though
4 you would like to, and say let's just put it out of the
5 equation.

6 You also have to look at it in terms of bridging,
7 and Dr. Levy really made the point, which is, well, that's
8 not a bridge. It is a bridge. It is just outside the body,
9 but it is still, in my view, it carries the same benefit,
10 but it also carries the same risks, and I would like to see
11 infectious disease data from those patients before--because
12 realistically, a bridge actually may carry greater danger
13 than a destination organ simply because in most cases,
14 unfortunately, destination organs can be terminal, in other
15 words, the likelihood of a xenotransplant organ lasting for
16 more than a few months is going to be very small, whereas, a
17 patient who gets a bridge may survive, and the potential
18 agents can survive along with them.

19 So, i would like to see some of the data, both
20 from the preclinical studies in the primates, and also some
21 of these ongoing studies in terms of what is going on with
22 the PERVs at least, you know, before we jump into some of
23 these other major areas. As Marty pointed out, we are not
24 sure of the benefits.

25 DR. MICKELSON: I just had a question. I didn't

1 get a sense. I stated my reasons for not being comfortable
2 with the bridging studies, but also one of the questions I
3 would have is I didn't really hear or get an idea of how
4 long a bridge would have to last to assist patients who fell
5 into this small category, who are essentially end-stage with
6 multiple systemic damage.

7 DR. AUCHINCLOSS: I would have said four weeks
8 minimum to get to that point where you have corrected end-
9 organ damage. Is that a fair statement, Robert?

10 DR. MICHLER: Two to four weeks.

11 DR. MICKELSON: What would be their statistical
12 likelihood them of receiving an allotransplantation after
13 that, so that the bridge has to cover more than recovery to
14 full function.

15 DR. AUCHINCLOSS: And they have to give a period
16 of waiting.

17 DR. MICKELSON: Yes. To me, something that has to
18 function as a bridge has to also have a much longer term
19 role than, say, four weeks or eight weeks.

20 DR. AUCHINCLOSS: I think that is an important
21 point, that a bridge needs to have several months--

22 DR. MICKELSON: At a minimum, and I think that was
23 my major concern.

24 DR. AUCHINCLOSS: As soon as we get around the
25 table with some hands that are up, I am going to throw open,

1 well, now we can talk about clinical trial stuff.

2 DR. CONTE: I think it is important to clarify
3 that a cardiac surgeon uses a bridge for two, and only two,
4 reasons. One is to keep the patient alive, and perhaps even
5 more importantly, it is to make him a better transplant
6 candidate.

7 Inherent in that is improved hemodynamics, so that
8 he has better perfusion to end organs, nutritionally, he is
9 improved, the patient can get up, and his somatic
10 musculature is going to improve, and he becomes an overall
11 better transplant candidate.

12 We haven't seen enough evidence from the
13 preclinical data that hemodynamically, we are going to have
14 a significant improvement although that is probably going to
15 be true, but more importantly, we haven't seen anything that
16 shows that we are going to perhaps make these candidates
17 better.

18 We are going to put them at much higher risk of
19 infection. They are going to be receiving catabolic
20 medications, which is going to make their overall body
21 condition worsen, so I think the main reason I would vote no
22 on it at this point in time is because I see no evidence
23 that we are going to make them better transplant candidates
24 yet.

25 DR. AUCHINCLOSS: What I think I am hearing is

1 that we have made the transition from the preclinical to the
2 clinical studies, and that we have moved into the
3 conversation in particular about the value of bridge
4 transplantation and whether that is where
5 xenotransplantation should start.

6 So, that topic is now open and on the table
7 because I can't keep it from being there, and it is where we
8 should be at any point in any case. So, we have now moved
9 to the second half of the questions. Clinical studies.
10 Which trials look appropriate to you for additional clinical
11 trials? Really, one of the key items, is a bridge the
12 appropriate way to start given that everybody here has said
13 we weren't close apparently to data that was sufficient to
14 warrant a definitive trial.

15 Abbey, I know you had your hand up.

16 MS. MEYERS: First of all, I want to refer to
17 something that Dr. Mickelson said. I think starting
18 clinical trials at this point, even if there wasn't any
19 viruses involved, would be using people as animals, and that
20 is a problem.

21 Secondly, one of the unintended consequences of
22 this, because we certainly saw it in gene therapy trials, is
23 that it raised false hopes. People felt that even though it
24 was a Phase I and the informed consent document said that it
25 really wasn't going to help them, they all felt this was

1 their last chance to be cured.

2 So, in something like this especially a bridge,
3 people are going to feel it automatically confers a place on
4 the waiting list, and if they are not going to get that
5 heart or whatever organ they are waiting for, they are going
6 to feel cheated, and the family is going to be angry.

7 The other problem I have which touches on this
8 whole thing--nobody has brought this up--is that all of
9 these protocols, when they finally are ready to be approved,
10 are going to go in front of IRBs who know nothing at all
11 about this technology, and they are going to be expected to
12 approve clinical trials on a technology that is absolutely
13 new. There is no history for them to go on, there is
14 nothing. There is no precedent.

15 I worry a lot about that. I think that it needs a
16 regulatory authority outside of the IRB system because until
17 we know that it is not going to be dangerous to society, it
18 should be so closely monitored.

19 MR. BENEDI: I would just like to make a comment.
20 Before I make the comment, I wanted to say that obviously,
21 25 years ago, 30 years ago, Bill and I wouldn't be sitting
22 here, so there were things that didn't work then, but do
23 work now, and the expectation of folks to live normal, long
24 life with a transplant is real to a lot of people out there.

25 My question on these animal studies and

1 translating them over to human studies, these baboons were
2 healthy, and they could only live 30 to 60 days. We are
3 taking a subgroup of a waiting list of patients who are the
4 sickest patients, and even a subgroup of that subgroup, that
5 normally might even die with a regular transplant because
6 they are so sick, so the data that we would get from that
7 small group of folks that are critically ill, that may not
8 even survive an allotransplant and getting a xenotransplant,
9 are we really looking at data that is going to be helpful at
10 the expense of giving hope and fulfilling these people's
11 dreams to live a little longer? I am not sure. It is an
12 ethical question.

13 DR. AUCHINCLOSS: How does the FDA deal with the
14 problem, the ethical problem associated with bridging under
15 the best of circumstances, and I am not sure the best of
16 circumstances are likely to happen, but under the best of
17 circumstances, bridging makes an individual patient do
18 better. They get in better shape to finally get their
19 allotransplant, and they survive better in their
20 allotransplant.

21 I don't think that is mostly going to happen, and
22 I think one could make a very strong case statistically that
23 from society as a whole, bridging with xenotransplantation
24 would diminish the outcome of organ transplantation across
25 the board. Where do you put that in your equation when you

1 look at an individual trial and an individual patient?

2 DR. SIEGEL: I think that is part of what we are
3 asking. We certainly don't have vast experience addressing
4 that sort of question. I am not sure we have a legal or
5 regulatory framework that would permit saying a therapy
6 couldn't be done because it would deprive organs from
7 patients who are not even in the trial from the potential to
8 get organs.

9 I mean the scientific community may make decisions
10 like that and may weigh them. We probably wouldn't have the
11 legal or regulatory framework to say that.

12 DR. AUCHINCLOSS: The problem for us is that there
13 is no question you would love to start xenotransplantation
14 with bridging because you only have to go a short distance
15 and we can learn a great deal of stuff, and we can help an
16 individual patient, but from the point of view of presenting
17 our effort to society, it becomes a major problem because it
18 looks as if we are doing it to get a less good outcome for
19 the population at large.

20 David Cooper.

21 DR. COOPER: There must be considerable
22 information on how you plan a bridging trial because for the
23 LVADs, that is where they started out. So, this whole
24 business must have been gone through before, and LVADs,
25 remember at that time were in a pretty primitive state. So,

1 how you deal with it from both an ethical and FDA point of
2 view must have been sorted out sometime ago.

3 DR. AUCHINCLOSS: Do you want to make a comment,
4 Robert?

5 DR. MICHLER: I would, and it sort of gets to two
6 points. One, Antonio made a very eloquent statement with
7 respect to the patient issue, and that is very important
8 because we must caution ourselves against implanting a
9 novel, innovative device into a patient who is already
10 basically dead, and on one can survive that.

11 So, if we set the bar of entry into these trials
12 too low, meaning that death is so imminent and end-organ
13 dysfunction has occurred, we will set ourselves up for
14 potential disaster.

15 Therein lies my point to Dr. Cooper's comment with
16 respect to LVADs. I can recall when we first started
17 implanting these, there was such concern on the part of our
18 own medical community, ourselves included, that the therapy
19 might not work, that we would reserved it for patients who
20 were so imminently in danger of death, that I can recall
21 many times going to the operating room doing CPR on a
22 patient who we then had to open the chest on and put device
23 in.

24 That is what we want to get away from. We just
25 can't be setting up trials, and we made the mistake I think

1 in the early work with left ventricular assist device, that
2 we set the standard of illness to a point where these
3 patients had a low likelihood of success.

4 DR. AUCHINCLOSS: Certainly, in the population
5 that we tried to define for the FDA, of people would be
6 candidates for bridge xenotransplantation would fall under
7 your category of worst risk individuals. We had them not
8 likely to survive more than 72 hours, it seems to me.

9 DR. SIEGEL: It may make more sense, and maybe
10 it's right way to go, to pick a population with better
11 anticipated outcomes. I guess from the comments of the
12 committee that if you were including patients who had a
13 reasonable chance of a month or two of survival on the
14 waiting list with out a xenotransplant, you would have a
15 higher standard for what you would want to be able to
16 anticipate your reasonable chance of success with the
17 xenotransplant is. It is a tradeoff that is very difficult.

18 DR. AUCHINCLOSS: I meant to mention to everybody
19 here, but to the FDA in addition, that I had passed out a
20 position paper that was approved by the Joint Council of the
21 American Society of Transplantation and the American Society
22 of Transplant Surgeons, which is their effort to come up
23 with a consensus statement on the initiation of clinical
24 trials in xenotransplantation.

25 It has been approved by the councils of both

1 societies and of the Joint Council, but I would still say it
2 is a work in progress as we try to essentially go through
3 the same debate that has been taking place here today, but
4 that is just one more statement for you to look at of what
5 the transplant community thinks on this subject.

6 Dr. Walters.

7 DR. WALTERS: I am not at all sure about this
8 idea, but I will throw it out for discussion. I am wondering
9 if for the initial clinical trial of bridging, it would be
10 possible to bring the clinical research effort into contact
11 with the national system for allocating organs.

12 In other words, I wonder whether it would be
13 possible to make a bargain with the participants in the
14 clinical trial that if they are willing to participate in a
15 bridging trial, they will go to the top of the list as
16 candidates to receive organs when they become available.

17 DR. ONIONS: Wouldn't that be regarded as being
18 highly unacceptable? You are then almost bribing people to
19 undertake a form of therapy that is highly experimental. I
20 personally would not wish to be associated with that.

21 MR. LAWRENCE: I think that would entail a number
22 of problems that we would have to discuss in an entire
23 separate forum.

24 DR. SACHS: I would have to say that you can look
25 at the cup as half full or half empty. I think that the

1 progress has been made over the past few years is enormous.
2 The data that we are looking at today is so much better than
3 the data two years ago.

4 What you are really hearing is it is just not
5 quite good enough, we just ought to work a little harder,
6 because instead of 11 days, if we showed Marty Hirsch
7 survivals of three or four months, I think he would say,
8 well, gee, the benefit-risk ratio may be there.

9 Isn't that what you are saying, Marty? You are
10 not saying that there is really more risk in one or the
11 other, you are saying that you haven't been convinced that
12 there is any benefit.

13 DR. HIRSCH: Exactly.

14 DR. SACHS: So, I think that is really what you
15 are hearing.

16 DR. AUCHINCLOSS: I agree with you entirely,
17 David, and I agree with the half full, half empty kind of
18 look at this, but I would still phrase it differently.

19 First of all, I would say, oh, the data that I am
20 seeing today are exactly, precisely the same as the data we
21 saw four years ago in Boston. The curves are
22 superimposable.

23 DR. SACHS: We had no animals surviving. We never
24 saw an orthotopic heart at that time.

25 DR. AUCHINCLOSS: I will show it to you in just as

1 second. Essentially identical survival as four years ago
2 when the [H.] pig was first reported at the Boston Xeno
3 Congress.

4 DR. SACHS: There was no orthotopic heart until
5 just this past year.

6 DR. AUCHINCLOSS: The orthotopic heart is hardly
7 exciting.

8 DR. SACHS: It's 39 days, and it's the only one
9 that is relevant, Hugh.

10 DR. AUCHINCLOSS: Okay. I was talking about
11 survival of the kidneys.

12 DR. SACHS: No, I am talking about what we are
13 going to be doing, which is heart transplant.

14 DR. COZZI: Sorry to interrupt, if I can. I was
15 in Boston, as you were, in 1995, and these data did not
16 exist, neither the orthotopic heart, nor the kidney. These
17 data were not generated yet.

18 DR. AUCHINCLOSS: You are right, heterotopic
19 heart.

20 DR. COZZI: It was a heterotopic heart with the
21 side effects that you and I know very well.

22 Now, if I can also to make a small comment,
23 because for me it is important when I leave this room to
24 understand what exactly is the preference of this committee.

25 I would like to make something very precise. I

1 thought I had said this during my presentation. When the
2 animal went out for 20 or for 30 days, there were--I am
3 talking about orthotopic hearts--so when these animals were
4 alive, these were absolutely healthy animals, eating,
5 drinking, and jumping around, and we had a film that can
6 demonstrate this very easily.

7 These animals are sustained and not just kept
8 alive, but they do a normal live. So, as far as I am
9 concerned, it is very important for me to understand what is
10 the median survival of a group of this kind which you would
11 feel necessary to have and to produce here to suggest that
12 maybe we can do as bad--as well as we can do with an LVAD,
13 for instance.

14 DR. SACHS: Hugh, it should certainly be at least
15 as long as Dr. Michler wants to leave the heart in before he
16 would re-transplant it.

17 DR. AUCHINCLOSS: So, what we heard over here was
18 that you need two months. Is that a fair number?

19 DR. MICHLER: Just for the sake of argument--what
20 would people say to 90 percent/60-day survival, and 50
21 percent/90-day survival?

22 DR. SACHS: Great. I would be perfectly happy
23 with that.

24 DR. AUCHINCLOSS: That was for a bridge. The
25 transcript doesn't record probably the murmurs of yes, I can

1 live with that, but I got a lot of that around here. Do
2 people want to say, no, no, that doesn't make any sense?

3 DR. COZZI: Is it what was requested by--I mean
4 when you did start the LVAD experiments, I mean I
5 understand, first of all, I mean were there preclinical
6 data, first of all? And, second, I mean is this the
7 expectation that you were hoping to have, and you still hope
8 to have when you want to bridge a patient for an allo?

9 DR. MICHLER: When we started with LVADs, the
10 answer was we had isolated patients, so we had some
11 confidence that this therapy could provide a strategy for
12 bridging a patient.

13 Now, with the xenograft, I think we have to follow
14 a very similar line and be able to give clinicians the
15 confidence that not only with the graft survive a defined
16 period of time in good likelihood, but secondly, that there
17 is a way of diagnosing acute vascular rejection or at least
18 treating it.

19 I think the diagnostic aspect is difficult in the
20 animal model for the reasons that everyone has already
21 mentioned, but at least some way of knowing that when you
22 see it, you will be able to treat it, and hopefully, allow
23 the human to survive.

24 DR. SACHS: I have seen that video, and I have to
25 confirm entirely that it is the most exciting proof of

1 principle, and it is the same feeling I had when I saw the
2 first primate who was surviving on a pig kidney with the
3 normal creatinine and normal blood chemistries.

4 It proves the principle that a primate can survive
5 on the physiologic function of the pig organ. So, again, I
6 would return to the fact that I think this field is
7 progressing reasonably. I would like to see it faster, as
8 you would, Hugh.

9 It is progressing reasonably. I don't want to see
10 a disaster set of results that sets it back. I do want to
11 see it progress with full steam ahead, and I think it has
12 enormous potential, and I think the data that Dr. Cozzi has
13 provided with an orthotopic heart with a monkey up and
14 eating and -- I think it was even linking, wasn't it? It
15 was very, very exciting.

16 DR. WOODLE: I would just ask Dr. Michler, these
17 criteria that you have for survival, would they apply to the
18 patient population who are not candidates for LVAD?

19 DR. MICHLER: You mean as a destination?

20 DR. WOODLE: If you have a patient that is not a
21 candidate for an LVAD, would you still want those types of
22 survivals in order to conduct a trial in those patients?

23 DR. MICHLER: Well, in that situation, I am
24 assuming that the patient is not a candidate for--is a
25 candidate for allotransplantation?

1 DR. WOODLE: Is not a candidate for
2 allotransplantation, is not a candidate for LVAD, what sort
3 of survival rates would you want in that patient?

4 DR. AUCHINCLOSS: Are not a candidate for allo?

5 DR. WOODLE: I am sorry, they are a candidate for
6 allo, but they aren't a candidate for an LVAD because of,
7 for example, size.

8 DR. MICHLER: Right, so they are a bridge. So,
9 that would be the same. If you change it around, and they
10 are not a candidate for LVAD and they are not a candidate
11 for allo, then, that is a difficult question, but I would
12 feel more comfortable with that knowledge base simply
13 because I don't think we can expect that the animal model in
14 any way is going to parallel the human condition in the
15 sense that we can expect 90 percent/one-year survival from
16 this animal model. We are just not going to get that.

17 So, at some point in time, we have to make the
18 leap and allow ourselves the confidence of reasonable
19 expectation of survival and say that, yes, we can use it as
20 a destination, and we will try and manage acute vascular
21 rejection, but in that condition, I really want to be sure I
22 know how to treat rejection.

23 DR. WOODLE: With these types of survivals, if the
24 patient was a candidate for an LVAD, would you consider him
25 a candidate for this trial?

1 DR. MICHLER: I don't quite understand.

2 DR. WOODLE: We have heard numbers of, say, 25 to
3 30 percent of patients who receive an LVAD will probably
4 die.

5 DR. MICHLER: Right.

6 DR. WOODLE: Not come to transplant. And in these
7 types of numbers, about 50 percent of those would have the
8 xeno would not come to a transplant.

9 So, what you are asking is really that these
10 grafts almost be equivalent to an LVAD in terms of their
11 ability to bridge. Is that what I am hearing you say?

12 DR. MICHLER: I think that is a reasonable
13 starting point, absolutely. I don't think we can expect
14 this device to do better than an LVAD, and I certainly think
15 that we have to have reasonable confidence that it can do as
16 well.

17 DR. WOODLE: My point, then, if you are expecting
18 the bridge, if you are expecting the xeno to provide a
19 bridge that is almost equivalent to an LVAD, are you still
20 going to require a patient who is not a candidate for an
21 LVAD, to have the same criteria, the same expectation?

22 DR. MICHLER: Who is not a candidate for an LVAD
23 to have--

24 DR. WOODLE: In other words, that patient has no
25 other option, so are you going to require that the xeno

1 still get the results as you would have for an LVAD, when an
2 LVAD wouldn't--

3 DR. MICHLER: I think so, because I don't think
4 the bar is so high in the experimental model, 50
5 percent/two-month survival--I am sorry--90 percent/two-month
6 survival, and 50 percent/three-month survival is not a
7 tremendously high standard in an animal model to implant in
8 a human condition, so if we go along with that standard, I
9 think it is reasonable to use that as a destination therapy.

10 I feel a little I come on the subcommittee before
11 Congress here. This is kind of fun.

12 DR. AUCHINCLOSS: I think I got your point there,
13 Steve, that he is putting a high standard relative to the
14 established level of care.

15 In your clinical questions, there are other forms
16 of transplantation, most of which would involve definitive
17 xenotransplantation, and one of which would involved
18 definitive xenotransplantation in renal transplant
19 candidates who are in no way going to get an allotransplant,
20 but would like to.

21 Is there any enthusiasm here for that group of
22 patients? I guess we have to start with the assumption that
23 the answer is no with currently available data, so really
24 the question would become how much better does the data need
25 to become in that case to warrant the initiation of a

1 clinical trial?

2 DR. SALOMON: Again, I don't mind being the
3 minority position here, but I don't agree with the chairman
4 again. I don't believe that the data for the kidney, but
5 based on my concern that I have already articulated and
6 won't do it again, just my concern that the animal models
7 may have been pushed past the limit.

8 However, I think that is needs to be tested and
9 what I would propose is a very limited series of kidney
10 transplants in a selected group of patients. I won't get
11 into the details of that, I mean that should be a point of
12 discussion, but 5 to 10 kidney transplants, no one has to
13 die, it can be done on Tuesday, and it can be taken out on
14 Thursday if they don't work, and I think if properly
15 designed, could (a) validate these animal models to a point
16 where this discussion then would have some assuredty that I
17 think is really required by this field at this point; and
18 second, you might be surprised by the positive results.

19 DR. AUCHINCLOSS: Steve, do you want to comment on
20 that?

21 DR. WOODLE: I would think that if you carefully
22 select the patients, you are going to have patients with
23 survival of days to weeks, and if you have in an animal
24 model, survival already equivalent to that, then, I think
25 you offer it out under carefully designed clinical trial,

1 and it's the patient's decision.

2 DR. AUCHINCLOSS: And I think that would be the
3 worst thing for this field that I can imagine, to put a
4 kidney transplant in to somebody with the expectation that
5 it will last about 30 days with no alternative therapy.

6 DR. WOODLE: Why, Hugh, why?

7 DR. AUCHINCLOSS: Because that's not therapy for
8 somebody, that's just a human experiment.

9 DR. WOODLE: What is it going to be when you have
10 60 or 90 days?

11 DR. AUCHINCLOSS: I wouldn't take 60 or 90 days.

12 DR. WOODLE: What would you take?

13 DR. AUCHINCLOSS: A year, six months in the
14 animals.

15 DR. WOODLE: If you knew that you were probably
16 going to die within six weeks, if you were a patient, and
17 you had no alternative, you had a terrible life, you knew
18 you were going to die within a few weeks and be miserable,
19 if somebody offered you three months, would you take that?

20 Try to put yourself in that position. You don't
21 know how you would feel when you are in that position.

22 DR. NOGUCHI: But the consideration--and I think
23 we heard this before for the heart bridging, which I think
24 is a very useful distinction, is it is very true that if you
25 take the sickest patients, you are very often going to get

1 information which is only that the patient died after a
2 certain number of days.

3 I think we can take some history from the history
4 of gene therapy. There, actually, the first potential gene
5 transfer for therapeutic purpose was for adenosine
6 deaminase, at which point there was no treatment available,
7 and the almost assured outcome of any intervention would
8 have been either death or perhaps, in a particular case,
9 going on to a transplantation.

10 There was an FDA approval of a drug which actually
11 gave a safety net which helped to make sure that the first
12 experiment that was done was not a disaster just from a
13 point of view of just what we are saying here, a patient is
14 going to die, and you give them something, that is not
15 really trying to establish clinical benefit.

16 That is just sort of you want to do it in man for
17 the first time, and that perhaps is not really what we are
18 talking about, especially given the potential for the thing
19 that we don't want to talk about, but which is prevent other
20 viruses and other infectious diseases.

21 Given that aspect, I think we owe it to the
22 community to really wrestle with these hard decisions.
23 Maybe we should go for the first time just to throw it out,
24 not as any position, but just as something to think about,
25 maybe for the first heart bridging, you may want to consider

1 going into someone who might be eligible for LVAD.

2 Obviously, you need a lot cleaner preclinical
3 data, but that would also force the preclinical data to be
4 generated.

5 DR. AUCHINCLOSS: David.

6 DR. COOPER: I would just like to come back to the
7 point that Dan raised several times about pushing the model
8 to the limit. This is an extremely difficult problem to
9 overcome, and the model is difficult.

10 You have to think about getting rid of antibodies
11 and complement and all this stuff and the other, and nobody
12 would question that, but it reflects as much on the therapy
13 that we are trying to do, which is not quite adequate, as it
14 does on the model.

15 For example, if you look at the costimulatory
16 blockade work that has been done in primates, monkey to
17 monkey, renal transplants, they are getting consistent
18 survival now out six months or a year with a single drug,
19 almost getting a degree of tolerance of a degree of hyper-
20 responsiveness.

21 Nobody complains that that model is too difficult.
22 It is because the therapy is so easy. It is a major
23 breakthrough in therapy. If we had a major breakthrough in
24 therapy, we would find the model would get a lot easier.
25 So, the model is difficult because we are struggling to over

1 come the immunological problems which are inherent because
2 our therapy is not yet quite good enough, just as the
3 Imutran group have clearly shown they can get over
4 hyperacute rejection, so that is no longer a problem,
5 because they have a very great technology that overcomes it,
6 but there is still a problem with the subsequent rejection
7 episodes.

8 If we had an equally good therapy to overcome
9 those, they would become easy, and the model would become
10 easy.

11 DR. WOODLE: I would submit that the difference
12 between the clinical setting, again, the difference between
13 the clinical setting and the animal model, there is probably
14 at least five or six therapies for an acute rejection later
15 on that are currently available clinically, that are
16 probably not going to be available in your animal model for
17 some years to come - OKT3, high dose to chrome, rifamycin,
18 MMF, IVIG.

19 DR. AUCHINCLOSS: Members of the FDA, I have been
20 looking over your list of remaining questions in the
21 Clinical Section, and in ways we have touched on most of
22 them, but we haven't done them specifically in some cases.

23 Have you heard what you wanted to hear in this
24 discussion or should I take this in some other areas?

25 DR. WEISS: One briefly that maybe can be

1 addressed very briefly is the question about heterotopic. I
2 think Dr. Michler has said these are very small numbers that
3 have been done and a lot of technical problems.

4 Is that something that should be on the table for
5 these types of xenotransplants?

6 DR. AUCHINCLOSS: Sorry, I didn't mean to actually
7 do that one. Now, you basically told us that this a mighty
8 rare procedure and don't go there. That is sort of what I
9 heard you say.

10 DR. MICHLER: I may not have been quite that
11 strong about it, Hugh, but I think that if you are going to
12 include the heterotopic model, which I think there are
13 justifiable reasons why you might wish to do that, one needs
14 to be sure that you have an experienced team that is willing
15 to undertake that operation.

16 I think it would be sad to have the field set back
17 simply for technical reasons in doing an operation. I think
18 it is hard for the FDA to regulate that, and I think it is
19 really an issue of the teams that are willing to undertake
20 this procedure have some degree of confidence and experience
21 in it, because doing a heterotopic transplant in an animal
22 model is an entirely different operation than doing it in a
23 human. The connections are different. So, there is no
24 parallel there, very little parallel there.

25 DR. AUCHINCLOSS: But it looked to me also as if

1 selecting the group of patients who would be candidates for
2 a heterotopic transplant, not candidates for an orthotopic
3 allotransplant, or not candidates for a heterotopic
4 allotransplant, where are these patients? How many are
5 there? Are there any? You are down to 12 who get the
6 procedure even from an alloheart.

7 DR. MICHLER: I would think that the group that
8 proposed the heterotopic transplant should really address
9 that question because I would tend to put that heart in the
10 orthotopic position.

11 DR. CONTE: One comment on heterotopic
12 transplantation. At least in cardiac surgery, we think of
13 the model where the heterotopic heart is put in the chest.
14 As a Russian surgeon who was one of the forefathers of heart
15 transplantation showed, there are many different heterotopic
16 models of heart transplantation that can be placed in the
17 abdomen and in the pelvis, which we don't consider when we
18 generally think of heterotopic heart transplantation.

19 That is something that could be considered and
20 might, in fact, be an easier model than heterotopic
21 transplant in the chest. Some of Demakoff's work, which
22 most of us aren't that familiar with unless you want to read
23 some very arcane literature, however, that is something that
24 should be thrown out there because heterotopic
25 transplantation is not commonly done, but in a situation, as

1 part of a clinical trial in someone who is not a transplant
2 candidate for an orthotopic heart transplant, for example, a
3 patient who has an elevated pulmonary vascular resistance,
4 who would not be a candidate for an orthotopic transplant,
5 could be a candidate for a heterotopic transplant in the
6 pelvis. I would just throw that out there.

7 DR. MICHLER: But it is not an often perform
8 operation for good reason.

9 DR. CONTE: Yes.

10 DR. AUCHINCLOSS: Dr. Walters.

11 DR. WALTERS: For the bridging transplants, we had
12 some numbers proposed, the kind of data that one would want
13 to see in the preclinical setting, are there numbers that we
14 could proposed for renal transplants, as well, that one
15 would like to see in the work with animals?

16 DR. AUCHINCLOSS: I said six to 12 months is what
17 I think I was whispering over here.

18 DR. SACHS: You would probably like to see the
19 survivals in the non-human primate equivalent to that for
20 allos in non-human primates.

21 DR. AUCHINCLOSS: Abbey.

22 MS. MEYERS: Since no one is answering Leroy's
23 question, is it time to talk about informed consent? Can I
24 bring it up? I think that there are things that we haven't
25 even touched on, and I am coming back to the real world