

1 defined distinctions. So at present, even though you
2 can frame it just as you indicated, and we probably
3 would, practically that's why I asked the question
4 earlier.

5 You know, how long will we need to wait
6 until people are convinced that this is not a problem
7 and we can reverse this policy? And what I heard was,
8 you know, it's probably five or ten years before we'd
9 have a sense.

10 So, you know, do you want to tell people,
11 you know, call back in a year or two? So I think
12 practically this will be -- you know, unless there is
13 some position of this committee that this should be a
14 two year, you know, revisited, I think it would be
15 inappropriate for the blood banks to communicate to
16 the donors that this is a temporary deferral.

17 CHAIRMAN BROWN: Yeah, I understand that
18 point of view. At least this is not complicated by
19 the necessity of retesting. I mean, that's at least
20 one thing we don't have to worry about.

21 DR. BUSCH: It could be viewed as a good
22 or a bad issue. I mean, --

23 CHAIRMAN BROWN: Both, both. From the
24 point of view of basic science, bad. From the point
25 of view of practicality, good.

1 The final scheduled -- I'm sorry, is there
2 a question?

3 Bob.

4 DR. SCHONBERGER: Mike, I'd like to come
5 back to this question of deferring for history of
6 prior use of blood products, which, as you know, is
7 one of -- I feel is one of the best things you could
8 put in place for building a fire wall between us and
9 the expansion of any inapparent infection that might
10 be occurring through blood and blood products via TSE
11 agents.

12 And this number that you come up with of
13 seven or eight percent, what I'm having difficulty
14 with this is making that -- it seems to conflict with
15 the experience of Marian Sullivan and trying to do
16 look back studies where it seems like a much larger
17 percentage than that of people who have received
18 transfusions at least have died already by five years
19 or so in the look back.

20 And presumably, if the people who survived
21 transfusion are such a small cohort, a lot of them
22 aren't going to be healthy enough to give blood
23 anyway. And is that really a realistic number, or
24 could it be smaller than that?

25 DR. BUSCH: I think that number is

1 definitely accurate. You know, it's coming from --
2 we're required to ask donors have you been transfused
3 in the past. So this is a required question of blood
4 donors, and these are compiled, actual reports from
5 blood donors.

6 I think the issue is -- you're right, you
7 know, half of blood goes into patients who die, but
8 actually only a small fraction of transfused patients
9 die, probably 20 percent. And the distinction is, is
10 that the patients who are dying get a heck of a lot of
11 the blood.

12 So very ill patients consume a lot of
13 blood. Eighty-percent or so of people who are
14 transfused survive, and those people probably -- many
15 of them, fortunately, currently become dedicated
16 donors because they've benefitted from the transfusion
17 process.

18 But the number of 78 percent I'm certain
19 is correct.

20 DR. SCHONBERGER: Well, what if you
21 excluded albumin?

22 DR. BUSCH: That's not included in that.

23 DR. SCHONBERGER: That's not included?

24 DR. BUSCH: No.

25 DR. SCHONBERGER: Okay.

1 CHAIRMAN BROWN: Questions from the floor?

2 DR. TABOR: Well, the question about
3 history of transfusion is the one that predates the
4 availability of most of the serologic tests we have,
5 and it's clearly one that, sometime in the future,
6 could be reexamined.

7 It's certainly been well documented that
8 most people, for instance, of those very rare cases of
9 individuals whose blood transmit hepatitis B, they've
10 almost never had a history of transfusions themselves.
11 So that question is -- that we ask donors is an
12 anachronism and probably is an anachronism with regard
13 to new agents also.

14 I'd like to also make a comment regarding
15 the use of the term British donors. We're not talking
16 about British donors. We're talking about red
17 blooded, American donors who happened to have had
18 enough money to go to England or to have been sent
19 there by the military.

20 Where possible, I think we should not
21 refer to them as British donors because that adds a
22 level of connotation that we're excluding something
23 alien. And we're talking about American blood donors
24 who are going to be impacted by what we decide, and
25 it's the American blood supply is going to impacted.

1 CHAIRMAN BROWN: For the record, that was
2 Dr. Tabor from FDA.

3 So the transcript is hereby directed to
4 strike out every use of the phrase British donor,
5 which is, in fact, incorrect; and these obviously are
6 American donors who have visited or lived in Britain.

7 Although I suppose British donors would
8 still be included, wouldn't they?

9 (Laughter.)

10 CHAIRMAN BROWN: We haven't addressed
11 that.

12 Larry.

13 DR. SCHONBERGER: I'd like to suggest to
14 the Captain -- I guess it was Captain Gregory that
15 presented to us where -- Rutherford, was it?

16 CHAIRMAN BROWN: Captain Rutherford.

17 DR. SCHONBERGER: Rutherford.

18 CHAIRMAN BROWN: Close.

19 DR. SCHONBERGER: Okay, sorry about that.
20 Bruce Rutherford.

21 That when he talks of 55 years of data,
22 you know, where there's been no cases and so on, that
23 it would be more impressive if the military could
24 institute or present sort of a more epidemiologically
25 oriented study.

1 I would think that they are particularly
2 uniquely suited to potentially get good data on the
3 new variant CJD issues particularly, and they still
4 would have time to set something like that up, since
5 much of the exposure of the U.S. citizens to Europe,
6 I would think, may well be military people who were
7 assigned there during the '80s and so on.

8 Perhaps the military could identify these
9 people. And certainly the Centers for Disease Control
10 would be happy to help continue the follow up of such
11 individuals if they would want to institute that.

12 It just struck me when we're talking about
13 all these years of not hearing about things, when, in
14 fact, we search often to look for tighter
15 epidemiologic type of studies, and I would encourage
16 that that be discussed.

17 CHAIRMAN BROWN: Yeah, I don't know we
18 need to discuss it now.

19 But Captain Rutherford, you've got an
20 offer for help if you -- from the CDC if you'd like to
21 -- and I think Larry's right. You have an unusual
22 opportunity, in fact, to assess this problem in the
23 near future and CDC is a good colleague to have.

24 The final scheduled presentation is Dr.
25 Richard Davey, who is the Chief Medical Officer for

1 the American Red Cross.

2 DR. DAVEY: Thanks, Dr. Brown. Just
3 before I start, I'd like to correct perhaps one
4 misperception from Mike's presentation. He said that
5 half of patients who get transfused eventually die.

6 Actually, all patients who get transfused
7 will eventually die.

8 (Laughter.)

9 DR. DAVEY: So, Mr. Chairman, the American
10 Red Cross does welcome the opportunity to speak to
11 this committee on this important subject. The Red
12 Cross supplies almost half of the nation's blood
13 supply through the generosity of over four and a half
14 million volunteer blood donors.

15 We serve over 3,000 hospitals through our
16 national network of 37 blood regions. The Red Cross
17 regards the safety of the blood supply as its highest
18 priority. As such, the Red Cross is currently
19 conducting nucleic acid testing for HCV and HIV
20 throughout our system under an IND application.

21 In addition, Red Cross scientists are
22 actively investigating possible emerging threats to
23 the blood supply such as Chagas disease and
24 Babesiosis. We've also supported research in the TSEs
25 through direct research conducted by Dr. William

1 Drohen at our Jerome Holland Laboratory, as well as
2 through -- as well as with collaborative research with
3 both Dr. Brown and with Dr. Rohwer.

4 The Red Cross actually has devoted more
5 resources than any other private organization to
6 understanding the relationship, if any, between TSEs
7 and blood transfusion. While the safety of the blood
8 supply is our highest priority, the Red Cross also has
9 an additional responsibility to ensure an adequate
10 supply of blood and blood products for the American
11 people.

12 Indeed, an inadequate supply of blood
13 poses a major safety hazard, as critical blood and
14 blood components may not be available when needed. We
15 view with considerable concern, therefore, any
16 proposal to defer donors who have lived in or traveled
17 to Great Britain during the peak years of the BSE
18 epidemic in that country.

19 This deferral is being considered because
20 of the theoretical risk of transmitting new variant
21 CJD from individuals who may have consumed beef
22 products in Great Britain during those years. As we
23 know, new variant CJD has not been reported in the
24 United States, and there are no documented cases of
25 this disease being transmitted by blood or blood

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 products worldwide.

2 Now this morning Dr. Alan Williams
3 presented data gathered through the REDS and ARCNET
4 systems on the impact on the American blood supply if
5 donors who lived in or traveled to Great Britain
6 between 1980 and 1996 were deferred.

7 In brief, the percentage of donor travel
8 to the UK varied from 0.4 percent for those who
9 resided in the UK for five years or more to 22.6
10 percent who were in that country for three days or
11 fewer.

12 The estimated annual blood resource lost
13 by deferral of donors visiting UK between 1984 and
14 1990 varies from over 35,000 units lost annually for
15 deferral for a five year visit to 1,939,000 units lost
16 for deferral for a one week visit.

17 That's just an annual loss, not a
18 cumulative loss, which would be larger if we looked at
19 it over a two or three or four year span.

20 Now the blood supply today is marginal, at
21 best, with shortages often occurring over the holidays
22 and summer months. A variety of recruitment
23 strategies have been implemented with encouraging
24 results, but the donor base remains barely adequate to
25 meet increasing clinical needs.

1 Our blood supply actually is not very
2 elastic. Increased recruitment efforts, however
3 strenuous, may not be able to overcome the deficit
4 caused by deferrals of the magnitude being considered
5 by this committee.

6 New donors would have to be found to
7 replace the deferred donors. As these new donors, as
8 we've heard, would be first time donors, most of which
9 would be first time donors, a group with a higher
10 incidence of deferral risk and disease markers, it's
11 quite possible that these new variant CJD deferrals
12 would actually decrease the safety of the blood
13 supply.

14 In addition, deferred donors may face
15 possible stigmatization for being somehow unsafe, and
16 may have undue concerns about being at risk for a
17 dread disease. Also, and I think this is important,
18 the message that the committee will send to the public
19 with these deferrals is that Mad Cow Disease is a
20 current blood transfusion safety risk in the United
21 States.

22 Can we say the new variant CJD will never
23 be shown to be transmitted by blood transfusion? Of
24 course we can't. That would be asking us to prove a
25 negative when we can't do that. But we must act

1 rationally using the best science and professional
2 judgement in considering these options.

3 Research must continue in this important
4 ~~are~~ area. Periodic evaluation of our national strategies
5 on blood safety issues must take place. However,
6 given the present body of scientific and
7 epidemiological data, and considering the known impact
8 on our nation's blood supply, any deferral at this
9 time for this theoretical risk cannot be justified.

10 Now I may just digress from my written
11 comments for a moment. I think this committee clearly
12 has a very important issue in blood safety and it's
13 considering it very, very carefully, to its credit.
14 But I think it's important for us to realize that not
15 having enough blood is a very, very unsafe thing.

16 In the National Blood Data Resource Center
17 data that wasn't presented today, 8 percent of the
18 hospitals in the United States in 1997 -- 8 percent --
19 had to defer or cancel surgery because there was not
20 enough blood.

21 That's a lot. That's within the Red Cross
22 system and across the nation in the independent blood
23 centers, 8 percent of hospitals deferred surgery.

24 We just don't have enough elasticity to
25 make up for a further major deferral. In the Red

1 Cross system, we are actually increasing donations.
2 Our donations are up, but the demand is up even
3 further.

4 We also have to consider again the first
5 time donor issue. We're going to be replacing these
6 deferrals, if we can replace them at all, with first
7 time donors primarily.

8 And we've seen that they have an increased
9 risk of deferral risk factors three times over repeat
10 donors, increased risk of disease markers of twice
11 that of repeat blood donors, a safety issue of
12 concern.

13 Also, I think we have to ask is it in the
14 public interest, as Mike pointed out just a few
15 minutes ago, to have to convey a message to our
16 donors, most of whom are dedicated pheresis donors and
17 repeat donors, that we no longer wish to have them as
18 participants in the national blood supply.

19 We will develop a group of hurt, angry and
20 scared donors. And whether deferral is permanent or
21 temporary, it's going to be very hard to give these
22 folks the message that they're deferred for a risk
23 that really we know nothing about and is purely
24 theoretical.

25 It's up to the blood centers to have to

1 deal with these donors. It's up to the blood centers
2 to have to get new donors, and that's going to be
3 tough indeed. And again, I think it's important to
4 realize that public perception of the safety of the
5 blood supply is also at question here, and deferrals
6 will indeed raise the public perception of risk of TSE
7 in the American blood supply.

8 So I ask the committee to think very
9 carefully about these proposals and to base their
10 decisions on the best science and epidemiology
11 available. Consider the impact of blood safety that
12 may result from significant erosion of both our blood
13 donor base and of public confidence in the safety of
14 the blood supply.

15 The American Red Cross will continue to
16 conduct and support research on the possible
17 transmissibility of new variant CJD, and we will honor
18 our commitment to help ensure both a safe and an
19 adequate blood supply for the American people.

20 Thank you.

21 CHAIRMAN BROWN: Thank you, Jay.

22 If there is anyone in the room who wishes
23 to make a statement, this is the time to do it.

24 Oh, I'm sorry, did you -- Peter, a
25 question for the last speaker or a comment?

1 DR. LURIE: To the assertion that the
2 development of travel restrictions would signal to the
3 public that Mad Cow Disease is a problem, I guess I
4 have two comments. The first is the Institution of
5 Travel Restrictions for Malaria does not seem to have
6 communicated to the American public that malaria is a
7 problem in the blood supply.

8 What I think the message the American
9 people will take from this is that a group of people
10 have wrestled with the problem and have done the most
11 they can to protect the blood supply from Mad Cow.

12 CHAIRMAN BROWN: I must say the Chair
13 agrees with Dr. Lurie on this. I don't think it
14 probably is too smart to go that far afield and make
15 a decision on the basis of something which really is
16 a question of education.

17 I mean, if someone is going to take a
18 decision to defer, let's say, a small number, let's
19 just say, of donors who have lived in Britain as
20 evidence that Mad Cow Disease exists in the United
21 States, I just don't think there's much we can do
22 about it.

23 That's just a question of not
24 understanding. In any case, we had a question or a
25 comment from the floor.

1 DR. FREAS: Please identify yourself.

2 MS. McMILLAN: Certainly.

3 My name is Melissa McMillan and I'm with
4 America's Blood Centers. And I just wanted to comment
5 a little bit about some of the things that Dr. Davey
6 mentioned. America's Blood Centers is the association
7 of all the independent community blood centers.

8 And also, like the American Red Cross, we
9 do collect about half of the nation's blood supply.
10 We work with about 3,100 different hospitals and serve
11 about 125 million people annually. I think some of
12 the things that we've heard today -- we've heard a lot
13 of scientific data.

14 A lot of the things I'm about to tell you
15 are based upon conversations with the communication
16 structures and our members who are located in 46
17 states, and also based upon some of the shortage
18 surveys that we conduct to try and monitor the status
19 of the blood supply during our tradition shortage
20 periods which are, like we've discussed, the
21 summertime and the wintertime.

22 We have had several members tell us that,
23 even as of last summer, their transfusion rates
24 increased not just the 3.7 percent we heard today, but
25 15 percent. Another center in Florida said that their

1 transfusion rates increased last summer by 20 percent.

2 Now, if you take it nationwide, you do
3 have a much lower average; but these people are -- and
4 the donor recruiters are spending an increased amount
5 of time and money to bring in donors when their
6 transfusion rates are soaring far beyond the
7 expectations of the recruitment goals that they set
8 based on a typical need.

9 Now, this is something we need to look at.
10 There are a lot of things that we need to, you know,
11 think about. And some of this data we don't have.
12 For instance, what are these transfusions being used
13 for, what types of surgeries?

14 This data is not readily available, but it
15 could give us an incidence as to what are the types of
16 people that need surgeries and maybe also give us some
17 sort of correlation among the people who are donating.

18 For instance, we have liver transplants on
19 the rise. With an aging population, we're going to
20 have an increase in the number of knee and hip
21 replacements. These surgeries require a lot of blood.

22 Now, I've had many reporters over the
23 years ask me, "Has anybody ever died from a lack of
24 blood?" The answer is no. But do we want to take a
25 chance in saying that? We have to possibly say yes if

1 we defer a percentage of the population who are good
2 donors.

3 I just think it's something we need to
4 think about.

5 Thank you.

6 CHAIRMAN BROWN: Is there anyone else in
7 the room who would like to make a comment?

8 Yes, middle of the room, left-hand side.

9 MS. SULLIVAN: Thank you.

10 I'm Marian Sullivan from the National
11 Blood Data Resource Center. I was sitting back there
12 trying to decide which of my data to defend first here
13 today, and I decided to speak for a couple of minutes
14 about our year 2000 projection.

15 The projection, which has been quickly
16 flashed on the screen a couple of times here today,
17 could benefit from being put in better perspective, I
18 think. Without the benefit of the other slides that
19 led up to its presentation at the advisory committee
20 meeting, it's a little bit difficult.

21 The projection resulted from an 18 month
22 data collection and analysis process which involved
23 2,400 U.S. hospitals and blood center participants.
24 As a result of this 1998 nationwide blood collection
25 and utilization survey, the NBDRC and Westat produced

1 national estimates for blood collections and
2 transfusions in 1997.

3 These data were compared primarily with
4 data from the Center for Blood Research -- which had
5 been collected by the Center for Blood Research for
6 1994, the last year for which national data were
7 collected prior to our survey.

8 However, we have also conducted an
9 analysis of historical trends going back well into the
10 1980s. Considerable fluctuations are evident over
11 these years. The year 2000 projection graph which you
12 say today illustrates the trends in supply and demand
13 for the most recent and most relevant period based on
14 the 1994 and 1997 data.

15 The supply declined by 4 percent, or 1.3
16 percent per year, in this period. If I had my slides
17 with me today, you could see that if we plot whole
18 blood collections back to 1989 through 1997, the
19 overall decline is 11 percent, or 1.4 percent per
20 year, from 14.2 million to the 1997 figure, 12.6
21 million.

22 In fact, the slide which you did see today
23 actually extrapolates the available supply rather than
24 total whole blood collections. And this has somewhat
25 softened the negative slope which you might have seen.

1 And that's due to the fact that we have seen, during
2 this period, a significant decrease in the test loss
3 percentage which has softened the slope if we plot
4 available supply, and that has been taken into account
5 in our projection.

6 Regarding transfusion demand, the
7 extrapolation which you saw illustrates a 3.7 percent
8 increase in transfusion -- units transfused between
9 1994 and 1997, or 1.2 percent per year, which is not
10 statistically significant.

11 In fact, if I had chosen to plot
12 allogeneic, meaning community units transfused, you
13 would see an increase in transfusions of 7.1 percent,
14 which is significant. But the projection actually
15 included all types of donated units transfused.

16 In fact, if you can once again imagine my
17 absent slide showing historical trends back to the
18 early '80s, what you see is that annual transfused
19 units have actually leveled off since the early '90s.
20 And prior to that, there was a very steep increase in
21 the early '80s followed by a decline that began about
22 1986.

23 We do not believe that we have overstated
24 this issue in our year 2000 projection. The
25 assumptions we made were based on the most recent

1 trends in collections and transfusions.

2 In fact, after I presented these data at
3 the advisory committee meeting last month, a number of
4 committee members, some of the speakers and some
5 others closely involved in blood banking commented and
6 seemed to agree that I had actually understated the
7 problem.

8 And if, in fact, we had included other
9 factors and prepared a more complex model, other
10 factors such as the population increase and the
11 redistribution of the population, as well as blood
12 group availability -- if we had factored these things
13 into our model, then the projection would have only
14 been strengthened.

15 Thank you.

16 CHAIRMAN BROWN: Thank you very much,
17 Marian, for a well tempered riposte to the criticisms.

18 I think -- Ray, is it about this? Because
19 I was going to suggest that all of the people who have
20 made public presentations stand ready to answer
21 questions when this aspect reappears, which it will,
22 almost immediately, if that's okay.

23 Marian, you'll probably be recalled to the
24 stand, okay?

25 That concludes the public hearing part of

1 our day and we now enter into deliberations, which is
2 always the most amusing part of each day.

3 (Laughter.)

4 CHAIRMAN BROWN: And I have a plan. And
5 it will probably get sunk, but I want, before we make
6 these deliberations, to summarize for you and the
7 committee members my own view of the framework for the
8 following discussion.

9 We have, on the one hand, to evaluate the
10 risk of disease transmission from the blood of
11 patients with new variant CJD. That is the issue
12 before the committee. And here is what we know and
13 don't know about that side of the equation:

14 We cannot yet predict the magnitude of new
15 variant CJD in the United Kingdom. We cannot quantify
16 the risk of infectivity versus the period of potential
17 exposure. We do not know the proportion of new
18 variant CJD cases that will have infectivity in the
19 blood, if any.

20 We do not know the level of infectivity,
21 if any, in the blood during the incubation period of
22 new variant CJD. We do know that there is probably a
23 much less degree of risk in plasma derivatives than in
24 blood components based, as a generality, on what we
25 know experimentally from what you've heard a little

1 bit of this morning and a good deal of in December,
2 this being based on both the distribution of
3 infectivity in TSEs, transmissible spongiform
4 encephalopathies, in general within blood components.

5 That is to say, largely present, but not
6 exclusively present, in the Buffy coat. Plus the fact
7 that processing of plasma for derivatives has been
8 unequivocally shown to result in very large losses of
9 any infectivity that might have been present in
10 unprocessed plasma.

11 The second part of the equation is the
12 effect of any exclusion on blood supply. And we've
13 learned that we have a good quantification of the
14 effect on voluntary donor supply. We have no
15 information at all on the effect on paid donor supply.

16 And that's what I come away from this
17 morning's education as the main elements of our
18 consideration. It therefore appears to me that if any
19 exclusion is, in fact, recommended, it is going to
20 have to be done as a pragmatic decision.

21 In other words, can any cut be made to
22 obtain a maximum reduction in risk with a minimum
23 effect on the blood supply? I propose to ask the
24 committee -- and Bill, if you want to put that slide
25 on now -- to immediately consider a reversal of the

1 draft questions in which we will consider question
2 2(a) first.

3 And what I'd like to do -- as you see,
4 this is a query about doing any exclusion for the
5 purpose of plasma derivatives. And it's possible that
6 we can dispense with this question immediately. It's
7 possible we may not be able to.

8 I therefore wonder if the committee would
9 agree to answering that question even before
10 discussion with a yes or a no. If the majority of the
11 committee feels that there is no need to recommend new
12 criteria for deferral with respect to plasma
13 derivatives, we can dispense with question two all
14 together and concentrate on question one, which is the
15 same question focused on whole blood donors.

16 If the committee decides that question two
17 needs discussion before any decision is made, we will
18 go ahead and duly discuss it. This, by way of perhaps
19 spending more time on what appears to me, at least, to
20 be a question of -- that is arguable on both sides,
21 that is question one.

22 If the committee would like not to do
23 this, please let me know. If you'd rather just sort
24 of take it 1(a), 1(b), 2(a), 2(b) as it's written,
25 then we'll go ahead and do that.

1 Stan.

2 DR. PRUSINER: I would like to argue that
3 we go as planned in the beginning, 1(a), 1(b), 2(a),
4 ~~2~~(b), because I think that there's some -- there can
5 be some arguments made with the first group of
6 assumptions that you made, pieces of data that you
7 threw out about prions being largely in white cells,
8 blood product titers being lower.

9 So I would suggest that we don't change
10 the order, --

11 CHAIRMAN BROWN: Okay.

12 DR. PRUSINER: -- that we don't do this.

13 CHAIRMAN BROWN: Bob.

14 DR. ROHWER: I also think we need to
15 consider, in general, the intent of dividing this into
16 two categories and what the significance of that is.
17 In other words, I'd remind you that the British right
18 now are not deferring for fresh blood. They're only
19 deferring for plasma.

20 It's just the opposite of what the intent,
21 I believe, of this -- of the focus here is. And there
22 are important implications of that, and I could begin
23 by discussing those right now or we can resolve this
24 issue of whether we're going to discuss them first.

25 CHAIRMAN BROWN: Well, is the committee

1 more or less agreed that it would be a better idea to
2 just go through 1(a)(b), 2(a)(b)? I hear lots of
3 heads shaking.

4 Okay, the Chair stands demolished.

5 (Laughter.)

6 CHAIRMAN BROWN: And we will therefore
7 open the discussion with a discussion of question
8 1(a): Should the FDA recommend new deferral criteria
9 for whole blood donors to attempt to reduce the
10 theoretical risk of transmitting new variant CJD from
11 transfusions based on foodborne exposure to BSE in the
12 UK?

13 The question is open for discussion.

14 Yes, sir.

15 DR. CLIVER: I'm going to get this in
16 sooner or later anyway, so now's as good a time as
17 any. I've been hearing wish lists of things that need
18 to be researched. We also heard don't wait for the
19 science, but eventually all of these things are going
20 to be resolved, we hope, by scientific investigation.

21 We're dealing with a pyramidal hypothesis
22 here that is all based on a broad assumption about
23 food transmission. And as I said at the previous
24 session, I'm really dissatisfied with the way this
25 aspect of the question was being addressed.

1 I think we need to know more about that,
2 if we can. But just the idea that now we're going to
3 focus on transmission from person to person via blood
4 and give up, as it seems to me, on some fundamental
5 aspects of how people got infected via food in the
6 first place I think is not the way to go.

7 So just to give you an idea of the things
8 that I think we ought to be trying to know more about
9 with regard to peroral transmission in beef, if you
10 will, or animal products -- one, I understand that
11 there is some work that addresses the question of the
12 level of agent in tissues -- specific tissues eaten.

13 I'm hoping that that also addresses the
14 question of -- the degree to which this is a function
15 of the stage of the infection. We're hearing that
16 perhaps the last year or so before onset is the time
17 when the agent is going to be at peak, and I'd like to
18 know whether that's universally true or whether it's
19 even applicable to the perceived edible portions of a
20 carcass.

21 Second, we don't know anything about the
22 digestibility of the various tissues that may harbor
23 the agent and how those are going to be processed
24 during the digestion in the GI tract.

25 Third, assuming that the agent gets to a

1 susceptible portion of the intestinal mucosa, and we
2 don't know what that is, why then the question is what
3 is the interaction between the agent and the
4 intestinal mucosa?

5 That's just one cell defending us from all
6 the things that go through our bodies all our lives
7 and this is a pretty critical aspect.

8 Finally, it seems to me that we ought to
9 be addressing the question of age and other host
10 factors. That is, as people, how differently do we
11 process these things?

12 When I hear that onset of something that
13 might be CJD in someone under 55 is probably
14 diagnostic or at least highly suggestive of new
15 variant over 55, it isn't seriously considered, this
16 says that something happened to me a while ago and, if
17 I want to go back to England and eat beef, I've got a
18 carte blanche now because I'm 64 and it ain't going to
19 happen to me.

20 So, you know, I should be able to donate
21 blood forever, except, unfortunately, I had something
22 12 years ago with a melanoma that kind of negates
23 that. But we need models. We need to be trying to
24 find experimental means of addressing these and I'm
25 sure additional questions.

1 And they aren't going to solve any
2 problems real fast. But all the same, to proceed with
3 the top of the hypothetical pyramid and ignore the
4 base, I think, is dead wrong, too.

5 End of sermon.

6 CHAIRMAN BROWN: Yes, Bob, I'll call you
7 in just a second.

8 Dr. Cliver, it's possible that there's a
9 misunderstanding here. We are not here to discuss how
10 people get new variant CJD in Great Britain. We're
11 not concerned about how they got it. We're just
12 concerned that they got it.

13 And what our main concern is, what our
14 only concern is, is whether or not such patients are
15 capable of transmitting CJD through the blood.

16 DR. CLIVER: But risk assessment is a well
17 established part of the way these kinds of decisions
18 are made in the regulatory arena, and we don't have
19 the bases for risk assessment vis-à-vis how long
20 somebody stayed in the UK, what they had to eat, how
21 they ate it and so on.

22 So I think it's a valid and significant
23 part of the risk assessment process.

24 CHAIRMAN BROWN: Yes, you're suggesting
25 that we really ought first to decide -- have a

1 consensus on how new variant -- whether or not living
2 in the United Kingdom is a risk factor?

3 DR. CLIVER: I didn't say that. We're
4 talking about quantitative risk assessment, and I
5 didn't say that the data are in hand to be able to do
6 it.

7 All I said is while we're prescribing or
8 wishing for research that would clarify some other
9 aspects of this hypothetical pyramid, that neglecting
10 the base of the pyramid by saying that's not relevant,
11 we've got to get on with business, is incorrect.

12 It is just not the way risk assessments
13 are done -- quantitative risk assessments.

14 CHAIRMAN BROWN: What way are you
15 suggesting that we do here now?

16 DR. CLIVER: I'm suggesting that we at
17 least add this to our wish list of things that need to
18 go into a longer term perception and understanding of
19 whether someone in this country who happened to spend
20 a few days a few times in England, as I did, is at
21 risk as a blood donor and is endangering his fellow
22 citizens by giving blood.

23 CHAIRMAN BROWN: Right. So, again, I
24 don't think we disagree. Everybody would like to have
25 that, and we probably will have it too late.

1 DR. CLIVER: Well, okay. But all I'm
2 saying is it isn't -- I haven't heard it even
3 mentioned on the wish list at this point.

4 CHAIRMAN BROWN: Okay.

5 DR. CLIVER: I think it is significant --

6 CHAIRMAN BROWN: Okay.

7 DR. CLIVER: -- over the longer run.

8 CHAIRMAN BROWN: Bob.

9 DR. ROHWER: I wonder if Dr. Cliver would
10 be satisfied if the word foodborne was just struck
11 from 1(a)? I would certainly prefer that because I
12 don't believe that it has been established that that's
13 how new variant cases are acquiring this disease. And
14 then we just go with exposure.

15 CHAIRMAN BROWN: Yes, I thought the
16 wording on 1(a) probably could have been -- towards
17 the end there, you can probably scratch the entire
18 "based on foodborne exposure to BSE in the UK" and
19 substitute "the theoretical risk of transmitting new
20 variant CJD from transfusions from" --

21 DR. ROHWER: Based on exposure.

22 CHAIRMAN BROWN: -- based on exposure or

23 --

24 DR. ROHWER: Period.

25 CHAIRMAN BROWN: -- residence in the

1 United Kingdom. No, exposure or travel or residence
2 to the United Kingdom. But I think we all understand
3 that. It's just a question of words.

4 Yes, Peter.

5 DR. LURIE: It seems likely that any
6 restriction that this committee might come up with is
7 going to be right censored in the sense that it would
8 be -- I'm told 1996 or some other period and include
9 the period before that.

10 Now, that being the case, and particularly
11 seeing as though people who are blood donors are
12 disproportionately older, what this means is that any
13 impact upon the blood supply is going to be one that
14 will be maximal when first implemented.

15 And that within a period of time of some
16 ten to 15 years, the impact of that will just kind of
17 work its way through the population and will decrease
18 with time until it has no impact at all. So we should
19 look at these as really maximal impacts upon the blood
20 supply.

21 CHAIRMAN BROWN: Ray.

22 DR. ROOS: I just wanted to give my own
23 opinion about the whole blood versus blood derived
24 products, which I guess maybe is a little bit of a
25 different perspective than I think you were getting

1 at, Paul.

2 And that is, from the point of view of
3 safety, although there may be reasons for thinking
4 that with fractionation you're going to lower the
5 titre and be safer, on the other hand one clearly has
6 the -- if, in fact, the agent is in the blood, one has
7 the danger of disseminating it far more widely with
8 respect to the blood derived products than unit to
9 unit transfusion, and perhaps that was one of the
10 reasons that guided the UK to make the decisions that
11 it did.

12 And so we're poised now very uncertain
13 about what the risk is here, whether we should be
14 guided by the data that we have, which is, of course,
15 from classical Creutzfeldt rather than new variant.
16 And if we worry about the risk, I think we have to
17 take into consideration what's going to be our most
18 dangerous action here, which I think might relate to
19 the . possibility of releasing contaminated blood
20 derived products.

21 I also worry and, you know, maybe I need
22 some education here, but does everything get
23 fractionated? In other words, there's still, I guess,
24 fresh frozen plasma; and, in that situation, one
25 really doesn't have the benefit of fractionation.

1 Just thinking about that whole option of
2 the -- of blood versus blood derived products and
3 safety versus any threat to our blood supply, I
4 wondered whether the blood bank people could educate
5 me again.

6 And that is, when somebody gives blood, is
7 it clear what that blood is going to be given to? In
8 other words, can you ensure that units that are given
9 might be given for whole blood or red cells or
10 platelets and keep particular units from going into
11 blood derived products and into this big, big vat?

12 And that way one might not be able to
13 decrease the number of donors, but just redirect where
14 those donations come from -- go to.

15 CHAIRMAN BROWN: Dr. Gilcher.

16 DR. GILCHER: I think Dr. Katz and I are
17 going to address probably similar issues, and I really
18 wanted to expand on the point that you had just
19 raised.

20 I think question one and question two need
21 clarification. Because the real issue in question one
22 is should FDA recommend new deferral criteria for
23 directly transfusable blood products. It has nothing
24 to do with whole blood donors because it could be an
25 apheresis platelet donor, an apheresis plasma donor.

1 It's a direct, transfusable product.
2 Question 2(a) should then go to a pooled product that
3 is used that is subsequently fractionated. That would
4 clarify the questions.

5 CHAIRMAN BROWN: Could I interrupt you for
6 just a second and ask Jay if that, in fact, is the
7 intent of the question?

8 DR. EPSTEIN: That is our explicit intent.

9 DR. GILCHER: Because this -- and Jay, you
10 may want to comment -- is analogous to malaria, which,
11 in fact, was raised by the Chairperson. In malaria,
12 if you have been potentially exposed, your plasma can,
13 in fact, be used even in that case for direct,
14 transfusable purposes, but certainly can be used for
15 plasma fractionation.

16 Whereas, the red cells or cellular
17 products specifically cannot if they contain red cells
18 because that can transmit malaria. But I think the
19 intent here is that we're talking about direct
20 transfusable versus a pooled, subsequently
21 fractionated product.

22 And the reason that's important is that on
23 the whole blood donor side -- or let me say on the
24 directly transfusable product side, the plasma from
25 the donors would, in fact, be able to be fractionated.

1 And when you look at the amount of plasma
2 that goes to recovered plasma fresh/frozen, and I'll
3 give you the statistics from my center, approximately
4 80 percent of the 80 to 85 percent of the plasma that
5 is derived from whole blood ends up as recovered
6 plasma fresh/frozen.

7 The remainder is used as a transfusable
8 product. So the majority of plasma derived from whole
9 blood, at least at my center, and I suspect that's
10 true for most of the ABC centers and probably the Red
11 Cross as well, that plasma ends up as recovered plasma
12 fresh/frozen, which is subsequently fractionated.

13 And that would not be a deferrable issue
14 if number two were, in fact, allowed to stand.

15 CHAIRMAN BROWN: Right. I have a
16 question.

17 Susan, you said that most of the platelets
18 that you recover are recovered from apheresis plasma.
19 Or at least a lot of it is, huh?

20 DR. LEITMAN: They're not recovered. The
21 donor is recruited and donates specifically for that
22 purpose.

23 CHAIRMAN BROWN: For platelets?

24 DR. LEITMAN: And not only -- in my
25 institution, 100 percent of the platelets are derived

1 by platelet pheresis of apheresis --

2 CHAIRMAN BROWN: Okay. Under those
3 circumstances, of course, the platelets are not pooled
4 with any other --

5 DR. LEITMAN: No.

6 CHAIRMAN BROWN: And what happens to the
7 plasma, it goes back to the patient?

8 DR. LEITMAN: The pheresis product is
9 collected in 200 to 500 ml of plasma and that's a
10 platelet pheresis product. We don't -- most centers
11 do not do concomitant plasma donation at the time of
12 platelet pheresis.

13 CHAIRMAN BROWN: Okay, so I wanted
14 everybody to understand this. This is a plasma
15 pheresis. Ah, excuse me, a platelet pheresis, so to
16 speak. It's not plasma pheresed where at least you're
17 removing platelets and then directing the plasma to a
18 pool.

19 DR. LEITMAN: That's correct.

20 CHAIRMAN BROWN: This is a one to one
21 donation?

22 DR. LEITMAN: Platelet pheresis donation
23 is a one type of donation.

24 CHAIRMAN BROWN: So the wording would --
25 the preferable wording, Jay, would be: Should the FDA

1 recommend new deferral criteria for directly
2 transfused products?

3 Is that correct?

4 DR. EPSTEIN: Well, it's deferral of
5 criteria for donors of blood components intended for
6 transfusion use.

7 CHAIRMAN BROWN: Stan.

8 DR. PRUSINER: So Ray just said unpooled.
9 That's the key word here, isn't it?

10 DR. EPSTEIN: Well, it isn't quite because
11 there are transfused components that are pooled.

12 DR. PRUSINER: How big are the pools?

13 DR. EPSTEIN: They're small. They're, you
14 know, about ten to a dozen would be typical for safe
15 platelets.

16 DR. PRUSINER: Okay, so under 25?

17 (Laughter.)

18 DR. EPSTEIN: Well, I think we shouldn't
19 get too hung up on the words. What we're talking
20 about here in questions 1(a) and (b) are the directly
21 transfused products. You know, whether they're given
22 in individual units or small pools, notwithstanding.

23 DR. PRUSINER: Okay.

24 CHAIRMAN BROWN: So again, I think the
25 words actually are important because they imply

1 they're important to know why ask both questions. So
2 let's get exactly the wording that everybody can
3 appreciate.

4 DR. PRUSINER: So how about, Paul,
5 individual or as small pools, which I was saying?

6 CHAIRMAN BROWN: Deferral criteria for --
7 well, I guess all donors are individuals.

8 DR. PRUSINER: Right.

9 CHAIRMAN BROWN: For donors whose
10 donations or who -- how do you want to word it? I
11 know what everybody sort of understands, but I'd like
12 to really get it down exactly.

13 DR. LEITMAN: I'd like to make a
14 suggestion. It could be for components which do not
15 undergo further processing. Pooled platelets or
16 pooled cryoprecipitate don't undergo further
17 processing other than some units may be frozen and
18 then thawed.

19 But --

20 CHAIRMAN BROWN: You say pooled platelets?

21 DR. LEITMAN: You can get a unit of
22 platelets from a unit of whole blood and pool six to
23 ten such platelet units and get --

24 CHAIRMAN BROWN: From the same patient?

25 DR. LEITMAN: From different donors. A

1 whole blood unit can be fractionated into packed red
2 cells, plasma and platelets.

3 CHAIRMAN BROWN: Yeah, you taught me that.
4 ~~But~~ I thought you just said pooled platelets.

5 DR. LEITMAN: There's two kinds of --
6 there's two ways in which platelets are manufactured.
7 One can gain the entire amount to be transfused from
8 a single apheresis donation, or you can pool single,
9 random donor units of platelets derived from a whole
10 blood donation.

11 CHAIRMAN BROWN: So there could be several
12 donors --

13 DR. LEITMAN: Up to ten.

14 CHAIRMAN BROWN: -- contributing a pool,
15 and this is what you were asking. A pool of 10 or 12
16 donors whose platelets then are pooled.

17 DR. LEITMAN: The same would be true of
18 cryoprecipitate. When one transfuses that component,
19 there's a pool of anywhere from six to 12 units. But
20 those products don't undergo further processing the
21 way plasma derivatives do.

22 They're not fractionated, they don't go
23 over columns, there aren't any activation steps.
24 There aren't cuts made of the product.

25 So perhaps components that don't undergo

1 further processing would be a better way of stating
2 it.

3 CHAIRMAN BROWN: Okay, and another -- yes,
4 a question. Is it also possible historically and
5 today, that cryoprecipitate, for example, could wind
6 up in pools of 10,000 to 100,000. That is to say, it
7 would be prepared from huge pools, just as, for
8 example, IgG as opposed to ten donors?

9 Is cryoprecipitate a kind of special case
10 that could have little pool or huge pool.

11 DR. LEITMAN: Its the cryoprecipitate when
12 pooled, is the starting material for making pastes
13 from which the fractionated derivatives are made, but
14 that's not transfused as an unprocessed component.
15 There's further processing involved.

16 DR. BUSCH: Still? Because in the past --

17 DR. LEITMAN: To make the plasma
18 derivatives, yes.

19 CHAIRMAN BROWN: Yes, historically
20 cryoprecipitate, as was given as such without further
21 processing, huh? Paul?

22 DR. ROHWER: The key distinction here is
23 that these pools, the pools that Dr. Leitman's talking
24 about, I believe, go into one person. In other words,
25 you pull these units together for one transfusion. So

1 there's only one person exposed.

2 They're expose to ten people, but it's the
3 difference between having a huge pool where one person
4 can expose thousands of people or hundreds of
5 thousands of people or something like --

6 CHAIRMAN BROWN: I hear you, but that's
7 not exactly the same thing that Jay was saying. Jay
8 was emphasizing processing. You're emphasizing number
9 of recipients.

10 Which do we want to consider, Jay?

11 DR. EPSTEIN: Well, --

12 CHAIRMAN BROWN: Which do you want to
13 consider?

14 DR. EPSTEIN: I think that if we simply
15 say deferral criteria for donors of transfusable
16 components, it's clear enough to FDA what we're
17 talking about because we only have two categories of
18 donor deferral criteria, One we call whole blood, the
19 other we call source plasma.

20 Now there are subsets of apheresis
21 components for transfusion, but they follow the donor
22 criteria for whole blood. So, you know, it's actually
23 simpler than it seems. But I think we can correct the
24 language just by saying new deferral criteria for
25 donors of transfusable components, --

1 CHAIRMAN BROWN: Okay.

2 DR. EPSTEIN: -- and it will be true for
3 that set that the products are either in single units
4 or small pools.

5 CHAIRMAN BROWN: Okay. And question 2(a),
6 how would you word that, for donors of pooled
7 products, of what?

8 DR. EPSTEIN: Well, typically we would
9 call those fractionated products. That would be
10 another way to describe it.

11 CHAIRMAN BROWN: So it would be donors of
12 --

13 DR. EPSTEIN: Well, I think it's correct
14 as stated, of source plasma and recovered plasma
15 intended for fractionation.

16 CHAIRMAN BROWN: Okay. I'll ask the
17 committee if everybody understands this distinction.

18 Okay, Jay.

19 DR. EPSTEIN: Yeah, I guess the idea is
20 that they're further manufactured into injectables.
21 That's where the processing issue comes in. Because
22 we do have at least one pooled product, namely solvent
23 detergent treated plasma, which is not technically
24 fractionated.

25 There's no fractionation. However, it is

1 further treated.

2 CHAIRMAN BROWN: I am clear about what
3 you want. I think there is a contradiction in
4 separating the second from the first. And one is that
5 it's pooled, therefore it has the capacity to infect
6 zillions of people.

7 And the other is that, despite being
8 pooled, it's processed, so it's going to reduce all
9 the infectivity to zero. So you've got two
10 contradictory risk factors.

11 DR. EPSTEIN: Well, first of all, not all
12 processing is equal.

13 CHAIRMAN BROWN: No, of course not.

14 DR. EPSTEIN: For example, solvent
15 detergent and plasma has no fractionation, and yet the
16 pools can be as much as 2,500 donors.

17 CHAIRMAN BROWN: Right. But your point of
18 making two questions out of a single question --

19 DR. EPSTEIN: Yes.

20 CHAIRMAN BROWN: -- is clearly designed to
21 make us appreciate that there is a distinction in
22 potential risk --

23 DR. EPSTEIN: Yes, we --

24 CHAIRMAN BROWN: -- in these two
25 situations.

1 DR. EPSTEIN: We reflected on the way we
2 had framed the questions in December, and we felt that
3 we had somewhat muddied the issue by not
4 ~~d~~istinguishing for the committee that the risk/benefit
5 equations might differ significantly.

6 When you're dealing with transfusion
7 components, you have all the infectivity from the unit
8 collection going into the recipient. Whereas, in the
9 situation of processed products, you have large pools,
10 you have higher risk that the infectivity would be
11 present in the product.

12 On the other hand, titre is lowered. On
13 the other hand, it goes into many more people. And
14 layered on top of that is that the percent of donor
15 loss would be different in the two populations as
16 well.

17 Although, I think it's reasonable to
18 speculate that the percent donor loss would be less in
19 source plasma for any criterion that we imposed in the
20 two settings given the younger age and lower
21 socioeconomic status of the source plasma donors.

22 So, we simply felt that by having failed
23 to make that distinction, we deprived the committee of
24 the ability to think through the possibility of
25 different policies in the different settings. That's

1 why we've split it now.

2 CHAIRMAN BROWN: Okay, so let's have the
3 committee think through donors of transfusable
4 components, right?

5 DR. EPSTEIN: Well, but so let me suggest
6 --

7 CHAIRMAN BROWN: Yes, yes. Go ahead, Jay.

8 DR. EPSTEIN: -- just the wording of 2(a).
9 For donors of source plasma and recovered plasma for
10 further manufacture into injectable products.

11 DR. NELSON: I have a technical question
12 that maybe some of the prion experts can help me with.
13 And that is, my understanding was that this agent was
14 fairly resistant to disinfection or treatment, and yet
15 you're telling us that the processing will eliminate
16 infectivity to almost zero.

17 And somehow, I don't -- I can't appreciate
18 how effective is the processing with regard to
19 removing infectivity because obviously if it's, you
20 know, only partially effective, then we're increasing
21 the risk by allowing pools.

22 On the other hand, if it's highly
23 effective, then that's --

24 CHAIRMAN BROWN: Bob, why don't you
25 produce some numbers.

1 DR. ROHWER: Well, the point here is that
2 there are two ways to get rid of infectivity. One's
3 to kill it, and the other one -- and the other way is
4 to partition it away from your product.

5 And fortuitously, in the case of these
6 agents anyway in the couple of instances in which
7 we've been able to do this experiment, the
8 partitioning went in such a way that the infectivity
9 didn't go with the product.

10 However, there's always a denominator on
11 that number. It depends on how much infectivity you
12 challenge the process with to begin with. You can't
13 claim that you removed more than you put in. And
14 also, some steps in the process are more efficient
15 than others and there's some question about how
16 multiplicative those steps are.

17 And for technical reasons, it's not always
18 possible to test that aspect of the fractionation over
19 the full range of the process. So there are some
20 uncertainties in this.

21 And by way of a caution, we have to
22 realize that even though we demonstrated high levels
23 of removal for Factor VIII, for example, for a Factor
24 VIII process, a particular Factor VIII process that we
25 validated, on the other hand, we know from experience

1 that that didn't happen in the case of HIV, otherwise
2 we wouldn't have had this high rate of exposure of
3 hemophiliacs to HIV.

4 So it's not a foregone conclusion that it
5 will happen in every single fractionation, every
6 single time, and it probably means that every single
7 one of these steps ultimately has to be validated by
8 direct testing of some sort.

9 And there are other caveats associated
10 with this type of experiment -- whether the spike was
11 appropriate, that type of thing. There are many
12 different ways in which you can conduct it.

13 But all I'm trying to convey here is from
14 the data that we have in hand today, it was very
15 encouraging that actually there is probably a great
16 deal of benefit at least that's derived from going
17 through the refinement process for these products.

18 CHAIRMAN BROWN: Yes.

19 DR. PRUSINER: Bob, I would like to say
20 that I think that, you know, the committee -- I mean,
21 obviously when you make a statement like that, the
22 committee is very influenced by it. And it seems to
23 me this is very preliminary data from what you're
24 telling us.

25 That's what I'm understanding. And

1 secondly, I want to emphasize that it's the physical
2 state of the prions that's very important because
3 these are proteins. They aggregate to many different
4 size particles.

5 And what you choose as the spike, as you
6 very carefully said, can influence enormously how it's
7 cleared. And usually these particles are -- these are
8 non-ideal particles. They're not even like HIV where
9 we have a particle which we -- we have one HIV virus,
10 then we have another one, and another one, and another
11 one and they all behave the same pretty much.

12 That's not true with the prions. So I
13 think that we're -- that people are getting a little
14 false sense of security here with very preliminary
15 data, unless you have much more data than I know
16 about.

17 DR. ROHWER: Well, I would like to agree
18 with you to the extent that we've done one experiment
19 using one spike modality for one of these -- well,
20 we've done four different products, but we've done one
21 spike modality, one animal model for each one.

22 I think it would be much better to look at
23 several different spike modalities in several
24 different models, several different processes before
25 you come to any final conclusion as to how much

1 security you can get from these processes.

2 The only thing I wanted to communicate is
3 that compared to the crude cone fractionations which
4 have already been published in the transfusion paper
5 last year, these things have -- the products that are
6 actually injected undergo a lot more refinement than
7 the fractions that were mentioned in that paper --
8 that were assayed in that paper.

9 And we're not starting with very much
10 infectivity to begin with. I mean, that's the other
11 part of this equation, though that again is based on
12 animal models and there is some question about new
13 variant CJD.

14 And certainly Neil Cashman has made a very
15 strong argument that the titers may be much, much
16 higher in new variant. I'm not sure why he can't
17 discount that argument, but --

18 CHAIRMAN BROWN: What is that argument?

19 DR. ROHWER: That argument -- his argument
20 basically is that PRP RES concentrations seem to be
21 much higher, and if infectivity directly correlates
22 with PRP RES, then there must be more infectivity
23 there.

24 CHAIRMAN BROWN: Higher where?

25 DR. ROHWER: In the brain, but also it's

1 found in RES organs -- you know, the tonsils and
2 appendix and places where you don't find it in
3 classical CJD.

4 CHAIRMAN BROWN: Would you agree that an
5 alternative, equally plausible explanation is that
6 this is the result of route of exposure?

7 DR. ROHWER: Yes.

8 CHAIRMAN BROWN: Larry.

9 DR. SCHONBERGER: Yes, I was just trying
10 to get -- clarify what I think I heard Stan say.

11 Are you saying that the data that we're
12 hearing about, the clearance of the GSS agent or other
13 agents in the model, may not apply to new variant CJD
14 prions? Is that what you're saying? I understand the
15 differences in the arguments about titre and where the
16 agent is.

17 But are we saying that those differences
18 between new variant CJD and other prions are such that
19 the clearance data should be looked at with a grain of
20 salt?

21 DR. ROHWER: Well, I agree with that. All
22 these things should be done over again using the new
23 variant model. But again, it will be a new variant
24 mouse model. It's not going to be a new variant
25 monkey model or a human model simply because -- well,

1 it can't be a human model.

2 And the monkey model would just be -- it
3 would be impossible to do this type of experiment in
4 monkeys.

5 DR. PRUSINER: Yes, I think that the
6 protein, the prion protein, the disease causing form,
7 PRP SC in BSE is really quite different than many of
8 the others. So it's a different strain. Because we
9 think that strains are different confirmations of PRP
10 SC.

11 And we have some recent data which is
12 unpublished, but it has been presented at a Uri
13 Saffire, excuse me, Mike Scott presented this data in
14 Geneva a couple months ago, so we're trying to prepare
15 it now for publication -- where we've been able to
16 transmit new variant CJD into mice that express bovine
17 PRP with incubation times of about 250 days and all of
18 the animals get sick.

19 . So there is, I think, a model for the
20 future now to be able to look at this. Strangely
21 enough, these mice have the same neuropathology as
22 mice that receive bovine BSE prions, and much
23 different neuropathology than these same mice that
24 receive natural scrapie.

25 So I think it may be possible in the

1 future to get some of these answers. What I was
2 really reacting to though -- I don't think this is
3 really important right now. What I'm really reacting
4 to is not being overly influenced by some early
5 optimism that may or may not be correct that Bob
6 Rohwer's telling us about.

7 I mean, I think that's all very
8 interesting and all very encouraging, but I don't
9 think we can make decisions based upon one time
10 experiments. And I'm not sure that we want to do
11 that. I think that might be a mistake.

12 It places a big burden on Bob Rohwer's
13 data. And I think he would want to at least replicate
14 it before we start making decisions based upon this
15 kind of information.

16 CHAIRMAN BROWN: Yes, I don't really think
17 anybody disagrees that we never have enough data, and
18 this data is certainly early data. On the other hand,
19 it seems to me early data is better than no data at
20 all.

21 DR. BOLTON: Paul.

22 DR. PRUSINER: I don't do -- I don't think
23 we want to debate that, but let me just say I
24 disagree.

25 DR. BOLTON: Paul.

1 CHAIRMAN BROWN: Yes, I'm sorry.

2 DR. BOLTON: It seems to me that if --
3 this is slightly off the subject, but on the general
4 subject. If we vote to put in deferral criteria in
5 the first case and not in the second, aren't, in fact,
6 we redirecting those donors from either whole blood or
7 direct transfusable donations into pooled donations?

8 CHAIRMAN BROWN: Yes, that's an amusing
9 twist. Hadn't occurred to me, but that's probably
10 what would happen.

11 DR. BOLTON: Then I guess the question is:
12 Is that acceptable to the blood banks, and is that a
13 good outcome?

14 DR. NELSON: I said that's the reason for
15 my question.

16 CHAIRMAN BROWN: We have a comment here.

17 DR. EWENSTEIN: Well, I was going to ask
18 just a little bit more on the fractionation procedure
19 just as a point of information.

20 Do you have mass balance at this point on
21 those experiments? And also, you know, sort of -- it
22 begs the question in the commercial operation: Where
23 are these infectious particles now? I mean, they're
24 still on the cow?

25 DR. ROHWER: That's an extremely

1 perceptive question. We do not have mass balance, and
2 I don't believe we're ever going to get mass balance
3 using these types of experiments and these types of
4 models simply because to do the experiment on the
5 scale on which you have to do it in order to get a
6 mass balance would be prohibitively grandiose.

7 And so we're only going to get a glimpse
8 of what's going on in these things.

9 No, these experiments will -- I really
10 don't think there's much hope for them ever meeting
11 the same standard that would be applied to a
12 conventional virus. I don't think -- unless we can
13 come up with an in vitro assay or something like that
14 that allows us to actually do the assays on the same
15 kind of scale that you can do them for in vitro work,
16 I don't think that's going to happen.

17 CHAIRMAN BROWN: Yes.

18 MR. COMER: Thank you, Chairman. I just
19 thought it might be worth informing the committee that
20 I was at a meeting of the World College of Physicians
21 in Edinburgh about two weeks ago and the Scottish
22 National Blood Service were reporting a series of
23 experiments that they have been doing on clearance
24 factors for fractionation.

25 I don't have the paper with me and it was

1 at a meeting, not a published paper, but they are
2 doing quite an extensive series of work, again
3 obviously using mass model, but I believe getting very
4 similar results to those that Bob's reporting.

5 So there are at least other data that
6 support the -- we're getting similar sorts of results.
7 Six full log clearances for many of the processes
8 within the fractionation area.

9 CHAIRMAN BROWN: One further point is that
10 in the paper that was published that Bob referred to
11 in which a spiking experiment was done and a parallel
12 experiment was done using an endogenously infected
13 model, one could have predicted the other, which is
14 just a little point in favor of at least that spike
15 being a pretty good spike.

16 That spike happened to be intact, infected
17 brain cells. And the distribution was very similar to
18 that found in endogenously infected mice -- that is,
19 mice that weren't spiked, but the infectivity was
20 within the cell -- excuse me, within the blood
21 naturally.

22 Yes, Ray.

23 DR. ROOS: I wonder whether that study was
24 done on BSE and new variant or another one of the
25 spongiform encephalopathies?

1 MR. COMER: No, it was a scrapie mass
2 model.

3 DR. ROOS: Okay. Because I just want to
4 mention we have run into problems in the past with the
5 spongiform encephalopathies with pooled material such
6 as the dura mater, lyadura event and growth hormone.

7 We've also had problems with the unit to
8 unit approach, obviously, but the toll there is far
9 less. And I do think the data is good. And in fact,
10 I think that the data that we have from Paul and Bob
11 have clearly clarified a lot of things.

12 And I don't think we would be struggling
13 with some of the issues here if we hadn't had that
14 data -- that is, that the agent is in blood, and that
15 even the intravenous route works, and that this is a
16 cause for problems.

17 But I am a little cautious about the issue
18 of the fact that it isn't in -- it isn't the new
19 variant agent that we're dealing with and that some of
20 the rules may be different.

21 CHAIRMAN BROWN: Well, this is exactly why
22 we're here today. Dr. Satcher and the other groups
23 have already decided that this is not worth
24 significant worry with respect to classical CJD, and
25 that new variant was an unknown.

1 And so that's why we're considering
2 specifically new variant because we don't have
3 information specifically on it. I mean, everything we
4 don't have information on becomes a subject for this
5 committee.

6 (Laughter.)

7 DR. McCULLOUGH: I'd like to go back to
8 the two different groups of donors. I think if the
9 committee made different recommendations for the
10 plasma donors versus the transfusable product donors,
11 it seems unlikely to me that we would divert donors
12 from one group to the other.

13 They're generally different --
14 fundamentally different groups of donors, and I think
15 there's very little cross over back and forth between
16 those groups is point number one. And point number
17 two, that even if blood centers decided to start to
18 generate most of their plasma for fractionation by
19 plasma pheresis, they really aren't set up to do that.

20 The equipment is limited and the economics
21 are marginal with volunteer donors. And so I think
22 that the concern that we might divert donors from one
23 group to the other is probably not a practical one.

24 CHAIRMAN BROWN: Dr. Epstein.

25 DR. EPSTEIN: Well, two comments, first on

1 this point. To prevent diversion, what we would do or
2 could do is to recommend that if a donor of blood
3 components for transfusion is identified to have this
4 risk, that that donor's plasma not be distributed as
5 recovered plasma for fractionation.

6 That could operate coincident with a
7 system where source plasma donors aren't asked that
8 question. So you'd have no diversion, but you'd still
9 have two different systems operating. And I think
10 that's the way we would reconcile it to prevent, you
11 know, diversion.

12 Back to the point of consistency among
13 studies of partition during fractionation. FDA has
14 seen a second complete data set from one of the
15 fractionators with experiments that were designed
16 similar to the ones that Drs. Brown and Rohwer
17 organized and those data were entirely consistent.

18 They, of course, suffer from similar
19 limitations. As Dr. Prusiner said, you're using a
20 particular type of spike obtained in a particular way.
21 It's artificial compared to natural infection.

22 But still, if you look at the logs
23 clearance at highly specified steps of processing, the
24 consistency was near absolute in the two different
25 experiments. Now those data are not public.

1 CHAIRMAN BROWN: Bob.

2 DR. ROHWER: But I would also like to make
3 perfectly clear that I would not propose intentionally
4 ever challenging the plasma fractionation with blood
5 from new variant CJD cases just because you didn't
6 know what else to do with it.

7 That is not my intent. It's just that
8 there is an additional margin for error in any
9 refinement process or margin of safety. Whether it's
10 absolute or not is still open to additional
11 verification.

12 CHAIRMAN BROWN: Yes.

13 DR. EWENSTEIN: I was wondering whether
14 there were other data, the IV Ig processing as well,
15 the other high risk recipient group.

16 DR. ROHWER: There is for the Nietschman
17 Kissler process. We've presented that several times
18 now and we're preparing that for publication. This is
19 a process that's used by the Swiss Red Cross for
20 making IV Ig.

21 And again, we saw, oh, four to six logs of
22 removal at several steps in that process.

23 CHAIRMAN BROWN: The committee seems to
24 have run out of gas on this rather early. I hope not.

25 DR. LEITMAN: I have a different question.

1 CHAIRMAN BROWN: Yes. I'm sorry, where
2 are we?

3 DR. LEITMAN: I'm over here, Dr. Brown.

4 CHAIRMAN BROWN: Oh, sorry.

5 DR. LEITMAN: We seem to be extrapolating
6 the partitioning data of classical CJD -- the agent of
7 classical CJD to the agent of new variant CJD. That
8 may or may not be okay.

9 I'd like to ask Dr. Prusiner if we can at
10 all extrapolate the lack of transmissibility through
11 blood components of classical CJD agent to new
12 variant?

13 DR. PRUSINER: I don't know that I'm
14 qualified to answer this. I can only tell you that
15 the little bit of work that we've done now on new
16 variant CJD says that it is a dramatically different
17 strain of prion. That means that the confirmation of
18 PRP scrapie is dramatically different than anything
19 else we've studied.

20 So let me give you an example. We've
21 looked at 40 different cases of sporadic CJD, and we
22 know that there's several different confirmations
23 there at least. And all of these are transmissible in
24 about 200 days to either mice that have a human PRP
25 gene or have a chimeric mouse human PRP gene.

1 If you look at new variant CJD, it takes
2 more than 500 days and only about 60 percent of the
3 animals get sick. Now, as I said before, if we take
4 new variant CJD and we passage it into a mouse that
5 expresses a bovine PRP gene on a null background, then
6 all the mice are getting sick in 240 days.

7 The piece of data I don't have that you
8 want is you want to know if I take sporadic CJD or
9 familial CJD cases and passage those into mice with a
10 bovine PRP gene, do they get sick? And the answer is
11 I don't know yet.

12 But clearly, when we look at mice with
13 human and chimeric mouse human PRP genes and we
14 inoculate those with new variant CJD, the mice are
15 very resistant. And there's a little bit of data from
16 John Collinge, which has been published, which is in
17 agreement with those findings.

18 Then if we take this and inoculate it --
19 these inocula from new variant CJD, inject them into
20 mice with a bovine PRP transgene, they get sick. So
21 that says that it's dramatically different than
22 anything else that we've seen that comes from humans.

23 CHAIRMAN BROWN: But what I think Susan
24 really wants to know is if you took new variant CJD
25 and inoculated it into humanized mice, and then took

1 the blood from those mice and put it into a further
2 group of humanized mice, would it transmit disease as
3 opposed to the bovine transgenic or any of the other
4 transgenics?

5 DR. PRUSINER: And the answer is I don't
6 know. But I think there's another lesson. I mean, I
7 agree that the work that you and Bob have published is
8 most interesting. But there have been a lot of
9 studies where people have taken blood -- so these are
10 mice that are intracerebrally or hamsters
11 intracerebrally inoculated.

12 And then people have gone to try to
13 recover infectivity from various fractions or from
14 whole blood, and this is exceedingly hard to do. I
15 suspect that there are many, many more negative
16 results out there where people were unable to do this
17 than positive ones.

18 And the negative ones, of course, don't
19 get published. In our own experience, which is not
20 huge, we've had very non-reproducible data, which is
21 why we've never published any of it on the recovery of
22 prions from blood.

23 We haven't done yet the experiment you
24 suggest, Paul. I mean, we will do this. But I feel
25 very uncomfortable about the assays for prions in

1 blood. I don't know what's going on. I don't
2 understand. There's a piece of scientific information
3 that's missing there. It's a methodology.

4 CHAIRMAN BROWN: What specifically?

5 DR. PRUSINER: Well, the fact that we get
6 variable results. I'll just give you very quickly our
7 own experience for the congressional record. We did
8 an experiment a number of years ago, and this dates
9 back about three years, with hamsters.

10 And we isolated white cells and plasma,
11 whole blood. And we inoculated white cells into
12 additional hamsters. And these were -- the plasma was
13 taken from animals that had just showed the first
14 signs of clinical illness.

15 And the titers were fairly high. And when
16 we corrected this per gram of protein, we had about
17 10^4 infectious units per gram of protein. So we were
18 like three logs or two logs below brain. And then we
19 tried to repeat this study.

20 We did a very large study taking samples
21 at various times after intracerebral inoculation in
22 the hamster, and then we went through this series of
23 bioassays trying to repeat what we had done and we
24 never found any infectivity the next time.

25 And I don't know what the difference is

1 between the first experiment and the second
2 experiment. And then we did a series of experiments
3 to see whether or not the feicol that we were using or
4 the percol we were using to separate out the white
5 cells or the edta or the citrate -- if any of these
6 were important, and we never figured this out.

7 We saw if we took brain extracts and we
8 added these various chemicals to them, we saw some
9 small decrements in infectivity occasionally, but
10 nothing consistent that would explain why we couldn't
11 reproduce our data.

12 So I feel very uncomfortable that I don't
13 understand this, and so I always look at these blood
14 studies with big question marks. And if you go
15 through an make a table -- I think Bob Rohwer's done
16 this, or you've done it, where you compile all that's
17 available.

18 And I know Hank Barron, who is here -- or
19 was here -- he's done this. Maybe he'd like to speak
20 to this. But you get -- you see that the results are
21 not totally consistent, and I don't understand this.
22 I'm concerned.

23 CHAIRMAN BROWN: Well, if I had
24 experiments that you describe, I'd be uncomfortable as
25 well.

1 (Laughter.)

2 CHAIRMAN BROWN: That in riposte to your
3 comment about being interesting, which I always
4 interpret from you as being as damning with faint
5 praise.

6 I think the explanation for the
7 inconstancy and variability is that you're probably
8 dealing at threshold levels of infectivity. At least
9 I think that's a major contributing factor. I think
10 it's not worth discussing at length, but I will add
11 what has been implied, but not clearly stated, that we
12 have replicated now the experiments in mice two more
13 times with consistent results.

14 Three separate experiments. So I'm much
15 more comfortable with that set of experiments than you
16 were with the hamsters. I will also say, in favor of
17 variability, that our results, in certain respects,
18 are consistent with Bob's work with hamsters.

19 In certain other respects, they differ.
20 It would be very nice to have the hamster work and the
21 mouse work consistent right down the line. They are
22 consistent in terms of the level of infectivity that
23 Bob is finding in hamster blood and I'm finding in
24 mouse blood.

25 And incidentally, the mouse model, for

1 those of you who -- is a human strain of TSE. It
2 happens to be from Gerschman Sträussler and it's a
3 mouse adapted strain. Bob is using the typical
4 ~~serapie~~, high titre, 263K strain.

5 Irrespective of the two strains, the level
6 of infectivity in the blood is consistent. It's ten
7 to 20 infectious units per ml of blood. Where we
8 differ dramatically is that in the mouse model, IV
9 transmissions are fairly commonplace.

10 They're not as commonplace as
11 intracerebral transmissions when you put blood in the
12 brain, but we got a lot more than we bargained for.
13 Whereas, Bob's hamster experiments, he has, I guess,
14 still just a single transmission out of somewhere of
15 50 -- between 50 and 100 attempts.

16 Granted, there are certain technical
17 differences, but that's an illustration of the fact
18 that two different rodent models can, in fact, differ.
19 And we're not going to solve that today. I mean,
20 that's biology.

21 Yes.

22 DR. BELAY: How do you compare the
23 clearance process of the different fractionation
24 states? Is there more clearance at the first -- at
25 the last fractionation state compared with the first

1 one, for example?

2 CHAIRMAN BROWN: Well, I can talk about
3 just a simple Cohn fractionation, yes. It's a
4 cumulative thing. I mean, each precipitation builds
5 on the previous precipitation. Cryoprecipitation
6 leaves a precipitate in the supernate.

7 The supernate is then reprecipitated and
8 you get fraction one, two, three. It's a little more
9 complicated than that. By the time you get down to
10 four or five precipitations and albumin, you'll just
11 about run out of infectivity even when you started
12 with ten to 20 infectious units per ml.

13 That's just a physical following of this
14 infectious agent with precipitate. And that's
15 consistent. We know that years and years and years of
16 all kinds of experiments that have nothing to do with
17 blood have consistently shown that precipitation tends
18 to take out this infectious agent.

19 . Yes, Blaine.

20 DR. HOLLINGER: I think you bring to mind
21 one of the concerns that I always have about using
22 mouse adapted models and other things, which may not
23 be equivalent to natural disease. It could be
24 concentrations of virus much more than what we see
25 naturally.

1 And, I mean, we see this with albumin,
2 which was supposed to be very -- which is very safe.
3 But you can overwhelm the system by putting in lots
4 and huge concentrations of virus and end up with an
5 albumin product that will transmit hepatitis B, for
6 example.

7 Has anyone, Paul -- anyone here. Has
8 anyone done any experiment -- I mean, the BSE problem
9 has been down now around since 19, what, '83 and
10 patients have been around since maybe '93 or '94. Has
11 anyone done any experiments with just calves that are
12 infected taking whole blood from calves and infecting
13 other calves?

14 They don't have to come from -- they can
15 be calves from another source where there would not be
16 any disease, but infected those to see about
17 transmission of this disease through whole blood. It
18 seems like that's a natural experiment that would be
19 relatively easy to do.

20 CHAIRMAN BROWN: Not easy to do. It is a
21 natural experiment. It's on test, as I understand it,
22 at Weybridge in the United Kingdom. And the calves,
23 so inoculated, are still on test. Calf blood has been
24 injected into mice so that you've got a species
25 barrier.

1 That hasn't worked. And the calf
2 experiment is still incomplete.

3 If there's anybody from the UK that has
4 more up to date or correct information, that's as far
5 as I know. So yeah, you're right. I mean, that was
6 an obvious thing to do.

7 One of the problems is people didn't get
8 interested in blood until a little bit later than they
9 should have. And as you know, in this country,
10 although we've been interested in a timely way, we've
11 bene unable, due to the prudence of the USDA, to work
12 with it.

13 Bob.

14 DR. ROHWER: Paul, it seems to me that the
15 issue before us is to decide first whether we want to
16 make a distinction between blood for use in directly
17 transfusable products versus pooled products. And
18 then if we decide we're not going to make that
19 distinction, then we can move on.

20 CHAIRMAN BROWN: Is the committee -- Ray.
21 And then after you say something, I'll ask the
22 committee if they're ready to take a vote on whether
23 or not we recombine, in spite of Jay's best efforts,
24 both questions into a single question.

25 Ray.

1 DR. ROOS: I wasn't -- we've seen several
2 times this figure that Steve Nightingale showed of the
3 issue of the dangers to our blood supply and the
4 risks. And I got a little confused with respect to
5 transfusable components versus pooled products and how
6 that figure related to those two different groups.

7 You know, we've spoken a little bit about
8 issues related to safety of those two groups, the risk
9 of those two groups, but I'm not quite clear about the
10 availability and whether the -- whether we should lump
11 them together.

12 CHAIRMAN BROWN: Yes, that's a good point.

13 Marian, why don't you defend -- or not
14 defend, but clarify that. The data that went into
15 your figure is based on what group?

16 MS. SULLIVAN: Based on whole blood
17 collections, whole blood and red cell supply and
18 demand. And of course, the products -- our data
19 include -- our other data include components that are
20 made from those whole blood donations and also
21 pheresis -- specific pheresis donations.

22 But the figure --

23 CHAIRMAN BROWN: But it's based on whole
24 blood --

25 MS. SULLIVAN: -- that we're talking about

1 is whole blood and red cells.

2 CHAIRMAN BROWN: -- donors rather than
3 apheresis donors?

4 MS. SULLIVAN: Usually considered to be a
5 good indicator of available supply.

6 CHAIRMAN BROWN: No, but is that correct?
7 That is, this data is based on a population of whole
8 blood donors?

9 MS. SULLIVAN: That's correct.

10 DR. ROOS: So what can I derive with
11 respect to these pooled products? Do we know about
12 their availability and what's anticipated for the year
13 2000?

14 MR. REILLY: Jim Reilly with ABRA.

15 We didn't publish the way that Marian did,
16 but we recently collected some data which gives us
17 some insight, but not absolute, definitive numbers on
18 supply. First, there is, as probably everyone is
19 already aware, a fairly substantial shortage of
20 immunoglobulin.

21 Most of that is a bottle neck at the
22 plant, but there is a very delicate supply and balance
23 between source plasma supply and the fractionation
24 capacity. Last year our estimates are that we were
25 down about 13 percent overall.

1 And so for this year, it's just anecdotal,
2 but it would suggest that we are probably down a
3 little bit to even with last year. So we are in a
4 very precarious balance and supply situation right
5 now.

6 CHAIRMAN BROWN: Jay.

7 DR. EPSTEIN: Well, Bob, if I could
8 comment though, is it not true that only half of the
9 source plasma collected ends up in U.S. products? In
10 other words, roughly -- there's roughly twice as much
11 plasma is collected for fractionation than is utilized
12 for U.S. products.

13 Worldwide, I recognize that there's still
14 a shortage and that, you know, you meet needs of
15 international customers. But still it remains true
16 that the U.S. supply of plasma for fractionation is
17 twofold greater than the U.S. consumption for U.S.
18 use.

19 MR. REILLY: Yes. I don't recall off the
20 top of my head whether it's half, but it is clearly in
21 excess, yes.

22 DR. EPSTEIN: But vastly in excess
23 compared with the situation of collection versus
24 demand for --

25 MR. REILLY: Yes, Jay.

1 DR. EPSTEIN: -- blood component.

2 CHAIRMAN BROWN: At the microphone and
3 then Dr. Sayers.

4 DR. DAVEY: This is a comment about
5 recovered plasma or whole blood derived plasma. All
6 of that material is used for U.S. consumption
7 essentially. And I think if we are considering a
8 deferral for that particular material that's going for
9 further manufacture, the committee should consider the
10 problem of post donation information.

11 We, at least in the Red Cross, often hear
12 back from our donors days or weeks after a donation
13 that there's some information that they forgot to tell
14 us or whatever that impacts on how we handle those
15 products that have already been obtained and perhaps
16 sent for further manufacture.

17 So we will hear from donors that -- of the
18 millions that we have, that gee, I forgot I was in the
19 Army in England for a year or something or other. And
20 we are going to have to deal with that information
21 then in terms of market withdrawals.

22 Perhaps that plasma has gone into a big
23 pool that has been manufactured into Factor VIII, IV
24 Ig, whatever, material that's in very short supply.
25 So post donation information has to be considered,

1 especially with its impact on the blood supply.

2 CHAIRMAN BROWN: Jay.

3 DR. EPSTEIN: Well, the committee voted in
4 December that there should not be derivative
5 withdrawals based on post donation information related
6 to residence or travel in the UK, and the FDA has
7 accepted that recommendation.

8 So I don't think that scenario presents
9 itself.

10 CHAIRMAN BROWN: Dr. Sayers.

11 DR. SAYERS: Thanks, Paul.

12 I just wanted to say something about
13 availability now that we've gone onto that. And it
14 looks as if, judging by the way some of the
15 conversation has gone, that the committee might end up
16 with trying to make a decision about how much
17 additional deferrable is tolerable against the
18 background of this relative inelasticity of the
19 nation's blood supply.

20 And I think cynics could reasonably argue
21 that that's just making some sort of token concession
22 to this issue. But I'd hate the committee to come up
23 with some decision about what is tolerable in terms of
24 a deferral rate if they assume that some of the other
25 comments about the availability of additional donors

1 are indeed true.

2 And the comments that I'm referring to are
3 the fact that one could be pardoned for thinking that
4 ~~the~~ first time donor who is now a lapsed donor is
5 somebody that could easily make good for any
6 additional deferral that CJD criteria would
7 superimpose on the nation's blood supply.

8 I mean, that idea flies in the face of
9 what has been an incredibly aggressive attempt to
10 recruit former donors, lapsed donors, recent donors,
11 donors of any marking whatsoever. Community blood
12 programs' attempts to recruit have been, as I say,
13 aggressive.

14 What we're understanding is that part of
15 the reason why those attempts are failing and part of
16 the reasons why we see those two lines on that graph
17 that Steve Nightingale intersecting -- part of the
18 reason for that is that the whole donation process has
19 become so alienating.

20 I mean, donors now find themselves
21 spending twice as long during the donation process as
22 they spent as recently as five years ago. Donors find
23 themselves being given health information history
24 which they very correctly perceive to be in total
25 contradistinction to how they feel about themselves.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 Donors find themselves being deposed.
2 They find themselves involved in lawsuits. They find
3 themselves being sent off to their physician and then
4 incurring costs in terms of understanding what the
5 health implications for some of the information is.

6 And I heard you say, Paul, that this is an
7 issue of education. It certainly is. But it's not
8 been against the background the blood programs have
9 been less than resolute in attempting to apply this
10 education.

11 The problem really boils down to this:
12 when you tell a donor who has been deferred for any
13 number of a whole host of reasons tied up with non-
14 specificity that he or she can no longer donate, but
15 you give that individual the reassurance that you're
16 satisfied that he or she is healthy, when that donor
17 comes back with an astute comment like "well, if I
18 really am healthy, Doctor, why can't I donate," and
19 you have no answer to that, then no amount of
20 education is really going to be successful.

21 So I'd hate to think that this is going to
22 come down to a decision about how many more donors can
23 we defer, assuming that it's going to be easy to make
24 up that deficit.

25 CHAIRMAN BROWN: Yes, Stan.

1 DR. PRUSINER: I'm really uncomfortable
2 with these arguments that you just made. In fact, I'm
3 exceedingly uncomfortable because to end the
4 conversation with the patient by saying what you just
5 said is just not accurate.

6 There are large numbers of answers. I
7 mean, we went through this at the University of
8 California and a whole set of discussions with a
9 committee to try to set a policy. And the fact is
10 that there's a lot of scientific information, and then
11 there are a lot of clear unknowns.

12 And the unknowns have to be clearly stated
13 to the patient. And for you to stand there and say
14 what you just said I think is unfair to the committee,
15 it's unfair to the population of the country, and it's
16 really not accurate.

17 CHAIRMAN BROWN: We're warming to the task
18 now.

19 DR. SAYERS: Let me blow some air on the
20 embers, then.

21 (Laughter.)

22 DR. SAYERS: I'm mindful of what Dr. Tabor
23 had to say about how we should accurately define
24 "donors." And as an immigrant to this country from
25 the UK, I think I can reasonably define myself as a

1 variant UK donor.

2 That aside, would that the donors that we
3 deal with whose health history is significantly
4 impacted by what is tantamount to the largest public
5 health exercise in the world -- I mean, 40,000 people
6 a day get tested by six or seven markers of infectious
7 disease.

8 They get tested for markers of infectious
9 disease like HTLV that the American College of
10 Obstetricians and Gynecologists doesn't even regard as
11 something which should be part of a pregnant
12 individual's antenatal workup. And yet, we have to
13 give those donors, if they're reactive in that assay,
14 advice about whether they should be breastfeeding or
15 not.

16 Now, these are not responsibilities that
17 we have taken willingly or enthusiastically, but our
18 issue really is that the donor's understanding -- his
19 or her perception of what constitutes good health --
20 is not a perception based on the incredible insights
21 and understandings that the pooled members of this
22 group can represent.

23 To say that my remarks do a disservice to
24 the donors, or to the committee, rather, without
25 elaborating on it, I would have to say that any

1 deferral of donors, for reasons that are not rooted in
2 science and for reasons that can securely steer us
3 away from a further erosion of the blood supply, any
4 decisions made on that basis are going to be a
5 disservice to the three or four million transfusion
6 recipients that we have to be concerned of annually.

7 CHAIRMAN BROWN: Okay. That's a pro and
8 con.

9 Before we have any further discussion, I
10 would like to ask the committee if they would be
11 prepared to vote on the following question. Is our
12 current knowledge insufficient to permit us to vote
13 separately on questions 1 and 2? And is that -- I
14 think this is the sense of one of the avenues of
15 discussion that has occurred this afternoon.

16 Do we really know enough to be able to
17 make this distinction, to be able to distinguish
18 between risks from question 1 and question 2? So
19 would the committee like to vote on whether, once
20 again, to combine these into a single consideration of
21 donor deferral -- blood donor deferral? All bets off,
22 just no further distinction than that? Yes?

23 DR. BURKE: My question bears directly on
24 that, and it's for Jay. And could you please review
25 any precedents that there are for deferrals that are

1 -- where that's differentiated already, where there
2 are FDA precedents for taking one class of donors and
3 saying they're deferred for exactly the same age and
4 then not deferring them in another donation setting.

5 DR. EPSTEIN: Yes. We currently screen
6 donors of transfusable components for the anti-core
7 marker for hepatitis B. We do not screen source
8 plasma donors for manufacture of derivatives for that
9 marker. We currently screen donors of transfusable
10 components for antibodies to HTLV. We do not screen
11 source plasma donors for markers of HTLV.

12 We do recommend, however, that if
13 recovered plasma is obtained from an HTLV positive
14 donor that it not be sent for fractionation. However,
15 we do not prevent releasing anti-core positive plasma
16 as recovered plasma for fractionation.

17 And then, as was mentioned earlier, we
18 defer donors of transfusable components if they have
19 risk factors for malaria, and we do not screen them,
20 nor do we interdict recovered plasma based on risk
21 factors for malaria.

22 DR. BURKE: So in every case where there
23 is this exception, it's on the assumption that the
24 agent poses less of a risk and is inactive -- and can
25 be inactivated in the pools.

1 DR. EPSTEIN: Absolutely. That has always
2 been the guiding principle.

3 DR. BURKE: So the issue of having it as
4 a pool, and, therefore, putting a greater number of
5 people at risk is not a precedent so far.

6 DR. EPSTEIN: Well, as I tried to say
7 earlier, we could avoid that situation by adopting the
8 posture we have for HTLV, which is that if you're
9 screening the donor of transfusable components, and
10 you have a risk factor based on exposure in the UK,
11 that you would then interdict the recovered plasma.
12 So you wouldn't fractionate it or transfuse it.

13 So we don't have to cause a situation
14 where we have divergence. But at the same time, you
15 could have the policy where you are not screening the
16 source plasma donor for that history.

17 CHAIRMAN BROWN: Let me, Blaine, say
18 something, because the committee is starting to go
19 around in circles, which we often do at these meetings
20 at some point in the afternoon.

21 I think we have imperfect -- very
22 imperfect scientific knowledge on which to make any
23 decision we are going to make today. We do have a
24 couple of pieces of information that bear on this
25 distinction.

1 In animal models -- rodent models -- we
2 know that most of the infectivity is in the white cell
3 component and comparatively less is in plasma. In
4 rodent models, we know that it takes at least five
5 times more infectivity to produce an infection when
6 given IV than when given IC; that is, intracerebral.
7 This means that a dilution effect in pooling can
8 operate.

9 Yes, go ahead.

10 DR. PRUSINER: Did you say five times or
11 10^5 times?

12 CHAIRMAN BROWN: No, no. Five. Five.
13 Five.

14 DR. PRUSINER: All right.

15 CHAIRMAN BROWN: Just five. Not very much
16 but enough so that when you do the arithmetic you find
17 that the likelihood of having five intracerebral
18 infectious units in a single vial of product is very
19 low, much -- I mean, phenomenally lower than if you
20 had just one infectious unit -- was enough.

21 So pooling and its dilution effect, with
22 respect to getting five IC infectious units together
23 in a single dose, is a real thing and it's a
24 safeguard. On the other hand, it is in rodents. It
25 has only been demonstrated twice, two independent

1 experiments. And it's in a model which is not new
2 variant CJD.

3 I mean, this is where I'm talking about
4 imperfect. We go two or three steps back.

5 Robert?

6 DR. ROHWER: Paul, I would encourage us
7 not to invoke the pooling argument because I strongly
8 disagree with it and do not feel that that's likely to
9 be playing a role. And we could go on and on about
10 it, and try to resolve it here, but it is a technical
11 issue that it is possible to take two different
12 positions on it. And I don't think it's possible to
13 resolve it here, so I don't think it should be
14 invoked.

15 I think we should consider the -- it is a
16 worst case situation that if you take a 10^4 infectious
17 units and disperse them into a pool, you have the
18 potential of distributing that to 10^4 individuals
19 ultimately in separate product units.

20 And I'd rather work from that point of
21 view. If there's any value or any safety that can be
22 taken from plasma, it's from the refinement process
23 itself. But I do agree with Stan that we've only
24 looked at a couple of different processes by a couple
25 of different models. It's not a closed situation.

1 And I certainly myself would not be in
2 favor of invoking that as a reason for making this
3 choice. I think we'd have -- it's more important to
4 look at this from the standpoint -- really, from the
5 same standpoint that -- well, actually, the British
6 didn't use that rationale, but we all thought they did
7 at first. But the idea that the directly transfusable
8 products expose far fewer people than pools may expose
9 and make the decision on that basis.

10 CHAIRMAN BROWN: Well, it's just -- you
11 know, it's --

12 DR. ROHWER: There's no distinction.

13 CHAIRMAN BROWN: Yeah. Right. I don't
14 disagree that it's arguable. I don't know how you
15 argue against data but you do. My point then goes
16 back to the original proposition, let's assume we
17 don't know a damn thing.

18 You're telling me that the pool dilution
19 argument is arguable. The partitioning of infectivity
20 in blood is arguable. The relevance of spiking
21 experiments is arguable. The appropriateness of
22 rodent models is arguable. Do we have enough
23 information to warrant considering questions 1 and 2
24 separately? That's the first question. Can we take
25 a vote on that?

1 If people think we have enough information
2 to consider question 1 apart from question 2, let's
3 get on with it. If we don't, let's combine them and
4 simplify our lives.

5 DR. ROHWER: Right.

6 DR. ROOS: Well, the two things we know
7 is, as Bob says, if there's 10^4 infectious units in
8 the pool, we have the possibility of infecting a
9 thousand people versus 10^4 in one sample. And the
10 other thing that I think --

11 CHAIRMAN BROWN: That's what I argued
12 with. But go ahead.

13 DR. ROOS: No. Really, the infectious
14 unit is defined by an intercerebral infectious unit.
15 If you need five of them together when you give it
16 intravascularly, then you're not going to get it if
17 you dilute out to one in a million. You'll never get
18 five in one vial. Well, I --

19 CHAIRMAN BROWN: That's what we don't want
20 to discuss here.

21 DR. ROOS: Okay. The second thing that I
22 -- well, there are issues related to those issues and
23 the different routes. I guess the other thing that I
24 think I heard was -- from Jay was that, in fact, we
25 have enough pooled plasma derived products in the

1 United States -- that is, that the issue of risk of
2 shortage in the United States seems not to be present
3 in the pool derived products but certainly is present
4 in the transfusable components. There's a different
5 issue of availability of these two that I think also
6 makes them different.

7 CHAIRMAN BROWN: Okay. That's a good
8 point.

9 DR. LEITMAN: Could I object to that?
10 There is a great difficulty getting IV Ig. No matter
11 what the manufacturers may say, we've had to cancel
12 protocols because our pharmacy is unable to get IV Ig
13 for new experimental IND -- you know, IRB approved
14 indications. You can barely get it for the approved
15 indications.

16 And if you speak to patients and consumers
17 who use the IV Ig, such as those on the BPAC
18 Committee, they are very concerned about any
19 additional deferrals on donors based on that.

20 CHAIRMAN BROWN: Is this going to be
21 passionate, Larry?

22 DR. SCHONBERGER: Yes. I was just going
23 to suggest that we keep the issues separate. I think
24 that each of these questions raise different issues.
25 They do not necessarily mean that an individual would

1 have to change the criteria for 1A versus 2A. But the
2 vogue will be based on different issues that they're
3 weighing. And I think we could move on and just --

4 CHAIRMAN BROWN: Okay.

5 DR. SCHONBERGER: -- proceed to go with
6 the way Jay had had it.

7 CHAIRMAN BROWN: Okay. Barbara, we'll
8 hear from you, and then we will, in fact, take a vote
9 on 1A and go on from there.

10 MS. HARRELL: Okay. As a consumer
11 representative, I've sat here and I've listened
12 because I tried to -- I'm probably the only non-
13 scientist on the panel. And I'd just ask my learned
14 colleague a question.

15 CHAIRMAN BROWN: Which one?

16 MS. HARRELL: Is there a --

17 (Laughter.)

18 CHAIRMAN BROWN: No. I'm -- do you mean
19 all of us?

20 MS. HARRELL: Just this one, right here.

21 CHAIRMAN BROWN: Oh. Oh, okay.

22 (Laughter.)

23 CHAIRMAN BROWN: I wasn't being smart. I
24 just didn't know which one you were talking about.

25 (Laughter.)

1 CHAIRMAN BROWN: Go ahead.

2 MS. HARRELL: Well, I asked him the
3 question, was there a deferral -- was there deferral
4 criteria for blood donors for classic CJD for people
5 who have either resided or visited the UK.

6 CHAIRMAN BROWN: I'm sorry. Repeat that,
7 the question.

8 MS. HARRELL: Is there a deferral policy
9 for blood donors to attempt to reduce the risk of
10 transmitting classic CJD for people who either resided
11 or visited the UK?

12 DR. SCHONBERGER: The answer is no.

13 MS. HARRELL: And if there is no risk, if
14 we think that there is no risk of transmitting the
15 whatever to -- for CJD, what makes this different, for
16 new variant CJD much different?

17 CHAIRMAN BROWN: That's the first time,
18 Stan, you'll ever hear of prion referred to as a
19 whatever.

20 (Laughter.)

21 CHAIRMAN BROWN: I mean, I've heard it
22 referred to as a lot of different things. I'm --

23 DR. PRUSINER: You've said that many
24 times, Paul.

25 (Laughter.)

1 CHAIRMAN BROWN: It may be that --

2 DR. PRUSINER: Is that in the
3 Congressional Record?

4 CHAIRMAN BROWN: The issue is not about
5 sporadic CJD. That is the issue we can sort of
6 generically say CJD. Presumably, if the blood from a
7 patient with new variant CJD were infectious, the
8 disease that it would transmit would be new variant
9 CJD. So it's not --

10 MS. HARRELL: Okay. So CJD is not
11 transmitted through the blood is what you're saying?

12 CHAIRMAN BROWN: We have no evidence from
13 looking at populations that that has ever happened.
14 The question is: since we know it can happen when we
15 use experimental models of CJD, we can take CJD blood
16 from one animal and produce the disease in another
17 animal.

18 So there is the "theoretical possibility"
19 that this might also happen in humans, particularly
20 with a different strain of the disease, which new
21 variant is, about which we don't know a whole lot.
22 That's the question.

23 DR. SCHONBERGER: Isn't the answer to her
24 question that the incidence of CJD, REDS, classic CJD,
25 is not influenced by whether or not you've lived in

1 the UK between 1980 and 1996 --

2 CHAIRMAN BROWN: Yes.

3 DR. SCHONBERGER: -- but the incidence of
4 new variant CJD is?

5 CHAIRMAN BROWN: Yes, 40-love.

6 (Laughter.)

7 CHAIRMAN BROWN: Stan?

8 DR. PRUSINER: Maybe, Paul, it would be
9 useful for you or someone else to just summarize what
10 went on in December, the background for this, why new
11 variant CJD may or may not pose a risk to the blood
12 supply, because this all went on in the last meeting.

13 We had all of these consultants come and
14 talk about this, and maybe there are other people at
15 the table who really aren't up to speed on this,
16 because this is really the background piece of
17 information upon which this whole discussion is based.

18 MS. HARRELL: I was here. I've just
19 forgotten. That's all.

20 (Laughter.)

21 DR. PRUSINER: That's fair.

22 (Laughter.)

23 MS. HARRELL: But the other thing is that
24 there has been discussion back and forth, and we
25 really don't have enough data to -- I don't think to

1 make a decision. But I do go along with the Canadian
2 -- Ms. Chan's presentation that in light of -- without
3 having the data, that you take a conservative approach
4 in that you do not wait for the scientific certainty.
5 That as a representative for the community, or for the
6 consumer, that they want to reduce their risk as close
7 to zero as possible.

8 As far as it affecting the blood supply,
9 I think that that is something that may be totally
10 separate that we will have to consider. But first, we
11 don't want anything to come into the country that is
12 not already here. And if there's something that we
13 can do, then we should do that.

14 CHAIRMAN BROWN: Okay, Barbara. I think
15 without further ado -- we're really running out of
16 time, Susan.

17 DR. LEITMAN: Let me return to the
18 apheresis donor issue. There is some level of
19 decrease in -- or deferral of the whole blood donor
20 population that the American blood supply will
21 tolerate. Maybe that's half a percent, one percent,
22 1.5 percent, but it probably could be tolerated.

23 I don't know what the apheresis donor
24 population would tolerate, but we just heard from Dr.
25 Gilcher earlier that that might be as high as a four

1 to five percent or higher deferral of repeat donors.
2 Is that enough of a problem that this committee thinks
3 it might need more information on that population of
4 donors of transfusable products before it started
5 making deferrals based on time spent in another
6 country?

7 CHAIRMAN BROWN: Is the committee ready to
8 vote on question 1A? Bear in mind that the vote on
9 question 1A implies an answer to question 1B, and that
10 if you -- if you recommend that the FDA recommend new
11 deferral criteria, you are automatically obliged to
12 recommend what those criteria should be.

13 DR. ROHWER: Paul?

14 CHAIRMAN BROWN: Yes.

15 DR. ROHWER: I would like to raise one
16 other point before we vote on this, and it's to a
17 remark that Barbara has just made here about getting
18 as close to zero risk as possible. I don't think we
19 should fool ourselves. Whatever we come up with here
20 this afternoon is not going to be anywhere even close
21 to zero risk reduction or zero exposure reduction.

22 It could go all the way to zero in terms
23 of geographical exposure. We're talking about 20, 30
24 percent deferrals, which I don't think is likely to
25 happen.

1 And in any case, no matter what we come up
2 with, we have to recognize that whatever policy we put
3 in, whether tomorrow, next week, or next month, we've
4 been living without that policy for the last 19 years
5 of exposure to this agent. From 1980 to 1999, the
6 period that was in the REDS study travel questionnaire
7 earlier, that's a 19-year period where we have already
8 assumed that exposure.

9 We have already had that exposure. We've
10 already had those donations. We've already had people
11 who have received blood from those donations donating
12 again. That has already taken place.

13 What we're doing here is mitigating
14 further exposure to some extent, and to what extent
15 that is we have no idea, really. And so I don't think
16 we should -- I think we have to keep that in mind.
17 The advocacy of what we're doing here is a little bit
18 questionable in my mind. It seems to me that if we
19 can do something that has very little cost attached to
20 it, we should, but that is the proviso.

21 CHAIRMAN BROWN: Okay. Were you finished
22 or -- yeah.

23 Dean, I just want to say that you could
24 argue the same way, and you're right. But someone who
25 smoked 20 years and is told, "You've smoked 20 years;

1 there's no real rationale for you stopping," I think
2 there is.

3 DR. ROHWER: I agree with that. And I
4 would like to add one other thing, and that is that I
5 have proposed at various times before this committee
6 and various committees that one way to build a
7 firewall between us and our prior exposure, which has
8 the same attributes as the feed ban that was so
9 effective in bringing the -- turning the BSE epidemic
10 around, is to defer donors who have already been
11 exposed, i.e. people who have already received blood
12 and blood products.

13 And the problem with that is I have not
14 been able to get a good sense that that is at all
15 practical. But it is something which I would hope
16 that we could consider at greater length at some time.

17 CHAIRMAN BROWN: The committee should bear
18 in mind that we have exactly two minutes, if we want
19 to remain on schedule, to take votes on 1A, 1B, 2B,
20 and 2A.

21 Dean?

22 DR. CLIVER: One thing I'm not hearing is
23 when we talk about the impact of deferral of, for
24 example, 2A, we can choose to minimize risk, but
25 you've got to be first. And the UK was first. They

1 have already made their decision on this 2A question.
2 In part, I suspect, why we're processing a lot of
3 plasma for -- not to be used in the United States is
4 we're already being outbid for plasma products that
5 are going to the UK.

6 Now, are we prepared to cut off our
7 supply, or diminish our supply, and hope we can outbid
8 them to bring our own stuff back or keep it? This is
9 -- I think we're not supposed to think about
10 economics. But all the same, if you're going to be
11 very conservative on these points, it pays to be the
12 first one to --

13 CHAIRMAN BROWN: Yes. No, I think the FDA
14 has given us carte blanche to consider anything we
15 want to on this particular issue -- economics,
16 tradeoffs, risks.

17 Does the committee want to punt, or do
18 they want to vote? The Chair is finding it a little
19 difficult to refocus this and decide exactly what we
20 should do to try and satisfy the legitimate demands of
21 the FDA for our advice. Yes?

22 DR. PRUSINER: So why don't I just preempt
23 this and say I'd like to make a motion that we vote on
24 1A.

25 CHAIRMAN BROWN: Well, that's what I was

1 going to suggest. Is that -- is the committee
2 satisfied to finally take a vote on this issue,
3 imperfect as the basis for our judgments --

4 DR. LEITMAN: I have one last comment.
5 I've heard Jay Epstein say that there will be no
6 product recall. So whether there is post-donation
7 information, or whether a donor comes in the next
8 donation and then gives the information because
9 they're asked for the first time whether they have
10 ever been in England and they say that they lived in
11 England for half their life, for example.

12 But the previous products or fractionated
13 products are not recalled. So if they're not
14 recalled, it's hypocritical. The whole policy is
15 hypocritical. You prospectively defer, but you have
16 vast amount of product, especially fractionated
17 product, derived from the same donor that you don't
18 recall.

19 If you have such a hypocritical policy,
20 then my conclusion from that is that this is simply a
21 gesture, a public relations gesture, without any
22 scientific data or any perception of real risk by
23 anybody sitting here, without making an across-the-
24 board removal of product from such donors.

25 CHAIRMAN BROWN: I think "hypocritical"

1 probably is too strong a word. It may not be fully
2 logically consistent.

3 DR. LEITMAN: Illogical is --

4 CHAIRMAN BROWN: Okay? Is that better?

5 DR. LEITMAN: Illogical is good enough.

6 (Laughter.)

7 DR. LEITMAN: Yes, Ray?

8 DR. ROOS: I think that a lot of our
9 decisions are based on risk benefits. And if somebody
10 comes in the door and you determine that they are from
11 the UK and you say, "You can't contribute to the
12 pooled blood here," we only lose one donor, whereas if
13 -- so the risk is relatively slight, whereas the
14 recall of a large lot from 50,000 to 100,000 people,
15 because of that one donor that's knocked through,
16 there's an enormous burden that we pay for it.

17 So I don't really find it hypocritical.
18 I think it's trying to sort out the whole risk benefit
19 issue here.

20 CHAIRMAN BROWN: I agree. We're starting
21 to vote, and we'll start with Larry. Hold on. All
22 right. The question is: should FDA recommend new
23 deferral criteria for donors of transfusable
24 components, to attempt to reduce the theoretical risk
25 of transmitting new variant CJD from transfusions

1 based on donor exposure to BSE in the UK?

2 DR. SCHONBERGER: Yes.

3 CHAIRMAN BROWN: Incidentally, just to
4 remind the committee, it is possible to vote punt;
5 that is to say, you can vote yes, no, or no vote --
6 abstain.

7 DR. HUESTON: Well, for my own benefit, I
8 suppose, to walk through the logic -- and maybe for
9 the benefit of Barbara because I think she raises a
10 good point about how we proceed -- we have a situation
11 with a small number of known cases of variant
12 Creutzfeldt Jakob, all but one of which are in the UK.

13 However, we know there is a potential for
14 widespread exposure to BSE that has already occurred.
15 Therefore, we expect more cases, but we really don't
16 have a good idea of the magnitude of the epidemic that
17 we're going to expect.

18 Part number 2 says, "While there is no
19 known whole blood or blood product transmission of
20 classical CJD in humans, variant Creutzfeldt Jakob
21 differs substantially from classical CJD." So we
22 recognize that there is the potential for transmission
23 of some of the transmissible spongiform
24 encephalopathies via blood, albeit controversial

25 We have an animal model, and we can

1 identify infectivity in lymphoid tissues with variant
2 Creutzfeldt Jakob, which is different from classical
3 Creutzfeldt Jakob.

4 At the same time, it has been pointed out
5 many times by a number of people that there have been
6 no observed risk -- or no observed cases at this point
7 of transfusion or blood product related variant
8 Creutzfeldt Jakob cases in the UK. I think that's a
9 little premature. One might say the absence of
10 evidence is not evidence of absence.

11 At the same time, there are look-back
12 studies in place in the UK, and there is a natural
13 experiment -- a huge natural experiment ongoing in the
14 United Kingdom, where if, in fact, there is a risk, I
15 believe that the risk will first be apparent in the
16 United Kingdom far before we would see it anywhere
17 else.

18 At the same time, in looking at the
19 precautionary principle --

20 CHAIRMAN BROWN: Is this the preamble for
21 a vote?

22 DR. HUESTON: Yes, sir. You got it.

23 (Laughter.)

24 DR. HUESTON: If our goal is to be
25 precautionary, but at the same time we have to

1 preclude having more negative impacts for any action
2 that we take, then positive -- in other words, impacts
3 on the blood supply. And I have struggled through the
4 whole time, but I'm going to vote no at this time.

5 CHAIRMAN BROWN: Could I urge the
6 remaining members of the committee --

7 (Laughter.)

8 CHAIRMAN BROWN: -- to vote rather than --
9 I appreciate it, and I let Will, you know, chatter on
10 because he hasn't said a whole lot, and I wanted to
11 hear what he had to say. And so thank you, but we'll
12 never get through if we continue to explain the
13 reasons for our votes, each one and all. So, Susan?

14 DR. LEITMAN: I take the opportunity to
15 disagree with what you just said. I think the vote at
16 this table is so critical, it will have such a huge
17 impact potentially on the way America collects its
18 blood, that if we go beyond our designated time it's
19 worth it.

20 And I was influenced, and it was helpful
21 to hear the last speaker's discussion. So I think if
22 any of us have discussions or points to mention now,
23 they might be valuable.

24 The deliberations of this committee are
25 among the most difficult of any advisory committee