

U.S. Department of Health and Human Services
 Public Health Service
 Food and Drug Administration
 Center for Biologics Evaluation and Research
 Vaccines and Related Biological Products
 Advisory Committee

Wednesday,
 15 September 1999

The meeting took place in Versailles Rooms I and II, Holiday Inn, Wisconsin Avenue, Bethesda, MD, at 8:00 a.m., Harry B. Greenberg, M.D., Chair, presiding.

Present:

- Harry B. Greenberg, Chair
- Nancy Cherry, Executive Secretary
- Adaora Adimora, M.D., Member
- Robert S. Daum, M.D., Member
- Kathryn M. Edwards, M.D., Member
- Mary K. Estes, Ph.D., Member
- Walter L. Faggett, M.D., Member
- Barbara Loe Fisher, Member
- Diane E. Griffin, M.D., Ph.D., Member
- Alice S. Huang, Ph.D., Member
- Kwang Sik Kim, M.D., Member
- Dixie E. Snider, Jr., M.D., M.P.H., Member
- David S. Stephens, M.D., Member
- Robert Breiman, M.D., Invited Participant
- George Carlone, Ph.D., Invited Participant
- L. Patricia Ferrieri, M.D., Invited Participant
- Emil Gotschlich, M.D., Invited Participant
- David Karzon, M.D., Invited Participant
- Bradley Perkins, M.D., Invited Participant
- Dr. Carl Frasch, FDA Representative
- Dr. John Donnelly, Industry Presenter
- Dr. Peter Fusco, Industry Presenter
- Dr. Robert Ryall, Industry Presenter
- Dr. Dace Medor, Public Comment

123
 15 SEP 21 13 25

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

OPEN

NEAL R. GROSS
 COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

A-G-E-N-D-A

Page

Session 7: Use of Immunologic Surrogates for
Demonstration of Protective Efficacy of Meningococcal
Conjugate Vaccines - Open Session

Call to order and announcements, Dr. Harry Greenberg	3
Introduction to the Topic, Dr. Carl Frasch, FDA	4
Epidemiology of Group C Meningococcal Disease in the U.S. and Other Countries, Dr. Bradley Perkins, CDC	6
Correlation of Bactericidal Antibody with Protection against Meningococcal Disease, Dr. Emil Gotschlich	26
Standardized and Validated Immunoassays for Group C Measurement of Group C Polysaccharide Antibodies for Bactericidal and ELISA, Dr. George Carlone, CDC	65
Presentation by Connaught, Robert Ryall	102
Presentation by Chiron, John Donnelly	115
Presentation by North American Vaccine, Peter Fusco	133
FDA Presentation, Dr. Carl Frasch, FDA	142
Open Public Hearing	
Reintroduction of the Questions, Dr. Carl Frasch, FDA	166
Committee Discussion of the Questions	167

P-R-O-C-E-E-D-I-N-G-S

8:00 a.m.

DR. GREENBERG: Can we take our seats. If people take their seats, we can get started. We have a full agenda today and lots of people that need to get to the airport so I would like to get started.

One announcement that I have is for those of you on the panel who need cabs, some of you have found out that the red phone in the lobby is next to useless. If you could speak to Denise at half time, she can make a reservation for a cab if you need it. Any other announcements?

MS. CHERRY: The only thing I know is that I've heard that Dr. Eickhoff will not be joining us at all at this meeting.

DR. GREENBERG: Okay. That's a loss. Okay. I don't have any other announcements so we'll proceed to the first topic. This is Session 7, Use of Immunologic Surrogates for Demonstration of Protective Efficacy of Meningococcal Conjugate Vaccines.

Now, as you know, the short year or two that I've been on this panel, the question of surrogates and their use as correlates of protection is one that continues to surface and befuddle us. I am hoping that in the world of meningococcus it will look a

1 little clearer. Carl Frasch will introduce the topic.

2 I would like before we get started again
3 remind the speakers if they can be as brief as
4 possible, clear, and leave some time for questions
5 within their allotted time.

6 DR. FRASCH: Okay. Regarding brevity I will
7 try to be. As you know, the subject of today's
8 presentation is the Use of Immunologic Surrogates for
9 Demonstration of Protective Efficacy of Meningococcal
10 Conjugate Vaccines.

11 The reason we are here today to discuss this
12 is because with the development of the hemophilus
13 meningococcal conjugate vaccine, for example, interest
14 in other kinds of vaccines have increased greatly.

15 We have pneumococcal, meningococcal, group
16 B streptococcal. Therefore, the interest is high.
17 The FDA fully anticipates getting applications for a
18 meningococcal conjugate vaccine of some kind probably
19 within -- maybe within the next two years.

20 Therefore, in order not to give any
21 misinformation to companies that would be interested
22 in approaching CBER, we would like to bring this
23 information before the advisory committee today.

24 Therefore, the purpose of today's meeting is
25 to discuss the ability to use immunologic correlates

1 to demonstrate the effectiveness of meningococcal
2 conjugate vaccines for the purpose of licensure.

3 A number of aspects relating to protection
4 need to be considered: (1) meningococcal epidemiology;
5 (2) nature of the antibody response; (3) how
6 antibodies are correlated with protection.

7 Now, these aspects relating to protection
8 will be covered in the following presentations.
9 First, Dr. Bradley Perkins will present information on
10 the epidemiology of group C meningococcal disease in
11 the United States. And then relate that to what we
12 know about epidemiology in some other countries.

13 Dr. Emil Gotschlich based upon his
14 pioneering work with Goldschneider and Company on
15 building some of the very first correlates of
16 protection against meningococcal disease. We will
17 discuss correlation of bactericidal antibody for
18 protection against meningococcal disease.

19 Next, to show that we have really been
20 working on invitro assays, both functional and
21 binding, for a number of years Dr. George Carlone has
22 come up from CDC to discuss standardized and validated
23 immunoassays to major group C polysaccharide
24 antibodies using bactericidal and ELISA methods.

25 Now, to show the advisory committee that

1 there is indeed interest within the vaccine
2 manufacturers with group C or ACYW135 conjugate
3 vaccines, we have invited presentations from
4 manufacturers and we have received presentations from
5 three of them. The first one is Pasteur Merieux
6 Connaught. Dr. Robert Ryall will present for them.
7 Second is the Chiron Corporation. Dr. John Donnelly
8 will present for them. Then North American Vaccine
9 and Dr. Peter Fusco will present for North American
10 Vaccine.

11 However, this is not the entire list of -
12 companies that are working on meningococcal conjugate
13 vaccines. We will hear more about that in a little
14 while.

15 Therefore, with this brief introduction, I
16 would like to introduce our first speaker, Dr. Bradley
17 Perkins who will present the epidemiology of group C
18 meningococcal disease.

19 DR. PERKINS: The first slide, please.
20 Thank you, Carl. It's a pleasure to get to address
21 this subject today. In the time allotted I'm just
22 going to have an opportunity to give you a thin veneer
23 about the epidemiology of meningococcal disease.

24 I caveated my assigned title slightly to
25 indicate that it's really not possible to talk about

1 the epidemiology of serogroup C meningococcal disease
2 in a vacuum without considering the other groups. I'm
3 just going to hit a couple of items on the
4 epidemiology in other countries that I think are
5 particularly relevant to our discussions today.

6 This graph shows surveillance data in the
7 United States for meningococcal disease from 1920
8 through 1998. Prior to the end of World War II there
9 were routine large epidemics of meningococcal disease
10 in the United States caused by serogroup A
11 meningococci. Since that time rates of disease have
12 been relatively stable at an incidence of one to two
13 per 100,000.

14 I've listed here some of the highlights in
15 progress in meningococcal disease starting with the
16 isolation of the organism in 1887, proceeding to the
17 introduction of serum therapy, antibiotics, the
18 discovery of the protective capability of the
19 polysaccharide on down to the first conjugate that was
20 developed and published in 1992.

21 During this time we have seen a steady
22 decline in the case fatality ratio. You can see here
23 the impact of serum therapy and antibiotics and
24 presumably the progressive delivery of better medical
25 care. We have leveled off over the last decade and a

1 half at a fairly consistent case fatality rate of
2 about 10 percent. The total number of cases that
3 occur in the United States is variable year to year,
4 but in general ranges from 2,500 to 3,000 cases
5 resulting in 250 to 300 deaths.

6 Since the introduction and success of
7 hemophilus influenza B conjugates, meningococcal
8 disease has become a much more prominent public health
9 target for improved control. These are data showing
10 the epidemiology of bacterial meningitis in the United
11 States in the post Hib conjugate era.

12 You can see that neisseria meningitides has
13 become the leading cause of bacterial meningitis among
14 older children and young adults with, of course, strep
15 pneumo the leader overall in a broader range of ages.

16 Although the rates of meningococcal disease
17 have been relatively steady over the last couple of
18 decades, there have been some important changes within
19 the context of that incidence.

20 Let me flash to Europe at this point as I'm
21 going to do throughout the talk and give you a little
22 bit of a European contrast. These are data from a
23 surveillance project that has been ongoing for a
24 couple of years and show the first quarter of 1997 in
25 Europe.

1 In contrast to the United States where the
2 incidence is about 1 per 100,000, there are a number
3 of European countries that have rates that are
4 substantially greater than that. I think a number of
5 you attended the meeting last week in Manchester in
6 the U.K. where they highlighted the current
7 epidemiology of meningococcal disease in the U.K. with
8 overall population based rates of about 5 per 100,000,
9 five fold the current U.S. rates.

10 Let me just comment briefly on that and say
11 that those numbers may be augmented based on their use
12 of PCR to confirm and classify cases of meningococcal
13 disease in a way that we currently do not in the
14 United States. What this shows is that since 1996 a
15 number of their confirmed cases shown here in light
16 blue have been confirmed by PCR only. We think this
17 is good technology and we are working with them to
18 evaluate this application in the U.K. and look at the
19 possible use of this technology in the United States.

20 This slide shows a comparison of age
21 specific attack rates of meningococcal disease in the
22 United States and Europe and basically highlights the
23 similarity between Europe and the United States with
24 the general pattern of age specific attack rates with
25 the highest rates being in children less than one year

1 of age gradually descending and then a slight bump
2 here among older children and young adults, and then
3 some increase in the elderly population.

4 I think everyone here is probably aware of
5 the mechanism or the methods used for serologic
6 classification of neisseria meningitides. Basically
7 they are characterized based on immunologic
8 characteristics of their capsular polysaccharide into
9 serogroups and they are class II or III OMPs and class
10 I OMPs for serotype and subtype designation so the
11 nomenclature is serogroup, serotype, and subtype
12 separated by colons.

13 The distribution of serogroup in the United
14 States has changed remarkably through this last
15 decade. In the period 1990 through 1992 you'll see
16 that serogroup C and B roughly accounted for about
17 half of the total cases of invasive disease in the
18 United States at 40 and 43 percent respectively.

19 During that time period serogroup Y
20 accounted for only 9 percent. By the end of this
21 decade the rate and the proportion of serogroup Y
22 disease in the United States had increased
23 dramatically accounting for a full third of invasive
24 cases of meningococcal disease in the United States.

25 We've done a number of things to try to

1 investigate the explanations for this. Thus far it's
2 unclear other than the acknowledgement that there is
3 cyclical variation in serogroup predominance which has
4 been noted over the last 20 or 30 years.

5 A comparison between Europe and the United
6 States reveals two facts worth noting. The first is
7 that Y still represents a very small proportion of
8 disease in Europe. The proportion of serogroup B
9 disease is, in fact, about twice as large as the
10 current proportion of serogroup B disease in the
11 United States.

12 This slide shows the serogroup's specific
13 incidence of meningococcal disease by age group.
14 These are collapsed data from 1990 through 1998 in a
15 very sensitive surveillance system we have in what the
16 CDC refers to as our emerging infection program sites
17 which are a number of sites scattered around the
18 United States that do population based surveillance
19 for meningococcal disease as well as other disease.

20 The points that I want to point out here are
21 the predominance of serogroup B meningococcal disease
22 in the less than one year population. Basically the
23 equivalence of B and C in the one-year-olds and two to
24 four-year-olds with some edging out of serogroup C in
25 the older children and young adults which has

1 relevance to the issue of college students which I'll
2 address briefly momentarily.

3 Finally, in the elderly population a
4 predominance of serogroup Y disease that we've
5 recognized over the last half of this decade.

6 One other point just to mention is that in
7 the infant population contemporary epidemiology the
8 rates of serogroup C and serogroup Y disease are
9 basically equivalent.

10 It's important I think not to get swayed too
11 much by those age specific attack rates in thinking
12 about the numbers of cases that occur in groups.
13 Because we are collapsing multiple years in those
14 older age groups, the numbers of cases that occur
15 actually out here on this end of the curb are quite
16 substantial.

17 This shows the serogroup's specific
18 contribution by age group to the cases of
19 meningococcal disease that occur in the United States.
20 You can see that the burden of serogroup Y disease out
21 here in the elderly population is fairly pronounced,
22 whereas C shown in yellow is fairly consistent across
23 the board. Again, the disproportionate burden of
24 serogroup B disease in the infant population.

25 This is a similar slide shown for deaths.

1 You can see that the actual number of deaths of
2 meningococcal disease is actually concentrated out
3 here in this population.

4 Another phenomenon that we have noticed
5 through the decade is the increased occurrence of
6 small outbreaks of meningococcal disease. These are
7 outbreaks that include or result in the occurrence of
8 anywhere from two to about 20 cases and have prompted
9 an increased use of the vaccine for mass vaccination
10 campaigns usually at a county level or at an
11 organization based level such as universities or other
12 close populations.

13 You can see that the geographic distribution
14 of these outbreaks is fairly general across the United
15 States and generally reflects the population
16 distribution in this country.

17 As a result of those outbreaks, we have
18 developed guidelines along with the ACIP for use by
19 state and local health departments in deciding when to
20 use vaccine to conduct mass vaccination campaigns. In
21 brief, outbreaks are defined for organizations as two
22 or more cases in three or fewer months reaching an
23 attack rate of 10 per 100,000. For communities we
24 have used three cases in less than three months with
25 an attack rate of 10 per 100,000.

1 We've been monitoring the occurrence of
2 these outbreaks in the United States fairly carefully.
3 What you see here is an epi curve, if you will, of
4 those outbreaks from July 1994 through July 1997 by
5 serogroup, B in red, C in yellow, and Y in blue. What
6 you can see if there is a predominance of the C
7 outbreaks. We've had a number of B outbreaks. Since
8 our mid time point here in the frame of reference,
9 we've had four serogroup Y outbreaks. One has
10 resulted in a vaccination campaign. All of those
11 outbreaks occurred in nursing home settings -
12 interestingly enough.

13 One other phenomenon that I think is
14 important to mention is that during the 1990 through
15 1998 time period there has also been a gradual
16 increase in disease rate among older children and
17 young adults. You can see this here. The data are
18 broken '90 to '92, '93 to '95, and '96 to '98. You
19 can see that there has been rather consistent rates or
20 a decline in these age groups but substantial
21 increases proportionately in these age groups which
22 has caused us some concern and prompted a great deal
23 of interest in what was happening among college
24 students in the United States. Primarily because
25 outbreaks had occurred in that setting and a number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 people recognized the parallel or the possible
2 parallels between college students and military
3 recruits which have been routinely vaccinated with
4 meningococcal disease since the '70s.

5 This concern prompted the American College
6 Health Association, which is a group that represents
7 about 60 percent of all colleges and universities that
8 have health clinics associated with them, to issue the
9 following statement that suggested that students
10 consider vaccination to reduce their risk for
11 potentially meningococcal disease and that college
12 health providers take a more proactive role in
13 providing information and access to the meningococcal
14 vaccine.

15 This and our concern about the increased
16 rates in these age groups prompted us to initiate a
17 couple of studies in collaboration with the Counsel of
18 State and Territorial Epidemiologists and the American
19 College Health Association. Over the last year we
20 have been doing supplemental surveillance for
21 meningococcal cases in persons 17 to 30 years of age
22 where we complete a supplemental information sheet
23 about their college attendance status.

24 From 9/98 and 7/99 we identified 83 cases
25 among college students. This is the serogroup. For

1 the 66 known isolates that occurred among those 83
2 patients, 50 percent of them were due to serogroup C
3 meningococci and roughly a quarter attributable to
4 serogroup B and serogroup Y.

5 This is just a gloss view of some of the
6 results of this effort. What we found is that
7 compared to the normal population based rate for 18 to
8 23-year-olds, which in our active surveillance system
9 is 1.3 per 100,000, college undergraduates were
10 actually at less risk from meningococcal disease with
11 an overall rate of 0.7.

12 As you march through freshman students and
13 dormitory residents, the rates gradually creep up with
14 the highest rate 5.2, a five-fold increase risk
15 occurring among freshmen living in dormitories. These
16 data and a complimentary case control study that was
17 done also during this period of time will be the focus
18 of discussions with ACIP on the 20th or 21st of
19 October as they help to guide us about the possible
20 need of guidelines or recommendations for vaccination
21 of college students, particularly freshmen or freshmen
22 living in dormitories.

23 I did not touch on the serogroup B vaccine
24 development track but I will just mention as I close
25 out the talk that most research has been devoted to

1 the use of these outer membrane proteins as potential
2 immunogens for vaccines because the serogroup B
3 capsular polysaccharide is not immunogenic in man.

4 As we see it, the current obstacles to
5 improve control and prevention of meningococcal
6 disease in the United States surround these five
7 items. The current limitations in the performance of
8 the currently available polysaccharide vaccine, most
9 notably its inability to provide long-lasting durable
10 immunity in young children.

11 We do not have a serogroup B vaccine at this
12 point. The protection provided by the outer membrane
13 protein vaccines appears to be strain specific and
14 there is a high degree of strain variability both in
15 the United States and Europe so that problem remains
16 unsolved.

17 The outbreak control, although we think it's
18 important we'll have a minimal effect on disease
19 burden, secondary cases now with good chemo
20 prophylaxis in the United States are rare. Other risk
21 factors that we've identified such as maternal smoking
22 or exposure to passive smoke, which contribute to a
23 substantial proportion of meningococcal disease cases
24 in the United States, are not easily translated into
25 public health prevention modalities.

1 So we see as our current priorities the
2 further development, licensure, and use of conjugate
3 vaccines including some combination of those
4 polysaccharides that have already shown to be
5 effective.

6 We need a B vaccine that is appropriate for
7 infants and toddlers as well. With these vaccines we
8 would suggest that they be implemented into routine
9 vaccination programs with catch-up at school entry
10 among adolescence and young adults that we are already
11 in the midst of trying to proactively address some of
12 the issues about combinations of these vaccines;
13 meningococcus with strep pneumo, Hib, or other
14 combinations, schedules, and cost. I think I will
15 stop there.

16 DR. GREENBERG: Thank you, Dr. Perkins. We
17 have time for some questions from the panel. Kathy.

18 DR. EDWARDS: I was intrigued by the large
19 percentage of B disease in the under one year of age.
20 I really hadn't appreciated that. I thought it was
21 more a third like the later ages. I wonder if you
22 could comment just a bit more about the B vaccines.
23 Is it totally inconceivable that a capsular vaccine
24 for B could be generated or is there too much concern
25 about cross reactivity with neuro tissue and those

1 issues?

2 DR. PERKINS: There are a number of people
3 here that might be able to comment more
4 authoritatively than myself on that issue. It is an
5 active area of research currently within academia and
6 industry looking at the concept of a modified or
7 conjugated group B polysaccharide vaccine. It's not
8 the leading approach and there is very little human
9 data regarding the immunogenicity of vaccines using
10 that approach. There is no clinical protection data
11 at this point.

12 DR. GREENBERG: Dr. Breiman.

13 DR. BREIMAN: Brad, you mentioned the 10
14 percent case fatality rate. Could you comment on the
15 severity of nonfatal sequelae and just the nonfatal
16 presentations and how serious that could be. Is there
17 any variability in that by serogroup?

18 DR. PERKINS: We've looked at that very
19 closely recently both in the United States and in
20 South America. About 15 percent of survivors of
21 meningococcal disease will be left with some permanent
22 sequelae ranging from relatively mild hearing loss or
23 deficits based on IQ testing right up through
24 amputation of limbs or severe mental retardation. We
25 think that number is about 15 percent of survivors.

1 DR. BREIMAN: I was just wondering if there
2 are any data on serogroup. I mean, is there any
3 difference in the severity?

4 DR. PERKINS: The serogroup C right now in
5 this country appears to be associated with fatalities
6 more tightly than the other serogroups but that's not
7 an incredibly tight association. In a recent
8 multivariate analysis we were able to show that. As
9 far as the sequelae profile, we don't see any
10 variability in sequelae based on that.

11 DR. GREENBERG: Ms. Fisher.

12 MS. FISHER: Has there been any attempt to
13 look at the common denominators of those who come down
14 with meningococcal disease? Are they usually persons
15 who are immune compromised or in poor health
16 generally? You have a lot in the elderly group.

17 DR. PERKINS: We've done a number of case
18 control studies in the United States both in outbreak
19 settings as well as endemic disease settings. The
20 proportion of meningococcal cases that are accounted
21 for by persons with known immune defects is relatively
22 small. Probably does not exceed five to 10 percent.

23 In that group I would include people with a
24 known compliment disorder either functional or
25 anatomic splenectomy are the leading causes. Some

1 people with some particular kinds with soft and blood
2 cancers are at increased risk but the proportion that
3 they contribute to the total is very low.

4 DR. GREENBERG: Dr. Stephens.

5 DR. STEPHENS: Two comments or two
6 questions. The rates in Europe obviously are
7 considerably higher than they are in this country.
8 Some of that may be more effective diagnosis by PCR
9 but not all of it. Can you comment on why you think
10 those rates are consistently higher?

11 Second, there has always been this issue of -
12 why serogroup A disease disappeared from this country
13 and hasn't returned in any significant way. Can you
14 comment on why you think that happened?

15 DR. PERKINS: Yes. David Stephens, of
16 course, a leading meningococcal world expert,
17 challenging me with some tough questions. I think
18 part of the explanation for the higher rates in Europe
19 is hyperendemic serogroup B disease. Of course, as
20 you know, a number of those countries have experienced
21 relatively clonal epidemics of serogroup B disease.
22 Some of the rates throughout that region remain
23 elevated.

24 In Norway, for example, they had a serogroup
25 B epidemic where rates reached about 15 per 100,000

1 population and have slowly declined. That process
2 actually occurred over a 20-year period. Epidemics of
3 serogroup B can be quite prolonged and hover in the
4 hyperendemic range. I think that contributes a lot to
5 the rates that we see in Europe.

6 The serogroup A issue, I don't think anybody
7 knows. What I can say is based on some of George
8 Carlone's work is that people in the United States do
9 have high levels of antibody against the serogroup A
10 polysaccharide suggesting that there is some cross
11 protective antigen that is probably circulating in
12 this population.

13 DR. GREENBERG: Dr. Daum.

14 DR. DAUM: I've always been intrigued
15 clinically that at least simplistically speaking you
16 can classify people who get meningococcal invasive
17 disease as the overwhelming meningococemia syndrome
18 or a more indolent kind of meningitis picture.

19 I'm wondering with the very nice
20 epidemiology that you showed whether there is any
21 differences in age specific attack rates, occurrence
22 of sequelae or mortality rates to be gleaned from your
23 data regarding the different clinical syndromes?

24 DR. PERKINS: Yes. We've tried to look at
25 that very carefully, especially with the application

1 of good molecular subtyping that we now have for all
2 these isolates. We haven't really found anything
3 conclusive linking molecular subtype or serogroup to
4 any of those outcomes. What I can tell you is that
5 case fatality by age does vary a little bit. Older
6 children and young adults have higher case fatality
7 rates than the young children. Basically among older
8 children and young adults case fatality rates year to
9 year can reach 20 percent.

10 We also see increased fatality rates when we
11 look at our series of outbreaks. That series of cases
12 actually has a case fatality rate up around 23 or 25
13 percent. Some of that may be reporting bias so we
14 haven't hit that hard yet and we are still trying to
15 look at that. That is what prompts the high degree of
16 concern in this population because we frequently have
17 previously completely healthy people that are in their
18 so-called prime of life that get sick and die within
19 24 to 48 hours. Of course, that generates a huge
20 amount of attention in the community and press.

21 DR. GREENBERG: Dr. Karzon and then Dr.
22 Faggett.

23 DR. KARZON: First I would like to
24 congratulate you and CDC and the state health
25 departments for putting together a very excellent

1 picture of what faces us. But also you have presented
2 a lot of dilemmas for the immunologists who try to
3 divert these diseases.

4 Do you have information about immune
5 responses? Is there such a thing as natural immunity?
6 What does it look like? How is it acquired? Can you
7 get B infection? Can you account for the particular
8 distributions that you find immunologically?

9 DR. PERKINS: There's a substantial amount
10 of knowledge about naturally acquired immunity,
11 especially in early life. I don't know if Emil -- how --
12 much of that are you going to address, Emil?

13 DR. GOTSCHLICH: Well, perhaps the question
14 will be better asked after.

15 DR. PERKINS: Okay. Yeah. I will let the
16 world authority respond to that in the next
17 presentation. There is a substantial amount of
18 interesting information that actually is quite
19 challenging to link to a lot of the epidemiology data
20 that I showed. Maybe ask Emil after his talk.

21 DR. GREENBERG: Dr. Faggett.

22 DR. FAGGETT: Brad, I want to second Dr.
23 Karzon's comment that it is a very eloquent study and
24 presentation. In your slides you did document
25 increase in the Y serogroup in the United States.

1 When you showed the one on outbreaks, it would appear
2 there was less increase in Y and C was predominate.
3 Can you explain that? Is that an accurate observation
4 first?

5 DR. PERKINS: Yes, that's correct. There
6 are a couple of things that are tough about Y. We
7 know that Y is more frequently associated with
8 respiratory disease than the other serogroups. Our
9 current surveillance definitions don't lend themselves
10 well to capturing serogroup Y in the absence of a
11 positive blood culture. We are concerned that we may
12 be missing some predominately respiratory outbreak of
13 serogroup Y.

14 Y accounts for the minority of outbreaks but
15 this is the first time that we have seen Y outbreaks
16 in the United States among a civilian population. To
17 have all those outbreaks occurring in the nursing home
18 setting is quite an unusual and remarkable finding.
19 The one situation where we ended up vaccinating for
20 control of a serogroup Y outbreak in a nursing home,
21 the median age of the population we vaccinated was
22 actually 90. We don't know very much about the
23 performance of this vaccine either against serogroup
24 Y or among 90-year-olds.

25 DR. FAGGETT: Which is another immune

1 response, too.

2 DR. PERKINS: Yes. We did not look at that
3 but it would have been interesting to know.

4 DR. GREENBERG: Other questions? If not,
5 thank you very much, Dr. Perkins. We will move on to
6 Dr. Gotschlich who will talk about immunity.

7 DR. GOTSCHLICH: Good morning. I have been
8 asked to review the evidence that systemic
9 meningococcal infection is dependent on the immunity
10 and systemic meningococcal infection is dependent upon
11 the presence of circulating antibodies to the
12 particular meningococcus. I have been asked to focus
13 particularly on the serum bactericidal reaction.

14 Before I begin I would also like to tell you
15 that I take it as a given that it will not be possible
16 to do a placebo controlled field trial of group C
17 meningococcal conjugate vaccines. The reason for that
18 is I think that the logistics of such a trial in terms
19 of the number of children involved is simply
20 formidable and it is confounded by the ethical problem
21 of having available a vaccine which is at least
22 partially effective which you could not withhold from
23 the control group.

24 Thus, I think we are faced with the fact
25 that we must, as Carl has mentioned at the beginning

1 of this session, arrive at a set of human
2 immunogenicity standards that we will apply to these
3 vaccines, that we will demand from these vaccines.

4 The question first of all is what is wrong
5 with the current group C meningococcal vaccine? There
6 are two principal shortcomings. The group C vaccine
7 is poorly immunogenic in young children and the immune
8 response that it engenders is rather evanescent.

9 Therefore, I believe that the central issue
10 is to figure out what are the immunological
11 characteristics of the conjugate vaccines which will -
12 address these specific deficiencies of the current
13 group C polysaccharide vaccine.

14 What should these standards be? I don't
15 think this is an easy decision. If we set the
16 standards too high, we will find it will be very
17 difficult to produce vaccines with a mandated level of
18 immunogenicity and we will both delay the introduction
19 of the vaccines and make them quite expensive or
20 probably raise their cost.

21 On the other hand, if we set the standards
22 too low, we will find out in Phase IV follow-up of
23 these vaccines that there will be a large number of
24 vaccine failures and that will result in a loss of
25 confidence not only of that particular vaccine but

1 also of the establishment that promoted this vaccine.

2 Between those two choices, I must say I
3 would prefer to make an error on the high side. Now,
4 what can we marshal as evidence to allow us to arrive
5 at such standards? Before I would like to face that,
6 I would like to show you a slide that summarizes
7 immunological tests that have been used to test
8 antibodies to meningococci.

9 Included on the slide is the first
10 introduction of set tests. I have also included on
11 that slide whether the test tests antigens of the
12 whole microbe or whether it depends upon the presence
13 of purified antibodies.

14 The reason that I've done this is to
15 transport you back to 1966 and 1968 which is the time
16 when Goldschneider, Artenstein and myself did some of
17 the experiments which I will relate to you later that
18 are the foundation for the evidence that antibodies
19 are the determinant of human immunity to
20 meningococcus.

21 If you look at this slide, what you find out
22 is that ultimately the only test that you can apply to
23 a large number of factors in 1966 is the bactericidal
24 reaction. It is reasonably sensitive. It has no
25 particular bias for any particular antigen. It can be

1 done in a relatively large number of samples.

2 Immunofluorescence was also available at
3 that time but with the format that was used in those
4 days having to be read by microscopy it was a very
5 demanding technique and it was used by Goldschneider
6 to confirm data obtained by the bactericidal test.

7 Finally, the high molecular weight group
8 specific polysaccharides became available in the fall
9 of 1967. At that time hemagglutination with
10 sensitized red cells was widely employed. The gist of
11 this slide is to show you that the matter of using the
12 bactericidal test was a default choice.

13 What is the evidence that meningococcal
14 immunity is antibody dependent? There are two
15 principal aligns that I would like to tell you about.
16 I guess I'll do the next slide.

17 This slide demonstrates the incidence of the
18 disease versus age and the incidence of bactericidal
19 antibodies versus age. The first two curves here
20 demonstrate the age specific incidence for
21 meningococcal meningitis in the United States for the
22 year 1965 and 1966 respectively. Each point
23 represents the number of cases between that point and
24 the previous point.

25 As you can see, there is clearly -- actually

1 already shown by Brad today -- there is clearly a
2 higher incidence in this particular age group. In
3 order to obtain more specific information on the age
4 distribution of cases within that group, a series of
5 meningitis cases that was carefully studied at
6 Children's Hospital in Los Angeles in the period of
7 1944 to 1953 was used. That is plotted in this line
8 here. What this line shows you is that meningococcal
9 meningitis is essentially an unknown disease in the
10 first month of life.

11 At the time when the maternal antibodies in
12 the child decline, the disease becomes manifest and
13 increases to its maximum level in the period between
14 six months to two years. After that time the
15 incidence decreases again.

16 These lines here demonstrate the
17 bactericidal activity found in sera of children or
18 adults of that particular age. The data between zero
19 years and 12 years is based on a set of 282 sera
20 obtained from children. It indicates that at the time
21 of birth the child is endowed with essentially the
22 same level of antibodies that his mother was. These
23 antibodies are lost over the first six months of life
24 and that they are then regained slowly over a period
25 of time by natural immunity.

1 Parenthetically natural immunity is believed
2 to be essentially the immune response that is acquired
3 by contact with either meningococci of a lesser
4 virulence or of organisms that contain capsule
5 polysaccharides that strongly cross react. Quite a
6 few of such organisms have been identified by the work
7 of John Robbins.

8 This is the bactericidal activity against
9 three prototypic organisms, respectively group A,
10 group B, and group C. This particular pattern of age
11 related incidence of disease and the inverse
12 relationship of antibodies was also the one that was
13 shown in 1933 by Fothergill and Wright for hemophilus
14 B influenza disease.

15 Let me briefly review now the bactericidal
16 reaction that was employed to get this data. It was
17 a lipotiter format. The total volume was 200
18 microliters and it contained one quarter the test
19 serum, either neat or diluted, one quarter complement,
20 one quarter organisms, 500 CFU, and one quarter PBS.
21 The reaction was incubated for 30 minutes and then one
22 tenth of this mixture was plated to look for
23 survivors.

24 In the instance where the only interest was
25 to establish whether bactericidal antibodies were

1 present or absent and the intent was not to quantitate
2 them by dilution and, of course, if the sera had been
3 properly stored the complement was omitted.

4 The second major piece of evidence for the
5 role of serum antibodies in immunity to meningococcal
6 disease came from a prospective study done at that
7 time. Nearly 15,000 recruits at Fort Dix had their
8 serum collected during the first week of basic
9 infantry training. The serum was stored at -70
10 degrees. At that time group C meningococcal disease
11 was a very serious problem in that particular post. -

12 By the end of the eight weeks of basic
13 training of these recruits that were bled, there were
14 60 cases of meningitis. In 54 instances it was
15 possible to obtain both the initial serum that was
16 obtained at the time that the individual came to the
17 post and the group C organism with which that
18 particular individual was infected.

19 That particular strain of group C
20 meningococcus was then also tested against 10 sera
21 obtained from individuals from the same training
22 platoon and again obtained at the time that they
23 entered the post. The short of it is that only three
24 out of 54 of the individuals who did get disease had
25 bactericidal activity, whereas their platoon mates 82

1 percent of them had bactericidal activity to the
2 particular strain in question.

3 I would say that in the interest of time I
4 will not show you the additional data that this was
5 absolutely dependent upon the absence of antibodies
6 and no other factor. I will not show you the
7 additional data that if yo followed these individuals
8 prospectively within the group, you actually find out
9 that an individual who lacks bactericidal activity and
10 does encounter group C meningococcus has a 40 percent
11 chance of getting the disease.

12 Finally, the success of meningococcal
13 polysaccharide vaccines, the efficacy of them is
14 clearly additional evidence that the immunity to this
15 disease is antibody mediated. Just parenthetically
16 this is the rule for all encapsulated organisms.

17 Okay. Now I want to reiterate the use of
18 the bactericidal reaction to measure these antibodies.
19 I want to reiterate that the choice was essentially
20 imposed by the technology of the time. I would say,
21 and I would particularly like to hear Dr. Carlone's
22 remarks, if investigators today were faced with a
23 similar epidemiological question and a similar
24 opportunity, I think it is very hard to believe that
25 we would choose the bactericidal reaction with all its

1 various faults and warts above all the others as the
2 primary test. There is nothing magical about the
3 bactericidal antibodies. This was recognized in the
4 original paper from which I would like to quote. I'll
5 show you the following quote.

6 "It is important to emphasize that the
7 results of the experiment are not interpreted to
8 indicate that the serum bactericidal activity per se
9 is the protective factor in natural immunity against
10 meningococcal disease. The serum bactericidal test
11 was used only as a sensitive indicator of specific
12 antibodies to meningococci. Such antibodies may
13 have other functions in addition to bactericidal. For
14 instance, opsonisation or other nonbactericidal
15 antibodies may play a role.

16 I have provided this background in order to
17 counter the excessive adherence to the notion that
18 bactericidal antibodies are the gold standard. We
19 should remain open to using the much more readily
20 standardized and quantitative tests that are now
21 available to help us make the decision that we must
22 make in terms of devising these immunogenicity
23 standards.

24 So now let's shift to the issue of what I
25 would recommend, what my first recommendation would be

1 in terms of immunogenicity standards. They would
2 obviously imply a correlation between the immune
3 responses seen in children and the efficacy of the
4 vaccines.

5 The next slide lists the particular trials
6 that I wish to discuss with you today. In the
7 military in 1970 and 1971 two independent trials were
8 carried out and they very clearly proved that the
9 group C vaccine had a 90 percent effectiveness for a
10 particular age group and for the eight-week period for
11 which the recruits were followed.

12 However, it is pretty clear from a great
13 deal of ancillary data, (1) that there has been no
14 recurrence of the meningococcal problem in the
15 military since that time; (2) from the equal efficacy
16 of these vaccines in other military and similar type
17 of institutional settings; (3) and also from the
18 studies of Zangwill, et al., which demonstrate that
19 the immune response of adults to the group C
20 polysaccharide lasts for years. There is very little
21 doubt that the immune response of an adult to a single
22 dose of group C polysaccharide gives high level of
23 immunity for several years.

24 When we come to children, there are three
25 trials that I wish to briefly discuss and the dates at

1 which they were done. The first trial I wish to
2 briefly discuss is the mass vaccination that was
3 carried out in Quebec. They obviously had one of
4 these major outbreaks that Dr. Perkins was talking
5 about and it resulted ultimately in the immunization
6 of 1.6 million people which was approximately 84
7 percent of the population. The target population that
8 was immunized was the population of six months to 20
9 years. The follow-up period was approximately a year.

10 I would like you to note that the control
11 group was the not-vaccinated group which is obviously -
12 a control that is not as good as placebo controlled.
13 Nevertheless, with this shortcoming aside, the
14 efficacy of the vaccine was very high and it was high
15 in all the groups that it could be seen.
16 Incidentally, this figure which is in your original
17 paper is incorrect. If you recalculate it, it's 80
18 percent.

19 However, the data does not allow you to
20 stratify the efficacy in the age group between six
21 months to five years. It simply says that age groups
22 had an 80 percent efficacy.

23 The next trial I would like to show you is
24 one carried out by the CDC and it was typically one of
25 the county-wide outbreaks. Over a series of three

1 vaccinations it resulted essentially in the
2 vaccination of 36,400 people in this particular
3 county.

4 In this instance it was a case-controlled
5 study. The population that was vaccinated was the two
6 to 29-year-old. As you can see, in this case-
7 controlled study the effectiveness of the vaccine in
8 the two to 29-year-old was 85 percent. In the two to
9 five-year-old was essentially the same. Again, not
10 sufficient data was available to stratify the
11 efficacy. In other words, to determine at what
12 particular age group is the vaccine effective and at
13 what age group might it not be.

14 For that we have to turn to a study that was
15 done in 1974 in Brazil where 67,000 children were
16 vaccinated with group C vaccine and an equal number of
17 children received tetanus toxoid as a placebo. In
18 this instance you can see that the children in this
19 particular age group had -- what I'm showing here is
20 the incidence of disease in the vaccinated group and
21 the incidence of disease in the placebo group.

22 As you can see, there was no protection in
23 the six to 11-month-old children, nor was there any
24 protection in the 12 to 23-month-old children.
25 However, there was partial protection in the children

1 who were protected at the age between two and three
2 years of age. Note that this was an 18-month follow-
3 up.

4 What do we know about the immune responses
5 of these children? This cohort of vaccinated children
6 was examined at that time and was examined in my
7 laboratory and is, therefore, comparable to the data
8 that I will show you later that was also obtained in
9 Connecticut. It was determined by radioimmunoassay.
10 What it shows you is the immune responses of the
11 various age groups and in bold is the immune response -
12 of the age group that did show some protection as
13 compared to the children that are slightly younger
14 which showed no protection.

15 I must say I have no idea how you would
16 distinguish between these two means with these two
17 standard deviations. There is, therefore, no
18 particular way to look at the immune response in a raw
19 way to distinguish between the children that had no
20 protection and the children that did have partial
21 protection.

22 What could the explanations for this be? We
23 do not know at this point in time but there are two
24 obvious ones. Either these antibodies are
25 qualitatively different, and we will get to that issue

1 a bit later, or they are longer lasting in terms of
2 the immune response because, after all, this is what
3 you see at one month following immunization but it
4 must persist for the 18 months in order to protect
5 these children.

6 So the next slide shows you these same data.
7 These two groups are the ones that I have focused on.
8 This is the youngest group. This is the group that
9 had essentially the same antibody responses as this
10 group but was not protected. This is all the
11 children.

12 I merely show you this scattergram simply
13 because it allows us to now calculate the proportion
14 of children that do have antibodies above one or two
15 micrograms of antibody per ml. Again, these are the
16 two groups I focused on. This is the group in which
17 we saw no protection. This is the group in which we
18 see at least partial protection.

19 So to arrive at the issue of immunogenicity
20 standards, I would certainly say that this is a
21 marginal response and one that clearly in quantitative
22 terms we would have to ask the conjugate vaccines to
23 exceed.

24 Let us look at the same time now at data
25 that was obtained in Connecticut. What we have here

1 is a cohort of children, a rather large one, that was
2 followed over a five-year period. These children
3 received vaccine at the age of 24 months and this is
4 the immune response one month later. It is somewhat
5 higher than that seen in the children of about equal
6 age in Brazil.

7 This then shows a decline of the antibodies
8 over a period of time as has been noted before the
9 antibodies are evanescent. This is what you would see
10 if you do not immunize these children. This is the
11 same antibody response of the children that are not -
12 immunized which showed a gradual increase in naturally
13 acquired antibodies.

14 These children at the age of 66 months were
15 revaccinated and showed this immune response. I think
16 taking together what I have said before that there is
17 absolutely no question that a child between the ages
18 of four and five that is vaccinated with the group C
19 vaccine will have protection for at least a period of
20 one year.

21 Taking that together with this immunological
22 data, and with the immunological data that has been
23 presented by many others for this age group, and most
24 recently by Maslanka and her associates in children in
25 Montana using the ELISA test that has been

1 standardized at the CDC, I would say that this is
2 where I would aim at in terms of quantitative
3 immunogenicity for the conjugate vaccines.

4 Finally, I would just like to make a comment
5 about the fact that I'm really looking forward to
6 hearing the presentations on the conjugate vaccines
7 that we are going to hear. I think all of us,
8 particularly the manufacturers of conjugate vaccines,
9 were a bit surprised that the quantitative immune
10 response to the conjugate vaccines was not what we
11 have seen with the hemophilus B. They are really -
12 quite substantially lower.

13 What is interesting is that the serum
14 bactericidal activity that is engendered by the
15 conjugate vaccines is quite high. In fact, it is
16 entirely disproportionately high in relationship to
17 the quantitative immune response. While I think this
18 is clearly encouraging, I think it must be established
19 what this difference is due to.

20 This difference could be due to isotopic
21 differences in the immune response. It could be due
22 to differences in favoring a high affinity immune
23 response. I think we must establish why the D sera
24 was really rather low. Total immunoglobulin levels
25 have such high bactericidal activity. I believe that

1 when we do figure out what the mechanistic basis for
2 that is, we will be much better prepared to write
3 truly intelligent standards for immunogenicity for the
4 conjugate vaccines. Thank you.

5 DR. GREENBERG: Thank you, Dr. Gotschlich.
6 We have time for some questions. I would like to
7 start out. You showed very nice old data from Fort
8 Dix which very clearly -- I guess that was your data
9 -- associated the presence of bactericidal antibody at
10 a specific titer with protection in an actual setting.
11 Are those sera available to be reanalyzed using any of
12 the newer assays to see whether that very clear
13 distinction can be obtained?

14 DR. GOTSCHLICH: No. In fact, they were
15 essentially exhausted by 1968. I mean, we did
16 everything conceivable on them.

17 DR. GREENBERG: Dr. Kim.

18 DR. KIM: In your slide which shows an
19 inverse relationship between bactericidal and any
20 activity and age distribution, you have shown high
21 bactericidal activity at the time of birth against
22 prototypes of strains A, B, and C. Can you elaborate
23 on the nature of epitopes of bactericidal activity
24 against group B?

25 DR. GOTSCHLICH: Okay. Against group B.

1 Well, let's first talk about group C and group A.
2 There is very little doubt that if you take a
3 gammaglobulin preparation and you absorb it with
4 polysaccharides, you markedly reduce the bactericidal
5 activity of that gammaglobulin preparation.

6 The second thing, and now I shall be
7 responsive to your question, is it is not true that
8 the group B meningococcal polysaccharide is
9 nonimmunogenic. What is true is that you cannot
10 change in a human being by immunization the natural
11 titer of group B antibodies. When you inject the
12 group B polysaccharide a very short, very evanescent
13 immune response but it is extremely short. Literally
14 it is just weeks.

15 But there are antibodies I believe, and
16 there is evidence and some of the evidence was done
17 years and years ago with Carl Frasch, that these
18 antibodies are bactericidal.

19 DR. GREENBERG: Dr. Daum.

20 DR. DAUM: I have two questions, both of
21 which are probably going to need to see the relevant
22 slides again because they may have just gone by too
23 quickly. The first one is in the Brazilian antibody
24 data that you showed. I thought I understood that you
25 said in children younger than two years of age there

1 was no protection based on the data.

2 DR. GOTSCHLICH: That's correct.

3 DR. DAUM: And in children two to three
4 years of age it looked like there was from the data
5 you showed. In looking at the antibody responses that
6 strattled that line, 12 to 23-month-old children -- if
7 we could put the slide on for a second, it would help
8 a lot.

9 DR. GOTSCHLICH: I would be glad to.

10 DR. DAUM: I didn't see much change in the
11 antibody response across those two.

12 DR. GOTSCHLICH: That is correct. You are
13 absolutely correct. There was no way that the total
14 quantitative antibody response could be distinguished.
15 Okay. This was basically to tell you that there was
16 at least a 50/55 percent efficacy. The next slide is
17 these two particular lines and then the ones that I
18 was focusing on and the ones that you are focusing on.

19 DR. DAUM: That's what I'm asking about.

20 DR. GOTSCHLICH: Right. You're right.
21 There ain't no difference.

22 DR. DAUM: What would you like us to infer
23 from that?

24 DR. GOTSCHLICH: What I would like you to
25 infer from that is that merely a quantitative number

1 is not enough. We do not know what would have
2 happened to the antibody levels of these children six
3 months following this particular vaccination. This is
4 four weeks following vaccination. We don't know what
5 the antibody levels would have been six months later.

6 In other words, we do not know whether the
7 kinetics of the decline is different with age. Nor
8 alternatively do we know -- and that speaks very much
9 to the data where the conjugate vaccines were
10 unexpectedly high bactericidal activity has been seen.
11 Nor do we know whether the quality of antibodies --
12 raised at different ages in terms of affinity or
13 isotopic specificity is different. What I was trying
14 to do --

15 DR. DAUM: That having been said, we can't
16 come away with a protective correlate from that.

17 DR. GOTSCHLICH: That is correct. I came
18 away telling you that I believe that this is
19 definitely too low. What I finally came away with is
20 that I think all the data clearly show that children
21 four to five years of age that are vaccinated are
22 protected. Let us look at what their immune response
23 is and let us use that as a quantitative guide.

24 DR. DAUM: There's my follow-up question
25 right there on that slide. That's the only other

1 thing I didn't really understand about what you said.
2 As I understand these data, 66-month-old children
3 receive one dose of an unconjugated polysaccharide
4 vaccine.

5 DR. GOTSCHLICH: That is correct.

6 DR. DAUM: And then got a second dose of an
7 unconjugated polysaccharide vaccine at 67 months.

8 DR. GOTSCHLICH: No.

9 DR. DAUM: No. Good.

10 DR. GOTSCHLICH: These children were
11 vaccinated at the age of 24 months and then simply -
12 followed. At the age of 66 months this is the
13 antibody level that they have. At that time they
14 received an immunization and one month later this is
15 what they look like.

16 DR. DAUM: This just reflects my lack of
17 immunology knowledge. I thought that we thought about
18 these kinds of vaccines as T-cell independent. That
19 is to say, there was no booster response. Is that
20 just a difference in response by age do you think? Or
21 do you think that is an anamnestic response or can't
22 you tell?

23 DR. GOTSCHLICH: Had one taken any other
24 group of children that had never seen a group C
25 vaccine, one would have gotten quantitatively the same

1 results. In other words, you are quite right. This
2 is not an anamnestic response. This is simply the
3 most convenient population where I could lay my hands
4 on to make a nice slide.

5 DR. GOTSCHLICH: Alice, please.

6 DR. HUANG: The question that I have really
7 follows on that which concerns reinfection and the
8 extent of immunity after one injection. The fact that
9 your Fort Dix population showed that there was
10 consistent high-levels of bactericidal activity, is
11 that just continued natural infection?

12 DR. GOTSCHLICH: Do that again.

13 DR. HUANG: I guess I'm wondering whether
14 there are many cases of reinfection. That is No. 1.
15 Also, how much protection does one have over time
16 after a natural infection.

17 DR. GOTSCHLICH: Okay. You know, you come
18 to the days here where it's preantibiotic days. Yeah,
19 it is very likely that reinfection was extremely rare.
20 There is considerable natural immunity that results
21 from an infection. It does not have to be -- in fact,
22 it usually is not -- it does not have to be a
23 septicemic infection. It can be the carrier state.
24 The carrier state is highly immunizing and is, in
25 fact, what protects the majority of us.

1 I mean, you know, if you took this room and
2 really challenged them with meningococcide, less than
3 10 percent of us would be able to get the disease.

4 DR. GREENBERG: Dr. Ferrieri.

5 DR. FERRIERI: Emil, in reviewing
6 literature, have you encountered any data to suggest
7 that the immunogenicity of a given vaccine, serogroup
8 C, for example, differs according to racial ethnic
9 groups or is there any evidence to suggest the
10 response may be governed by one's genetic makeup?

11 DR. GOTSCHLICH: Undoubtedly our immune -
12 responses are governed by our genetic makeup. I don't
13 think there are any very clean data showing that there
14 are significantly important genetic bases of immune
15 responsiveness or not to these particular
16 polysaccharide vaccines.

17 DR. GREENBERG: Bob.

18 DR. BREIMAN: I have a question also about
19 carriage. I'm assuming that the reason that we see
20 outbreaks in institutional settings like prisons and
21 college dormitories and military training centers has
22 something to do with spread of meningococcide via
23 people who are carrying the organism?

24 DR. GOTSCHLICH: That is correct.

25 DR. BREIMAN: And I'm wondering what -- sort

1 of reversing the question that you answered that Alice
2 asked a moment ago, what would be the expected impact
3 of these antibody levels on carriage and the ability
4 to transmit?

5 DR. GOTSCHLICH: Okay. So to just back off
6 one moment to amplify a little bit. You know, the
7 living that meningococcus is trying to make is to live
8 in people's throats. To cause disease is a terrible
9 accident for the meningococcus. That clone is lost
10 whichever way you slice it. That is what its
11 lifestyle is. To answer your second question, studies
12 have been done repeatedly and they have shown that
13 immunization with the polysaccharide vaccine, both
14 group A and group C, do provide for a relatively sort
15 period of time immunity to the acquisition of the
16 carrier state. Let me really say what that means.

17 That means if you take a set of recruits and
18 put them into a situation, vaccinate them, and follow
19 them very carefully as to how they acquire group C
20 meningococci, you will in most instances see a
21 significant diminishment of the acquisition. You will
22 not under any circumstances by a vaccination have a
23 loss of the carrier state.

24 DR. GREENBERG: We have first Dr. Estes and
25 then Ms. Fisher.

1 DR. ESTES: I have a technical question.
2 Could you clarify for me what antibodies to what are
3 you measuring in the RIA test versus the ELISA?

4 DR. GOTSCHLICH: Okay. The RIA test was
5 done against the purified capsulate polysaccharide.
6 The ELISA tests that are being done by Dr. Carlone,
7 etcetera, are, again, true depurified polysaccharide.
8 The bactericidal reaction, of course, is whatever the
9 organism faces you with.

10 DR. GREENBERG: Ms. Fisher.

11 MS. FISHER: To what extent will the use of
12 this vaccine in all children have an impact on future
13 generations of the ability of mothers to transfer
14 protective antibodies to their babies for the first
15 two years of life?

16 DR. GOTSCHLICH: I think the best way to
17 answer that question is to point out that we have not
18 had essentially any group A meningococci in the
19 United States since 1945. Yet, all of us have
20 protective levels of group A meningococcal
21 polysaccharide antibodies which we acquired by contact
22 with other organisms.

23 MS. FISHER: The same for group C?

24 DR. GOTSCHLICH: Yes.

25 MS. FISHER: So you are saying there would

1 be no impact? There should be no impact by the use of
2 the vaccine?

3 DR. GOTSCHLICH: That's what I would
4 predict.

5 DR. GREENBERG: I'd like to ask a question.
6 You started off your discussion with saying that it
7 was given that nobody could test the efficacy of a
8 group C vaccine. I'm not familiar with this issue and
9 I think I need to understand that given a little bit
10 more.

11 One of the reasons you gave was that, of -
12 course, you now have an effective vaccine that would
13 have to be given and it would be unethical. But I
14 thought that the effective vaccine was not effective
15 in one group, and that is children under the age of 2.
16 Could you or could you not test the efficacy of a
17 vaccine in that population since you don't have an
18 effective vaccine?

19 DR. GOTSCHLICH: I believe that the
20 logistics in terms of the incidence of the disease
21 plus that ethical compounding factor would make it
22 essentially impossible. However, I am not an
23 epidemiologist so if somebody else would like to
24 differ with that, that's fine.

25 DR. GREENBERG: I think this is a very

1 important point because it really goes to the heart of
2 how critical it is for us to make a determination of
3 immunologic correlates and I just didn't want to let
4 it pass as a given without having somebody talk to the
5 data on which that given is based.

6 DR. GOTSCHLICH: Okay. The only thing that
7 I could add to that is that there simply was no
8 stomach for testing the group Y or the group W135
9 vaccine at the time that they were introduced. They
10 were accepted by virtue of the fact that they gave the
11 same immune responses as A and C where efficacy data
12 was obtained.

13 DR. GREENBERG: Carl, is this question going
14 to be addressed by anybody, this given that nobody
15 could never do an efficacy trial?

16 DR. FRASCH: I think Brad Perkins from his
17 epidemiology standpoint could comment.

18 MS. CHERRY: Carl, could you get closer to
19 the mike?

20 DR. PERKINS: Yes. I was not asked to
21 directly address this but I think it's a critical
22 question. It is something that we've looked at fairly
23 carefully at CDC, and that is the possibility of doing
24 a controlled trial. It would require a population
25 much larger than the populations that have been used

1 to get Phase III data on both Hib and pneumococcus.

2 The most readily accessible large
3 populations that we could conceivably do such a study
4 are the emerging infection program sites where we do
5 have very good surveillance. And with a population of
6 something in the range of 30 million people which is
7 the collective population that is included in those
8 six to eight sites.

9 It could be over a three year period
10 possible to do a controlled trial. The logistics and
11 the cost of doing that trial I think are prohibitive. -
12 We have made an internal decision at CDC being that is
13 not something we would advocate.

14 What we will advocate very strongly for our
15 Phase IV case controlled studies, I think, with some
16 nested immunogenicity studies both in the U.K. and in
17 the United States as these vaccines are introduced.

18 DR. GREENBERG: Can I ask just one follow-
19 up? That is since the vaccine is said to decrease the
20 acquisition of carrier state, is this a marker of
21 efficacy?

22 DR. PERKINS: I think that is a very
23 important aspect of the potential of vaccine to
24 provide herd immunity. In situation like Africa where
25 one of your main objectives may be to prevent

1 epidemics in addition to prevention of endemic
2 disease, that is a critical question.

3 In the United States I think that is a high
4 bar to clear in terms of just clinical protection. I
5 think it is very likely you would have clinical
6 protection whereas you may not have protection against
7 carriage. We have also looked at sample size
8 calculations to evaluate the impact on the serogroup,
9 specific impact of carriage in this country and think
10 that it's probably not possible to do those studies in
11 the United States either.

12 DR. GREENBERG: Kathy.

13 DR. EDWARDS: I have two questions. First
14 of all, is it clear that capsular switches occur with
15 meninge? I mean, if you're a C can you become a B and
16 whether that will have any impact if there is
17 widespread immunization?

18 Secondly, could you comment again about the
19 immunity to type B. Is the immunity that is naturally
20 seen specific for capsule or is it the outer membrane
21 proteins that are conferring the bactericidal activity
22 or is it known?

23 DR. GOTSCHLICH: The first question is yes,
24 it is possible to do that switch in a laboratory.
25 Yes, that switch has, in fact, been seen in nature and

1 it was such a lovely switch that it made it into
2 national academy. However, it is quite rare.

3 The second thing that I would add to that is
4 that in the military situation there has been plenty
5 of opportunity for group B meningococcus to reenter
6 the military population in terms of a problem. It has
7 not done so. Keep in mind that group B was the main
8 military problem in the early late '50s and early
9 '60s.

10 There is no particular reason why if you
11 prevent group C or whatever other meningitis, group Y -
12 or group A, there is no particular reason that now
13 there is an empty nest that must be filled by group B.

14 Lastly, the immunity to group B
15 meningococci is controversial. My own view is that
16 the capsular antibodies that are present have been
17 underestimated in their importance.

18 DR. GREENBERG: We have three questions.
19 First, Dr. Karzon.

20 DR. KARZON: The inverted bell-shaped curve
21 which you showed is fascinating to me. It is very
22 similar to a monotypic bell-shaped curve of, say,
23 measles. A single disease, the antibody is high at
24 birth, it rapidly falls by six or so months, then
25 gradually goes up to a very high number.

1 It would suggest, as you have, that the
2 antigens involved and the epitopes involved in that
3 phenomena is a summation perhaps of all related
4 organisms in meningococcal and perhaps other families.

5 It seems to me then that the antigenic
6 recognition signs, the epitopes in this material could
7 be sorted out and find the contributions to the
8 individual ones, the cross-reacting ones, and their
9 specificity. There are a lot of clues that you can
10 get from this phenomenology that you and others have
11 shown.

12 DR. GOTSCHLICH: I would certainly say that
13 even in the original papers that we discussed today,
14 there was an effort made to determine what the
15 antigenic specificity was within the frame work of
16 that day. It was clear that a good proportion of the
17 protective antibodies as determined by this
18 bactericidal assay were to the polysaccharide but that
19 they were also antibodies that could cause the
20 bactericidal reaction that were directed at further
21 antigens.

22 DR. KARZON: One could conceivably generate
23 an antigenic profile which would cover any desired
24 organisms that are targets at that time.

25 DR. GREENBERG: Dr. Stephens and then Dr.

1 Daum.

2 DR. STEPHENS: Emil, can you comment on the
3 issue that is certainly in the literature regarding
4 blocking antibodies and the potential issue of using
5 the total ELISA in that kind of context?

6 DR. GOTSCHLICH: Blocking antibodies were
7 described in a particular setting. Let me just
8 briefly remind the audience what they are. It was
9 found that sera that were obtained from army recruits
10 that did not have bactericidal activity to a
11 particular organism would show bactericidal activity -
12 after the IgA antibodies were absorbed. This is a
13 fact that does occur. It is not, I believe, a
14 terribly common fact.

15 The second issue is I'm not advocating
16 adherence to the quantitative total immunoglobulin
17 level as a standard. What I am advocating is that we
18 use all the information that is available to us and do
19 not fixate on the bactericidal reaction as being the
20 only thing that measures effective immunity.

21 DR. GREENBERG: Could you just elaborate a
22 little bit more on what you are advocating as opposed
23 to what you are not advocating? I got it that we
24 should not be fixated on bactericidal. I also
25 understand that the specific level quantitative is

1 measured by radioimmuno assay or bioassay may not be
2 perfect. Tell me a little bit more about what other
3 information will be available to us.

4 DR. GOTSCHLICH: I think actually, if I may
5 be -- I do not wish to avoid your question but I think
6 it would be best if we asked Dr. Carlone.

7 DR. GREENBERG: Fine. Good. Dr. Daum.

8 DR. DAUM: Something that went by a little
9 too quickly I would like to ask you to reframe for me.
10 With the H. flu vaccines certainly the unconjugated
11 capsular polysaccharide did not interfere with
12 asymptomatic carriage, although the conjugate vaccines
13 in some settings do.

14 I believe that you mentioned that
15 unconjugated meningococcal polysaccharide vaccines
16 did, I think you used the words, protect against
17 acquisition of carriage. I would like to ask you to
18 repeat what you said because, I'm sorry, it went by
19 too quick. Also the comment on the mechanism by which
20 that might occur.

21 DR. GOTSCHLICH: The experiments that were
22 done were done initially in 1968 within this Fort Dix
23 setting that I have already described to you. Within
24 that setting in two of the --

25 MS. CHERRY: Sir, would you use the mike?

1 DR. GOTSCHLICH: I'm sorry. Within two of
2 the training companies in which this experiment was
3 done, the effect was approximately a 50 percent
4 reduction in the acquisition of the carrier state. In
5 an additional training company the effect was even
6 somewhat larger. They were all statistically
7 significant. They are not like what you would expect.
8 They are not 100 percent effective. They are clear
9 partial protection against the carrier state.

10 Similarly, all the other experiments where
11 this phenomenon has been seen have been in that same
12 general range whether they be with group C in the
13 United States military recruits when this has been
14 repeated, or with group A in Finnish military recruits
15 where it has been repeated. It is not an absolute
16 prevention of the acquisition of the carrier state.
17 It is a partial one.

18 What might be the mechanism of this? The
19 immune response to the polysaccharides does produce
20 all classes of immunoglobulins. It is perfectly
21 possible that IgA will occur in the secretions. What
22 has been generally overlooked is the rule of IgG in
23 mucosal secretions. There is a hell of a lot of IgG.
24 In most instances there is more IgG than IgA and we
25 have generally overlooked the biological significance.

1 DR. GREENBERG: Other panel questions?

2 DR. ESTES: I have one more.

3 DR. GREENBERG: Oh, I'm sorry. Both of you?
4 Dr. Estes.

5 DR. ESTES: So you have told us about
6 percent micrograms of total immunoglobulin. Have
7 people measured total IgG and does that have a
8 correlative protection?

9 DR. GOTSCHLICH: The isotopic antibody
10 responses will be reviewed by Dr. Carlone.

11 DR. GREENBERG: Dr. Stephens.

12 DR. STEPHENS: A question also for Brad
13 dealing with this issue of carriage. To my knowledge,
14 I'm not aware of any data that is out there that has
15 looked carefully at carriage with the conjugates. Can
16 you help me with that? Is there data on carriage?

17 The other issue has to do with certainly
18 strains that may not be expressing capsule and,
19 therefore, will not be serogroupable but have the
20 potential to express capsule can be found in a
21 carriage state. Can you comment on that particular
22 issue?

23 DR. PERKINS: Yes. There are very little
24 data on the use of conjugate vaccines and the impact
25 they might have on carriage. They have been

1 collecting some in one of the studies in Niamay Niger.
2 They are collecting it, although I don't think we are
3 going to have the sample size. It is recognized in
4 the study that we are not going to have the sample
5 size to make definitive conclusions.

6 We think that the only place -- I mean, in
7 a closed military population it may be possible to
8 look at some of the aspects of the conjugate impact on
9 carriage but I think in the Africa setting carriage
10 among older children in school may be high enough for
11 serogroup A to actually do that study and we are
12 planning to do that with one of the conjugate vaccines
13 in Africa.

14 Now, extrapolating the results of serogroup
15 A impact on carriage to the other serogroups may be
16 difficult but I think it's unlikely we are going to
17 find a setting that we will be able to look at the
18 other serogroups directly.

19 What I do think we can do is some of the
20 similar type of observational studies that were done
21 with hemophilus influenza B and those were as the
22 vaccine was introduced into the routine program, the
23 impact on disease occurrence was out of proportion to
24 what would be expected on vaccine efficacy alone
25 suggesting that you were getting substantial herd

1 immunity.

2 I think those kinds of observational studies
3 are possible and that indirect way is probably the way
4 we will get most quantitative information about the
5 impact on carriage in the United States.

6 DR. GREENBERG: Any other questions? Dr.
7 Snider.

8 DR. SNIDER: Emil, no one has mentioned, or
9 maybe I was asleep, the issue of the susceptibility of
10 people with complement deficiencies C3, C5 through 9,
11 to this disease. My question is what does that tell
12 us about the kinds of antibodies that we ought to be
13 looking for as correlates of immunity?

14 DR. GOTSCHLICH: You raise a very
15 interesting issue which we should adumbrate a little
16 bit more. That is that any instances where you see
17 repeated either gonococcal septicemia or meningococcal
18 septicemia it is almost invariable that you will find
19 a late complement deficiency.

20 This does give you pause. There is no
21 question that invitro you can get a very nice opsonic
22 effect. There is no question that in vivo the
23 polymorphonucleukocytes of people with meningococcal
24 meningitis or gonorrhoea are loaded with organisms
25 which they are busy killing.

1 Yet, there is this peculiar hint that maybe
2 the serum bactericidal reaction is particularly
3 important in niseral disease. I don't think we can
4 give it much more credence than that.

5 DR. GREENBERG: Anymore questions?

6 DR. ESTES: Can I have a follow-up to that?
7 If you look at the antibodies in people who are
8 lacking complement in the tests that you've been
9 talking about, are they behaving in the same or a
10 different way?

11 DR. GOTSCHLICH: Generally those people will -
12 have bactericidal antibodies if you repair the
13 particular specific complement defect. On top of
14 that, those people do benefit from polysaccharide
15 vaccination in terms of the recurrence of the disease.
16 You can't prevent recurrence of the disease among
17 these people with polysaccharide vaccination.

18 DR. ESTES: But in the ELISA or RIA assays
19 were you measuring total immunoglobulin, people with
20 complement defects?

21 DR. GOTSCHLICH: They will tend to be --
22 well, okay. Let me give you my impression rather than
23 claim this as fact. They will probably have a normal
24 immune response before they had the disease. If you
25 took that particular serum and enhanced it with the

1 particular missing complement component, they would
2 have a perfectly good bactericidal response.

3 DR. GREENBERG: If there are no other
4 questions, I would like to -- are there anymore? No?
5 Thank you, Dr. Gotschlich. We'll move on to our last
6 -- actually we have a break scheduled and we are a
7 little ahead of time. I would like everybody to take
8 a 15 minute break. I would like you all to be back
9 here at 10 of 10:00.

10 MS. CHERRY: But before you do, it has been
11 suggested that we should ask any of you that are
12 taking planes to call your airlines and check on
13 cancellations. Apparently the hurricane is doing
14 strange things to airline schedules all across the
15 country. It would be wise that you do that now.

16 (Whereupon, at 9:36 a.m. a recess until 9:55
17 a.m.)

18 DR. GREENBERG: If people could take their
19 seats, I would like to begin. I would like to make
20 just a brief request of all the speakers and panel
21 members. As many of you know, hurricane Floyd is
22 making his way up the east coast. Evidently airlines
23 are beginning to decompensate.

24 All things being equal, I would like to move
25 this meeting along as crisply as possible since many

1 of us would not want to spend the night in the
2 airport. This is a very important subject and we have
3 to cover the issues but I would ask all the speakers
4 and the questioners to formulate your presentations
5 and your questions precisely and I'm not going to let
6 people dawdle. With that in mind, we now have Dr.
7 Carlone from the CDC.

8 DR. CARLONE: Would you cue me at 25 minutes
9 so I can go right to my conclusions if I'm getting
10 close?

11 DR. GREENBERG: There will be a hook.

12 DR. CARLONE: Okay. I'm going to talk a
13 little bit about the laboratory issues that we are
14 discussing today so this will be a very laboid type
15 presentation. I'm going to talk about the
16 standardized ELISA and the bactericidal assays for the
17 measurement of meningococcal serogroup C.

18 Let me give you the overview first and then
19 we will go back and talk about these things. First of
20 all, the standardized assays can be used to predict
21 immunogenicity just as Dr. Gotschlich said. What we
22 are going to talk about today is the ELISA which is a
23 finding assay. I'm not going to mention the RIA. We
24 are going to talk about the bactericidal assay which
25 measures functional assay. I'll refer to this

1 occasionally or most of the time as SBA.

2 Also, I think we are going to try to get at
3 that immunogenicity can be used as a surrogate for
4 vaccine efficacy, also what Dr. Gotschlich talked
5 about today. We are going to spend most of the time
6 on the laboratory type issues and the status of the
7 current assays and what are they.

8 Well, first off, the ELISA which we will
9 spend some time with. It is the method of choice.
10 When I say method of choice, I mean it is the method
11 that most people like to use for ease of measurement.

12 And we do have a standardized ELISA and I'll
13 be drawing your attention to that because standardized
14 ELISA means that it binds both low and high avidity
15 antibodies. We will be spending some time on the
16 details about this.

17 I will be talking about another modification
18 of the ELISA called the high avidity ELISA. We will
19 also be talking about the SBA which, as Dr. Gotschlich
20 has told you, bridges to previous studies. What we
21 need to do is we need to talk a little bit about the
22 correlation of the ELISA with the SBA.

23 We see that the ELISA bridges to previous
24 studies. It is a correlative protection. We need to
25 make sure that the ELISA in this case is a valid

1 correlate of a correlate, if you will.

2 The other issue that we are going to talk
3 about today, and I'll show you some slides, has to do
4 with the complement source. This may answer some of
5 the issues that were described or talked about earlier
6 today. We will talk about some human versus the
7 rabbit complement source.

8 Hopefully, the use of immunogenicity data
9 will reduce or eliminate the need of efficacy trials,
10 we hope, and permit vaccine licensure and public
11 health recommendations. Hopefully, we will get to
12 that last statement.

13 Let's just talk about the ELIZA and the SBA
14 requirements. First off, the assays that we've
15 chosen, both the SBA, have to correlate with
16 protection. That was provided earlier by Dr.
17 Gotschlich. The ELISA has to correlate with the SBA.
18 Obviously if we don't have a correlative protection,
19 which we do the SBA, we have no choice but to use
20 clinical efficacy as the endpoint.

21 These assays also have to be very specific,
22 reproducible, and robust. The robust part we'll talk
23 about a little bit later. The assays have to be able
24 to tolerate some changes in them.

25 Also, the very ability from a statistical

1 standpoint needs to be within, if you will,
2 "acceptable limits." Brian Plikaytis has spent a lot
3 of time on that from CDC making sure that we stay
4 within these acceptable limits.

5 The last part is these assays also have to
6 be generalizable. I think this is very important and
7 I will come back to this issue as we go through with
8 the graphs and table later. What I mean by
9 generalizable is you can't have four or five of these
10 so-called standard assays to measure various aspects
11 of what we are looking at.

12 You can't have two or three ELISA assays to
13 measure vaccines and different formulations. The
14 schedules have to all be generalizable and that's what
15 I will try to show today, that the ELISA and the SBA
16 are, for the most part, generalizable.

17 When we talk about standardization and
18 validation, we've done a number of things. First of
19 all, we have standardized these protocols. Now,
20 originally the protocols were standardized where
21 everyone used exactly the same method and the exact
22 same protocol.

23 What we found over the years is that they
24 drifted away from using the standard protocol and we
25 have gone to more performance based. That's where the

1 aspect of robustness with these standardized assays
2 come in. You can make slight deviations and still
3 have these assays perform optimally.

4 We also have looked at reagent
5 standardization which is also another very, very
6 important aspect obviously of any standardized test.
7 We currently have reference sera that are produced or
8 used for both the SBA and the ELISA. We have solid
9 phase antigens. We have quality control, etcetera.
10 These reagents are distributed both by the CDC and the
11 NIBSC, National Institute for Biological Standards and
12 Control at the U.K. for everybody to get those
13 reagents if they choose.

14 Also, another aspect of the standardization,
15 which Brian Plikaytis of the CDC has spent a lot of
16 time on, is the data analysis standardization. You
17 clearly need to use in these assays appropriate
18 algorithms. You can't spend a lot of time pondering
19 over the right antigens and tests and protocols and
20 then put your data in a black box so to speak and
21 accept the numbers.

22 We have spent a lot of time in trying to
23 standardize these with this as well. All of these
24 tests have been validated through the use of multiple
25 laboratory settings. The standardized ELISA, let's

1 get to that.

2 MS. CHERRY: Would you use the mike?

3 DR. CARLONE: Is this not working now?

4 MS. CHERRY: I think the other microphone is
5 fine.

6 DR. CARLONE: Is this okay?

7 DR. GREENBERG: Yes.

8 DR. CARLONE: Okay. We'll talk a little bit
9 about the standardized ELISA that is being described
10 in this talk for serogroup C. We developed that in
11 1994 in a paper by Gheesling, et al., in JCM. It was
12 validated in a multi-laboratory study. In that study
13 we had regulatory agencies that participated,
14 manufacturers, Government agencies, and folks from
15 academia. There were a total of 11 labs that
16 participated in this validation study of the group C
17 ELISA.

18 We found, as I mentioned earlier, that it
19 did meet some of the criteria of the standardized
20 assay. It was robust. It could tolerate slight
21 differences in the protocol. The variability again
22 was in acceptable limits as determined by Brian
23 Plikaytis.

24 Also, these assays were used in many of the
25 serogroup C immunogenicity studies. Just a snapshot

1 of some of those studies, here are some of the studies
2 that are listed from 1994 to recently where this ELISA
3 was used. Actually, the SBA in most of these.

4 If you'll take a look at that, one of the
5 things you can see is we have used them in a wide
6 variety of settings. They have been used on a U.S.
7 population, an African population. We've looked at
8 adults, toddlers, and infants. It has also been used
9 on the polysaccharide vaccine and the conjugate
10 vaccine. We have used these assays on a wide range of
11 populations.

12 Now, let's get to some of the limitations of
13 this assay. First off, the protective level -- the
14 precise protective level is unknown. As Dr.
15 Gotschlich said, it's been estimated to be
16 approximately one to two micrograms per ml. This is
17 very similar to what we see with hemophilus influenza.

18 Also the reference in the quality control
19 sera that we used are from adults that have been
20 previously vaccinated with the polysaccharide vaccine.
21 This does not appear to be a problem at this point.
22 Also, I don't think we have many alternatives. We
23 clearly can't get reference here from adults.

24 I think it would be inappropriate to get
25 reference here from an individual who has been

1 vaccinated with a particular vaccine formulation. We
2 also can't get the sera obviously from toddlers that
3 we would like to use it on so we have to use these
4 reference sera for these standardized assays from
5 adults.

6 Now, also this aspect of it. The antibody
7 avidity which is important. The word came up a few
8 times earlier today and we are going to spend a bit of
9 time on that.

10 The standardized ELISA binds both low as
11 well as high avidity antibodies. It's important to -
12 know why that is important because the low avidity
13 antibodies can have no or low functional activity.
14 This could affect the correlation.

15 We also had the high avidity ELISA and I'll
16 talk about that. Only it selectively binds or favors
17 binding of the high avidity antibody. This is by the
18 addition of a reagent. This has been published in
19 Granoff, Maslanka, Carlone, et al., all of this about
20 the antibody avidity.

21 What we have found in this publication is
22 that the high avidity antibody does correlate well
23 with the SBA.

24 We'll talk a little bit on the next slide
25 and I'll try to show you what this is. This is from

1 the Granoff paper. This is published.

2 We are looking here at the geometric
3 antibody concentrations as assessed by the different
4 assays. These are toddlers vaccinated with the Chiron
5 vaccine. The way I have this slide set up is, first
6 off, we have three panels. We have the standardized
7 ELISA which measures low and high avidity antibodies,
8 the high ELISA, and we'll look at the bactericidal
9 test and how it relates.

10 Over here we have the geometric mean of the
11 pre post-dose one, post-dose two sera. Then we have
12 the P values that compare it in this direction. As we
13 look across with the two vaccines that are being
14 compared, the polysaccharide and the conjugate
15 vaccine, we see in the presera that these antibody
16 levels are very close to one another and they are not
17 statistically different.

18 As you look at post-dose one and post-dose
19 two you get a slightly different picture. You see
20 here that the polysaccharide level is higher than the
21 conjugate level but it is not statistically different.
22 You look at post-dose two in these toddlers and you
23 see that they are almost the same with a P value of
24 .81.

25 Now, if we look at that in terms of just

1 looking or selectively looking or preferentially
2 looking at the high avidity ELISA with the two
3 vaccines, let's take a look and see what picture we
4 get.

5 When we look at the prevaccination sera you
6 can see again that they are very close and there is no
7 statistical difference. However, when you look at the
8 post-dose one and post-dose two, what you see is a
9 decrease in the post-dose one and post-dose two with
10 the polysaccharide vaccine. You can see that the
11 conjugate vaccine stays pretty much the same here. —
12 This is higher most likely because you have much
13 better binding of these very high avid antibodies.

14 What you see also is the P values now
15 between here and here are statistically different,
16 whereas they weren't here when we used the low of the
17 standardized ELISA.

18 Now, let's look at how that compares to the
19 functional assay. We have the bactericidal test for
20 the polysaccharide in the conjugate. Here too you see
21 that the levels are very similar and are not
22 statistically different.

23 As you look at the post-dose one you see a
24 relatively low level here and a lower level here with
25 the conjugate vaccine. There is a statistically

1 significant difference. Look at this difference over
2 here. What you see is a very low level of the
3 bactericidal test and a very high level of
4 bactericidal with the conjugate vaccine.

5 This pattern clearly more closely mimics
6 this with the high avidity ELISA than it does with the
7 low avidity or standardized ELISA over here. This
8 kind of gets the stage as a snapshot of how that
9 works.

10 Now, let's talk about the geometric mean
11 concentrations of the IgG high avidity as determined
12 in infants after conjugate vaccination. This was from
13 Borrows, presented by Ray Borrows at the meningococcal
14 workshop in 1999 in Geneva. The way I have this set
15 up is I have the IgG levels over here and the bleeds
16 over here. We have a prebleed post-dose one, post-
17 dose two, and three, prebleed, and post bleed.

18 We are looking at it with two of those same
19 tests. We are looking at the standardized IgG test,
20 which I've labeled as No. 1, the high avidity IgG test
21 which is No. 2. What you see here is the median
22 values which is the large bar and the confidence
23 intervals over here.

24 As you go across and just kind of look at
25 the picture, what you see is using the high avidity

1 and the low avidity test as you go across, you don't
2 see much difference in the pre. This is on a log
3 scale by the way.

4 You do see a considerable difference over
5 here on the post-dose one and not much on the post-
6 dose two, post-dose three. Maybe a little difference
7 on the prebleed and then the post booster, prebooster.
8 Then the post booster you see that you get a nice
9 level here.

10 So it's the post-dose one in this case here
11 where you see some of the differences. You have the
12 standardized ELISA that gives you a higher absolute
13 value. Some of those values as based on
14 preferentially measuring it with the high avidity
15 ELISA you get a lower level.

16 Again, as post-dose two and post-dose three
17 in this case, there is really not a difference whether
18 or not you measure the standardized IgG or the high
19 avidity IgG ELISA.

20 So let's talk now about the bactericidal
21 assay. After this I'll get back and try to put all
22 these things together so you can see what the
23 interplay is.

24 The standardized bactericidal assay that was
25 published in the WHO Technical Report Series, 1997.

1 It was the recommendations from meningococcal
2 polysaccharide vaccines. It did include an SBA
3 protocol at that time.

4 In 1998, or actually a little before, we
5 decided that we would look back at this assay because,
6 as Dr. Goldschneider said, this was set up with the
7 technology at the time. We went back because we knew
8 we could miniaturize and so forth. We asked the
9 question do we still get the same results and
10 performance when we use our modifications or compare
11 this to what was published in 1976.

12 We looked at the critical parameters and we
13 evaluated a number of aspects. In particular, which
14 I'll talk about in a few minutes, is the complement
15 source. What we found in this assay is that we did
16 have a variability of plus or minus one dilution
17 within a lab and plus or minus two dilutions between
18 a lab.

19 For all intents and purposes there were
20 really little differences other than volumes and a few
21 other very minor aspects of the original paper that
22 was published that we came up with on this 1998 paper
23 evaluation that said that this is more or less an
24 optimized or validated test.

25 What has been done with this test is we've

1 demonstrated functional activity with various vaccines
2 in different age groups and with different vaccine
3 regimens. This gets back to my point about
4 generalized ability. You don't want to have a test
5 that is only specific for or you have to have multiple
6 tasks to demonstrate these in different groups.
7 We've looked at it in a number of different situations
8 and it seems to be good.

9 Also as Dr. Gotschlich said, but I'll end
10 this part on that, that it appears to provide a valid
11 bridge to previous sera epidemiologic studies that he -
12 described back in 1969 and '70.

13 Now, there are some limitations with the SBA
14 that we have to consider as well. Dr. Gotschlich told
15 you about, for example, the protective levels in the
16 Goldschneider, et al., study in 1969. The protective
17 SBA titer was determined to be greater than or equal
18 to a titer of one to four.

19 Also as he mentioned, this was done on
20 natural infection on adults and that they used an
21 endogenous human complement source to generate their
22 data. It's a particular note to understand that in
23 the standardized assay that we're using, we now use
24 rabbit complement.

25 The decision was made after this study and

1 during the standardization of the WHO publication,
2 that I told you, the switch from a human complement
3 source to a rabbit complement source.

4 Basically one of the reasons for this is the
5 rabbit complement source is much easier to get and
6 it's much more standardizable. The human complement
7 source you can get. It's clearly just for difficult
8 and you have to have a lot of quality controls to
9 qualify the complement source. I think for the most
10 part people have used rabbit complement.

11 Now, we also have standard reference sera
12 and quality control sera as with the ELISA that are
13 also from adults immunized with the polysaccharide so
14 that may be a limitation of the study.

15 Also, as mentioned by Dr. Goldschneider the
16 bioassays or, in this case, the SBA, does tend to be
17 more variable than the ELISA so people, I believe,
18 tend to want to do these kinds of tests, the ELISA, as
19 compared to the bioassays. Although the question came
20 up what would my lab prefer to do. Because we've done
21 so many over the years, this is not the consensus but
22 in our lab we would much prefer to do the SBA than we
23 would the ELISA, although we do both.

24 Also the SBA titers are higher with the
25 rabbit complement than the human complement. This has

1 been an issue of a debate and concern. I think, as
2 Dr. Goldschneider said, or at least implied, that what
3 is important is maybe the absolute titer may really be
4 less important than the demonstration of functional
5 activity. I hope I interpreted what he said
6 correctly. This is an important point to keep in mind
7 as I go through the series of slides, that the rabbit
8 complement titer does, in fact, give a higher titer
9 than the human complement.

10 This is a little busy slide but I wanted to
11 show you both here and kind of give you a snapshot of
12 the way the points are around the line. This is
13 toddlers vaccinated with two doses of the Chiron
14 vaccine, combined data. Over here we have the
15 bactericidal titer. What we have down here is the
16 ELISA IgG levels. This is for group C and you can see
17 some of the statistics.

18 What I want you to see is this basically.
19 If you notice down here on the standardized ELISA
20 where you measure both high and low avidity
21 antibodies, you see that there is a string of -- a
22 series of measurements down here that go as high as 10
23 micrograms per ml but you don't have any functional
24 antibody activity. That is obviously concerning.

25 However, if you draw a regression line

1 through there, the R is not all that bad. Now, if we
2 look at using a high avidity ELISA again which
3 preferentially measures high avidity antibody binding
4 in the ELISA, again you can look at kind of the shape
5 of the curve. What you tend to see is we lose a lot
6 of these sera that had these high levels shifted in
7 this way. We lose some of these because of the lower
8 limit of detection as well.

9 I think over all what we see is maybe a
10 better correlation or higher correlation when you
11 preferentially measure the high avidity antibodies
12 over the standard assays which measure both high and
13 low avidity antibodies.

14 I picked out just a few slides to try to
15 illustrate some of this point too. This is just
16 adults vaccinated with a single dose of the Chiron AC
17 vaccine, bactericidal over here, and the ELISA levels
18 down here for group C. You can see what the
19 statistics are. What we have are the prelevels,
20 prevaccination here, post conjugate vaccinations here,
21 and a linear regression.

22 What I wanted to show you here is that in
23 adults that generally produce high avidity antibodies,
24 even with the standardized ELISA, you can see that you
25 still get a pretty good R value here. Again, this is

1 the standardized ELISA and you're getting with adults
2 a pretty good response.

3 This happens to be from the Anderson paper
4 that was done in 1994. One of the first papers, at
5 least, that we were involved in applying some of the
6 ELISA technology to.

7 I want to shift gears just a little bit and
8 take a look at some more data generated by Ray Borrows
9 with the Wyeth Lederle vaccine and, again, in infancy,
10 the SBA geometric means for infants. What we did is
11 we determined them with two different complement -
12 sources, the same standardized assay, but we just
13 changed the complement sources. We only have data for
14 the post-dose one, post-dose three, and the post
15 booster.

16 What Ray Borrows showed was again in the
17 same way. We have No. 1 and No. 2 which is the human
18 complement. No. 1 is the geometric mean and the 95
19 percent confidence intervals. Again, just looking at
20 this what you see is you still get the clear rise
21 regardless of whether you use the rabbit complement or
22 the human complement.

23 Clearly, and this is what's important, is
24 that the human complement gives you a much lower
25 titer, approximately four dilutions lower in many

1 cases. Even though there is overlap here, the point
2 I'm trying to make with this slide is the difference
3 in the complement you do see a lowering of the titers
4 when you use the human complement as compared to the
5 rabbit complement up here.

6 Now, again, the toddler is vaccinated with
7 two doses of the Chiron vaccine. I wanted to kind of
8 plot these in different ways. Again, here you have
9 the bactericidal titer with the human complement on
10 the Y axis and the bactericidal with the rabbit
11 complement on a log scale.

12 What I've drawn here is a line of identity.
13 We would hope they would all fall on that line. That
14 would be nice but it doesn't. What you can see is a
15 systematic shift to the right where again the rabbit
16 complement is much higher. Here is the linear
17 regression over here.

18 Even though the R value is pretty good here,
19 you can clearly see that there's a difference in the
20 absolute titers between the human and the rabbit.
21 This was from Maslanka, Granoff, et al., that was
22 published in 1996.

23 This slide again is toddlers vaccinated with
24 two doses of the Chiron vaccine. It's a similar slide
25 to what you saw before but here we have the

1 standardized ELISA versus the SBA with human
2 complement. We have the high avidity ELISA with the
3 SBA here with using human complement. Again,
4 bactericidal titers on the Y, ELISA levels on the X.

5 Again because of the standardized ELISA you
6 see these lines over here. It looks very similar to
7 what you saw using the rabbit complement. The R value
8 is not quite as good. That's what you get with N=83.
9 Again, you get sort of a similar picture of a nice
10 titer cluster.

11 When you preferentially measure the high -
12 avidity ELISA and using the human complement, the
13 picture here, if you will, the cluster looks very
14 similar, although, again remember the absolute titers
15 with the human complement are shifted down.

16 What this slide shows is somewhat of a
17 summation. It's a correlation using -- in this case
18 I added the standardized IgG. That's measuring G, M,
19 and A. The standardized ELISA again measuring high
20 and low avidity for IgG and the high avidity ELISA as
21 well as the SBA titers using the rabbit complement on
22 infants that were vaccinated with these schedules.
23 Again, this is the Ray Borrows data.

24 What I wanted to show you is just to look at
25 these correlations. It's not the correlations that

1 degenerate. It's the absolute values with the rabbit
2 and the human. Here we have the three different
3 assays, the new one being just total IgG
4 immunoglobulin, standard IgG, high avidity. You can
5 see what the correlations are here in the confidence
6 intervals with the rabbit complement.

7 We then take a look at the same thing with
8 the human complement. Again, very similar and
9 overlapping. Again, I think what's telling is you
10 look at the SBA, as I showed you before, between the
11 rabbit and the human complement and you get a good
12 correlation between the two. However, the titers are
13 significantly shifted down towards giving the rabbit
14 complement much higher titers.

15 In concluding remarks, I would say that the
16 standardized assays can be used to evaluate
17 immunogenicity. I think we've heard that all morning.
18 As Dr. Goldschneider said, the SBA provides a bridge
19 to previous sera epidemiologic studies and that the
20 SBA does detect differences in the immune response
21 between different vaccines and regimens in infants,
22 toddlers, and adults. Again, the important part that
23 I think is the generalizability part.

24 Also, as I've shown you, the rabbit
25 complement clearly gives higher titers than the human

1 complement. One possibility is that maybe we need to
2 increase the higher protective threshold level that we
3 have imposed instead of a one to four. Four rabbit we
4 may need to move that up a little bit to make up for
5 the differences. What that titer is is unclear at
6 this time.

7 Also, again, as I mentioned, Dr.
8 Goldschneider said -- Gotschlich said, excuse me, the
9 SBA measures functional antibody, whereas again the
10 ELISA can measure both functional and nonfunctional
11 antibody. I think these are important distinctions as
12 I've tried to show you. We would really like to look
13 at functional antibody and correlate them and have a
14 correlative of a correlative, if you will, which is
15 ELISA to give people ease of measurement.

16 The standardized ELISA does correlate well
17 with SBA when measuring particularly high avidity
18 antibodies in some cases. Clearly the high avidity
19 ELISA can, I would say, improve or give a better
20 correlation with the SBA when you are preferentially
21 measuring antibodies that are of high avidity.

22 I think to close, the last statement I said
23 is I do believe that immunogenicity data can be used
24 as a surrogate to evaluate and license vaccines and to
25 help in the development of public health

1 recommendations. That's it.

2 DR. GREENBERG: Thank you, Dr. Carlone. We
3 have some time for some questions. Diane.

4 DR. GRIFFIN: What do you know about
5 isotopes and the antibody in these assays? It seems
6 to me from having heard all of this for the first time
7 basically that complement is extraordinarily
8 important. We know that the bactericidal activity, as
9 far as I understand it, is dependent on complement.
10 We know that only certain isotopes of human IgG can
11 bind an activate complement. It seems like you might -
12 be able to get to a better functional correlate if you
13 knew something about the isotopes.

14 DR. CARLONE: Well, we do know something
15 about the isotopes but clearly I can't stand here and
16 tell you all these studies the isotopes have been
17 looked at. But, in fact, most of these we find for
18 IgG it's IgG I and IgG II. In fact, both of those
19 work reasonably well with the SBA for functional
20 bactericidal activity.

21 I can only tell you without the data in hand
22 that I don't believe that spending the time looking at
23 the isotope differences would help clarify any of
24 these issues.

25 DR. GRIFFIN: And how about your high

1 avidity antibody? What isotope if being measured
2 there?

3 DR. CARLONE: Well, it think it depends upon
4 the vaccine. If you have the conjugate vaccine, you
5 are basically getting mostly IgG I in that. What
6 we're looking at is the difference in the population,
7 what the high avidity to low avidity population is.
8 But we don't know what the percent of -- we don't know
9 what the isotopes are within that population.

10 DR. GRIFFIN: And what's the reagent that
11 you're using to determine the difference between high --
12 avidity and standard? Is it UREA?

13 DR. CARLONE: No, it's a chaotropic agent.
14 It's sodium isothiocyanate. This was described in a
15 publication by Granoff, et al. This is a classical --

16 DR. GRIFFIN: Unfortunately I haven't read
17 that.

18 DR. CARLONE: Yes. It's a classical method
19 to use a chaotropic agent to prevent binding of the
20 antibody.

21 DR. GRIFFIN: And that's used in the initial
22 at the time you add the antibody in the ELISA or it's
23 used as part of the wash after you have bound
24 antibody?

25 DR. CARLONE: Actually, you can do it both

1 ways. You may get slight differences if you do it both
2 ways but, in essence, you get the same outcome. The
3 lower avidity antibodies, whether you put it in before
4 or after it binds, the lower avidity antibodies are
5 removed.

6 DR. GRIFFIN: Lastly, it seems to me that
7 the high avidity antibodies must be a subset of those
8 that are being measured in your standard assay.

9 DR. CARLONE: Yes.

10 DR. GRIFFIN: But your data, particularly at
11 baseline, showed higher numbers for the high avidity —
12 levels than for the standard for the same sera.

13 DR. CARLONE: I would be a little careful
14 about the numbers because I think Dan Granoff, who was
15 the major contributor to this paper, made an important
16 point about not using the mass values in there. He
17 called them ELISA units. What may happen is there may
18 be a little difference in terms of what a mass value
19 and an ELISA unit is. When you remove the low avidity
20 antibodies, it's likely that these higher avidity
21 antibodies can fit better. I can conceive in my mind
22 where you may get a higher absolute number with a lot
23 of really high avidity antibodies.

24 DR. GRIFFIN: Even at a time when you have
25 very small amounts of antibodies so you would think

1 competition --

2 DR. CARLONE: I think overall the binding.
3 Dan.

4 DR. GRANOFF: So you can't compare them
5 directly.

6 DR. GREENBERG: Please identify yourself.

7 DR. GRANOFF: Dan Granoff. Sorry. The
8 standard ELISA is calibrating micrograms per ml and
9 the modified ELISA is in units per ml which is an
10 arbitrary unit. You can't compare the absolute
11 values. They are only relative values.

12 DR. CARLONE: Relative.

13 DR. GRIFFIN: But when you plotted them you
14 plotted them --

15 DR. CARLONE: Well, I had ELISA units at the
16 bottom and I had micrograms per ml at the top. I was
17 trying to give you a sense of the pattern of how they
18 cluster better as opposed to the absolute value.

19 DR. GREENBERG: Dr. Ferrieri and then Dr.
20 Gotschlich.

21 DR. FERRIERI: I had a couple of technical
22 questions, Dr. Carlone. Was your human complement
23 source by luck or choice, A gammaglobulin anemic or
24 not? Secondly, were these baby bunny complement
25 sources rather than older rabbit complement sources?

1 Then lastly, I guess I don't have a good grasp of the
2 mechanism for the difference as a function of the
3 species of complement source.

4 DR. CARLONE: First off, we did not use A
5 gammaglobulin anemic sera. What we did is we, if you
6 will, qualified the complement source such that we
7 could use it in the assay and give the controls low
8 levels.

9 We used baby rabbit complement for a number
10 of reasons. One is because it's available
11 commercially and they pull hundreds of baby rabbits
12 for one lot.

13 Your third question is what is the mechanism
14 that would allow us to see this difference or
15 enhancement with the rabbit serum. There have been a
16 couple of publications out that speculate about, or at
17 least identify the fact that there is an enhancement.
18 The exact mechanism of that is unknown. However, if
19 you saw the infant slides that I showed you, the
20 confidence intervals are pretty wide and there is an
21 overlap between the two. Clearly there's a tendency
22 for the human to be lower than the rabbit.

23 DR. GREENBERG: Dr. Gotschlich.

24 DR. GOTSCHLICH: I presume in your --

25 DR. GREENBERG: Use your microphone.

1 DR. GOTSCHLICH: I'm sorry. In your
2 standard versus your high avidity ELISA test where you
3 expressed it in micrograms per ml, did you --

4 DR. GREENBERG: You're still not talking
5 into the microphone.

6 DR. GOTSCHLICH: I presume you used the same
7 standard serum to standardize it against.

8 DR. CARLONE: Yes.

9 DR. GOTSCHLICH: Okay. If the standardized
10 serum contains a proportion of low avidity antibodies
11 which is higher than what might be seen in a new
12 response to the conjugate, one would then see a
13 response that seems higher than one would expect from
14 the data from the standard test. Is that correct?

15 DR. CARLONE: Yes.

16 DR. GOTSCHLICH: Okay. There is one little
17 problem there. Let's just extrapolate this to the
18 ridiculous. Suppose you have a standard serum that
19 has all low avidity antibody? Then the immune
20 responses that you will see will be totally
21 fictitiously high.

22 DR. CARLONE: Well, we also --

23 DR. GOTSCHLICH: What are you going to do
24 about that?

25 DR. CARLONE: Well, we also looked at the

1 bactericidal test. This is an area where you wanted
2 to look at both of them to see. That's why I showed
3 you that graph or the table where you want to match
4 one to the other. I think looking at both the binding
5 antibody as well as the functional activity gives you
6 a lot of information. I think that goes back to what
7 you were saying that maybe there's not just one assay
8 that you need. Maybe there's a combination that can
9 give you information that you don't get with one
10 alone.

11 DR. GREENBERG: Dr. Edwards.

12 DR. EDWARDS: Just being very practical,
13 George, would you say that if you look at the old data
14 that conferred protection with titers greater than 1
15 to 4, then since there's a variation in the human and
16 the rabbit, in your assays you would say anything
17 greater than 1 to 8, 1 to 16, 1 to 32? What sort of
18 magic number are you envisioning with the rabbit
19 complement assay?

20 DR. CARLONE: Well, first off, there is no
21 magic number. Secondly, we are working on that right
22 now, as some of you know in the room, with Dan
23 Granoff, Ray Borrows, and others. We are working
24 towards that to try to understand what that is.

25 Clearly, as Dr. Gotschlich said, you don't

1 want to raise the bar too high, but yet maybe it's
2 potentially a little too low right now based on what
3 we see with the rabbit and the complement.

4 I can only skirt around your question by
5 saying right now that there is no magic cutoff but we
6 are looking at that very closely to see if we can give
7 some recommendations and if there is going to be a
8 difference, what that difference is. We want it to be
9 based on obviously good science and good numbers.

10 DR. GREENBERG: Can you by any chance go
11 back to the first slide that you put up that had the
12 Chiron data. If was two graphs, one on top of the
13 other, comparing regular ELIZA and high avidity ELIZA
14 to bactericidal? Because I must be missing something
15 here. I assume that the bactericidal titers in the
16 top and the bottom -- no, that's the second one that
17 actually was put up. There is one before that.

18 But even in that one shouldn't there be
19 equal numbers of bactericidal titers of what looks to
20 me to be about 5 in both graphs? In other words, the
21 bactericidal titer is the same in top and bottom for
22 all dots. Isn't it? The only thing that's changing
23 here is the ELISA assay for any individual.

24 DR. CARLONE: Right.

25 DR. GREENBERG: But it looks like you

1 have --

2 DR. CARLONE: By shifting those some of the
3 dots then turn because you're shifting one of the
4 axes. By shifting some of the dots are going to go on
5 top of each other. Is that what you mean?

6 DR. GREENBERG: So that's the reason it
7 looks like there are a lot fewer dots on the bottom?

8 DR. CARLONE: I don't have a bubble plot.

9 DR. GREENBERG: Okay. I thought you were
10 monkeying around.

11 DR. CARLONE: No.

12 DR. GREENBERG: Okay. So that doesn't look
13 possible.

14 DR. GRIFFIN: That doesn't look possible.

15 DR. GREENBERG: I don't believe you.

16 DR. CARLONE: I will revisit that.

17 DR. GREENBERG: That was the one that had me
18 concerned when I saw it. It just didn't seem like it
19 could be.

20 DR. CARLONE: I will revisit that but --

21 DR. GREENBERG: Is it your graph?

22 DR. GRANOFF: Well, no. It's not mine. I
23 contributed with Dr. Granoff. George is right. I
24 mean, at the lower limit there are many, many points.
25 I mean, you should put an end there. There could be

1 30 points or something there at one dot. I think when
2 you look at it, it will show that. George, if you
3 could put the table up.

4 DR. CARLONE: There's a typo.

5 DR. GRANOFF: There's a typo, yes.

6 DR. CARLONE: I went over that because I got
7 the essence.

8 DR. GRANOFF: It really shows -- okay.

9 DR. GREENBERG: Okay. Dr. Kim.

10 DR. KIM: I guess another practical issue
11 regarding serum bactericidal assay is that I know you -
12 indicated you pulled a lot of bunny serum as a source
13 of complement. What is known about the variation of
14 a bunny serum as a source of complement for
15 bactericidal activity?

16 DR. CARLONE: All I can tell you is our
17 personal experience. We published some of this a
18 couple of years ago. Over approximately a period of
19 four to five years we had about eight to 10 lots of
20 complement. When we looked at our quality control
21 sera that we ran along, the variability was very low.
22 That's the only experience that we have with lot to
23 lot consistency.

24 Dixie?

25 DR. SNIDER: Yes, George, for you or Emil.

1 What I've heard so far is that we might be looking for
2 antibodies with high avidity and doing a functional
3 assay. What else are you thinking of in terms of --
4 there was an implication that there might be even more
5 than two correlates we would look for as we evaluated
6 vaccines. Are there other things you have in mind?
7 Are there other developments you haven't talked about?

8 DR. CARLONE: Well, yes, there is. I
9 brought a slide but I probably don't want to dig it
10 out right now unless someone really wants to see it.
11 I can tell you what that is. We've been looking very
12 carefully at opsonophagocytosis as another functional
13 correlate of protection. What I can tell you is it's
14 not fully validated yet.

15 We've gone from doing a killing assay to a
16 flow cytometric very automated assay. We presented
17 this at a meeting in Denmark a while back. The bottom
18 line is we get very good correlation from what we've
19 done so far by using opsonophagocytosis on this.
20 Again, I just want to stress it's not fully validated
21 and it's still under development.

22 DR. STEPHENS: A couple of questions
23 regarding the immunogenicity issues of the C capsule.
24 I think we'll hear later about acetylation as a
25 component. Have you looked at different strains in

1 terms of acetylation versus nonacetylation in these
2 assays?

3 DR. CARLONE: Again, we published this a
4 while back where we looked -- it's sort of a side bar,
5 if you will. I think that Peter Fusco is going -- is
6 Peter here? I believe he's going to actually spend
7 more time on that. The answer is yes, there are
8 differences in acetylation.

9 DR. STEPHENS: Significant differences in
10 serum bactericidal titer given?

11 DR. CARLONE: I don't want to use the word -
12 significant. I would rather let Peter talk about
13 that. In our hands we found that there can be
14 differences.

15 DR. STEPHENS: And a more general comment
16 regarding have you looked also at serogroup A
17 conjugate data?

18 DR. CARLONE: Yes.

19 DR. STEPHENS: And similar kinds of
20 observations?

21 DR. CARLONE: I think serogroup A is a
22 different story. We had a workshop in Geneva a number
23 of months ago that clearly we brought the experts
24 together and showed that group C is clearly more
25 stable in all of these aspects than group A. I mean

1 that only in a qualitative sense that we still need to
2 do a bit of work with the group A to validate a few
3 issues. The group C, I would say, is more stable. I
4 don't have any data to show you on group A.

5 DR. STEPHENS: A couple of technical
6 questions regarding the assay. Rabbit complement
7 alone doesn't kill. Is that correct?

8 DR. CARLONE: That's correct.

9 DR. STEPHENS: The serum killing titer, the
10 percent that you're using, is 50?

11 DR. CARLONE: Twenty-five.

12 DR. STEPHENS: Twenty-five percent serum
13 concentration. What percent kill are you using as
14 your cutoff?

15 DR. CARLONE: Fifty percent.

16 DR. STEPHENS: Fifty percent.

17 DR. CARLONE: But we do a full titration
18 curve so that you could cut that wherever you chose
19 to.

20 DR. GREENBERG: Dr. Daum.

21 DR. DAUM: I guess I begin by apologizing
22 for the naivete of this question but why doesn't low
23 avidity antibody have functional activity? Isn't it
24 what protects most of us in this room from being
25 infected with meningococcus right now?

1 DR. CARLONE: Well, I would answer that by
2 saying that I can't answer the question specifically.
3 I can't quote why or give you a reference. I mean, in
4 general I look at it this way. There is a threshold
5 level of binding that is required on the organism in
6 order to activate complement and kill.

7 What we know is that when you look at
8 putting the polysaccharide on the plate, you have a
9 different confirmation. We know that you can bind
10 lower avidity antibodies to that. All we're doing is
11 making a correlation of the amount that binds that we
12 consider to be low avidity and where when you go up in
13 that does it start to kill. We are really just making
14 a correlation between the two.

15 That does not directly answer your question
16 but that's an explanation of what we're trying to do.
17 We are trying to optimize the killing and the binding
18 to be similar to one another and correlate better.

19 DR. GREENBERG: We have time for a few more
20 questions and then I'm going to call a halt.

21 Dr. Kim.

22 DR. KIM: Looking into some numbers of that
23 you and Dr. Gotschlich indicated about bactericidal
24 activity of 1 to 4 might be protective. Using the
25 same serum have you done any other assays like the