

1 other than just simply saying, you know, here is a strategy.  
2 Are we right?

3 MR. BABLAK: This is a difficult subject  
4 obviously, and what the companies have agreed to do here is  
5 to develop an enhanced GMP review of the critical viral  
6 inactivation procedures for their particular products  
7 because each product is different by manufacturer.

8 Each manufacturer has to then develop their own  
9 check sheets, their own information for those products that  
10 are separate. Now, the companies have licenses from the FDA  
11 for all of these, and in those licenses are contained  
12 certain parameters for each of these, and those are what  
13 will be in the check sheets, those will be what are checked  
14 against and verified before the product is released.

15 So, it has to be done by each individual  
16 manufacturer. The policy of doing this type of general  
17 review is what everyone has agreed to do in general. The  
18 specifics of it have to be done on a company by company  
19 basis, and then have to be verified by the FDA because those  
20 are company-specific issues.

21 DR. HOLLINGER: Dr. Kleinman.

22 DR. KLEINMAN: A point of clarification.  
23 Obviously, products now go through a CGMP review of some  
24 type before they are released, I assume, and you are talking  
25 about enhancing that. I am not quite sure how enhancing

1 that review fulfills the goals of interdicting window period  
2 units that may have been introduced based on getting  
3 history.

4 In other words, you are already doing all these--I  
5 thought you were already doing CGMP reviews, already doing  
6 viral inactivation steps and documenting those. So, I mean  
7 it sounds like a good strategy to enhance those, but I  
8 really don't understand the specifics of what is changing.

9 MR. BABLAK: Two things. One, the specifics of  
10 what is changing would be the training of the individuals  
11 doing those reviews. So, currently, in many instances, the  
12 individuals would do those reviews, do what would be  
13 considered a typical GMP review, which is this says this, is  
14 that what was there.

15 So, if it says 23, did I get 23, not understanding  
16 what 23 means. So, there will be additional training to  
17 understand that 23 is really important, and 23.1 doesn't do  
18 it. So, that is part of what this is.

19 Additionally, then, there will be further  
20 documentation that that review has been done for those  
21 critical viral inactivation procedures. Obviously, it is  
22 already done. There will be additional work that has been  
23 accomplished that is written down that accompanies each  
24 batch record as it is released.

25 So, there is just some additional documentation,

1 but really what is the important thing is the training of  
2 the individuals doing that review.

3 DR. HOLLINGER: Does that imply, Mr. Bablak, that  
4 it wasn't done this way before?

5 MR. BABLAK: What it is implies that this is an  
6 enhancement. What was done before is a GMP review, which as  
7 I said, really talks about did you get 23 when it says 23  
8 without an understanding of what 23 means.

9 So, this is bringing up the knowledge of the  
10 reviewers and plus documenting that yes, this additional  
11 review was done, these have been done, and it is accompanied  
12 with each product lot.

13 DR. HOLLINGER: Mr. Dubin.

14 MR. DUBIN: I think Dr. Stroncek said it directly.  
15 I mean we feel like how many you need to get with the  
16 program to license the test. I think, Jason, there is a  
17 couple of things. I think the industry on some choices has  
18 got to make some hard decisions about where they are going  
19 to be more cooperative with each other.

20 I think John has echoed something that we scratch  
21 our head about regularly because we have a number of  
22 software writers on our board of directors who are pretty  
23 computer agile, and everybody has been amazed at the lack of  
24 standardization.

25 I want to underline what John was saying. You are

1 sitting at a screen, you have got a data field, and you  
2 can't get to the next data field if you don't enter it,  
3 because it won't take you there.

4           It seems to me that that is something that would  
5 be pretty easy to agree on, it would make your life easier.  
6 It would certainly make FDA's life easier when they need  
7 information or need to look back, whatever the issue is.

8           I think what we have learned with HCV is poor  
9 recordkeeping causes us all a lot of pain including the  
10 companies that make up IPPIA, I mean let's face it. So, at  
11 what point do we get to the point where we all agree,  
12 competition aside, there is a basic degree of  
13 standardization that serves ultimately the interests of your  
14 companies, your component companies, as well as the FDA and  
15 the government, as well as the consumers.

16           This seems to be one of those issues that we can  
17 all get behind and say everybody wins, everybody benefits if  
18 we move on, and we live in such a technologically advanced  
19 society, yet we can't come up with a standardized system of  
20 reporting and data information, sometimes it boggles the  
21 mind from our perspective.

22           We have got most of our work on computer and  
23 standardized, it's so easy. I think you guys can't have it  
24 both ways. You can't get up and say we are going to do this  
25 as an industry, but these parts of it we are going to resist

1 because we are individual companies.

2 I just want to underline what was said down here,  
3 which is you have got to get with the program and you have  
4 got to make some choices.

5 MR. BABLAK: If I could respond, I think it is not  
6 clear maybe what I am trying to say. I think all the  
7 companies are agreeing to do virtually the same things.  
8 What the difference is, each company, first of all, has  
9 different processes, they have different parameters for  
10 those processes that have been validated, so those are  
11 different, and one company can't use information from  
12 another company because they don't have that particular  
13 process either validated or it's not run at their facility.

14 So, that is why it is important that each  
15 individual company has to come up with their own individual  
16 check sheets and individual SOPs against those check sheets  
17 because they are different processes. One company, you  
18 can't take it and run it at another company because it's  
19 completely different.

20 MR. DUBIN: Understood.

21 MR. BABLAK: That is all I am trying to say. I  
22 think the general idea of having documentation and records,  
23 I think they are all going to end up looking pretty similar.  
24 It is just the data that goes in, is what is different.

25 MR. DUBIN: Don't disagree.

1 DR. HOLLINGER: Dr. Buchholz.

2 DR. BUCHHOLZ: I was just going to echo that same  
3 statement. I think every manufacturer goes in with a  
4 different process. If these processes were all the same, it  
5 would be most surprising. There are differences in probably  
6 virtually any aspect of these fractionation procedures.

7 It is sort of like if you have a problem with your  
8 brakes, well, you say you get your brakes fixed, but, you  
9 know, does it make a difference if you have a Toyota or you  
10 have a Lincoln Continental, and I think the point that Jason  
11 made is a very real one in terms of no manufacturing process  
12 is identical among manufacturers, and thus there has to be  
13 individual variation.

14 But I think the message comes through very clearly  
15 that this is something that everyone wants to do and will  
16 lead to the desired result.

17 DR. HOLLINGER: Dr. Epstein.

18 DR. EPSTEIN: I think we shouldn't lose  
19 perspective here. We have made significant progress in the  
20 dialogue over post-donation information and that the  
21 Advisory Committee has endorsed a principle put forward by  
22 the FDA that either in the case of inadvertent pooling of  
23 test-positive units or in the case of risk factors, that the  
24 end products can be released provided that GMP was followed  
25 in manufacturing.

1           Basically, we are saying that given the current  
2 state of the art, manufacturing procedures that deal with  
3 hepatitis B, hepatitis C, and HIV are indeed adequate, and  
4 that is the key insight here, and therefore the whole debate  
5 shifts to ensuring the adequacy of GMP.

6           What we are really talking about here is whether  
7 there are measures that can be taken, such that a quarantine  
8 and a retrospective review of GMP issues can be obviated. I  
9 think that this is a very delicate issue because the  
10 underlying problem is that there have been GMP deficiencies  
11 revealed regarding procedures at many of the fractionators,  
12 so there is a judgment call here what constitutes adequacy  
13 of this up-front review.

14           I would be the first to say that if there has been  
15 careful scrutiny of deviations in manufacturing, and they  
16 have been resolved with the conclusion of no potential  
17 safety impact with respect to inactivation of the viruses in  
18 question, that it's a safe product, but the issue is whether  
19 such determinations have been made adequately.

20           One of the buried questions here is, well, when  
21 would it be appropriate for FDA to take a second look at the  
22 batch record, you know, when would it be appropriate to  
23 challenge the conclusion of whatever group of, quote,  
24 unquote, "experts" reviewed the batch record in the first  
25 place.

1 I think that these are difficult questions and we  
2 are not going to come to closure on them today, but I just  
3 wanted to try to clarify where the issue is, and again, just  
4 for the sake of clarity, we seem to have a consensus and  
5 indeed recommendation with strong majority votes that we  
6 need not be concerned about these identified case of post-  
7 donation information even in the face of positive test  
8 results, let alone risk factors, when GMP has been complied  
9 with for the manufacturing procedures that are in place  
10 today with the current scientific state of the art. That is  
11 important.

12 Therefore, this whole debate is what constitutes  
13 adequate GMP oversight. I think that there will be a lot  
14 more that needs to be said about that. Certainly, the FDA  
15 is interested in industry proposals that suggest progress.  
16 You know, we are going to take these proposals very  
17 seriously, but the judgment call is whether these are  
18 adequate up-front or they aren't because one could have  
19 argued, and it has just been argued, well, isn't this what  
20 you have been doing all along.

21 Yes, the answer is that is what should have been  
22 happening all along, and yet in instances of post-donation  
23 information potentially affecting the safety of the  
24 derivative, we have repeatedly found that manufacturing  
25 deviations were not adequately addressed.



1           For example, there might have been a temperature  
2 excursion in the viral inactivation step to a temperature  
3 outside of the bounds of the validation data for the  
4 manufacturing process, and it went unrecognized at the time  
5 the product was distributed.

6           If we can be sure that such things no longer  
7 happen, you know, prospectively, and to some extent  
8 retrospectively, I think we could say we are there, but that  
9 is the question.

10           DR. HOLLINGER: Dr. Tabor, do you want to have any  
11 response at this point?

12           DR. TABOR: I just want to emphasize what Dr.  
13 Epstein said. We showed in a series of BPAC presentations  
14 in 1997 that the manufacturing procedures and the  
15 inactivation procedures in place at present are adequate to  
16 remove or inactivate any virus that could be present in the  
17 pool.

18           It all boils down to whether the inactivation and  
19 removal procedures are being done adequately. I am glad Mr.  
20 Dubin is here today because in those meetings, he brought up  
21 at least on one occasion the issue of the importance of  
22 ensuring that the GMPs are followed, so that those  
23 procedures that can inactivate the viruses will do so.

24           I think the IPPIA should be commended for their  
25 proactive stance. This is I think the second really major

1 proactive program that they have brought forth in the last  
2 year and a half or so or two years, and I think it is  
3 certainly worth us taking a good look at a detailed outline  
4 of their program.

5 I think one of the problems we are going to have  
6 to deal with is once their program is in place, how long  
7 would it take FDA to verify that the program works, and that  
8 might take a series of inspections that would take quite a  
9 bit of time, but those are problems that we will deal with  
10 the future, and we would certainly like to take a good look  
11 at the details of your program.

12 DR. HOLLINGER: Thank you, Ed.

13 We are going to take a break now. It is about  
14 10:48, so we will break until 11:15. We will reconvene here  
15 at 11:15.

16 [Recess.]

17 DR. HOLLINGER: The next topic actually is a real  
18 critical topic on Strategies for Increasing the Blood  
19 Supply. It is really informational, but it is such an  
20 important issue since more and more donors are being lost  
21 either indefinitely or for a short period of time.

22 So, these concerns hopefully will be dealt with a  
23 little bit about what strategies can be used for increasing  
24 the blood supply. This is going to be discussed today by  
25 Mary Gustafson.

1 I. Strategies for Increasing the Blood Supply -

2 Informational

3 Introduction and Background

4 Mary Gustafson

5 CPT GUSTAFSON: Thank you.

6 [Slide.]

7 If you will recall in the updates, Dr. Nightingale  
8 reported to you on the last meeting of the PHS Advisory  
9 Committee on Blood Safety and Availability, and this  
10 committee continues to be concerned with the availability of  
11 blood derivative and blood components.

12 As a refresher, at their April meeting, Ms. Marian  
13 Sullivan from the National Blood Data Resource Center  
14 reported the results of a survey that was conducted last  
15 year using data from 1997, which indicated that blood  
16 collections have decreased and blood utilization has gone up  
17 since the last survey, the previous survey in 1994, and also  
18 extrapolating the data, she showed that if there were no  
19 changes in blood collection policies, recruitment practices,  
20 or in blood utilization, that the lines would intersect in  
21 the year 2000 and that the blood usage would outstrip the  
22 supply.

23 You also heard from Dr. Mary Beth Jacobs from our  
24 office that we have issued guidance recommending that blood  
25 establishments defer donors who have traveled in or resided

1 in the United Kingdom during a six-month cumulative period  
2 between 1980 through 1996.

3 Dr. David Satcher, who is the Assistant Secretary  
4 for Health, and the Surgeon General, and who also serves as  
5 the National Blood Safety Director, recognized that the  
6 decision to defer the donors would impact a blood supply  
7 that may, in fact, be in a crisis.

8 Recognizing that the decision to defer donors who  
9 had traveled to the U.K. would impact the blood supply, Dr.  
10 Satcher requested that the Interagency Working Group on  
11 Blood Safety and Availability prepare a report on strategies  
12 to monitor and increase the U.S. blood supply.

13 An ad hoc subgroup comprised of representatives  
14 from the FDA, the Centers for Disease Control and  
15 Prevention, the National Institutes of Health, the National  
16 Heart, Lung, and Blood Institute, the Department of Defense,  
17 and selected members from the Blood Products Advisory  
18 Committee were asked to develop these strategies.

19 [Slide.]

20 The members of this committee are shown, and from  
21 the BPAC and also from the CDC, we have Mary Chamberland,  
22 John Boyle, and Marion Koerper.

23 The group of health officials recognized that the  
24 expertise, experience, and insight to solve these problems  
25 actually lies with the blood industry itself. Therefore,

1 representatives of the blood industry were invited on a one-  
2 time basis to provide input and comment, and those persons  
3 are shown on the next slide.

4 [Slide.]

5 The subgroup recognized that there are a variety  
6 of problems that contribute to the blood shortages, and the  
7 group also recognized that not all problems can be readily  
8 solved, but we have identified some strategies for  
9 approaching solutions that can be achieved on a short-term  
10 basis and some on a longer term basis that would require the  
11 cooperation between government and industry.

12 In the interest of time, I will go directly to  
13 these recommendations. There are five of them.

14 [Slide.]

15 The first is to monitor the blood supply. The  
16 group recognized that reliable, timely data on national and  
17 regional blood supply, collection vis-a-vis blood usage,  
18 transfusion, are unavailable. Although periodic  
19 retrospective surveys have documented collection and usage  
20 trends for specific time periods and seasonal variability is  
21 well known, there are not reliable national instruments for  
22 anticipating shortages with sufficient lead time to  
23 accomplish increased donor recruitment or deliberate  
24 redistribution of existing supplies.

25 In the past, this effort has not been funded

1 adequately by the private sector. The group feels it is  
2 essential that both industry and the PHS have timely access  
3 to data to facilitate planning.

4 With this goal, it recommended that under  
5 interagency guidance, an appropriate agency within PHS  
6 should arrange for ongoing proactive monitoring of the  
7 nation's blood supply. The resulting information would be  
8 used by government and blood centers to forecast or rapidly  
9 identify shortages and implement timely remedies.

10 In the short term, it seems most reasonable for  
11 the PHS to support the current ongoing monitoring efforts at  
12 the National Blood Data Resource Center. Ms. Marian  
13 Sullivan advised the group that it is feasible to set up an  
14 information system which would provide up-to-date blood  
15 supply information on a routine basis if NBDRC resources  
16 could be expanded or externally funded.

17 The group had suggested that funding be provided  
18 initially to support monthly surveys of a representative  
19 sample of U.S. blood centers and transfusion services  
20 because longer intervals, going to two or three months,  
21 would not be sufficient to respond to shortages and may not  
22 reflect short-term variability supply, such as seasonal  
23 variability or impact of the new donor deferral  
24 recommendations.

25 The National Heart, Lung, and Blood Institute is

1 in the process of contracting with the National Blood Data  
2 Resource Center to conduct monthly surveys starting with  
3 blood collection facilities and later including transfusion  
4 facilities.

5 While the group viewed support of the ongoing  
6 effort as the most expeditious approach, it also concluded  
7 that the appropriate long-term strategy would be the use of  
8 competitive contracting under the direction of PHS to ensure  
9 adequate monitoring of blood supply availability and use.

10 [Slide.]

11 The second recommendation was to encourage more  
12 donations by eligible donors. It has been estimated that  
13 nearly half of the population over 17 has donated blood at  
14 least once, however, only 5 percent of that population  
15 donates blood in a given year.

16 Among active donors, the average number of  
17 donations per year has been consistent at 1.5. These data  
18 indicate that the number of eligible donors in the United  
19 States is adequate to meet the country's blood needs. The  
20 problem of shortages can be solved by encouraging current  
21 donors to give blood more frequently and to recruit more  
22 eligible donors into the current donor pool.

23 A 15 percent increase in the average number of  
24 donations per donor per year would increase the national  
25 blood supply by 10 percent.

1           One way to do that would be to get many donors who  
2 donate only once or twice a year to give one more time.  
3 Beyond that, it is important to encourage a lifetime habit  
4 of donating by donors who have given only once or twice.

5           One way to encourage donations is to publicize the  
6 need for donors. Any publicity campaign should focus on  
7 both the retention and increased participation of  
8 established repeat donors, as well as the recruitment of  
9 lapsed and first-time donors.

10           An appropriate short-term strategy would be an  
11 industry-developed, broad-based national media campaign to  
12 encourage volunteer blood donation. Where appropriate and  
13 strategic, the PHS can encourage such a campaign by the  
14 industry. For example, public service announcements by  
15 high-ranking department officials who would be readily  
16 recognized by the public could be provided, and Dr. Satcher  
17 announced at the PHS Advisory Committee that he would be  
18 willing to participate in such an effort.

19           In addition, an organized effort should be made to  
20 identify successful recruitment models. Various research  
21 activities can be supported by PHS agencies to determine why  
22 one or two time donors have not continued to donate and to  
23 see what measures, such as incentives or recognition  
24 programs or increased convenience, would encourage more  
25 frequent donations by current donors who give an average of



1 only 1.5 times per year.

2 A long-term strategy would be to address the  
3 education of children to foster the civic responsibility to  
4 be blood donors. Public education starting in elementary  
5 schools should be useful in developing positive attitudes  
6 towards donation.

7 [Slide.]

8 The third recommendation is to improve donor  
9 relations as part of recruitment and retention. The blood  
10 supply is dependent upon the volunteerism of Americans.  
11 Strategies that can be undertaken on a long-term basis  
12 should address customer service improvement.

13 There are competitive pressures to volunteer for  
14 many charitable causes, and Americans demand better customer  
15 service now than in the past. Information from an earlier  
16 era indicated that few donors, maybe only 2 to 3 percent,  
17 are lost because of a bad experience at the time of  
18 donation. However, those studies are over 20 years old.  
19 Much has changed in donor interactions with increased donor  
20 deferral criteria and increased competition among blood  
21 centers for the same donors.

22 There is a need to determine if current donor  
23 practices are effective in encouraging and retaining blood  
24 donors, recognizing the need to avoid undue incentives to  
25 donate.

1           The issue of donor relations is mostly in the  
2 purview of local blood centers, but there may be more  
3 similarities than differences from one region to another.  
4 The task group identified areas in which the government can  
5 play a role. In the absence of current published studies,  
6 the PHS may co-sponsor with industry a public workshop for  
7 identifying best practices for donor recruitment and  
8 retention.

9           In addition to sharing best practices, the public  
10 workshop should address the need and study design of  
11 instruments to evaluate donor interactions since much  
12 available donor behavioral information is anecdotal.

13           Longer term projects that can be undertaken  
14 nationally include simplifying the donor questionnaire  
15 and/or designing a simplified questionnaire for repeat  
16 donors.

17           Dr. Davey, at the April PHS Advisory Committee,  
18 and others, have reported that donors find the current  
19 questionnaire extensive, intrusive, and tedious for repeat  
20 donors. The task group felt that the responsibility for  
21 this project should be shared within the PHS agencies.

22           Another longer term project is the development of  
23 the computer-assisted donor history questionnaire. The  
24 NHLBI is currently supporting a study that is presently in  
25 the clinical trial phase. Once developed, the FDA can

1 encourage its use by accepting the instrument and study data  
2 for use by blood centers.

3 [Slide.]

4 The next recommendation is to remove restrictions  
5 to safe donation. Some healthy donors are restricted from  
6 donation for transfusion by existing government or blood  
7 center policies. The PHS should investigate whether all  
8 current deferrals are necessary to protect the public  
9 health.

10 In terms of hemochromatosis, the PHS should move  
11 proactively to determine whether hemochromatosis patients  
12 can donate as normal donors. The patient group is very  
13 active and would like to be able to donate. Medical data  
14 support that hemochromatosis patients are not less safe  
15 because of their disease, however, there are questions about  
16 the voluntary nature of their donations because people with  
17 hemochromatosis require phlebotomy as therapy.

18 The obligate need for phlebotomy introduces an  
19 incentive to donate blood for transfusion because most  
20 patients are charged for the therapeutic removal of blood.  
21 The concern is that a financial incentive to donate at no  
22 cost rather than be phlebotomized therapeutically might  
23 cause the donor to be less truthful about acknowledging risk  
24 behaviors. Removing patient costs for therapeutic  
25 phlebotomy would alleviate that concern.

1           The working group recommended that DHHS identify  
2 and remove barriers to providing reimbursement support for  
3 all therapeutic phlebotomies.

4           I will take a moment to tell you what has been  
5 done in the area of donations by the hemochromatosis  
6 patient.

7           [Slide.]

8           At the April PHS Advisory Committee, the committee  
9 made this recommendation to the Department of Health and  
10 Human Services, that the Department should create policies  
11 that eliminate incentives to seek donation for purposes of  
12 phlebotomy, and that the Department should create policies  
13 that eliminate barriers to using this resource.

14          [Slide.]

15          Following the meeting in July, Dr. Shalala, the  
16 Secretary of DHHS, sent a letter to Dr. Kaplan, the Chair of  
17 the PHS Advisory Committee on Blood Safety and Availability  
18 in which she concurred with the recommendation and said that  
19 she was directing Health Care Financing Administration and  
20 the FDA to identify strategies to implement the  
21 recommendation.

22          Further, Dr. Satcher sent memoranda to Health Care  
23 Financing Administration and FDA with the action item,  
24 identify strategies to implement the Advisory Committee  
25 recommendation.

1 [Slide.]

2 On August 10th, Dr. Jane Henney, who is the  
3 Commissioner of FDA, responded to Dr. Satcher with  
4 strategies that were developed by the Center for Biologics  
5 Evaluation and Research and the Office of Blood.

6 [Slide.]

7 Those strategies include consider on a case-by-  
8 case basis exemptions under Title 21, Code of Federal  
9 Regulations, 640-120, which is our exemption clause,  
10 exemptions from existing regulations when phlebotomy is  
11 performed at no cost to the phlebotomy.

12 These are regulations that require the label to  
13 state the disease that required the phlebotomy and also the  
14 regulation that limits the frequency of whole blood  
15 collection under normal circumstances to once every eight  
16 weeks.

17 [Slide.]

18 We included the request that there be conditions  
19 for the exemption, and those conditions are that we would  
20 expect the blood center to submit to us safety data, and  
21 these are data that would be collected on donors anyway, on  
22 viral marker rates, seroconversion rates, post-donation  
23 reports, and any donor recipient adverse events.

24 It was pointed out at the PHS Advisory Committee  
25 that we may not get data that we would be able to evaluate

1 in a meaningful way, and the truth is that the blood supply  
2 is safe. The risk is so low that collecting this type of  
3 data on the number of persons may not give us data, however,  
4 it is a change, and if we don't look and we don't  
5 specifically collect the data and look at it nationwide, we  
6 won't have any information at all.

7 [Slide.]

8 Additionally, we in the FDA had said that we would  
9 review any funding plan proposed by our sister organization,  
10 Health Care Financing Administration, to determine the  
11 adequacy in removing the financial incentive.

12 We understand more now maybe than we did even a  
13 month ago in terms of the Health Care Financing  
14 Administration and their limitations. They are limited by  
15 their statutory authority and also in their scope of  
16 jurisdiction.

17 HCFA is responsible for implementation of Medicare  
18 entirely and it cooperates with the States in the  
19 implementation of the Medicaid program. There are still a  
20 vast number of persons who will not fit into these programs  
21 including persons insured by private insurance providers  
22 and, unfortunately, persons who are not insured in this  
23 country.

24 So, for the foreseeable future, the responsibility  
25 of removing financial incentives appears to fall on any

1 blood center wishing to collect blood from donors with  
2 hemochromatosis. So, as we will have a case-by-base  
3 determination of requests to remove or to exempt the  
4 regulations, each blood center will have to do an evaluation  
5 also in terms of the advantages to them to entering these  
6 donors into their donor pool.

7 [Slide.]

8 Additionally, most blood centers and blood  
9 collecting facilities are accredited by the American  
10 Association of Blood Bank, and the AABB has the standard  
11 still that prohibits use of blood from therapeutic  
12 collections.

13 [Slide.]

14 After having a program of case-by-case evaluation  
15 and exemption from current regulation, and after financial  
16 incentives are removed with favorable outcomes of  
17 surveillance data, FDA will propose revisions to  
18 regulations.

19 If you could go back about six slides to the  
20 Remove Restrictions, if you can't find it, that's okay. It  
21 just lists our other, more longer term strategies or our  
22 other strategies, and that is to review the donor deferral  
23 policies in terms of the history of male-to-male sex.

24 We have had workshops, we have had BPAC  
25 discussions on this issue, and we need to move forward in

1 making a decision on whether this should be a lifetime  
2 deferral or whether there is some other deferral time that  
3 will be adequate from a safety standpoint.

4 Another longer term strategy would be to look at  
5 donors who are hepatitis B core antibody positive to see  
6 whether these donors could be reentered into the donor pool.  
7 It has been suggested that the hepatitis B core antibody  
8 testing offered only a limited benefit and about 0.5 to 1.5  
9 percent of the donors exhibit reactivity, however, data are  
10 not available which specifically address the safety of  
11 eliminating the test.

12 Also, there are no figures which indicate the  
13 number or percent of donors who are eliminated solely  
14 because of their HBc antibody reactivity especially after  
15 readjustment of the cutoff for the test to improve its  
16 specificity, and the task group recommends further studies  
17 in this area.

18 [Slide.]

19 Our final strategy was to address the economic  
20 issues facing the blood industry. Throughout all of the  
21 discussions of the task group and with the industry  
22 participants, concerns were repeatedly expressed about the  
23 economic distress of the blood industry.

24 Reimbursement practices and competitive pressures  
25 of health care today make it difficult for blood banks to



1 recover the cost of new innovations even when such measures  
2 are required.

3           These economic limitations are a strong  
4 disincentive for change. The task group recognizes that the  
5 economic issues associated with changes of the blood  
6 industry need to be addressed. They were addressed, as Dr.  
7 Nightingale told you, at the August meeting of the PHS  
8 Advisory Committee on Blood Safety and Availability, and as  
9 he also said, there are continuing actions beyond the scope  
10 of this committee and also beyond the scope and jurisdiction  
11 of the Food and Drug Administration.

12           In conclusion, the success of any national effort  
13 to affect the blood donor supply will depend on improving  
14 the bond between the blood industry, the blood donor  
15 community, and the Federal Government.

16           Effective leadership by government and cooperation  
17 of the blood industry are needed to ensure that the American  
18 public can depend on a safe and readily available source of  
19 blood therapies.

20           Thank you.

21           DR. HOLLINGER: Thank you, Captain Gustafson.

22           Dr. Boyle.

23           DR. BOYLE: Just one point of clarification. The  
24 task group has laid out strategies, short term and long  
25 term, for trying to improve the blood supply. Given the

1 fact that this is brought about by estimates that that  
2 demand will exceed supply by next year, we have not said  
3 that any of these short-term strategies will alleviate that  
4 shortage next year, isn't that correct, we are taking a  
5 position on what are the best strategies, not that we are  
6 actually not going to have a blood shortage next year?

7 CPT GUSTAFSON: That's right, and I think that is  
8 limitations of the report. I think it is also limitations  
9 of the study, that it was based on a couple of points from  
10 1994 and 1997, retrospective data, and mainly the  
11 projections were made showing if there were no changes, and  
12 we know that the blood community over the years has been  
13 very reactive to changes in terms of recruiting donors and  
14 alleviating problems.

15 DR. HOLLINGER: Dr. Buchholz.

16 DR. BUCHHOLZ: Mary, I certainly applaud the  
17 efforts in this area, and I think collection of data is  
18 always very admirable, but I am a little puzzled by the  
19 committee's recommendations with respect to hemochromatosis.

20 It looked to me like there was a certain extent of  
21 data collection for the purpose of data collection. I mean  
22 there is obviously some issues of is this a safe procedure  
23 for the donor.

24 Well, in this case, the donor is a patient who  
25 would require this therapy, and whatever events happen,

1 presumably, would happen to that donor on the basis of a  
2 therapeutic procedure being performed whether that blood was  
3 thrown out or used for transfusion.

4           The second thing is it looked like there was a lot  
5 of data that I assume is in some way incremental to the  
6 routine collection of that same data on infectious disease  
7 and so forth, and I am not sure, I am a little confused as  
8 to what the purpose of that is.

9           I mean are we saying that we don't have faith in  
10 our infectious disease testing, because I think that  
11 probably is not the reason, and if we did have faith in that  
12 testing, why are we doing this incremental data collection  
13 for this particular group of patients. I may have missed  
14 something here along the line.

15           CPT GUSTAFSON: I think the data collection is  
16 done anyway, and what we would be asking as a postmarketing  
17 surveillance or making an exemption from our regulations,  
18 and we are making the exemption, quite frankly, not on  
19 prospective data, but on the findings. We have had  
20 presentations that the disease state itself does not cause  
21 any safety concerns, however, we have over time been  
22 concerned about undue incentive to donate and the fact that  
23 there is a financial incentive in this case.

24           I think we are not aware of any long-term studies  
25 being published on the patients or donors although I think

1 there are places who have collected the data.

2           So, it would be a request from us to stratify the  
3 data on these donors separate from just the regular donor  
4 population and provide it to us to have a national  
5 surveillance effort because we don't really know the numbers  
6 from data that have been published.

7           Transfusion in June had a couple of articles that  
8 indicated that there may be a big jump in the donor  
9 population, but there has been other reports that, in fact,  
10 there may not be a huge number of donors that would be  
11 entered into the system.

12           So, in order to provide a surveillance activity in  
13 the absence of long-term studies, we would like to see this  
14 type of data.

15           DR. BUCHHOLZ: Just a second question that I was a  
16 little surprised you didn't mention relative to some of the  
17 newer techniques in blood collection that involve  
18 automation, for example, platelet collection with the  
19 various several blood cell separators out there that, in  
20 fact, can get a therapeutic dose of platelets from a single  
21 donor, and now there are beginning to be on the market  
22 instruments that will allow for two units of red cells or a  
23 unit of red cells and two units of plasma, that sort of  
24 thing.

25           I am a little surprised the committee did not take

1 a more proactive stance against some of these applications,  
2 which I think can have a tremendous impact on alleviating  
3 the supply problem. Certainly, if you are looking for a 15  
4 percent incidence of return donors, implementation of two  
5 units at once would sound very attractive.

6 DR. HOLLINGER: Also, on the issue about  
7 hemochromatosis, as well, the incentive actually for  
8 patients with hemochromatosis to donate is because of their  
9 health. Personally, I don't think it would matter whether  
10 it is being paid for or not being paid for. The issue is to  
11 prevent them from developing cirrhosis.

12 So, they are going to go in for their iron removal  
13 on a regular basis at least until the iron is removed, and  
14 then they can't, like anyone else, once that iron is  
15 removed, donate any more frequently. It is going to result  
16 in the same problems they had before, that is, in terms of  
17 developing anemia and other things.

18 So, up until that point, though, they could donate  
19 on a weekly basis for a year or two or more, as long as if  
20 they have very high concentration of iron in their blood.  
21 But I don't think it is the incentive for whether it is  
22 going to be paid or not, to me would be an issue.

23 Dr. Mitchell.

24 DR. MITCHELL: I guess I understand that the  
25 reason for collecting the data would be to see if that group

1 is at higher risk of other risk factors that might impact  
2 the safety of the blood because of their incentive to donate  
3 for health reasons and also for financial reasons. So, I  
4 think it is very important to collect the data on that if  
5 they are at higher risk than average.

6 DR. HOLLINGER: One clear thing is, again, back in  
7 the hemochromatosis, because a lot of the patients with  
8 hepatitis C, who have iron overload as either an aspect of  
9 their disease or not, because the iron makes a difference, a  
10 lot of them are sent to the blood bank for therapeutic  
11 phlebotomies, so obviously, one does have to make a  
12 conclusion of whether you really have hereditary  
13 hemochromatosis versus somebody with iron overload, which is  
14 a lot different.

15 Dr. Nelson.

16 DR. NELSON: It seems to me that you might remove  
17 the incentive if the policy was changed that all  
18 hemochromatosis patients, despite whether or not the blood  
19 was used for transfusion, the financial burden was removed,  
20 so I would not link the cost as to whether or not if a  
21 person had hepatitis C or HIV and the blood was tossed out,  
22 they still didn't have to pay for this.

23 It seems to me that that would probably obviate  
24 the financial incentive. Is that not what was being  
25 considered?

1 CPT GUSTAFSON: Yes, that is where we are going is  
2 to eliminate the charges for the therapeutic bleeds, and it  
3 would more or less level the playing field, and not give an  
4 incentive to maybe perhaps not give totally truthful  
5 information during the donor history part of the donor  
6 screening.

7 DR. HOLLINGER: We have two other people who have  
8 asked to speak. Are there any other questions for Captain  
9 Gustafson at this point? We can come back in the committee.

10 Yes, go ahead, Mr. Dubin.

11 MR. DUBIN: Did the committee at all consider--and  
12 I know, John, you were part of the process--but did the  
13 committee consider at length using--there is a lot of us who  
14 are essentially grass-roots organizations, and we engage the  
15 society at a level that is different than government and  
16 different than the industry, and we have kind of always  
17 proposed that there is something there that government and  
18 industry need to take a look at, because I think a lot of  
19 organizations could do a lot of good towards education at  
20 the community level, in high schools, in grammar schools.

21 I spoke to my daughter's classes, my youngest,  
22 twice on blood donating, and I guarantee some of them are  
23 regular donators now. I think that is something that we  
24 could really bring a number of organizations in beyond the  
25 ones you see in this room. There are lots of them, and it

1 has got to get to that level. It has got to get down into  
2 small communities where people are living and we can play  
3 quite a role in that, I think.

4 CPT GUSTAFSON: That is a good point, and, yes, we  
5 did have discussions, but as we would move forward on the  
6 implementation of some of the longer term strategies,  
7 particularly the childhood education, that you would need to  
8 have to have a strong bond between the community group, the  
9 blood industry, and the government in order to move forward.

10 DR. HOLLINGER: We have two other groups that have  
11 asked to speak on this issue in the open public hearing.

12 Is Dr. Peter Tomasulo here? We weren't sure if he  
13 was going to be here. If not, then, the next person who has  
14 asked to speak is Susan Parkinson, the Deputy Director of  
15 America's Blood Centers.

16 **Open Public Hearing**

17 **Susan Parkinson**

18 MS. PARKINSON: I am Susan Parkinson from  
19 America's Blood Centers. For those of you who don't know,  
20 America's Blood Centers is the consortium of not-for-profit  
21 community blood centers that provide about half of the  
22 nation's blood supply.

23 ABC is pleased to be here today to have the  
24 opportunity to comment very briefly on the recommendations  
25 of the Public Health Service report commissioned by Dr.



1 Satcher for strategies to increase the blood supply.

2 I would like to take just a few moments to make  
3 some specific suggestions that we feel may help the FDA and  
4 the PHS Interagency Task Force to be most effective in  
5 assuring an adequate blood supply in the future.

6 [Slide.]

7 ABC supports the first recommendation of the PHS  
8 Task Force and specifically supports the planned NHLBI  
9 studies on donor recruitment, motivation, and screening.  
10 There is no doubt that better understanding of our donor  
11 base would increase our chances of recruiting more donors,  
12 more often.

13 We encourage the FDA, however, through the  
14 Interagency Task Force, to seek broad input from local and  
15 regional blood centers into the study designs. These  
16 studies will be more effective when local blood centers have  
17 had the opportunity to participate in their development.

18 [Slide.]

19 ABC also supports Recommendations 2 and 3,  
20 encouraging more donations by eligible donors and improving  
21 donor relations to facilitate recruitment.

22 ABC is specifically interested in encouraging the  
23 development of a broad-based national media campaign to  
24 increase donation. This media campaign should include donor  
25 recruitment materials that can be adapted to all regions and

1 ethnic groups across the country.

2           In addition, we are enthusiastic about the PHS  
3 offer to find high profile public figures to make public  
4 service announcements for the donor awareness initiative and  
5 would welcome the opportunity to be involved in the  
6 discussion and selection when and where appropriate.

7           In addition, we encourage top HHS officials to be  
8 publicly supportive of existing donor recruitment campaigns  
9 like the cooperative effort of all blood organizations  
10 during National Volunteer Blood Donor Month in January and  
11 National Donor Day in February. HHS assistance in promoting  
12 and recruiting public figures for public service  
13 announcements for these existing efforts would be greatly  
14 welcomed.

15           To assure that the tools of a public education  
16 campaign will be effective in rural and urban areas, as well  
17 as on the national level, we urge PHS to establish a donor  
18 recruitment advisory panel made up of donor recruitment and  
19 communication professionals from the local blood center  
20 level. ABC and the other blood organizations have many  
21 talented individuals ready and willing to be of assistance.

22           To that point, ABC encourages the PHS to establish  
23 a biannual round table where industry professionals, public  
24 health officials, and donor groups, like civic and corporate  
25 leaders, meet to discuss ways in which they can work

1 together to help blood donation easier and more accessible.

2 We are very encouraged to note that the Office of  
3 Blood Diseases and Resources of the NHLBI under the  
4 direction of Dr. Barbara Alving have initiated support for  
5 these and other possible initiatives under NHLBI's umbrella.  
6 This effort already has the endorsement of ABC and others.  
7 NHLBI may well serve as the most logical agency to help  
8 implement Points 2 and 3 of the recommendations.

9 [Slide.]

10 Finally, ABC also strongly encourages the removal  
11 restrictions of safe donation and encourages the  
12 reevaluation of current deferrals to assure that they  
13 contribute to the safety of the blood supply without  
14 unnecessarily impacting the adequacy of that supply.

15 We remain particularly concerned with the new  
16 variant CJD deferral, and again ask FDA to outline what will  
17 trigger a reevaluation of this deferral. Additionally, we  
18 request that FDA reevaluate the continued usefulness of the  
19 hepatitis B core antibody test, which defers many safe  
20 donors each year with little or no return on safety, thanks  
21 to the availability of new technology.

22 If, after careful analysis, FDA still deems these  
23 deferrals appropriate, we request that the agency help  
24 develop specific instructions and literature for all blood  
25 centers to distribute to affected donors.

1 In closing, ABC thanks the committee for the  
2 opportunity to present our views on ways in which PHS could  
3 assist in donor recruitment. We look forward to an  
4 industrywide, public and private sector cooperative effort  
5 to help achieve a safe and adequate blood supply for future  
6 patients.

7 We ask that BPAC publicly encourage FDA and PHS to  
8 implement these specific recommendations.

9 Thank you.

10 DR. HOLLINGER: Thank you.

11 DR. BOYLE: One question. Studies of donor  
12 recruitment and retention are sort of basic market research.

13 MS. PARKINSON: Yes.

14 DR. BOYLE: You are enthusiastic about government  
15 doing it, and government normally doesn't do market  
16 research, and it is being done because of the absence of it.

17 The question is why hasn't ABC and other blood  
18 organizations been doing this kind of thing, seeing the  
19 decline of donors over these years?

20 MS. PARKINSON: Actually, we have, and I think  
21 independently, all the blood agencies have been doing it,  
22 and even within our own organization, independently, blood  
23 centers have been doing market research, but what we would  
24 really like is a combined effort where all the blood  
25 agencies, with the help of government, it lends credibility

1 to a major marketing effort.

2 DR. HOLLINGER: Mr. Dubin.

3 MR. DUBIN: If you have been doing it, there is  
4 nothing here.

5 MS. PARKINSON: I think that we have regional  
6 results, and that is really the initiative. If this is  
7 going to be a national blood crisis, we have regional  
8 information and nothing that really lends itself to a  
9 national solution.

10 DR. BOYLE: Does this mean that you are willing to  
11 pool that information with government rather than having  
12 government sort of start from scratch to do it?

13 MS. PARKINSON: Oh, definitely. I think that the  
14 experts of the blood banking industry should be the ones  
15 that help develop this program, but again we need the  
16 backing and support of government to really make it a  
17 national effective effort.

18 DR. HOLLINGER: Go ahead. I was going to say  
19 before we do, I want to see if there is anyone else during  
20 this open public hearing, allow them to give some comments  
21 first, and then we can come back with the committee.

22 Dr. Kleinman.

23 **Steven H. Kleinman, M.D.**

24 DR. KLEINMAN: Steve Kleinman. I just wanted to  
25 make a comment about donor recruitment and blood centers'

1 interest in it.

2           You know, it is not a new interest at all. I mean  
3 it is what blood centers have done for years, and having  
4 worked in Los Angeles for a number of years where it was  
5 very difficult, I mean we spent massive efforts in trying to  
6 recruit donors.

7           Basically, it is a difficult thing to do, and you  
8 can study it as much as you want, and I hope another set of  
9 experts will be better at it, but I think it is difficult,  
10 given the donation process, given the operational  
11 limitations, and sometimes it is logistics, it takes time  
12 for people to donate blood, it is not a pleasant experience.  
13 Blood centers in the past have not made it convenient for  
14 donors. That is one thing that can be improved for sure.

15           But it doesn't take rocket science, I think, to  
16 understand there are certain basic things you can do to  
17 increase recruitment, and there is a certain basic  
18 resistance to giving blood that many people have.

19           I mean I think it is great there are these  
20 initiatives going on, and I agree there should be better  
21 education, but I don't think this has happened in an absence  
22 of the industry trying to recruit more donors.

23           Now, maybe the new creative ideas aren't there.

24           MS. PARKINSON: I would like to comment on that  
25 briefly. I absolutely agree with you, and I think what this

1 new initiative, about the PHS initiative could do is lend  
2 credibility to a national campaign.

3           From a PR perspective, it is very difficult to  
4 constantly go back to the donor base and say please give  
5 more blood, and a new program just gives us a better hook to  
6 go back to the media, and this is a good time to plug the  
7 National Blood Data Resource Center data, which has given us  
8 great media coverage which brings in donors.

9           DR. KLEINMAN: If I could say one more thing.  
10 This idea of educating people when they are young to become  
11 blood donors has floated around since I started in blood  
12 banking. I mean I heard it 15 to 20 years ago, but it has  
13 never really been done effectively, so maybe there is the  
14 room for a national program to try to provide some impetus  
15 for these educational efforts to happen more.

16           DR. HOLLINGER: Is there anyone else from the  
17 public that wishes to make a comment at this point?

18           [No response.]

19           DR. HOLLINGER: If not, you may want to make some  
20 comments after you hear this, but Dr. McCurdy.

21           DR. McCURDY: Some of us are old enough to  
22 remember historical things. Back in the late 1980s and  
23 early 1990s, the National Heart, Lung, and Blood Institute  
24 had a national blood resources education program, which was  
25 doing all these things or trying to do all these things with

1 advice from the blood banking community that were discussed  
2 today. What is different now? What would make that  
3 effective? It was discontinued because the blood banking  
4 community thought that it was not being effective in  
5 recruiting donors.

6 DR. HOLLINGER: Any comments? Celso, you look  
7 like you want to get up and say something. Dr. Bianco.

8 DR. BIANCO: It is a very good question, what is  
9 different now. What is different now, Paul, is that the  
10 world has changed. We have been telling everybody that  
11 blood is not safe. There is a tremendous amount of fear.  
12 There is a tremendous lack of trust in the entire system,  
13 and that has discouraged people from the act of donating  
14 blood as one of their community activities.

15 Also, there are many more competing interests from  
16 the internet, to multimedia, to all those things, and so  
17 when you try to convince a group of young people to  
18 participate in the donation process, you are competing  
19 against many other interests that you didn't have before.

20 Before, the communities were more stable, there  
21 were more links, it was easier to do it. The demands today  
22 are kind of different. We have to be much more  
23 sophisticated. I think that this program, as I see it, its  
24 birth now, and the interaction.

25 If the United States recognized a blood donation



1 is a public health issue, I think that we are going to be  
2 much more successful in that.

3 DR. HOLLINGER: Corey, the last comment.

4 MR. DUBIN: Two things. I agree, Celso, there is  
5 a trust factor, and some things could be done about that.  
6 For us, the hepatitis C lookback was one thing that could  
7 have gotten a lot of good press and maybe still can.

8 The second thing, it doesn't help that you guys  
9 are all competing out there, and like there is a hostile  
10 takeover in Santa Barbara, the blood bank, and it is front  
11 page news in the local paper every day, and it doesn't  
12 really go over well with the local citizenry that this blood  
13 bank we have all known for years, and has been pretty good,  
14 is now being hostilely taken over by some giant.

15 So, I think there has to again be some sense of  
16 what the priorities are, and then you have got Red Cross  
17 trying to take over everybody. On this issue, maybe we  
18 could like separate you guys from the ring, call a time out  
19 in the battle, and say we have got a problem.

20 I know sometimes it sounds extremely naive, but I  
21 am looking at it, sitting in my living room in a little town  
22 called Goleta, reading about this hostile takeover, and all  
23 my friends are going what the heck is going on, can we trust  
24 these people, it's all about money. The wrong images were  
25 being presented in that story. Even if that wasn't what was

1 happening, that was what was being presented.

2 I think we have consistently felt like the  
3 consumer groups like us have not been tapped enough, there  
4 is a lot of us out there, and I think, as naive as this may  
5 sound, we have got to make blood donating good citizenship,  
6 and you have got to go back to the kids to start that. You  
7 have got to go back to the kids, and I think we can help  
8 that others can help that, but I also think we need the  
9 Congress and the administration to get involved in a much  
10 greater way.

11 I have been sitting at this table five years, and  
12 I have never seen the Congress and the administration get  
13 involved at the level we believe is necessary to make this a  
14 national priority.

15 Maybe it is going to take all of us approaching  
16 the administration and the Congress together with one voice,  
17 but I think there are some things we can do.

18 DR. BIANCO: I agree with you entirely, Corey, and  
19 I think that I want to use a word here. I think in recent  
20 times, because of managed care competition, but people try  
21 to transform blood into a commodity. Blood is not a  
22 commodity. Blood is the gift of life.

23 DR. HOLLINGER: Dr. Stroncek.

24 DR. STRONCEK: I would just like to support the  
25 proposal put forth by Mary Gustafson. As the discussion has

1 shown, this is a very complicated issue. In some hands,  
2 blood centers do and must act like private competing groups.  
3 On the other hand, they have a very important public service  
4 role, so it is a very difficult situation.

5           Concerning the blood shortage, if you look at the  
6 numbers, we don't need twice as much blood, we only need a  
7 small increase. So, if you talk about having public  
8 appeals, you might double your donors one day, but you don't  
9 need that many, and you turn people away. So, it is very  
10 tricky to give the right messages so you get a sustained,  
11 steady increase that is going to be sustained over the years  
12 rather than just a one-time increase in donors and give the  
13 donor a wrong message and turn them away with an appeal.

14           DR. HOLLINGER: Thanks, David.

15           DR. BOYLE: Just one observation about Corey's  
16 comment about getting the President and the Congress  
17 involved. If we run out of blood as projected next year in  
18 the middle of a presidential campaign, one of whose  
19 participants may have been the head of the American Red  
20 Cross, I think we will get a lot of political attention.

21           DR. HOLLINGER: We are going to move on to the  
22 next topic for this morning. It's on Nucleic Acid Testing  
23 of Blood Donors for Human Parvovirus B-19.

24           We will start with an introduction and background  
25 by Dr. Lynch.

1                   **II. Nucleic Acid Testing of Blood Donors for**  
2                                           **Parvovirus B-19**

3                                           **Introduction and Background**

4                                           **Thomas Lynch, Ph.D.**

5                   DR. LYNCH: The topic now is the implementation of  
6 nucleic acid testing or some other laboratory control assay  
7 for human parvovirus B-19.

8                   [Slide.]

9                   After this brief introduction, Dr. Neal Young from  
10 the National Heart, Lung, and Blood Institute will give some  
11 background on the medical and scientific state of knowledge,  
12 and then I will come back and pose the regulatory question  
13 really, which has to do with the framework in which FDA will  
14 regulate and assure the consistency and effectiveness of  
15 this testing.

16                   I should note, in addition to Dr. Young, Dr. Kevin  
17 Brown, also from the Heart, Lung, and Blood Institute, is  
18 also with us, and he is an internationally recognized expert  
19 in this field in his own right.

20                   [Slide.]

21                   B-19, as you know, is a small, non-enveloped, very  
22 tough virus that is very common in the community. It is  
23 readily spread by casual contacts, as well as through some  
24 transfusions and transfusions of manufactured products.

25                   Notably, it is very resistant to methods of

1 inactivation commonly used in manufacturing plasma  
2 derivatives including heat and solvent detergent.

3 [Slide.]

4 When a normal individual is infected, the course  
5 of the active infection runs over a very brief period,  
6 vigorous immune response follows early viremic phase, which  
7 results in the neutralization and clearance of the virus,  
8 and confers life-long immunity on an immune competent  
9 individual.

10 During the active infection, symptoms, where they  
11 exist, tend to be relatively mild. Probably the most common  
12 is a subclinical anemia that one can pick up only by doing  
13 testing of the patient.

14 However, there are at least three at-risk groups  
15 that have been identified in which B-19 infection has  
16 significant clinical consequences, those being patients  
17 suffering from an underlying hemolytic anemia, in which case  
18 an infection can push them into an aplastic crisis; pregnant  
19 women, especially those infected during the second trimester  
20 where there is a greater risk of fetal loss through a  
21 condition called hydrops fetalis, and finally, patients who  
22 have some sort of immune deficiency or suppression in which  
23 case a B-19 infection can become chronic and develop into a  
24 chronic and significant anemia, as well.

25 [Slide.]

1           Among blood donors, well, first of all, there is  
2 no screening presently done for blood or plasma donations in  
3 the U.S. There is no licensed test to perform such  
4 screening, but we know that about half of the donors are  
5 seropositive for B-19.

6           Now, it is important to bear in mind that that  
7 does not indicate that half of the donors are infectious.  
8 That just indicates a past history of infection, and the  
9 vast majority of seropositive donors are, in fact, simply  
10 immune.

11           It is also important to bear in mind that the  
12 antibodies contributed by those donors end up in products  
13 such as IGIV, and although B-19 is not a formal indication  
14 for the use of IGIV, it is widely used with reported great  
15 success in treating chronic B-19 infections.

16           Of more concern are donors who have active viremia  
17 and the numbers are rather soft here. For all viremic  
18 donors, the estimate I have up here, 1 in 3,000 to 1 in  
19 5,000, may be an underestimate in some cases, a very high  
20 number, nonetheless, and perhaps 20 to 30 percent of those  
21 donors have very high titers of B-19 virus in their plasma.

22           Viremia can reach prodigious proportions, up to  
23  $10^{14}$  genome equivalence per mL, although that doesn't  
24 necessarily directly translate into virus particles, it's an  
25 estimate, very high concentration. Of course, most donors

1 would be asymptomatic at the time they made the donation of  
2 blood or plasma.

3 [Slide.]

4 Regardless of these numbers, or in spite of them,  
5 transmission by blood components, such as red cells or fresh  
6 frozen plasma, is thought to be an extremely rare event.  
7 Transmission would require a viremic donor prior to or  
8 shortly after seroconversion, i.e, before all the virus was  
9 neutralized, and the transfusion of a unit contributed by  
10 such a donor into a seronegative recipient.

11 Now, it must also be admitted that it is possible  
12 that transmission of B-19 by transfusion of blood components  
13 is more frequent than we appreciate, and is simply  
14 unrecognized because the disease is asymptomatic and not  
15 noticed.

16 The situation is a little bit different for the  
17 manufactured products. At first, I should point out that we  
18 have no confirmed reports that the immune globulin products  
19 or albumins have transmitted B-19, although there are case  
20 reports that have been published from time to time about  
21 this.

22 There is, however, significant transmission by  
23 some, perhaps many, of the plasma-derived clotting factors  
24 that are used to treat hemophilia, specifically, Factors  
25 VIII and IX. This has been demonstrated in a variety of

1 clinical trials, as well as the epidemiology of  
2 seroprevalence among hemophiliacs compared to the general  
3 population.

4 [Slide.]

5 Now, the manufacturing procedures for these  
6 products, at least in some cases has been validated to clear  
7 a certain level of parvovirus, but this capacity is equally  
8 clearly not sufficient to render these products non-  
9 infectious in all cases.

10 Among the various alternatives that one could  
11 contemplate to address or mitigate this risk, screening of  
12 the incoming plasma appears to be the most practical and  
13 easiest to implement in a short period of time.

14 Antibody screening is inappropriate because it  
15 doesn't pick up your infectious donors, and it would  
16 eliminated a valuable characteristics of IGIV, but antigen  
17 or nucleic acid testing are possibilities. Nucleic acid  
18 testing, we assume at the moment is more sensitive.

19 There has been interest in introducing this  
20 testing, and because of decisions that the FDA made with  
21 regard to nucleic acid testing for hepatitis C or HIV, the  
22 question has been raised whether clinical trials to  
23 demonstrate the clinical effectiveness of these tests need  
24 to be demonstrated prior to implementing NAT for B-19.

25 Now, the focus of the question today is on plasma



1 for further manufacturing. There is two reasons for that.  
2 One is for a variety of technical and logistical  
3 considerations, it is more practical to implement NAT  
4 testing for plasma for further manufacturing than, I think,  
5 for whole blood donations.

6 If the need arises, we can always revisit the  
7 subject of whole blood donations at a later date.

8 Secondly, the most significant risk posed by  
9 parvovirus is related to the use of manufactured products  
10 rather than transfusable components, so for both practical  
11 considerations of effectiveness, we should focus the  
12 discussion today on plasma for further manufacture.

13 Without any further delay, I would like to turn  
14 the microphone over to Dr. Young.

15 **Presentation**

16 **Neal S. Young, M.D.**

17 DR. YOUNG: Well, Tom Lynch has given you an  
18 indication of a far more grand talk than I had the intention  
19 of delivering, which I am sure is going to be a relief to  
20 you. My intention is really to be brief and not to present  
21 a 45-minute or 50-minute overview of everything we know  
22 about B-19, which is considerable, but rather, in 15 or so  
23 slides, to focus the discussion at least on my part on the  
24 parameters. I am using that word literally in terms of the  
25 boundaries of what we think of as the spectrum for B-19

1 parvovirus disease and the risks associated with the  
2 transmission in blood products.

3 [Slide.]

4 Tom provided really a wonderful overview both in  
5 the written material and in what he just discussed. What I  
6 will try to emphasize is really complementary to what you  
7 have already heard.

8 These are the diseases, at least the last  
9 iteration of the diseases that I think can be reliably, more  
10 or less reliably related to parvovirus infection. You have  
11 heard about some of these already, and I just want to  
12 reiterate.

13 Fifth disease is obviously a very common childhood  
14 exanthem, which we now know is due to acute parvovirus  
15 infection, but just as Tom indicated, probably most  
16 parvovirus infection is, in fact, asymptomatic and patients  
17 never know that they are infected, they just seroconvert.

18 Parvovirus infection in the normal adult produces  
19 more commonly an arthritis or arthropathy, which can in fact  
20 be a frank joint inflammation that can mimic true rheumatoid  
21 arthritis, but is self-limited, although it may be self-  
22 limited within weeks or months and even up to a year of  
23 significant symptoms. So, there is no known joint  
24 destruction or long-term sequela.

25 Transient aplastic crisis, of course, the reason

1 that hematologists are interested in this syndrome, that is  
2 again an acute infection occurring in the individual who has  
3 underlying hemolysis, not necessarily a frank hemolytic  
4 anemia because the hemolysis can be compensated, so  
5 classically, for example, a patient with hereditary  
6 spherocytosis and a normal hemoglobin can present first to  
7 medical attention after parvovirus infection due to the  
8 acute exacerbation of their anemia.

9           Then, there is the pure red cell aplasia which  
10 occurs in individuals who are immunodeficient, and this is  
11 the only syndrome that we are really confident represents  
12 chronic parvovirus infection in which B-19 really is there  
13 for months or even years, and it occurs in situations of  
14 congenital immunodeficiency, patients who are undergoing  
15 cytotoxic chemotherapy for cancer or for autoimmune disease,  
16 and in particular, in patients who have HIV infection, and  
17 this is one way of patients presenting, in fact, with HIV.  
18 They appear to have pure red cell aplasia.

19           Hydrops fetalis, I will spare you the actual  
20 pictures later on. It is a terrible consequence for the  
21 pregnant woman, mid-trimester infection transmitted in the  
22 uterus to the fetus, and the baby is born dead.

23           We described from our laboratory, in a Lancet  
24 paper a few years ago, the sequela of mid-trimester  
25 infection in several infants who were born with congenital

1 infection that mimics either pure red cell aplasia, that has  
2 been considered constitutional, diamond black fan anemia, or  
3 other congenital anemias.

4 I mention paroxysmal hemoglobinuria because I  
5 think that this is probably in children likely to be due to  
6 B-19 infection although our evidence--and it has never been  
7 published--is it is weak mainly because of the absence of  
8 sufficient serum samples. I knew I would be talking with a  
9 lot of blood bankers, and I always hope to get some more PCH  
10 samples from them.

11 [Slide.]

12 I don't have on this slide, but the Japanese have  
13 presented fairly compelling data that some hemophagocytic  
14 syndrome, which is a pancytopenia that commonly occurs after  
15 herpesvirus infection, is also a sequela of B-19 infection,  
16 and I think that that is fairly well established.

17 [Slide.]

18 One of the points I want to make in this 15  
19 minutes or so is that there a lot of things that we  
20 understand about B-19 parvovirus. In fact, it is one of the  
21 best understood and remarkably well understood given the  
22 relatively brief period of time with which we have been  
23 familiar with it, but we don't know everything.

24 Let me start with the things that we do know quite  
25 confidently. This is from normal volunteer studies that

1 were done in England back in the 1980s. These experiments  
2 were done by intranasal inoculation of individuals by  
3 Professor Tyrell and his associates.

4 They get the virus in the nose here and develop a  
5 viremia about a week later, which is quite profound, as Tom  
6 indicated, and that is followed as expected by an IgM and  
7 then a specific IgG response.

8 The viremia produces a rather nonspecific viral  
9 illness, which is probably what a lot of people experience,  
10 but never know that it is due to parvovirus - fever, chills,  
11 headaches, joint and muscle pain.

12 It is at the time that the antibody is made and  
13 immune complexes are formed that classic fifth disease  
14 occurs, and these individuals that got a lot of virus, they  
15 got both the rash and the joint symptoms.

16 What happens in the bone marrow is occurring  
17 actually in the period of viremia, and they completely stop  
18 red cell production. They don't actually develop anemia,  
19 and I think that this is actually not probably a symptom or  
20 even a sign in most patients, although reticular cytopenia  
21 almost certainly occurs even in normal individuals.

22 There also are effects on platelets and  
23 neutrophils that we don't understand very well.

24 The specific effect--and I am not going to talk  
25 about the basic biology, interesting as that is, probably

1 much to your relief, but we know that this virus is highly  
2 specific for erythroid precursors of the human bone marrow  
3 because of its cellular receptor, which was identified by my  
4 colleague, Kevin Brown, a few years ago being erythrocyte p-  
5 antigen, and that is the way that the virus enters the cell  
6 and accounts for its erythroid specificity in large part.

7 [Slide.]

8 So, we can come up with nice models of the known  
9 B-19 parvovirus infections and how they occur, so I have  
10 told you that the virus infects erythroid progenitor cells  
11 in the bone marrow.

12 This is, in fact, the only cell that has been  
13 reliably identified as being the target in humans. It is  
14 really quite strange in that respect although we assume that  
15 the virus gets in through the nasopharynx and probably  
16 propagates at some point there. There is no evidence that  
17 those sorts of epithelial cells in fact can support viral  
18 propagation.

19 A lot of antibody is made and certainly the  
20 overwhelming evidence is that it is the humoral immune  
21 response that accounts for clearance of the virus and life-  
22 long immunity. It has been very difficult to document a  
23 cellular immune components, it's this immune complex  
24 formation probably with antibody excess that results in the  
25 symptoms of fifth disease in children and in adults.

1           In patients, for example, a sickle cell patient or  
2 a hereditary spherocytosis patient, they also mount a  
3 perfectly appropriate antibody response. They very rarely,  
4 if ever, develop this sort of immune complex of symptoms.  
5 Even Caucasian patients with hereditary spherocytosis have  
6 not, with very rare exception, been reported to develop  
7 these sorts of symptoms, and instead they develop a purely  
8 hematologic disease due to this temporary cessation of red  
9 cell production, obviously an increased demand for red cells  
10 producing profound anemia.

11           In chronic infection it is the failure to mount an  
12 antibody response that results in this long-standing  
13 destruction of the erythroid compartment in the bone marrow,  
14 and that can be interrupted, as you have heard, by  
15 commercial immunoglobulin preparations, which are a very  
16 rich source of B-19 antibody from the normal population.

17           This disease does not look like a virologic  
18 syndrome. There is no fever, there is no rash associated.  
19 It absolutely resembles the hematologic syndrome of pure red  
20 cell aplasia.

21           In the fetus, infection probably, primarily in the  
22 liver, which is the site of red cell production in mid-  
23 trimester, as well as the bone marrow, and perhaps also the  
24 heart, because the heart also shares the cellular receptor.  
25 The fetal heart has p-antigen on it, produces hydrops

1 fetalis, which is congestive heart failure and anemia.

2           Then, in a mechanism that we don't understand,  
3 infants that are rescued by transfusion after in-utero  
4 infection, can go on to the syndrome of congenital anemia,  
5 which is not cured by antibody.

6           [Slide.]

7           Now, that is what we know, and this is more,  
8 although it is a published and presumably peer-reviewed  
9 paper, this is more in the arena of what we don't know. So,  
10 this is a paper appeared a year ago in the proceedings of  
11 the National Academy of Science, and it is certainly not a  
12 title that suggests any doubt on the part of the authors.

13           I will read it for the people in the back. It is  
14 "Human Parvovirus B-19 is a causative agent for rheumatoid  
15 arthritis."

16           Now, this paper, we and others have attempted to  
17 confirm what appeared to be very compelling data in this  
18 paper, quite unsuccessfully, and I would also add that there  
19 were dozens of papers that have been published before this  
20 manuscript appeared in print that also suggested that  
21 rheumatoid arthritis, the rheumatoid arthritis that we see  
22 in rheumatology clinics with joint destruction in older  
23 people, in fact, was not related to B-19 parvovirus  
24 infection.

25           But I raise it because this and other syndromes do



1 have their proponents, and although my own bias is that the  
2 types of diseases that are caused by parvovirus are, in  
3 general, self-limited, when they are not, occur in very  
4 distinctive populations, and, in general, are not major  
5 public health problems, I think that the FDA and the blood  
6 community is going to have to face these sorts of  
7 publications. As I think everyone who participates in the  
8 medical literature game, knows it is very difficult to  
9 remove papers like this from the public and even the  
10 scientific consciousness once they appear.

11 [Slide.]

12 Now, there are a lot of problems with the study of  
13 or determining the spectrum of parvovirus disease, and I  
14 want to just touch on those because I think they are going  
15 to remain uncertainties for some years to come.

16 The title of this slide is actually incorrect. It  
17 is the seroprevalence, of course, that increases with age,  
18 and Tom referred to this, but obviously very young infants  
19 who inherit antibody or have antibody from their mothers,  
20 but over the course of life, you can see the steady increase  
21 by perhaps 10 percent every three to five years, a steady  
22 increase in the number of individuals at every age who are  
23 antibody positive, have IgG and have therefore been exposed  
24 and are now immune to the virus.

25 So, again, as Tom mentioned, simply finding IgG

1 antibody is not evidence of infectivity. It simply means  
2 the patient has seen the virus sometime in the past. It is  
3 a very common infection with or without symptoms.

4 [Slide.]

5 The second point is that the method to detect, the  
6 method now commonly used to detect acute infection, which is  
7 PCR gene amplification for B-19 genomes, is also fraught  
8 with problems, not just the technical problems, of the  
9 significance of a positive assay. I will put in parentheses  
10 "especially in the significance of a positive assay" when  
11 you are dealing with a virus that has an extraordinarily  
12 stable, small genome, virtually difficult to eradicate from  
13 a laboratory once you have contamination.

14 So, this is really a bear. The best laboratories  
15 have the greatest trouble, I think, actually doing B-19 PCR  
16 because they tend to have the most B-19 around as it is the  
17 source of study material for other experiments.

18 This is from the CDC and it actually understates  
19 the problem of the detection by PCR B-19 DNA in normal  
20 individuals who have seen this virus, have a clinical  
21 diagnosis of parvovirus.

22 It understates it because of this line drawn here,  
23 and you can appreciate, although this slide only goes out to  
24 two months, and that is the extent of the study, there are  
25 plenty of individuals out several months, and we now know

1 six months, even a year or more, who may remain B-19 PCR  
2 positive after an infection that they have obviously  
3 cleared, and we don't really know what the source of that  
4 virus is, but it obviously isn't the problem to the patient.  
5 Of course, we have no idea whether this is truly infectious  
6 material.

7 [Slide.]

8 The second area of persistence even in normal  
9 individuals is within the viscera, and there are now two  
10 published papers, a paper by Gunter Siegl has actually  
11 appeared in print since I made this slide, that have looked  
12 at tissue.

13 Of course, these are very important studies of  
14 normal tissue, very important studies in terms of now  
15 examining claims of B-19 as a disease agent especially with  
16 biopsy material. There is a wonderful paper published by  
17 Soderland and her colleagues in Finland. It seemed very  
18 likely that juvenile rheumatoid arthritis might be a B-19  
19 parvovirus infection, a clinical history of a viral syndrome  
20 before the child develops joint pains.

21 We know that the arthritis can be a sequela of  
22 parvovirus infection. So, Soderland looked at a lot of kids  
23 in Finland with juvenile rheumatoid arthritis, and I am sure  
24 she was very happy when she detected about a third of them  
25 being positive by PCR.

1           Then, of course, she made a terrible error. She  
2 went and looked for controls, and the controls she got were  
3 Finnish Army personnel who were undergoing arthroscopic  
4 procedures because they had trauma to their knees, and when  
5 she did this, half of those individuals are positives.  
6 There goes the hypothesis that B-19 is responsible for  
7 juvenile rheumatoid arthritis, but note that a very large  
8 proportion of these joints were positive by B-19 testing.

9           In the marrow, Siegl detected B-19 in about 20  
10 percent of normal marrow donors in Switzerland, and in Kevin  
11 and my studies, we are interested in the relationship  
12 between B-19 and hepatitis, we think that somewhere between  
13 10 and 20 percent of livers, now, they are not normal  
14 livers, but livers that you obtain for other indications,  
15 will also contain virus, and my guess is that the virus is  
16 harbored in a rather innocuous fashion, in  
17 reticuloendothelial cells in these organs, but it  
18 complicates the determination of etiology.

19           [Slide.]

20           From the clinical point of view, establishing a  
21 disease relationship also is quite difficult. Some of this  
22 is historical and some of it looks forward.

23           This is from Terry Chorba's publication in the  
24 Journal of Infectious Disease. Terry was at the CDC at that  
25 time, and he was sent to Cleveland, lived in a hotel room

1 there for six months, because there was a documented  
2 seemingly B-19 parvovirus causing dozens of cases of  
3 transient aplastic crisis in sickle cell clinics throughout  
4 the Cleveland Cuyahoga County area.

5 Now, obviously, that has gone on periodically for  
6 many years, and have not been recognized as being due to B-  
7 19, that is understandable, but at the same time, Terry was  
8 able to document the major epidemic of erythema infectiosum  
9 or fifth disease in the pediatric population.

10 The point of this is not that this is a very  
11 elegant study, it was a very important study to CDC, but  
12 obviously, for many years, these two diseases had existed  
13 concurrently, had gone through the hematologic clinics and  
14 the patients with sickle cell disease, at the same time they  
15 went through the normal population, and nobody saw the  
16 relationship even though it must have occurred many times  
17 previously.

18 [Slide.]

19 Here is an individual patient's bone marrow. This  
20 is one of our cases. This is a young man who was referred  
21 to the clinical center at the NIH with chronic anemia. He  
22 had had this pure red cell aplasia for 10 years. His older  
23 brother had died of pure red cell aplasia.

24 Now, from a hematologist's point of view, this is  
25 a bone marrow that is consistent with that diagnosis, and we

1 have all sorts of hand waving, we knew about the etiology of  
2 pure red cell aplasia, and it was only because we had  
3 individuals in our laboratory who were growing this virus  
4 and recognized these very giant erythroid precursor cells,  
5 so-called giant pronormoblasts, as what they saw in their  
6 laboratory cultures that we even bothered to do B-19  
7 testing.

8           He, of course, was the first patient who was  
9 documented as having pure red cell aplasia as a result of B-  
10 19 parvovirus infection.

11           [Slide.]

12           So, we found a million genome copies in his blood,  
13 which, as Tom indicated, is not a particularly high number,  
14 but in data that I won't show you, although it is in the  
15 original publication, we were able to document that his  
16 spleen, which had been removed some years earlier, also  
17 contained parvovirus, and his deceased brother's spleen also  
18 contained parvovirus.

19           This young man was treated with immunoglobulin and  
20 had a remarkable response, one of the nicest experiences I  
21 have ever had in clinical medicine, his profound  
22 reticulocytosis, and return of his hemoglobin to normal,  
23 which is where it has been subsequently.

24           So, this is a disease that appeared to be solely a  
25 common pure red cell aplasia, something we have seen, can't

1 explain, that obviously had a very good explanation.

2 [Slide.]

3 A third example is from Kevin's study, a very  
4 unfortunate child who died in Washington, D.C. after a year,  
5 a year from birth, just at one year of age, with a  
6 congenital anemia, very clear history of mid-trimester  
7 transmission of virus from the mother to the infant, and  
8 this child had been repeatedly negative by serologic testing  
9 in our hands and elsewhere.

10 When the child died, the serum continued to be  
11 negative, here by PCR testing, but you can appreciate this  
12 profound signal in the bone marrow and elsewhere.

13 So, here is another example of the subtlety of  
14 parvovirus infection without circulating virus, fatal  
15 outcome, but plenty of virus in the bone marrow producing  
16 this terrible picture.

17 [Slide.]

18 That is all having to do with a known virus and  
19 the difficulty of nailing down a syndrome, and I wanted to  
20 just, on Kevin Brown's suggestion, provoke you with the  
21 opposite, which is the possibility of there being other  
22 viruses out there that resemble B-19.

23 [Slide.]

24 This is from Kevin's data. Kevin has been very  
25 interested in some of the monkey parvoviruses, and we now

1 know that there is a fairly large family of erythroviruses  
2 that share this property of B-19 of infecting erythroid  
3 progenitor cells and producing profound anemia in the right  
4 clinical circumstance.

5           There are actually some viruses missing. There  
6 are at least two macaque viruses and a cynomolgus monkey  
7 virus that are similar, but not identical to B-19. I will  
8 mention parenthetically also that we now have a good animal  
9 model for B-19 infection as a result of Kevin's studies.

10           But the point of this is that there probably are  
11 other human viruses other than B-19 that may produce similar  
12 syndromes, and recently, French investigators--they  
13 published it just last month in one of the microbiology  
14 journals--have described a virus they call V-9, which varies  
15 by something like 15 percent in an otherwise generally well  
16 conserved region of B-19.

17           That is much greater than the sort of differences  
18 that people have identified, which are just a percent or two  
19 in B-19 strains, so-called strains. There are really no  
20 strains, but V-9 appears to be sufficiently different,  
21 produce transient aplastic crisis, may account for some of  
22 the PCR-negative cases of transient aplastic crisis because  
23 its genome will be not be detected by most of the  
24 conventional PCR primers.

25           [Slide.]



1           This is from Kevin's data. It is not a  
2 particularly great slide, but it is really meant to show you  
3 that this is a positive direct DNA hybridization dot blot in  
4 a patient with HIV infection, pure red cell aplasia, who was  
5 repeatedly negative by PCR testing at an outside  
6 institution, as well as in Kevin's laboratory.

7           Here, the patient is showing a very obvious signal  
8 even at small amounts of DNA, so that we would suspect that  
9 this patient probably has this variant erythrovirus  
10 infection, something like V-9.

11           [Slide.]

12           Now, I want to finish in the last couple of slides  
13 by indicating that there is hope, although it may not be  
14 very useful for your deliberations today, but Sachiko  
15 Kajijaka laboratory some years ago produced--you can't see  
16 it at the bottom, it's not crucial--these are insect cells  
17 that are lighting up with antibody to B-19 capsid proteins.

18           [Slide.]

19           Sachiko produced in this baculovirus system the  
20 viral capsid proteins. They have the nice property of self-  
21 assembling into empty capsids. Here is the major capsid  
22 protein only, here is the major and minor capsid protein  
23 together, so they look like viral capsids, but they don't  
24 have any DNA in them, so they are empty capsids.

25           When these are injected into animals, if you have

1 the VP-1, if you have the minor capsid protein as a  
2 component of this capsid, in fact, the more minor capsid  
3 protein you have, the better, then, you can produce very  
4 nice neutralizing antibody titers as shown up here.

5           They are quite comparable titers to what are seen  
6 in individuals in the convalescent phase of infection. So,  
7 this has been the basis for the development of a vaccine,  
8 and we now know that with the right adjuvant--these are now  
9 data from rhesus monkeys that were inoculated here at NIH--  
10 with the right adjuvants, unfortunately alum, very  
11 unfortunately, alum didn't turn out to be the right  
12 adjuvant, and with VP-1 present, we can get very high  
13 neutralizing antibody titer shown here.

14           This slide is not meant for detailed analysis.  
15 The point really is that we have a good vaccine reagent and  
16 now with adequate non-alum adjuvants, and this will be in  
17 human volunteer trials and I think also in patients with  
18 sickle cell disease, probably within--certainly in normal  
19 humans this year, and in patients with sickle cell disease,  
20 I hope within a year or two, and this should be a safe and  
21 effective vaccine for the human virus.

22           Thank you for your attention.

23           DR. HOLLINGER: Thank you, Dr. Young.

24           Dr. Lynch.

25           **FDA Perspective and Questions for the Committee**

1                                   **Thomas Lynch, Ph.D.**

2                   DR. LYNCH: Thank you, Dr. Young, Mr. Chairman.

3                   [Slide.]

4                   To return to where I left you, the regulatory  
5 question was created by the context in which hepatitis C,  
6 HIV, and hepatitis B NAT testing is being introduced, which  
7 includes the pursuit of clinical effectiveness evidence in  
8 clinical trials performed under INDs before appropriate  
9 license applications and approvals are forthcoming.

10                   The question here is whether the rationale for  
11 imposing that requirement for the "more significant viruses"  
12 on testing for B-19 applies. I should briefly note that the  
13 rationale really traces back to whether there is a need to  
14 establish the clinical sensitivity and specificity of the  
15 test, whether there are clinical consequences to individuals  
16 of the results of a positive test or, for that matter, a  
17 negative test, and whether or not informed consent issues,  
18 ethical issues are raised by performing an investigational  
19 test on materials derived from real human beings.

20                   These issues arise only in the context of a test  
21 that is performed as a laboratory control, whether by the  
22 manufacturer or by a contract laboratory. A test that is  
23 designed to be marketed as a kit is a medical device, and if  
24 it is used to screen blood donations or plasma donations, it  
25 is subject to licensing under the PHS Act in the normal

1 course.

2 So, we are talking about tests that are performed  
3 in someone's laboratory to control the quality of raw  
4 materials coming into a facility.

5 [Slide.]

6 Now, our premises for considering the value of NAT  
7 testing is that it would almost assuredly reduce the viral  
8 burden of manufacturing pools, and as one reduces the  
9 contamination of these manufacturing pools with the virus,  
10 the amount of virus should be significantly reduced in the  
11 products manufactured from that plasma.

12 That, we feel, would directly address a risk  
13 associated with certain manufactured products, such as the  
14 clotting factors.

15 [Slide.]

16 Other issues to consider, well, the technology is  
17 really at the point where large-scale testing can only be  
18 done on minipools, that is, numbers of individual units,  
19 aliquots of individual units that are mixed together in  
20 order to form an aggregate sample, which is then tested.

21 Plasma for further manufacturing is really where  
22 the practical point of implementation lies, but we are still  
23 stuck with sort of a precedent that the agency set in  
24 determining that in nucleic acid testing for hepatitis C,  
25 HIV, and hepatitis B, should be considered as donor

1 screening, and could not be implemented simply as an in-  
2 process control. That gave rise to the need to perform  
3 clinical trials.

4 Now, I should elaborate on what I mean by those  
5 two terms.

6 [Slide.]

7 An in-process control is a test that controls the  
8 quality of materials during manufacturing and requires as a  
9 component of licensing and good manufacturing practices,  
10 that the test be validated thoroughly as an analytical test.  
11 That includes verifying sensitivity, specificity, and  
12 reproducibility among many other requirements.

13 However, one need not establish any clinical  
14 correlates between the outcome of the test and the result in  
15 any individual patient or subject, and that is true provided  
16 that one is not making decisions about patient care or donor  
17 management, and basing those decisions on the outcome of  
18 unproven tests, and there are no claims that the testing  
19 enhances the actual safety of a product to the users of that  
20 product.

21 Now, an in-process control, since it doesn't have  
22 a clinical trial component associated with it, has a lower  
23 regulatory burden, and inherently can be implemented much  
24 faster.

25 We have analyzed this issue and believe that an

1 in-process control test and the regulatory requirements  
2 associated with that testing is appropriate for B-19, and  
3 that is clear when you consider why a donor screening test  
4 is considered to be so, and that is based on basically the  
5 severity of the disease that is being screened for, which  
6 warrants the identification and notification of the affected  
7 individuals - the donor who gave the positive donation and  
8 the recipient of any implicated products.

9           Now, this notification and presumed followup,  
10 clinical followup, may have significant implications for  
11 those individuals, and therefore, the effectiveness of the  
12 test ought to be demonstrated, and that effectiveness is  
13 demonstrated through clinical trials under IND. That is how  
14 we got where we are for hepatitis C, for example.

15           [Slide.]

16           The validation of these two types of assays can be  
17 more clearly understood by considering this slide. A donor  
18 screening test requires the evaluation of the performance of  
19 the assay in both preclinical and clinical settings,  
20 whereas, an in-process control would require the preclinical  
21 validation side of this slide, but not necessarily clinical  
22 trials.

23           Now, the requirements of preclinical validation  
24 are quite high. Specificity and analytical specificity,  
25 sensitivity and analytical sensitivity are all requirements

1 of the validation package, and this really establishes to a  
2 great degree of assurance what the performance  
3 characteristics of the test are. In addition, the  
4 precision, reproducibility, and proficiency of the labs  
5 performing the test also has to be satisfied.

6 Now, these standards, as I said, provide a high  
7 degree of assurance that the test is performing as  
8 anticipated.

9 [Slide.]

10 Let's go back and consider the rationale of  
11 deciding that NAT for hepatitis B or HCV was donor  
12 screening, and that rationale can be divided up into  
13 basically three areas - those related to the donor, those  
14 related to potential recipients, and those related to  
15 disposition of products.

16 With respect to the donor, it was felt that the  
17 individual donor of a positive unit should be identified and  
18 notified, and there are several reasons for thinking this.  
19 First, was the desire to defer the donor from making further  
20 donations or at least until his clinical condition could be  
21 determined, to afford a donor of a positive unit to seek  
22 treatment where such was available, and to take appropriate  
23 precautionary measures to avoid secondary infections.

24 With respect to the recipients of an implicated  
25 product, such as a lookback unit or a component made from

1 the same donation, it was important to identify and notify  
2 those individuals in order that they may seek testing and  
3 clarify their clinical status, and if they were, in fact,  
4 infected, to seek treatment and avoid further spread of the  
5 disease.

6           Now, finally, both the donor and the recipient  
7 have an inherent right to know about the potential of being  
8 infected with any of these diseases, and I think I need not  
9 elaborate on that.

10           Finally, there are product related criteria.  
11 Obviously, the positive unit is to be interdicted. That is  
12 the whole point of doing the test. You also would want to  
13 quarantine or retrieve other components that were derived  
14 from the same donation. Obviously, if the plasma is  
15 positive, you would like to avoid transfusing the red cells  
16 if you can.

17           Finally, a lookback, retrieving prior donations is  
18 also a component of these studies to minimize the  
19 possibility of window units even with enhanced testing being  
20 transfused or used in manufacturing.

21           Now, the justification for those decisions trace  
22 back directly to the characteristics of these three viruses.  
23 First, they are all responsible for severe diseases in many,  
24 if not most, of the cases. That raises the need to notify  
25 the implicated individuals.



1           Secondly, there is a possibility of a long window  
2 period in all cases, and that creates the need to look back  
3 and retrieve prior donations.

4           Third, there is a high possibility or certainty of  
5 chronic infection, and that creates the need to defer the  
6 donor permanently.

7           So, all of the decision-making regarding the  
8 regulatory framework for NAT testing for hepatitis B,  
9 hepatitis C, and HIV can all be traced to these basic  
10 characteristics of the viruses and the needs of the  
11 individuals that are implicated by the testing.

12           Comparing these characteristics to B-19, one has  
13 to realize that in most cases--and Dr. Young has pointed out  
14 some important exceptions to this--but in most cases, the  
15 severity of disease is much, much less than for the  
16 hepatitis viruses or HIV.

17           There is virtually no window period since viremia  
18 follows very quickly after exposure, and seroconversion very  
19 soon after that. Finally, the occurrence of chronic  
20 infections as opposed to depots the virus in other tissues,  
21 chronic infections almost never occur in the general  
22 populations although certainly are a risk for immune-  
23 compromised individuals.

24           [Slide.]

25           Let's take the criteria for reaching a decision

1 that a particular test should be considered donor screening,  
2 step by step, and see how the decision points shake out for  
3 both classes of viruses.

4 In the first instance, these criteria relate to  
5 the donors, and one would not want to defer a B-19 positive  
6 donor, certainly not permanently, whereas, that is certainly  
7 an objective to testing for the other viruses.

8 Treatment is not usually indicated if the presence  
9 of the disease is recognized at all for B-19 unless the  
10 individual is among the high risk groups that Dr. Young  
11 mentioned.

12 Avoidance of secondary infections is critical for  
13 these viruses, hepatitis C, for example, or HIV, where there  
14 are certain high risk behaviors that could be modified to  
15 avoid those secondary infections. However, B-19 is readily  
16 spread by casual contacts, that there are really not a whole  
17 lot of precautions that can be taken that are effective.

18 There is certainly a right to know in both cases.  
19 This is personal information regarding an individual, but  
20 arguably, an individual would have a greater interest in  
21 finding out about a clinically serious infection than they  
22 would about an infection such as parvovirus B-19.

23 [Slide.]

24 With regard to the recipient of a potentially  
25 implicated product--and by that I mean a component that was

1 donated at the same the test-positive unit was donated, or  
2 perhaps does not really apply here, a lookback unit--the  
3 interest in such an individual seeking out testing to  
4 clarify its clinical status, I think is low because of the  
5 very unlikely chance that the infection, if it occurred,  
6 would be clinically significant, and the possibility of  
7 seeking treatment or avoiding secondary infections are just  
8 the same as they are for the donor, a very low level of  
9 importance.

10           Again, there is a right to know, but I question  
11 whether the interest is quite the same in both settings.

12           [Slide.]

13           Third, related to the products that are made from  
14 these donations--and I am really talking about the units,  
15 the units that are donated--you, of course, want to  
16 interject the positive unit. Again, that is the purpose for  
17 doing the test.

18           One would also wish to quarantine and destroy the  
19 unit wherever that is possible, a unit that was derived from  
20 the same donation. If the plasma, for instance, is  
21 infectious, it is likely that the red cells would have a  
22 high risk of transmitting, as well.

23           In the context of testing, however, PCR testing,  
24 if that is what is to be implemented, a recovered plasma  
25 unit might complete testing long after the expiration of

1 other components collected at the same time. So, it is  
2 unclear what the ability to retrieve related units would be.

3 Finally, because of the very short duration of the  
4 disease, and really the absence of any window period, we  
5 think that lookback is inapplicable in the context of B-19.

6 [Slide.]

7 So, in conclusion, careful consideration of the  
8 public health interests that drove a decision that hepatitis  
9 C, HIV, or hepatitis B, nucleic acid testing should be  
10 validated by clinical trials under IND do not mandate the  
11 same sort of regulatory framework for B-19 testing.

12 Specifically, there is not a compelling argument  
13 that a single individual unit and an individual donor be  
14 identified or notified. That means that the clinical  
15 effectiveness of the NAT to predict infection in the donor  
16 is also not compelled, and we can therefore validate the  
17 effectiveness of the testing in eliminating contamination of  
18 the plasma used for manufacturing by validating it in a  
19 preclinical setting to establish the sensitivity,  
20 specificity, and overall reliability, let's say, of the  
21 test.

22 FDA has more than sufficient regulatory authority  
23 under its licensing mechanisms to ensure the ongoing quality  
24 of these tests.

25 [Slide.]

1           We expect that reducing a regulatory burden that  
2 we think does not contribute to the quality of the plasma  
3 that is undergoing the testing could expedite implementation  
4 of parvovirus testing more generally.

5           However, it seems reasonable that where it is  
6 possible, and where B-19 testing is performed on recovered  
7 plasma used for further manufacturing, untransfused  
8 components of the same donation should, wherever possible,  
9 be retrieved.

10           That again will depend on the schedule of the  
11 testing and reporting back of the results to the center  
12 doing the collecting.

13           As I said at the onset, we would like to defer the  
14 more general question regarding nucleic acid testing of  
15 whole blood donations to a later date if we need to bring it  
16 back at all.

17           [Slide.]

18           So, the question that we pose for the committee, I  
19 know I have provided a lot of background information, and I  
20 apologize for that, but it is a difficult question: Does  
21 the committee agree that pending a policy on screening of  
22 whole blood donations, the Food and Drug Administration need  
23 not require studies to validate the clinical effectiveness  
24 of NAT for B-19 under IND for plasma for further  
25 manufacturing?

1 Thank you, and I will take any questions.

2 DR. HOLLINGER: Yes, Paul.

3 DR. McCURDY: A question that has been bothering  
4 me for some time is that if this is essentially a self-  
5 limited infection with long-term immunity, why is viremia so  
6 frequent in blood donors, healthy blood donors?

7 DR. LYNCH: Well, I think the infection rate is  
8 probably quite high, I would guess somewhere on the order of  
9 1 to 2 percent per year, but maybe some of our experts could  
10 clarify that. So, if you assume a period of a week peak  
11 viremia, and a longer level where there is low residual  
12 levels in the plasma detectable by techniques, such as  
13 nucleic acid testing, a substantial portion of the donors  
14 would be expected to be positive.

15 During community outbreaks, Paul, the numbers can  
16 go much higher than the 1 in 3,000 figure that I gave. You  
17 might be up pushing close to 1 percent.

18 DR. HOLLINGER: Dr. Stroncek.

19 DR. STRONCEK: A couple things. One, I think that  
20 while it's nice to theoretically think you can separate the  
21 whole blood donations from testing plasma for fractionation,  
22 I don't think, practically speaking, that is going to work.  
23 I think, if it starts, it is quickly going to move into  
24 whole blood donations.

25 Second, this is not an antibody test, this is

1 viremia, and some of the levels are pretty high. I would  
2 feel uncomfortable not notifying donors. We see that for  
3 the most case, these infections are not problematic, but in  
4 some cases they can be. A pure red cell aplasia is a  
5 serious disease. It's not hepatitis, it's not AIDS, but it  
6 is, nonetheless, a serious disease.

7 Third, I didn't quite understand one of the slides  
8 because you are proposing that you are not testing down to  
9 the individual donor, yet, then, you are advocating to  
10 withdraw the components made from individual units.

11 Well, if you are testing a pool, and not figuring  
12 out which unit is possible in the pool, that implies you  
13 have to withdraw from your inventory all the products made  
14 from, all the components made from all the donors in that  
15 pool.

16 DR. LYNCH: Let me try to take those in order. We  
17 are not in a position to make a recommendation regarding  
18 testing because there is no licensed test to recommend. The  
19 initiative is coming from the industry initially, who wishes  
20 to implement this test and needs to know what the regulatory  
21 requirements will be to do so.

22 I can't predict what the blood banking and whole  
23 blood collection segments will do in the future. I think  
24 there may well be interest there and may well be  
25 justification for implementing the test. That remains to be

1 seen, but it is not necessarily compelled, I think, by  
2 plasma testing.

3           The second point that you raised about the  
4 seriousness of certain diseases, like pure red cell aplasia,  
5 is certainly well taken. I think, if I am not mistaken, the  
6 severe clinical consequences are most often seen in  
7 individuals who would not be expected to be donating blood  
8 in the first place, so the donors that you wish to notify  
9 would not be at particular risk for these serious sequelae  
10 of an infection.

11           Third, with respect to retrieving components  
12 without necessarily--and I say necessarily because an  
13 individual sponsor may choose to do so--without necessarily  
14 tracing back to a single unit, that is feasible if one  
15 traces the positive reaction back to a small number of units  
16 greater than 1, and then discards all of the components that  
17 may be in-date associated with all of those collections.

18           Although that sounds a little byzantine, in fact,  
19 there may be some efficiencies there, and a manufacturer may  
20 choose to do exactly that.

21           DR. HOLLINGER: We are going to break for lunch.  
22 It's 1 o'clock. We will be back here at 2 o'clock to begin.

23           [Whereupon, at 1:00 p.m., the proceedings were  
24 recessed, to be resumed at 2:00 p.m.]



## 1 AFTERNOON PROCEEDINGS

2 [2:25 p.m.]

3 DR. SMALLWOOD: We have a number of people who  
4 have requested to speak, and if you are not here, we will  
5 move on to the next person, but we will try to get everyone  
6 who has requested to speak.

7 Dr. Hollinger.

8 DR. HOLLINGER: Thank you. We are really sorry  
9 for the delay. The restaurant had a problem, but anyway we  
10 are sorry.

11 We are going to continue the discussion about  
12 nucleic acid testing of parvovirus B-19, and we have had  
13 four individuals speaking with different groups on this  
14 topic. The first will be David Kennedy from the American  
15 Red Cross.

16 **Open Public Hearing**

17 **David Kennedy, ARC**

18 MR. KENNEDY: As Dr. Hollinger said, my name is  
19 Dave Kennedy and I am the Manager of Medical Affairs of the  
20 American Red Cross Plasma Services. I want to thank the  
21 committee for this opportunity to address you on the issue  
22 of PCR testing of human parvovirus B-19.

23 The American Red Cross serves as the distributor  
24 of Plas + SD, that is pooled plasma solvent detergent  
25 treated, and is the provider of input plasma for its

1 manufacture. As a condition of licensure, a letter was  
2 issued by the Food and Drug Administration on May the 6th,  
3 1998, indicating that VITEX, VI Technologies, Inc., the  
4 manufacturer of Plas + SD, was to undertake and complete  
5 clinical studies regarding the risk of transmitting non-  
6 enveloped viruses through the use of Plas + SD.

7 In December of 1998, the first subjects were  
8 enrolled in a Phase IV clinical study to determine the  
9 infectious disease risk for hepatitis A and parvovirus B-19  
10 by the infusion of Plas + SD.

11 On March 26th, 1999, a report was submitted by  
12 VITEX to the FDA as the first clinical safety report of the  
13 Phase IV study. The report provided clinical and  
14 serological data in healthy volunteer subjects who had been  
15 infused with one unit, that is 200 ml, of Plas + standard.

16 The initial serological data from the study showed  
17 two subjects who had seroconverted to parvovirus B-19 with a  
18 rise in IgM levels within 10 days of infusion. Subsequent  
19 testing three months later showed an increase in parvovirus  
20 B-19 IgG levels. Neither of these subjects exhibited  
21 clinical symptoms consistent with B-19 infection during this  
22 three-month interval.

23 PCR testing of all lots of Plas + SD involved in  
24 this Phase IV study was performed and revealed the presence  
25 of various levels of parvovirus B-19 DNA. In relating the

1 parvovirus B-19 DNA levels in the product to those subjects  
2 who seroconverted, it was found that all the seropositive  
3 recipients had received "high titer" lots. The high titer  
4 lots contained parvovirus B-19 DNA levels ranging from  $10^{7.5}$   
5 to  $10^{8.5}$  genomic equivalents per 0.667 ml of plasma.

6 None of the recipients of the other lots had  
7 seroconverted at the 7- to 10-day time point, and none of  
8 the recipients for whom data were available at the three-  
9 month time point seroconverted. These seven other lots  
10 contained parvovirus B-19 DNA levels of between  $10^{0.5}$  and  
11  $10^{3.5}$  genomic equivalents per 0.667 ml of plasma.

12 On September 2, 1999, VITEX submitted the latest  
13 monthly clinical safety update on the study, Phase IV  
14 Protocol, Postmarketing Pharmacovigilance of SD Plasma.

15 Of the recipients of the other 8 "low titer" lots,  
16 none of the 46 are seropositive at the 7- to 10-day time  
17 point, and none of the 30 subjects who have data at the  
18 three-month time point have seroconverted.

19 From this preliminary data, it appeared that  
20 subjects who received lots of Plas + SD with parvovirus B-19  
21 DNA titers of  $10^{3.5}$  or less did not seroconvert. On this  
22 basis, and with the concurrence of the Food and Drug  
23 Administration, the decision was made to test all lots  
24 manufactured to date for parvovirus B-19 DNA by PCR and  
25 eliminate from distribution all lots with titers greater

1 than 3.5.

2 Data from the VITEX testing program to date  
3 suggest that approximately 1 in every 800 blood donors is  
4 positive by PCR for parvovirus B-19 DNA. In order to  
5 minimize loss of fully manufactured product, and as part of  
6 the ongoing commitment to safety by the American Red Cross  
7 and VITEX, the decision was made to test our input plasma  
8 for parvovirus B-19.

9 VITEX implemented minipool testing of input plasma  
10 for parvovirus B-19 by PCR in May of this year. Units are  
11 tested in batches of approximately 20 units. This is called  
12 a primary pool.

13 Five sets of primary pools of 20 units make up a  
14 minipool. A complete pool is formed when sufficient  
15 minipools, representing a maximum pool size of 2,500 units,  
16 have been PCR tested. The minipool of 100 units is tested  
17 first, and if it nonreactive, all 100 units are released for  
18 pooling.

19 If the minipool is reactive, each of the 5 primary  
20 pools making up the reactive minipool are tested  
21 individually. All primary pools in any minipool that tests  
22 reactive are removed prior to the start of pooling.

23 Resolution stops at the primary pool level, since  
24 there is no currently approved methodology for obtaining a  
25 second sample. Therefore, an individual donor unit will not

1 be identified. After all units from non-conforming primary  
2 pools are removed from the warehouse, VITEX Quality  
3 Assurance Department releases the lot for manufacturing.

4           The FDA has evaluated PCR testing strategies that  
5 have been implemented by VITEX. On June 28, 1999, Dr.  
6 Epstein, of the FDA, informed VITEX that the FDA had  
7 approved the company's request to supplement the product  
8 license application for pooled plasma, solvent detergent  
9 treated for implementation of PCR testing for hepatitis A  
10 virus on the final container product.

11           National Genetics Institute performs HAV and human  
12 parvovirus final container product PCR testing for VITEX. A  
13 human parvovirus B-19 labeling claim for PCR testing was  
14 submitted to the agency on August 25, 1999, and we await FDA  
15 approval.

16           The American Red Cross and VITEX maintain the  
17 highest commitment to product safety and recommend that PCR  
18 testing of input plasma for parvovirus B-19 DNA continue in  
19 the manner described. Because this is clearly raw material  
20 qualification as opposed to donor screening, testing should  
21 proceed without filing an Investigational New Drug  
22 application.

23           In addition, since testing of the input plasma is  
24 performed long after most components derived from the whole  
25 blood donation would have been transfused, and long after

1 any clinical benefit of donor notification would have  
2 passed, the value of recipient or donor identification and  
3 notification of positive test results is moot.

4 Thanks to the quick and collaborative efforts of  
5 VITEX, the American Red Cross, and the Food and Drug  
6 Administration, we were able to quickly put into place a  
7 product and plasma screening system that improves safety.

8 In this case, implementing plasma and product  
9 screening under an IND would only have slowed the process  
10 and added no benefit.

11 Thank you for the opportunity to address this  
12 committee.

13 DR. HOLLINGER: Thank you.

14 Steve Kleinman for the AABB.

15 **Steven H. Kleinman, M.D.**

16 DR. KLEINMAN: Frequent transmission of parvovirus  
17 B-19 infection by transfusion of Factor VIII concentrates  
18 prior to the widespread use of viral inactivation technology  
19 has been well documented by the detection of parvovirus B-19  
20 antibody in recipients. Such transmission has continued  
21 even after the introduction of virally inactivated  
22 concentrates. This is due to the relative resistance of  
23 parvovirus B-19 to viral inactivation and to the high level  
24 of viremia in acutely infected persons.

25 Despite relatively high transmission rates to

1 recipients of pooled plasma products, very few adverse  
2 clinical outcomes have been reported in patients with  
3 hemophilia. A 1999 review article cites only three cases of  
4 erythema infectiosum and one case of hypoplastic anemia.

5           Since 1994, when two significant articles appeared  
6 in the journal Transfusion, there has been a heightened  
7 concern in the blood banking community about transmission of  
8 B-19 by transfusion of single donor blood components.  
9 Despite this increased concern, only three cases of clinical  
10 disease associated with B-19 transmission by blood component  
11 transfusion have been reported in North America and Europe.

12           In each of these cases, the recipient developed  
13 anemia. One case was successfully treated by IVIG, one case  
14 spontaneously resolved, and one case did not report follow-  
15 up data.

16           The level of significant clinical disease from  
17 transfusion transmitted B-19 is lower than that reported for  
18 malaria, babesiosis, and Chagas disease. There have not  
19 been any systematic controlled studies to indicate the  
20 extent of B-19 transmission and clinical disease development  
21 in recipients of blood components.

22           Nucleic acid testing technology to perform B-19  
23 screening in pools currently exists. Using such techniques,  
24 parvovirus B-19 viremia rates in blood donor populations  
25 have been reported to range from 0.03 percent to 0.6 percent

1 with a 1 percent prevalence--that is 1 in 1,000--in a  
2 recently published study of Pittsburgh.

3 From studies of patients with hemophilia and from  
4 recent clinical trials of SD plasma, it has been well  
5 established that pooled plasma products greatly amplify the  
6 risk for parvovirus B-19 transmission to recipients.

7 As we have heard, NAT of pooled plasma samples for  
8 B-19 has been recently adopted for manufacture of SD plasma,  
9 and to my knowledge, is in use by some manufacturers of  
10 plasma derivatives.

11 One question to consider is whether such screening  
12 should be extended to donors of whole blood components. The  
13 AABB believes that the issue of whole blood donor screening  
14 for B-19 nucleic acid should be considered on its own merits  
15 and should not be dictated as a consequence of policies  
16 adopted for screening of recovered of source plasma that  
17 will enter further manufacture.

18 One mechanism to maintain the distinction between  
19 screening of pooled plasma products and whole blood donor  
20 screening is to perform B-19 nucleic acid testing on  
21 minipools of plasma intended for further manufacture. This  
22 would serve as an in-process manufacturing control and would  
23 accomplish the aim of interdicting B-19 viremic plasma units  
24 prior to manufacture without resulting in identification of  
25 the individual donor.



1 Under this mechanism, B-19 testing in the plasma  
2 sector would not be regarded as a donor screening test and  
3 hence, the precedent for applying such a screening test to  
4 whole blood donations would not be established in the  
5 absence of a direct policy decision to do so.

6 Adopting the in-process control mechanism is  
7 justified for the following additional reasons:

8 B-19 infection in a blood donor is of no  
9 consequence to the donor's health and secondary transmission  
10 of the infectious agent does not occur by preventable  
11 parenteral routes. Therefore, donor notification is not  
12 needed and would most likely result in a high degree of  
13 donor confusion and/or anxiety with no benefit to the donor.  
14 This contrasts starkly with the situation in HIV or HCV  
15 infection where donor identification and notification is  
16 necessary for both individual and public health reasons.

17 Safety for recipients of blood components would  
18 not be significantly enhanced by identifying and deferring  
19 the individual viremic donor since B-19 infections fail to  
20 cause clinical disease and spontaneous resolve in almost all  
21 cases.

22 In addition, donors are no longer infectious after  
23 a short period of time. Given that B-19 infection  
24 transmitted by transfusion of blood components has only  
25 rarely caused clinically significant disease, the AABB

1 believes that B-19 nucleic acid screening of whole blood  
2 donors is not indicated at this time.

3           The aim of assuring further safety of pooled  
4 plasma products can be achieved by performing B-19 screening  
5 as an in-process manufacturing step. The AABB believes that  
6 further work is needed to more clearly define the magnitude  
7 of transfusion transmitted B-19 infection prior to  
8 initiating a routine donor screening program.

9           The NAT screening programs adopted for HIV and HCV  
10 should not be used as models for B-19.

11           The issue of increasing recipient safety by  
12 application of costly new tests is one that will continue to  
13 be faced by the Federal Government and by the transfusion  
14 medicine community. Numerous agents have been shown to be  
15 infrequently transmitted by transfusion and to rarely cause  
16 significant clinical disease.

17           The AABB believes that it is not an effective use  
18 of health care dollars to perform screening tests for all  
19 such agents. Benefits to be gained by addition of new tests  
20 must be weighed against the downsides of unnecessary donor  
21 deferral and donor loss, confusing and alarming notification  
22 messages to donors with positive screening test results, and  
23 the complicated logistical issues arising from new test  
24 implementation.

25           Thank you.

1 DR. HOLLINGER: The next speaker is Celso Bianco  
2 for America's Blood centers.

3 **Celso Bianco, M.D.**

4 DR. BIANCO: Good afternoon. I want to thank FDA  
5 and the Blood Products Advisory Committee to comment on the  
6 issue of screening for parvovirus B-19. This statement was  
7 prepared before we heard Dr. Lynch, and I must say that we  
8 agree 99.8 percent of his statement.

9 The only thing that we are concerned is that we  
10 don't feel that you should have broken his leg in order to  
11 obtain his statement.

12 ABC is a consortium of 73 not-for-profit,  
13 community-based blood centers that collects over half of the  
14 blood from volunteer blood donors.

15 We understand the desire of manufacturers of  
16 pooled plasma products that have been virally inactivated by  
17 the solvent detergent process to screen for the presence of  
18 high titers of parvovirus B-19. This non-enveloped virus is  
19 not inactivated by this procedure, and we are aware of the  
20 recent recall of solvent detergent treated plasma by  
21 American Red Cross and VITEX following the seroconversion of  
22 research subjects in Phase IV studies.

23 We support the efforts being made to prevent the  
24 transmission of B-19 by virally inactivated plasma  
25 derivatives, however, we request that issues related to

1 screening of blood donors be considered very carefully and  
2 in the context of the clinical significance of parvovirus B-  
3 19.

4 I am essentially repeating what many speakers  
5 previously said, that a large proportion of the normal  
6 population has been exposed to the virus. The prevalence of  
7 antibodies ranges from 30 to 70 percent in some studies.

8 The infection has limited clinical significance in  
9 the general population.

10 Cases of hydrops fetalis or erythroid aplasia are  
11 extremely rare and their relationship with blood transfusion  
12 is anecdotal.

13 The prevalence of antibodies to B-19 is high among  
14 recipients of clotting factors, but several cohort studies,  
15 such as the one carried out by Margaret Ragni and published  
16 in 1996, have shown no detectable B-19 viral activity or  
17 associated long-term clinical or hematological sequelae in  
18 these patients.

19 Thus, we see value in screening of plasmas used  
20 for further manufacture because of the pooling of a large  
21 number of units. The majority of plasma pools are positive  
22 for B-19 DNA. Viral titers can be extremely high,  
23 overwhelming antibodies present in the pool.

24 We do not see clinical value in the screening of  
25 the general blood donor population or products dispensed as

1 single units, particularly in a regulated fashion. We have  
2 a very good historical parallel with cytomegalovirus, CMV.  
3 After several studies and a long clinical experience, we  
4 initiated screening of a limited number of units for use in  
5 premature infants of low weight of seronegative mothers and  
6 in seronegative transplant recipients.

7           If studies show that a similar approach is  
8 valuable, blood centers will not hesitate to create systems  
9 to provide for specific patient needs. We already provide  
10 CMV negative units for neonatal wards or rare red blood  
11 cells for sensitized patients.

12           Parvovirus B-19 is an issue of medical practice  
13 and should not be the subject of regulatory control.

14           Thank you.

15           DR. HOLLINGER: The final speaker is Dr. Thomas  
16 Weimer from Centeon.

17                           **Thomas Weimer, M.D.**

18           DR. WEIMER: I would like to thank the committee  
19 for giving us the opportunity to present some B-19 NAT  
20 screening data, which were obtained in our laboratories, and  
21 talking about introduction of B-19 NAT screening, that means  
22 addition of a new target to an already existing NAT  
23 screening system.

24           Please allow me to update a committee about  
25 Centeon's experience in NAT testing.

1 [Slide.]

2 Centeon's current NAT program is the screening of  
3 minipools for hepatitis B virus, hepatitis C virus, and HIV-  
4 1. It was implemented in the U.S. under IND in April of  
5 last year. To date, we have screened by PCR over 3.6  
6 million donations worldwide.

7 We have identified and removed from further  
8 manufacturing 530 HBV, HCV, or HIV-1 PCR reactive donations,  
9 and this minipool screening has resulted in over 1,000 PCR-  
10 negative manufacturing pools. We do that screening on the  
11 pool as a regular quality control test.

12 [Slide.]

13 As was mentioned in the previous talks, B-19  
14 differs from HBV, HCV, and HIV, and it is a self-limiting  
15 infection with few clinical consequences.

16 It may reach very high titers, it is pretty  
17 resistant to physical-chemical methods for viral  
18 inactivation. It is highly prevalent, and there are certain  
19 indications or risk groups, like pregnant women and  
20 immunocompromised patients where a B-19-free plasma product  
21 would be desirable.

22 [Slide.]

23 B-19 is not a reportable disease, short acute and  
24 self-limited illness. At the time the PCR result becomes  
25 available, infection is already resolving or resolved due to

1 immune response.

2 Plasma donors are neither pregnant nor  
3 immunocompromised, so an IND linked clinical study would not  
4 provide any clinical benefit. This is the reason why  
5 Centeon would support the idea of running B-19 NAT screening  
6 as an in-process test.

7 [Slide.]

8 Our initial screening for B-19 was to obtain data  
9 on the prevalence of the virus, and we screened about 53,000  
10 donations using sensitive PCR method. We found that about 1  
11 in 830 donations, donations, not donors, were PCR reactive.

12 Most of them contained low B-19 levels, less than  
13  $10^5$  genomes per mL. Those numbers are soft because we do  
14 not have an international standard yet. This is an in-house  
15 standard and they are not comparable to other numbers you  
16 will hear and have heard.

17 About 1 out of 10,000 donations contained high  
18 titers, greater than  $10^6$ , and the range was between  $10^6$  and  
19  $10^{12}$  genomes per mL.

20 Due to this high observed prevalence, B-19 NAT  
21 screening targets the removal of high titer donations, and  
22 its detection and removal of such donations from further  
23 manufacturing will prohibit 9 logs or more of virus from  
24 entering manufacturing.

25 [Slide.]

1           Our B-19 PCR screening system was designed to  
2 detect and remove B-19 positive donations with titers of  
3 greater than  $10^6$  genomes per mL. It can be integrated into  
4 the current minipool testing procedures.

5           It will result in a reduction of the potential B-  
6 19 load of fractionation pool to below  $10^5$  genomes per mL  
7 with an emphasis on donation removal rather than donor  
8 deferral.

9           [Slide.]

10           We made a pilot study where we screened with this  
11 high titer approach over 170,000 donations. We identified  
12 among them 15 high titer donations, and they were removed  
13 from production, and the result with regard to the  
14 fractionation pools which resulted out of that, you see on  
15 that graph.

16           The first 30 were pools, manufacturing pools which  
17 we made from B-19 non-tested plasma, and the green ones were  
18 made from plasma which had been pre-screened by the B-19  
19 high titer screening.

20           What you see is that overall the B-19 titer is low  
21 and that we removed peak virus titers as you can see here.

22           [Slide.]

23           In conclusion, by the high titer screening  
24 process, we will remove plasma units with high levels of B-  
25 19 from manufacturing, which will decrease significantly the



1 virus load of fractionation pools, and it complements our  
2 current virus removal steps in production.

3 The process is almost ready for implementation and  
4 will be submitted to FDA for review by the end of this year.

5 Thank you.

6 DR. HOLLINGER: Thank you.

7 Is there anyone else that would like to speak to  
8 this? Yes, Mr. Bablak.

9 **Jason Bablak**

10 MR. BABLAK: Good afternoon. I am Jason Bablak  
11 with IPPIA and I am going to summarize my statement that was  
12 passed out to you since a lot of this has been said over and  
13 over again.

14 First, I would like to start off and say that  
15 IPPIA supports the development of regulatory policies that  
16 encourage incremental increases in product safety. In the  
17 specific case of parvovirus testing, no regular screening  
18 for the presence of this virus is currently conducted.

19 We support the FDA position stated today that NAT  
20 testing for parvovirus can provide an additional safety  
21 measure when implemented as a properly validated in-process  
22 control test used to identify and remove certain units from  
23 further processing.

24 Consistent with this policy, we believe that if a  
25 regulatory policy is formulated, it should allow firms to

1 pursue this objective.

2           At present, our members are investigating the  
3 development of a voluntary industry standard to address  
4 parvovirus. We hope to be able to implement such a standard  
5 by the end of the year 2000, and while we are not completely  
6 finalized with the details of this, we envision that NAT  
7 testing will be involved, and you just heard from one of our  
8 members on the work that they have been doing.

9           Regarding the implementation of testing for  
10 parvovirus, we agree with the FDA that the screen should  
11 target donations, not donors. The use of a parvovirus assay  
12 method as an in-process control test for donations is a  
13 rational strategy for all the reasons you have heard  
14 earlier.

15           I will just summarize them. Basically, there is  
16 no public health concern to defer the donor from making  
17 further donations. There is no medical justification to  
18 identify and notify plasma donors reactive for parvovirus,  
19 and since the products that we make include IVIG, which  
20 requires anti-parvovirus antibodies to maintain their  
21 efficacy, our pool require donors who have been exposed and  
22 cleared this virus.

23           We believe that the use of NAT screening as a  
24 properly validated in-process control test provides an  
25 additional safety measure without requiring unnecessary

1 lengthy and costly clinical trials and that can be  
2 implemented in a timely and cost-efficient manner.

3           The parvovirus in-process control test will still  
4 require rigorous validation and documentation which must be  
5 reviewed and approved by the FDA.

6           We look forward to working with the agency and  
7 other regulatory bodies worldwide to develop a strategy to  
8 address issues related to this virus including NAT testing  
9 where appropriate.

10           Thank you.

11           DR. HOLLINGER: Thank you.

12           Anyone else from the public that would like to  
13 speak to this issue?

14           [No response.]

15           DR. HOLLINGER: I am going to close the official  
16 public hearing and we will open it up for committee  
17 discussion on this topic.

18           **Committee Discussion and Recommendations**

19           DR. MACIK: Some of my questions are about perhaps  
20 some of the science of this. If we know that roughly 50  
21 percent of people are antibody positive, and you are now  
22 taking a pool of plasma which some people are viremic, and  
23 mixing that, the unit of a viremic, with all those  
24 antibodies, is that why maybe it takes a very high titer?

25           When you do the PCR on a pool, you are going to

1 find the DNA, but in some of these cases, is the DNA already  
2 neutralized, already bound to antibodies that with infusion,  
3 may promote the clearance?

4 I don't know enough about the science for that,  
5 but that might be part of the reason why you are not seeing  
6 infections. We have two infections high titer because you  
7 are basically, by making a pool, you are mixing viremia with  
8 antibodies and coming out kind of neutral towards the end.

9 The other question I would have from a clinical  
10 standpoint would be just how common. Dr. Stroncek had asked  
11 the question before, you know, pure red cell aplasia is a  
12 bad disease, how frequently do you want to see that.

13 I don't know this even being a hematologist. I  
14 know that pure red cell aplasia is extremely rare whether it  
15 is due to parvovirus or anything else, and is there a number  
16 to be associated with otherwise normal, not a hemolytic  
17 anemia patient who has gotten parvovirus-induced pure red  
18 cell aplasia? Do you know a number?

19 DR. YOUNG: Pretty close to zero.

20 DR. MACIK: So, a zero number.

21 DR. YOUNG: In answer to your first question, I  
22 think that you are absolutely correct, the anticipation, the  
23 expectation has always been that the antibody that is  
24 present in pooled plasma should neutralize any virus that  
25 was there, but the VITEX experience suggested that that, in