

1

2

3

1 available with the committee's materials, does three,  
2 dose one.

3 I think Doctor Hackell had a similar slide,  
4 febrile reaction rates are summarized here for the  
5 essential clinical studies. Rates of fever increased  
6 with sequential doses of whole cell pertussis, in  
7 combination with pneumococcal vaccine. When  
8 administered with DTaP and Hib rates of fever and  
9 systemic reactions did not appear to increase with  
10 sequential doses.

11 Fever was least frequent after dose one,  
12 regardless of concurrent pertussis vaccine. With  
13 concurrent DTaP rates of fever greater than 38 degrees  
14 ranged from five to 22 percent after dose one, 19 to  
15 34 percent after dose two, and 19 to 28 percent after  
16 dose three.

17 Summarizing systemic reactions in the  
18 efficacy study, increased rates of fever were  
19 detectable above background rates, due to DTB Hib,  
20 DTaP and other concurrent vaccines. Rates of fever  
21 increased with sequential doses when pneumococcal  
22 conjugate vaccine was administered with whole cell  
23 pertussis Hib vaccine. Irritability, decreased  
24 appetite and drowsiness were also associated with  
25 pneumococcal vaccine, but without a consistent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pattern.

2           However, comparisons to systemic reaction  
3 rates for the meningococcal group may mask excess  
4 reaction rates attributable to the pneumococcal  
5 vaccine. When administered with acellular pertussis  
6 vaccine, fever rates and antipyretic use was greater  
7 in groups receiving 7-valent vaccine than in no  
8 injection controls. For the fourth dose of  
9 pneumococcal vaccine no safety data are available at  
10 this time to evaluate systemic reactions with the  
11 fourth consecutive dose of DTaP.

12           Doctor Black has provided some data on  
13 adverse events today that appear to be somewhat more  
14 updated than what we had at our disposal. This slide  
15 shows the number of deaths due to all causes through  
16 December 31, 1998. I think his slide showed 11 deaths  
17 in the pneumococcal arm and 22 in the mening. arm.  
18 with respect to overall mortality, data for all causes  
19 appear to be imbalanced with more deaths in the  
20 control arm. Causes of death in each case were  
21 provided, and none of the deaths were considered by  
22 investigators to be vaccine related.

23           Doctor Black also presented data on SIDS,  
24 and I think his data are actually adjusted for the  
25 first two months of life and seasonality, which is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 appropriate. These SIDS data provide no reason to be  
2 concerned about use of the pneumococcal vaccine.

3 Again, Doctor Black presented this data  
4 earlier. All hospitalizations within 60 days of  
5 vaccine dose were entered into the safety database.  
6 The sponsor has conducted analyses of multiple safety  
7 comparisons by doses, by type of concurrent vaccine,  
8 and for various time periods after the vaccine dose.  
9 Hospitalization rates for selected diagnosis of  
10 potential interest were identified by screening  
11 against the statistical significance levels, not  
12 adjusted for multiple comparisons.

13 Shown here are only those diagnoses for  
14 which an imbalance in the number of cases in the  
15 pneumococcal vaccine group was apparent. Febrile  
16 seizures within 30 days and 60 days of the vaccine  
17 dose were more common in the pneumococcal vaccine  
18 group. Increased rate of hospitalization for asthma  
19 were observed. Doctor Black discussed this earlier.

20 The ER diagnosis of events occurring within  
21 30 days of the vaccine dose were analyzed by  
22 concurrent vaccine. ER visits for croup within three  
23 days, breath holding within 30 days, and urinary tract  
24 infections within 30 days showed a slight imbalance of  
25 cases in the pneumococcal vaccine group. This was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 200053701

1 presented earlier as well.

2 Well, adverse events occurring outside the  
3 60 day window following vaccine doses for  
4 hospitalizations may not have been captured in the  
5 adverse event monitoring in this trial and, therefore,  
6 FDA requested that the sponsor search the hospital  
7 databases for selected adverse events related to  
8 autoimmune diseases, blood abnormalities and diabetes.  
9 These data were recently received by FDA. Events were  
10 captured using various ICD9 codes and may not be  
11 specific for a particular clinical entity as Doctor  
12 Black pointed out earlier. Preliminary review  
13 indicates no imbalance in the number of such events  
14 which would cause concern for the group receiving the  
15 pneumococcal vaccine.

16 Adverse events leading to study  
17 discontinuation provided an additional source of  
18 safety data. More subjects in the meningococcal  
19 vaccine control group discontinued for adverse events,  
20 74 versus 53. The most common reasons for leaving the  
21 trial were seizures, which accounted for 83 of 127  
22 events, or 65 percent of the subjects discontinuing.  
23 The number leaving due to seizures in both groups was  
24 similar, however. Other less common adverse events  
25 leading to study termination are shown.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1           Six serious adverse events were considered  
2 by study investigators to be possibly or probably  
3 related to study vaccine, three in each group. All  
4 three serious adverse events considered related to  
5 pneumococcal vaccine were seizure events.

6           FDA asked the sponsor to provide an  
7 integrated summary of all seizure events, in which  
8 acute events were distinguished from follow-up events,  
9 follow-up visits, or an ongoing seizure disorder by  
10 means of chart review. The sponsor has also reviewed  
11 other potential sources of information, including  
12 spontaneous reports from clinic study nurses. Using  
13 data from all sources, the number of subjects that  
14 experienced acute seizure events occurring with three,  
15 14 and 30 days of study dose were assessed. Acute  
16 seizure events within 30 and 14 days of vaccine dose  
17 were well balanced across the two groups. Events  
18 occurring within three days of the vaccine dose were  
19 more common in the pneumococcal vaccine group.

20           Of the eight recipients of pneumococcal  
21 conjugate vaccine who had acute seizure events within  
22 three days of inoculation, seven were febrile seizures  
23 and one was afebrile, and seven had received whole  
24 cell pertussis vaccine concurrently with the study  
25 vaccines. One child had a seizure after dose one, two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D. C. 20005-3701

(202)234-4433

www.nealrgross.com

1 had seizures after dose two, three after dose three,  
2 and two after dose four. Two subjects were also  
3 diagnosed with urinary tract infections. Doctor Black  
4 pointed that out earlier, and one subject in the  
5 pneumococcal group had a seizure after the DTaP dose,  
6 which was thought to be due to a viral infection.

7 In the meningococcal control group, acute  
8 seizure events within three days, two had afebrile  
9 seizures. One subject actually had a history of  
10 cerebral palsy and another had a history of seizures.

11 Although most seizure events did occur after  
12 concurrent whole cell pertussis vaccine, it should be  
13 remembered that most of the children in the study  
14 received whole cell vaccine with the primary series.

15 In supporting studies, not shown here, in  
16 supporting studies there were two additional seizure  
17 events. They occurred in the lot consistency study.  
18 One occurred four days after a dose, which was thought  
19 to be, considered to be possibly vaccine related by  
20 the investigator. The other occurred one day after  
21 the dose of the study vaccine, that was not thought to  
22 be related by the investigator, but it was certainly  
23 - related. In those two cases, the concurrent  
24 pertussis vaccine was an acellular pertussis vaccine.

25 Well, a safety update of hospitalization

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 rates and ER visits was provided for the period until  
2 December 31, 1998 as line listings, adverse events in  
3 the safety update which were considered by  
4 investigators to be possibly or probably related to  
5 study vaccines are shown. There were two seizure  
6 events reported in the pneumococcalvaccine group, one  
7 occurred eight days after a dose, and the other three  
8 days after a dose, after a fourth dose.

9 That concludes my discussion of the safety  
10 analysis. I'll not discuss compatibility of  
11 pneumococcal vaccine with concurrent immunizations.  
12 Responses to haemophilus influenza when administered  
13 concurrently in the primary series with pneumococcal  
14 conjugate vaccine was studied in the lot consistency  
15 study, 18-12, and in the manufacturing bridging study.  
16 Responses to Hib-PRP were significantly enhanced with  
17 concurrentpneumococcalvaccine, as shown by increases  
18 in GMCs after the third dose.

19 Enhancement of Hib responses when  
20 administered concurrently with pneumococcal vaccine  
21 was also observed in other and supporting studies.  
22 When administered with the fourth dose, differences in  
23 GMCs between groups were statistically significant for  
24 GMCs, however, it's been pointed out, GMC is  
25 relatively high, 22.7, and responses at clinically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701



1 significant levels were greater than 97 percent.

2 Responses to IPV following concurrent  
3 immunization with pneumococcal vaccine and IPV in the  
4 primary series was evaluated in a single study. That  
5 was the manufacturing bridging study. IPV was  
6 administered at two and four months of age. Serum  
7 neutralizing titers were determined at seven months.  
8 Data for the preferred manufacturing lot only is shown  
9 here. Highlighted are responses to serotype one, for  
10 which the lower 90 percent confidence interval for the  
11 difference in percent zero responders at an antibody  
12 titer of one to ten was 13.3 percent, thus a ten  
13 percent difference could not be ruled out.

14 No interference was seen with polio type two  
15 and type three. The clinical significance of this  
16 apparent interference with IPV one responses is not  
17 clear. No other studies in the application address  
18 concurrent immunizations with IPV.

19 Compatibility with acellular pertussis  
20 responses in the primary series was evaluated in study  
21 18-12. The control group received concurrent vaccines  
22 only, and the table show data for the three private  
23 lots of pneumococcal vaccine were pooled for  
24 comparisons to control. A four-fold rise in responses  
25 to Fimbriae was 45 percent versus 62.5 percent in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 control group. The 90 percent lower bound of the  
2 difference exceeded 30 percent. Responses to  
3 pertactin were also lower in the concurrent  
4 pneumococcal vaccine group. Pertussis toxoid and FHA  
5 antigens did not show decreased responses with  
6 concurrent pneumococcal vaccine, and no data are  
7 presented in the PLA addressing responses to pertussis  
8 antigens after four consecutive doses of DTaP.

9 Concurrent use of pneumococcal vaccine and  
10 MMR were studied in a very small group of infants in  
11 an early supporting study, although no direct  
12 comparisons were made the percent seroconverters to  
13 mumps and rubella are low by historical standards.  
14 The FDA does not view these data from this small study  
15 as definitive.

16 I'll now talk a little bit about catch-up  
17 schedules. The sponsor has proposed a catch-up  
18 schedule for previously unvaccinated children, which  
19 is reproduced here from the vaccine label, from the  
20 proposed vaccine label. The catch-up schedule is  
21 based on comparisons of immunogenicity data, using  
22 these regimens to antibody levels achieved after three  
23 doses by children in the efficacy study.

24 For the catch-up schedules proposed,  
25 available data are summarized in this table. Safety

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 data are limited to 24 subjects who received three  
2 doses between seven and 11 months of age. There's no  
3 safety data for subjects, excuse me, for the age  
4 interval between 12 and 24 months of age, and for  
5 children greater than 24 months of age there's only  
6 data available for use of the 9-valent formulation  
7 which was collected among Israeli infants.

8 An additional study intended to support  
9 catch-up schedules was recently received by FDA. Data  
10 files supporting the study results have not yet been  
11 submitted to FDA for review. Therefore, the committee  
12 will not be asked to comment specifically on the  
13 adequacy of the data to support the proposed catch-up  
14 schedule, however, general comments on catch-up are  
15 welcome.

16 This slide is intended to show that when  
17 pneumococcal vaccine is given concurrently with either  
18 whole cell or acellular pertussis vaccine GMC titers  
19 after the third dose are comparable.

20 The efficacy trial provided data to assess  
21 protection after three doses until the fourth dose was  
22 administered, and subsequently after the fourth dose,  
23 to make inferences about long-term - longer-term  
24 protection it may be important to assess antibody  
25 levels after dose four.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 In this table, GMC is attained using the  
2 various catch-up schedules, are compared to GMC  
3 schedule, GMCs attained in the efficacy study after  
4 doses three and four, so the efficacy study results  
5 are on the right and the proposed catch-up schedule  
6 data that is available from the proposed catch-up  
7 schedules is on the left, and it's broken down by  
8 serotype.

9 Post-dose four GMCs exceeded two micrograms  
10 per ML for all serotypes. Response to the fourth dose  
11 was least robust for serotype 19f. Serotype 19f,  
12 which is the serotype of the only case of invasive  
13 disease among the fully vaccinated infants - for  
14 serotype 19f, which is the serotype that the only case  
15 of invasive disease among fully vaccinated infants in  
16 the pneumococcal vaccine group, GMCs obtained for the  
17 three dose catch-up schedule between seven and 11  
18 months of age, which is 1.6 micrograms per ML, exceeds  
19 the levels achieved post-dose three, but does not  
20 achieve levels post-dose four.

21 That's all I have to say about the catch-up  
22 schedules, and finally, an essential component of the  
23 license application is to demonstrate ability to scale  
24 up production from private lots used in the clinical  
25 studies to manufacturing scale lots. Vaccine produced

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 in manufacturing scale as compared to private lots, in  
2 terms of safety and immunogenicity, the sponsor  
3 prepared two full-scale lots for comparison in the  
4 manufacturing bridging study, the design is shown  
5 here, about 175 subjects were enrolled for each, for  
6 the pilot scale lot and for the two manufacturing  
7 lots. Acceptable criteria for demonstration of  
a bridging were less than a two-fold difference in GMCs,  
9 and less than a ten percent difference in sero  
10 responders.

11 Well, the most appropriate antibody level on  
12 which to base sero responsiveness engendered much  
13 discussion. The antibody level associated with  
14 protection for invasive disease is unknown. Moreover,  
15 it's not clear that a single level is appropriate for  
16 each of the seven serotypes.

17 The antibody levels chosen to define sero  
18 responsiveness are illustrated in this slide. The  
19 antibody levels chosen were based on the maximal  
20 difference between immunized and unimmunized infants  
21 at seven months of age, and so the black curve, or the  
22 one that looks like a parabola, that is the difference  
23 curve, and the top of the difference curve then served  
24 to define the sero responder level.

25 Threshold values were determined for each of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 the seven serotypes which are shown here. This is a  
2 busy slide, but it does show that acceptance criteria  
3 for sero responsiveness were met for each of the seven  
4 serotypes. You can look at the far column on the  
5 right, that is, 90 percent lower limit of the  
6 difference between sero response rates for the pilot  
7 and the manufacturing lots did not exceed ten percent.

a GMCs was the co-primary endpoint in the  
9 bridging study. GMC criteria were also met for all  
10 seven serotypes, that is, the 90 percent lower limit  
11 of the ratio of GMCs fell between .5 and two, thus,  
12 FDA accepts that clinical evidence of bridging has  
13 been demonstrated.

14 The last couple of slides have to do with  
15 the lot consistency study. This is the study designed  
16 for the lot consistency study. The three lots, three  
17 private lots of vaccine were compared, 75 subjects  
18 were enrolled for the group, and infants received  
19 concurrent immunizations. The criteria, acceptance  
20 criteria were that GMCs would not differ by more than  
21 two-fold. The multiple comparisons were, by nature,  
22 seven serotypes and comparisons between three lots,  
23 there were multiple comparisons, actually three  
24 comparisons marginally exceeded the criteria.  
25 However, because they only marginally exceeded, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 because of the multiple comparisons FDA does accept  
2 the clinical evidence of lot consistency as  
3 demonstrated.

4 Well, that concludes the presentation. I'll  
5 now present the questions to the committee, and then  
6 this afternoon we'll represent the questions.

7 Do the data provide sufficient evidence of  
8 efficacy against invasive disease for Prevenar as it  
9 was studied in the efficacy trial, that is, after  
10 administration at two, four, six and 12 to 15 months  
11 of age? If not, what additional information should be  
12 requested?

13 The second question, do the data provide  
14 sufficient evidence of safety for Prevenar? If not,  
15 what additional information should be requested?

16 The next two are not questions, but number  
17 three reads, please discuss the data regarding  
18 concurrent use of Prevenar with other vaccines  
19 administered according to the recommended schedule of  
20 infant and childhood immunizations.

21 And, lastly, please provide - please  
22 identify any issues that should be addressed by post-  
23 marketing studies.

24 CHAIR GREENBERG: Thank you, Doctor Pratt.

25 We now have a little more time for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 panel, for the committee to ask some questions, and I  
2 would remind you that you can address Doctor Pratt, or  
3 if you have some lingering questions from the  
4 manufacturer, for the manufacturer, perhaps, you could  
5 bring them up now.

6 I know Doctor O'Brien had a question.

7 DOCTOR O'BRIEN: I did, but I think Doctor  
8 Pratt answered it, but I'm going to make sure he did,  
9 so this is actually addressed to Doctor Pratt.

10 I was concerned by the fact that there were  
11 eight percent African Americans represented in the  
12 Kaiser study, and whether or not they'd be able to  
13 show in the efficacy data that in that group which  
14 appears to have an increased susceptibility to  
15 pneumococcal invasive disease, that they could show  
16 some efficacy in that group. But, because Doctor Pratt  
17 said that there was a higher representation of African  
18 Americans in the invasive disease group, which pretty  
19 much was the control arm of the study, I feel more  
20 comfortable that they were able to show that even in  
21 this more susceptible group there appeared to be  
22 efficacy. That's my summation from what Doctor Pratt  
23 said.

24 CHAIR GREENBERG: Does anybody want to  
25 comment on that summation, or was it just simply-you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701



1 can simply say it's correct if you want.

2 No comments? Okay.

3 Doctor Snider?

4 DOCTOR SNIDER: Doctor Black made comments  
5 about multiple comparisons, when he was talking about  
6 health outcomes. Doctor Pratt just talked about  
7 multiple comparisons and he talked about lot  
8 consistencies, but nobody talked about multiple  
9 comparisons when they were talking about the  
10 interference or potential interference between  
11 vaccines, but I wondered if someone had looked at that  
12 and whether there are patterns there that should be of  
13 concern to the committee.

14 It's hard for me to dig out from what was  
15 presented to us whether there is a pattern of the 7-  
16 valent pneumococcal conjugate vaccine seeming to  
17 diminish responses to certain things, increase  
18 responses to others, or whether there is a pattern  
19 toward diminished responses, which I think would be  
20 quite different.

21 So, if someone could clarify those  
22 comparisons for me a little bit better, I would  
23 appreciate it.

24 CHAIR GREENBERG: In order to - I'll ask  
25 first Doctor Pratt if he wants to, for the FDA, to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 clarify, and then ask the manufacturers whether they  
2 have anything additional to add.

3 DOCTOR PRATT: Yes, I think multiple  
4 comparisons are an issue. I think that -

5 DOCTOR GOLDENTHAL: Well, I think that there  
6 were some differences that, perhaps, the committee  
7 should look at and focus on. I think for the IPV it  
8 was polio type one, and for pertussis there was some  
9 differences known for pertactin and fimbriae.

10 These, you know, in talking-but there were  
11 no differences noted for pertussis toxin - in talking  
12 with regard to pertussis, in talking with staff of the  
13 pertussis labs who deal with this on a very regular  
14 basis, they thought it was difficult to make an exact  
15 clinical determination - you know, a clinical  
16 correlation, if you will, to these differences.

17 With regard to IPV, it was pretty close. It  
18 was, I think, 89 percent with simultaneously  
19 administered 7-valent pneumococcal vaccine. So, these  
20 may be, you know, again, they are multiple comparisons  
21 with the - even within looking at pertussis there were  
22 multiple comparisons, so I think that's something that  
23 can be considered.

24 This also might -you know, these also might  
25 be things that are addressed with post-marketing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 studies.

2 CHAIR GREENBERG: I'm going to just push you  
3 a little bit, Doctor Goldenthal. That was a perfect  
4 - that was a politically correct answer, but I'm not  
5 sure that was all - it wasn't, for somebody like me,  
6 a dummy, that wasn't that helpful an answer. So, the  
7 critical question here, is it clinically relevant, do  
8 you think that this vaccine is going to affect other  
9 vaccines, and let's just take polio, that was the  
10 simplest one. Is there the feeling, when you talk to  
11 your colleagues about the effect of the 7-valent  
12 pneumococcal vaccine, that there's a worry that it  
13 affects immunity to polio type one after delivery?

14 DOCTOR GOLDENTHAL: I believe that we would  
15 accept those data. However, we still may ask for an  
16 additional follow-up study.

17 CHAIR GREENBERG: I think the key here is  
18 with all vaccines we want additional follow-up study,  
19 but the most important thing for the committee to know  
20 is whether you think that - what's your likelihood  
21 that there's going to be a real biologic effect. So,  
22 you are saying you think there probably would - your  
23 bet is no.

24 First, Doctor Myers, then Ms. Fisher.

25 DOCTOR GOLDENTHAL: By the way, let me just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 add one thing about polio, that antibody was assessed  
2 seven - at the seven month time point, and the doses  
3 of polio vaccine were given at two and four months.

4 DOCTOR MYERS: A related question, I was  
5 wondering, has concomitant use of other HIV conjugate  
6 vaccines been examined for interference? The HIV  
7 conjugate data presented was with the same CRM<sub>197</sub>  
8 carrier protein, but what about outer membranes,  
9 protein conjugates and so on?

10 CHAIR GREENBERG: Can either the FDA or the  
11 manufacturer answer that question?

12 Doctor Siber?

13 DOCTOR SIBER: Let me comment on the first  
14 question from Doctor Snider, and then address Doctor  
15 Myers' question.

16 I think you can divide the apparent  
17 differences and responses with and without  
18 pneumococcal vaccines into two types. The ones that  
19 have already been addressed of unrelated antigens,  
20 where I think mechanisms are pretty unclear, where  
21 many, many comparisons were done where some  
22 differences were found.

23 And then the second group is ones which are  
24 with antigens that are related in the sense that they  
25 have the same carrier, and so we did see significant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and convincing evidence of interactions between the  
2 Hib vaccine using the same carrier as the pneumococcal  
3 vaccine.

4 We were fortunate that in the primary  
5 series, when we were most concerned about producing  
6 antibody levels quickly, that was a positive  
7 interaction, and the way at least one immunologic  
8 theory for that is that it limits how quickly you make  
9 antibody to the polysaccharides is how much T-cell  
10 help you have induced to the carrier molecule CRM, and  
11 during the primary series that seems to be better when  
12 you have more CRM in the form of conjugate.

13 We also showed that there was a significant  
14 reduction at the time of boosting in the response to  
15 Hib, and that's a kind of interference, and although  
16 significant, because the levels were very high it  
17 didn't seem to be of clinical importance.

18 The question Doctor Myers asked is, what  
19 would one see with an unrelated carrier, such as a  
20 tetanus-based pneumococcal conjugate or a tetanus-  
21 based Hib conjugate would be more relevant, we don't  
22 have data addressing that, but the theory I've just  
23 mentioned would suggest there wouldn't be a problem by  
24 adding a CRM-based pneumo conjugate to a regimen where  
25 Hib T was being used, or Hib 0 and P was being used,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 200053701

1 but we don't data directly addressing the question.

2 CHAIR GREENBERG: Thank you, Doctor Siber.

3 Ms. Fisher?

4 MS. FISHER: Yes, I have two questions, one  
5 to Doctor Platt and the other to the vaccine  
6 manufacturer. But, Doctor Platt, I want to  
7 congratulate you on this analysis that you provided to  
8 the committee, I thought it was excellent.

9 I guess I'm confused. We are being asked to  
10 look at invasive disease efficacy. What is the  
11 efficacy rate with this vaccine with all the data that  
12 you have, with regard to invasive disease?

13 DOCTOR PRATT: At the primary analysis, it  
14 was 100 percent. At the follow-up analysis, my  
15 understanding is it's 97 percent, or in that range.

16 MS. FISHER: With invasive disease, okay.

17 The manufacturer, local reactions, fever,  
18 irritability, drowsiness, decreased appetite,  
19 seizures, are more frequent in the pneumococcal  
20 vaccine group when compared to controls. Has the  
21 manufacturer tried to determine the biological  
22 mechanism for these increased local systemic and  
23 neurologic reactions, and whether there are genetic or  
24 other differences in the children who are suffering  
25 these reactions versus those that are not?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 DOCTOR SIBER: I think we need to  
2 discriminate between the reactogenicity of the  
3 vaccines, such as tenderness, and pain, and redness,  
4 and swelling, fever, which I think is seen with all  
5 vaccines essentially, to a greater or lesser extent,  
6 which are mild transient, self-limited. Those were,  
7 I think, with this vaccine in a similar range that we  
8 have seen with childhood vaccines generally, and we  
9 were not alarmed by them, and I must say the mechanism  
10 presumably is that there are lymphokines released and  
11 cytokines released in the course of a normal immune  
12 response, and it's part and parcel of inducing  
13 immunity in people, you can't avoid that.

14 With regard to rare severe events, I think  
15 you saw quite an extensive and elegant database that  
16 our colleagues at Kaiser have assembled of baseline  
17 rates of rare severe events, chronic events, compared  
18 to the two groups that we have studied, and a very  
19 large number of children, and all we can do to further  
20 hone that down is to also look at intervals close to  
21 vaccine administration for any suggestion of a  
22 temporal relationship.

23 And, our interpretation of those data were  
24 that we really couldn't see anything that suggested a  
25 relationship to a severe event.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR GREENBERG: Any — Doctor Stephens?

2 DOCTOR STEPHENS: I have a couple of  
3 questions regarding the surveillance part of the  
4 Kaiser study, and that had to do with whether there  
5 were — was antigen positivity looked for in culture  
6 negative cases in meningitis, for example, and was  
7 that a part of the study?

8 DOCTOR BLACK: We did not look for that,  
9 because the primary outcome here was serotype specific  
10 efficacy, and there really is not a technology that  
11 I'm aware of to look at that.

12 And, antigen tests are done at the  
13 physician's discretion, but we did not do them,  
14 institute them, as part of the study design.

15 DOCTOR STEPHENS: The second question as a  
16 part of the surveillance has to do with how many  
17 children were, in fact, lost from your surveillance  
18 pool, and, obviously, there were a number of children  
19 who received one dose and then were subsequently lost.  
20 Do you have that exact — that number?

21 DOCTOR BLACK: Overall, about 15 percent of  
22 the total follow-up time was lost due to drop out over  
23 the study period of about four years. Does that  
24 answer your question?

25 DOCTOR STEPHENS: And, that drop out was both

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 children who may have moved or left the area, and  
2 children who decided not to complete the course of the  
3 study.

4 DOCTOR BLACK: Well, children who did not  
5 complete the course, the children who were still  
6 members of the health plan were contacted by the study  
7 nurses routinely to try and get them in, but,  
8 obviously, we could not coerce parents to come in,  
9 it's a voluntary participation.

10 The total number that actually went on to  
11 get dose four, for example, by the end of the 15th  
12 month, was — most of the children in the study did  
13 continue participation throughout. In terms of cases,  
14 I guess what you are asking, could a case occur  
15 outside that we would not be aware of. You know,  
16 there were cases of disease and adverse events were  
17 identified outside the system, we tried to the best of  
18 our ability to identify all cases. We are not aware  
19 of any cases that occurred outside the system, either  
20 in people who were members of the health plan or  
21 people who had left. And, people within the area  
22 where we were at were aware of the study, had been  
23 contacted, and had been asked to report any events  
24 back to us.

25 DOCTOR STEPHENS: Did you say there were two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 additional cases since the April analysis was  
2 submitted?

3 DOCTOR BLACK: There have been two additional  
4 cases.

5 DOCTOR STEPHENS: In vaccinated individuals?

6 DOCTOR BLACK: In vaccinated individuals.  
7 They are both in the control group.

8 CHAIR GREENBERG: Doctor Estes, and then  
9 Doctor Globe.

10 DOCTOR ESTES: In the -when you had children  
11 dropping out and not finishing the fourth dose, did  
12 your study nurses ask the parents why they didn't want  
13 to continue, or was that not possible?

14 DOCTOR BLACK: The most common -you know, we  
15 had children, there were about three percent of  
16 children who were still members who did not go on to  
17 get their - did not go on to get their fourth dose  
18 within that time period. A substantial percentage of  
19 those did receive the dose, but after that time  
20 window. It has to do with this many people, 97  
21 percent we actually thought was pretty good.

22 CHAIR GREENBERG: Doctor Globe.

23 DOCTOR GLODE: I had sort of a two-part  
24 antipyretic question for Doctor Black. Don't leave.

25 If I recall, someone this morning, and I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 200013701

1 don't recall if it was you, that were talking about  
2 excess visits for ingestion/poisoning, and I'm  
3 wondering, were any of those, you know, accidental  
4 Tylenol overdoses from treating fevers, or febrile  
5 seizures, or whatever? That's part one.

6 DOCTOR BLACK: Okay. We looked at those over  
7 in terms of time period in a similar graph to what I  
8 showed you this morning, and they are spread out also  
9 over the surveillance period. We've not looked to see  
10 which ones are due to Tylenol and which ones are not.  
11 We'd have to go back and do that, but there was no  
12 clustering of those events close to receipt of  
13 vaccination.

14 DOCTOR GLODE: I see, and the second part of  
15 that was, in the actual design of the study, were the  
16 patients that were enrolled specifically not given any  
17 antipyretics at the time of administration of the  
18 vaccine, but then what type of instructions were given  
19 to people about using antipyretics?

20 DOCTOR BLACK: Yes, the use of antipyretics  
21 was at the discretion of the physician, and actually  
22 it's one of the questions we asked during the  
23 telephone interview, and I can tell you that with  
24 children who received the whole cell vaccine that  
25 antipyretics were used routinely in about 90 percent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 of those children, and that number is about 80 percent  
2 in the DTaP recipients.

3 DOCTOR GLODE: But, used not at the time the  
4 vaccines were administered in the physicians' office,  
5 but used later by the family?

6 DOCTOR BLACK: No.

7 DOCTOR GLODE: Oh, used at the time.

8 DOCTOR BLACK: Used at the time. That's  
9 distinct at our site from the Colorado data where it  
10 was not used routinely.

11 CHAIR GREENBERG: I'm going to let this go a  
12 little further, because this is important.

13 Doctor Ferrieri and then Pamela Getson, who  
14 is in the audience, who is an FDA statistician over  
15 there, and then Doctor Daum.

16 DOCTOR FERRIERI: I had a different type of  
17 question for the sponsors. Do we have an  
18 understanding of the genes that regulate the synthesis  
19 assembly of pneumococcal polysaccharides as we have  
20 some notion of in Group B Strep., and if you know this  
21 then do you see some future for application of PCR to  
22 address the question about looking for other serotypes  
23 that were not vaccine, or that might have been the  
24 vaccine serotypes on body fluids such as CSF. Where  
25 are we in our state of knowledge on applying that type

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C. 20005-3701

1 of basic science to the diagnostics?

2 DOCTOR SIBER: There is quite a bit of  
3 understanding, which I'm not an expert in, there's an  
4 advocate locus where capsules are made. They are  
5 typically clustered genes, and it's a particular locus  
6 in the pneumococcus, which is one of the reasons that  
7 the capsule machinery can be exchanged by  
8 transfection.

9 My understanding of the PCR assays that have  
10 been developed to date for the pneumococci, they  
11 have not focused on the capsule machinery for the  
12 probes, but for some common DNA sequences, common to  
13 all pneumococci.

14 Theoretically, it may be possible to get  
15 type-specific probes, but to my knowledge, unless  
16 somebody else in the audience can comment, that hasn't  
17 been done yet.

18 DOCTOR STEPHENS: Such assays are being  
19 developed for meningococci, for example, and are  
20 working actually quite well. I don't see why they  
21 couldn't be developed for pneumococcus.

22 DOCTOR FERRIERI: I know that.

23 CHAIR GREENBERG: Doctor Getson?

24 DOCTOR GETSON: I'd like to make sure that we  
25 are all clear about both what we in CBER FDA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 presented, as well as our response and the committee  
2 member's question regarding race and ethnicity. We  
3 are going to try to put up our slide, and Doctor Pratt  
4 can speak to it if necessary, but I want to be clear  
5 about something that I think I heard different in  
6 terms of the question from the committee member,  
7 Doctor O'Brien.

8 Here on the top two lines you see the  
9 relative representations of the different ethnic  
10 groupings that were in the efficacy study. Below the  
11 middle line you begin to see the relative proportions  
12 represented among case invasive disease, and you see  
13 the 17, the 40 and the 61 represent the various time  
14 points for which these analyses were produced.

15 Now, when I use the word over  
16 representation, I think sample, and I believe our  
17 committee member had a question that would most  
18 closely parallel over representation in a sample.  
19 That is not what this slide can give you information  
20 on, and, perhaps, you want to revisit, in fact, the  
21 question you were asking. The over representation  
22 would be among the invasive disease cases, and  
23 clinically Doctor Pratt can comment more, or, perhaps,  
24 you want to go back to the committee with questions.

25 DOCTOR O'BRIEN: That's exactly what I meant,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200054701

1       though.  If they are over represented in the invasive  
2       diseases,  and since the vaccinated group overall with  
3       the pneumococcal vaccine were not getting the invasive  
4       disease,  I felt better that we would be able to see a  
5       difference in that small subset of more susceptible  
6       individuals.

7                       DOCTOR GETSON:  Good.  Several of us weren't  
8       sure whether you had intuitive that there **was** an over  
9       representation per the sampling.  So, we wanted to get  
10      that slide up so it was clarified.

11                      DOCTOR O'BRIEN:  No.                       ..

12                      DOCTOR GETSON:  Good.

13                      CHAIR GREENBERG:  And, I think Doctor O'Brien  
14      had it right,  and -

15                      Doctor Daum?

16                      DOCTOR DAUM:  I have a comment and then a  
17      question for FDA colleagues.  The comment is that in  
18      addition to capsular typing there are a number of DNA  
19      based technologies in place and being developed for  
20      differentiating,  or fingerprinting,  if you will,  
21      different pneumococcal isolates.  And, I think that in  
22      terms of following serotypes and changes in  
23      epidemiology following introduction of immunization,  
24      these will be helpful and I have no doubt that more  
25      people will get involved with looking for genes that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 are sufficiently genetically diverse that allow  
2 fingerprinting by molecular-based techniques.

3 The question that I have is, we've heard  
4 some data from Doctor Pratt about inferences regarding  
5 the protective concentration of antibody based on the  
6 trial that we saw this morning, and I guess I'm  
7 anticipating that things are going to go smoothly in  
8 terms of approval of this vaccine, and I'm looking  
9 down the road to think that there will be other  
10 vaccines introduced for consideration, and wondering  
11 whether the FDA has given any thought yet to bridging,  
12 or how to bridge between what we saw this morning and  
13 different candidate vaccines, and whether the numbers  
14 that Doctor Pratt showed us, as best guess estimates  
15 of protective antibody concentrations, will, in fact,  
16 be those bridges.

17 CHAIR GREENBERG: I'm just going to - that is  
18 a question that even somebody out of the field like  
19 myself realizes could take two to six days to answer.  
20 So, give us, you know, a global point of view, since  
21 we don't have to have a definitive answer at this  
22 point.

23 DOCTOR GOLDENTHAL: That will be the subject  
24 of a future Advisory Committee meeting, but actually  
25 I can make a couple of quick comments. You know, it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 200053701



1 a difficult area. I mean, because the vaccine was so  
2 highly effective there were - and relatively few  
3 failures, and in addition to that there wasn't  
4 correlation between post-vaccine immune response in  
5 even those few failures in the study that really I  
6 don't believe that it's possible to say exactly, you  
7 know, what level is protective.

8 In the event that an immune - that some type  
9 of an immune response would be acceptable, I think the  
10 most logical approach would probably be one of looking  
11 at the immune response of a different vaccine, if you  
12 will, with using a non-inferiority approach, with a  
13 pretty tight confidence limit.

14 CHAIR GREENBERG: I am going to call a halt  
15 now. It is now, by my watch, 12:20, and I'm going to  
16 resume right on time with the schedule, so we have 20  
17 minutes for - we can have one question now from our  
18 statistician, and then you are all back at 12:40 to  
19 continue.

20 DOCTOR HARTIGAN: I have a question for the  
21 FDA. How concerned is the FDA in considering safety  
22 that the control group in the major study was not an  
23 active control, and was not an approved product?

24 DOCTOR GOLDENTHAL: Well, we would agree that  
25 that does pose certain limitations, and the data have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C. 20005-3701

1 to be viewed in that context, including the  
2 reactogenicity data. I mean, you know, I think Doug  
3 covered it quite well in his presentation. I think  
4 that there is, you know, additional local and systemic  
5 reactogenicity added by having the 7-valent  
6 pneumococcal vaccine.

7 In terms of comparing some of the unusual  
8 events, I think that the comparison to the  
9 pneumococcal - to the meningococcal vaccine can still  
10 lend itself to some valid analyses. Obviously, we are  
11 also interested in some of the historical background  
12 rates of some of these events, but I would agree that  
13 having the meningococcal vaccine as the control does  
14 add a complexity to the interpretation of the data.

15 CHAIR GREENBERG: Okay. I'm going to close  
16 it down. I'll see you all in about 12 minutes, about  
17 17 minutes.

18 (Whereupon, the meeting was recessed at  
19 12:23 p.m., to reconvene at 12:43 p.m., this same  
20 day.)  
21  
22  
23  
24  
25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(12:43 p.m.)

CHAIR GREENBERG: If people could take their seats, please. I think we need to hand out complimentary Turns along with -

Does the manufacturer make an H2 blocker or a proton pump inhibitor?

So, again, I'd like to thank all of you for being so expeditious, and I'm sorry to push you so hard, but I really want as many panel members as possible here to go over the questions.

So now, we are going to have, and again, please, everybody stick to your time limits or be quicker, so now we are going to have Doctor Kilpi from Finland give us a brief talk about otitis.

DOCTOR KILPI: Thank you, and good afternoon, everybody. It's an interesting experience for me to be here.

I'm going to tell you about results of the Finnish otitis media vaccine trial, which evaluated the efficacy of - conjugate vaccine against acute otitis media.

This was a large trial and, therefore, we had a very big study group, too, and these are the names of persons responsible for certain key areas in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the trial.

2 The clinical phase of the study was started  
3 in December, '95, and ended in March, '99. The study  
4 was conducted in Finald in Tampere, which is in the  
5 Finnish scale a middle-sized city with a population of  
6 200,000, and in two smaller municipalities, Nokia and  
7 Kangasala, which are located close to Tampere.

8 During this time, we enrolled 1,662 children  
9 in the trial, and they were all randomized to receive  
10 either Pnc CRM or the control vaccine which was  
11 hepatitis B vaccine in our trial.

12 The children were followed from two to 24  
13 months of age at study clinics which were especially  
14 established for the follow-up. The follow-up  
15 consisted of scheduled visits and sick visits, and the  
16 aim was to evaluate and treat all respiratory  
17 infections requiring medical attention at the study  
18 clinics.

19 And, these were the vaccines used in the  
20 study, so all these children received either Pnc CRM  
21 vaccine or recombinant hepatitis B vaccine in a  
22 randomized fashion, blinded fashion, at two, four, six  
23 and 12 months of age. In addition, they received  
24 whole cell DTB Hib combination, IPV and MMR.

25 This was the definition for acute otitis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 media we used. First of all, we required that there  
2 has to be symptoms of acute infection, which could be  
3 almost anything, fever, earache, irritability,  
4 diarrhea, vomiting, otorrhea or any symptoms of  
5 respiratory infection, and then there had to be some  
6 signs of acute otitis media that is a visually  
7 abnormal tympanic membrane suggesting middle ear  
8 effusion.

9 In this trial, we really wanted to know what  
10 is the microbial course behind the case, what causes  
11 the acute otitis media, and that's why whenever acute  
12 otitis media was diagnosed myringotomy was performed  
13 and a middle ear fluid sample aspirated for bacterial  
14 culture and chemical serotyping when needed.

15 We also need a definition for acute otitis  
16 media episodes for our analysis because as you very  
17 well may know acute otitis media is something that  
18 tends to repeat in the same individuals over and over  
19 again. And, we defined that acute otitis media  
20 episode starts at diagnosis and lasts for 30 days, so  
21 after 30 days a new episode could start.

22 We also focused on safety. The parents were  
23 asked to record local and systemic reactions on diary  
24 cards on the first, second and third day after each  
25 vaccination. Information on all serious adverse

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 events was collected and reported, and also in this  
2 category the unexpected adverse events considered  
3 possibly, probably or definitely related to the study  
4 vaccine were reported.

5 Randomization went very well. We have 831  
6 children in the Pnc CRM group and the same number of  
7 children in the control group. Our discontinuation  
8 rate was very low, 82 subjects had to be excluded from  
9 the per protocol analysis at some point, and only 65  
10 dropped out from the follow-up, so that's four percent  
11 of all.

12 Here are some baseline characteristics of  
13 the study population, just to show that there is no  
14 over representation of either sex, prematurity, low  
15 birth rate in either of the treatment groups.  
16 Maternal education score was also very similar in the  
17 treatment groups, and so was the number of children  
18 living in the same household.

19 Daycare attendance is a known risk factor  
20 for acute otitis media, and in our study the  
21 proportions of children attending either daycare  
22 center or family daycare were similar in both  
23 treatment groups throughout the follow-up.

24 Breast feeding was also equally common in  
25 both treatment groups, 54 percent of the children were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C. 200053701

1 breast fed for longer than six months, and very few  
2 families admitted smoking.

3 Okay, then to the results. This is the only  
4 slide I'm going to show about local reactions, but it  
5 very well represents the whole picture. This is  
6 tenderness. Local reactions after Pnc CRM vaccines  
7 were more common than after hepatitis B vaccine, but  
8 on the other hand they were less common than after  
9 either the Hib combination that was given  
10 concomitantly with the first, second and third dose of  
11 the study vaccine.

12 Fever was more common in the Pnc CRM group  
13 after vaccination than in the control group, and this  
14 may be a little bit confusing to look at the rate of  
15 proportion of those with fever increases dose by dose  
16 and then suddenly drops, but I can remind you of the  
17 concomitant vaccine which was here, DTB Hib, whole  
18 cell DTB Hib combination and here IPB, so that  
19 explains it.

20 There were 160 SAEs, serious adverse events,  
21 or related unexpected adverse events in the Pnc CRM  
22 group, and 194 in the control group. None of them was  
23 assessed by the investigators to be probably or  
24 definitely related to the study vaccines, and ten were  
25 considered to be possibly related to the study

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 vaccines.

2 And, this is what they were, there were  
3 altogether six events in the Pnc CRM group, four of  
4 them were skin reactions. They were not serious  
5 adverse events, did not result in hospitalization, but  
6 were considered unexpected by the investigators and  
7 were, therefore, reported in this category. There was  
8 one event with a case of independent neurologic  
9 symptoms, which was a child hospitalized because of  
10 excessive crying, had at the same time fever and the  
11 child had just received DTB Hib combination and Pnc  
12 CRM vaccine, had also local reaction on the DTB Hib  
13 site.

14 This one other reason was a transient  
15 neutropenia case.

16 Here are some of the more severe serious  
17 adverse events which were not considered to be in any  
18 way related to the study vaccines. There was one  
19 death in the Pnc CRM group which was caused by  
20 intestinal obstruction due to congenital malformation.  
21 There was one hypotonic hyporesponsive episode in the  
22 control group and one invasive bacterial infection in  
23 the Pnc CRM group. This was caused by — a non-vaccine  
24 serotype for invasive bacterial infections in the  
25 control group. Two of these were pneumococcal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701



1 infections caused by vaccine serotypes, one  
2 pneumococcal disease caused by a non-vaccine serotype  
3 and one meningococcal meningitis.

4 Okay. Now, I'll move onto efficacy. First  
5 of all, we looked at AOM, acute otitis media,  
6 regardless of etiology, how many episodes there were  
7 overall. In the Pnc CRM group there were 1,251 acute  
8 otitis media episodes, and in the control group 1,345  
9 episodes, which means that the vaccine reduced the  
10 number of any AOM episodes by six percent, and the  
11 difference between Pnc CRM and control group is not  
12 here statistically significant.

13 Okay. Then we looked at culture  
14 pneumococcal acute otitis media, irrespective of  
15 serotypes, and there were 271 episodes caused by  
16 pneumococcus in the Pnc CRM groups and 414 in the  
17 control group. The vaccine efficacy against  
18 pneumococcal acute otitis media was 34 percent and  
19 this time the difference is statistically significant,  
20 95 percent confidence intervals are from 21 to 45  
21 percent.

22 This was our primary endpoint, acute otitis  
23 media due to vaccine serotypes, and this is our  
24 primary analysis which is the per protocol analysis.  
25 The per protocol follow-up period started 14 days

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 after the third dose. And, during this follow-up  
2 period we had 107 AOM episodes due to vaccine  
3 serotypes in the Pnc CRM group and 250 in the control  
4 group, and, thus, the vaccine efficacy against acute  
5 otitis media due to vaccine serotypes is 57 percent,  
6 95 percent confidence intervals are from 44 to 67  
7 percent.

a These are the results for the same endpoint  
9 analyzed by intention to treat, and the intention to  
10 treat follow-up period started on the day of the first  
11 vaccination, and here the efficacy looks very similar,  
12 it's 54 percent.

13 We also looked at vaccine efficacy by dose,  
14 so from dose one to dose three, et cetera, and there  
15 seemed to be some efficacy already after the first  
16 dose, it increased after second dose, and reached kind  
17 of steady state after the third dose. So, the  
18 endpoint is acute otitis media due to vaccine  
19 serotypes.

20 And, this is the summary of the efficacy  
21 results, vaccine efficacy against acute otitis media  
22 due to vaccine serotypes, 57 percent, pneumococcal  
23 acute otitis media overall 34 percent, and any acute  
24 otitis media six percent.

25 And, the FinOM study group concludes that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heptavalent pneumococcal conjugate vaccine Pnc CRM is  
2 safe, it causes more local reactions than hepatitis B  
3 vaccine, but significantly less than DTB Hib  
4 combination.

5 There were fewer serious adverse events in  
6 the PRC CRM group than in the hepatitis B vaccine  
7 group, and there was no apparent association of any  
8 severe events with Pnc CRM vaccine.

9 We also conclude that the vaccine is  
10 efficacious against culture confirmed serotype  
11 specific acute otitis media and culture confirmed  
12 pneumococcal acute otitis media.

13 Thank you.

14 CHAIR GREENBERG: Thank you, Doctor Kilpi.

15 We have time for a few questions, if there  
16 are any.

17 I'm sorry, Doctor Kim?

18 DOCTOR KIM: Can you somehow elaborate the  
19 phases of protection against acute otitis media  
20 following vaccines? So, what is the sort of  
21 postulated mechanisms of protection against acute  
22 otitis media?

23 DOCTOR KILPI: You mean how the infection  
24 that is actually here kind of superficial can be  
25 prevented by a vaccine. Well, the assumption is that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE. N.W.  
WASHINGTON, O.C. 20005-3701

1 the antibodies affect also the mucosal surfaces, but  
2 we don't know about that yet. We have collected  
3 saliva samples to assess that.

4 CHAIR GREENBERG: Doctor Daum?

5 DOCTOR DAUM: I have two questions for you.  
6 The slide went up and down quickly, and I may not have  
7 read it right, and apologize if I'm wrong, but I  
8 thought I saw some efficacy estimates after various  
9 numbers of doses, and I thought that the first two  
10 doses had very wide confidence intervals, which had  
11 wide overlap with zero. And then, I thought you said  
12 that the vaccine already had efficacy after one or two  
13 doses. Did I misunderstand?

14 DOCTOR KILPI: I said the vaccine seemed to  
15 have efficacy.

16 DOCTOR DAUM: Not to mince words here, but do  
17 you want to revise that statement? I can go on to my  
18 second question, I guess.

19 CHAIR GREENBERG: Why don't you go on to your  
20 second question.

21 DOCTOR KILPI: Yes, it's not statistically  
22 significant after dose one and dose two, between the  
23 interval, no, but the trend I think is very beautiful.

24 DOCTOR DAUM: The second question is, as I  
25 recall this trial initially had more than one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, O.C. 200053701

1 pneumococcal vaccine in it. Can you clarify that for  
2 us and tell us what happened to the others?

3 DOCTOR KILPI: The vaccine had - the trial  
4 had three arms throughout, and there were two  
5 conjugate vaccines involved, and the evaluation of the  
6 third arm, which was another pneumococcal conjugate  
7 vaccine, that has not yet been done.

8 CHAIR GREENBERG: Doctor Stephens?

9 DOCTOR STEPHENS: Was ear tube placement  
10 looked at as an endpoint in this study?

11 DOCTOR KILPI: It was not an endpoint in the  
12 analysis plan or in the protocol, and we are going to  
13 do the analysis, but it has not been yet done yet.

14 CHAIR GREENBERG: Okay,

15 If there are no other questions, I think we  
16 can move on and, Doctor Pratt? Okay, excuse me, I'm  
17 sorry, I'm moving too quickly.

18 Questions, comments, summary and  
19 presentation of questions.

20 Yes, Doctor Pratt, you are up, Doctor Pratt.

21 Ah, Doctor Black?

22 DOCTOR BLACK: Thank you, Harry.

23 I just wanted to make one quick comment in  
24 response to Doctor O'Brien's question, in terms of  
25 race specific efficacy. We did, during the brief

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 lunch, look at the case split in African Americans,  
2 and the per protocol analysis the case split is 11 and  
3 the control group zero in the pneumococcal group, and  
4 in the intent to treat analysis that's 13 in the  
5 control group and one child who was partially  
6 vaccinated with one dose in the pneumococcal group.

7 DOCTOR O'BRIEN: Thank you.

8 CHAIR GREENBERG: What I'd like you to do,  
9 Doctor Pratt, is just go over these questions again,  
10 and then we're going to have an opening to public  
11 hearing, where people in the audience who wish to make  
12 a statement can, and then we will return to these.

13 DOCTOR PRATT: Okay, sure.

14 Questions for the committee are these, do  
15 the data provide sufficient evidence of efficacy  
16 against invasive disease for Prevenar, as it was  
17 studied in the efficacy trial that is, after  
18 administration at two, four, six and 12 to 15 months  
19 of age? If not, what additional information should be  
20 requested?

21 Number two, do the data provide sufficient  
22 evidence of safety for Prevenar? If not, what  
23 additional information should be requested?

24 Three, please discuss the data regarding con  
25 current use of Prevenar with other vaccines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, O.C. 200053701

1 administered according to the recommended schedule of  
2 infant and childhood immunizations.

3 And, number four, please identify any issues  
4 that should be addressed by post-marketing studies.

5 CHAIR GREENBERG: Thank you, Doctor Pratt,  
6 and, committee members, as you listen to the open  
7 public comments I'd like you to cogitate on these  
8 questions and formulate your thoughts in a very clear  
9 way.

10 Do we have anybody in the audience who  
11 wishes to make a public statement? Could you please  
12 identify yourself?

13 DOCTOR CLASSEN: My name is Bart Classen, I'm  
14 a vaccine researcher, and today I'm going to talk to  
15 you about our data that was published two weeks ago in  
16 the British Medical Journal, suggesting that the  
17 haemophilus vaccine - haemophilus influenzae B vaccine  
18 is likely to cause insulin-dependent diabetes. The  
19 reason this is pertinent to today's discussion is that  
20 we've heard from several people that the haemophilus  
21 influenzae B vaccine is very similar to what is in the  
22 pneumococcal vaccine, in that they are both conjugated  
23 polysaccharide vaccines. The difference is the  
24 haemophilus influenzae B vaccine is a single vaccine,  
25 it's against a single capsular strain of organisms,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

(202) 2344433

[www.nealrgross.com](http://www.nealrgross.com)

1       whereas the pneumococcal vaccine that we're discussing  
2       today is really seven different vaccines, it's against  
3       seven separate strains, capsular strains of  
4       pneumococci.

5               We are going to talk about insulin-dependent  
6       diabetes, which is an autoimmune disease. It's a  
7       marker for other autoimmune diseases, but it is  
8       relatively easy to study compared to other autoimmune  
9       diseases. The theory, or this data is that as you  
10      stimulate the immune system you are going to increase  
11      the risk of autoimmunity. We were able to follow up  
12      on a large prospective clinical trial in the  
13      haemophilus influenzae B vaccine in Finland,  
14      essentially, all children in Finland over a two-year  
15      period were put into this study, it's 114,000  
16      children, which would range by having either received  
17      four or one dose of the haemophilus vaccine. And,  
18      because of the design of the study the control group  
19      were the children that were born in the two years  
20      prior to the study.

21              And, this is **some** of the data. It's  
22      important to note that the data that Doctor Black said  
23      that were only about two years follow-up, and the  
24      curve was essentially identical at two years, they  
25      separate starting around three and a half years, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C. 200053701



1 you get really nice separation around seven years.

2 And, this is the data with ten-year follow-  
3 up. There are an extra 58 cases of diabetes in the  
4 group that got four doses versus the group that got no  
5 doses, and then there are about 36 extra cases per  
6 100,000 in the group that got one dose.

7 What we want to do is get some additional  
8 data support causality, and so we did some subgroup  
9 analysis looking at the five to nine year old  
10 children. And, as you can see here, there is an  
11 increase in diabetes, about an extra 32 cases per  
12 100,000 in the groups that were five to nine, versus  
13 the group that didn't get vaccine.

14 And, here's the temporal data. This is the  
15 instance of diabetes in Finland year to year from 1967  
16 through 1995, and what you see is in the five to nine  
17 year old period it had been stable for about ten years  
18 or more, until the group that got the vaccine entered  
19 this age group and then the instance of diabetes shot  
20 up quite dramatically. The incidence also had shot up  
21 after the measles, mumps, rubella vaccine had been  
22 added, and after a more potent pertussis vaccine had  
23 been added as well.

24 But, the take-home message with the  
25 haemophilus vaccine is that we had a very straight

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 incidence of diabetes until the haemophilus influenzae  
2 B vaccine group reached this age group. This is  
3 strong support for causal relationship.

4 Other data that support a causal  
5 relationship is that in other areas of the world,  
6 where the haemophilus vaccine was added, we'd expect  
7 that we'd see a rise in diabetes, in fact, that's what  
8 we saw. Several centers now in the U.K. have reported  
9 rises in diabetes following the haemophilus influenzae  
10 B vaccine. This is Oxford, which is one of the first  
11 regions in the U.K. to give the haemophilus influenzae  
12 B vaccine, and, again, it was started around 1990 in  
13 Oxford, and then there's a delay, as we saw in  
14 Finland, and then we see this rise in diabetes.

15 And, in the United States, probably the best  
16 diabetes registry is Pittsburgh, and we see that post  
17 introduction to haemophilus influenzae B vaccine in  
18 the zero to four year olds we see this more than  
19 doubling of the risk of diabetes.

20 Risk benefit, well in the Finnish study we  
21 saw that this early vaccine, the Connaught vaccine,  
22 which we were studying the PPRD, was associated with  
23 58 cases of diabetes per 100,000. Now, if that vaccine  
24 were 100 percent effective, 100 percent effective, it  
25 is supposed to prevent seven deaths and brain damage

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in seven of 26 kids. so, you can see that the adverse  
2 events, when we are looking at only one autoimmune  
3 disease, diabetes, is several fold greater than what  
4 the benefit is.

5 Now, again, the pneumococcal vaccine is  
6 really seven vaccines, so you could expect to see that  
7 the adverse reaction would go out significantly more  
8 and the benefit of the pneumococcal vaccine is  
9 expected to be less than the benefit of the  
10 haemophilus vaccine. So, the benefit would be  
11 substantially less.

12 Now, in the early PPRD vaccine which we  
13 studied, it was associated with about 58 cases of  
14 diabetes per 100,000. We have a birth cohort in the  
15 U.S. of about 4 million kids, if we immunized all the  
16 kids for a ten year period, ten birth cohorts, we'd  
17 expect an extra 24,000 cases of diabetes. Now, I said  
18 that we studied the PPRD, which was one of the first  
19 generation conjugated haemophilus vaccines, as the  
20 more potent second generation conjugated haemophilus  
21 influenzae B vaccines entered the market in Finland  
22 the extra risk of diabetes increased to about 100  
23 cases per 100,000, so this would translate to about  
24 40,000 children, extra cases of diabetes in this  
25 country, if we immunized ten birth cohorts.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Now, again, the pneumococcal vaccine is  
2 seven vaccines, so if we immunized ten birth cohorts  
3 we may have, in fact, an extra 280,000 cases of  
4 diabetes, that's a quarter of a million people,  
5 assuming that there was a direct correlation between  
6 one vaccine and seven vaccines. This is a tremendous  
7 risk to society.

8           Now, the Food and Drug Administration is  
9 guided by the U.S. laws, and the U.S. laws are written  
10 in Code of Federal Regulations, which say that  
11 vaccines must demonstrate safety before being put on  
12 the market. We've proved that the haemophilus  
13 influenzae B vaccine has never been demonstrated to be  
14 safe. We, in fact, proved that the pneumococcal  
15 vaccine, which you've heard about today, has not  
16 demonstrated safety either.

17           Now, Jean-Pierre Allain is a famous French,  
18 or infamous French public health official, who gave  
19 HIV positive blood products to hemophiliacs. He, and  
20 about three other public health officials, went to  
21 jail for this, for blatant disregard to the law.

22           From his actions, maybe 400 people have  
23 died. The issue is that when we are looking at giving  
24 the pneumococcal vaccine to everybody in the country,  
25 you know, all the children in the country, we are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 looking at mortality that may exceed 250,000  
2 individuals, so clearly it's potentially much worse  
3 than what Jean-Pierre Allain has done.

4 And, my last slide, one of the reasons the  
5 French were very upset with their public health  
6 officials was that, first of all, there were ways of  
7 testing blood products for the contamination of those  
8 viruses, and there were also ways of inactivating  
9 viruses in blood products. Well, the same way with  
10 vaccines, there are ways of testing vaccines for their  
11 ability to induce diabetes, as we discussed, and there  
12 are also ways in which vaccines can be given without  
13 increasing this risk, and this is just one possible  
14 mechanism, the CDC's own data showing that  
15 immunization starting in the first month of life is  
16 associated with a decreased risk of diabetes in both  
17 groups, both studies, two separate studies, compared  
18 to when the hepatitis B vaccine was given at eight  
19 weeks of life. So, this is just proof of point that,  
20 in fact, we don't need to just blindly give children  
21 vaccines and expect, well, we are preventing  
22 pneumococcus, we must be doing good things. In fact,  
23 if we take our time we can find ways of having both  
24 worlds, preventing infections, as well as not inducing  
25 the risk of autoimmunity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           That's all I have to say, thank you for the  
2 time. Any questions, I'd be more than happy to answer  
3 them.

4           CHAIR GREENBERG: Thank you, Doctor Classen.

5           For the record, can you identify your  
6 affiliation?

7           DOCTOR CLASSEN: I am President of Classen  
8 Immunotherapies.

9           CHAIR GREENBERG: Thank you.

10          We have another -well, first, are there any  
11 questions?

12          If not, we have out next - thank you very  
13 much, Doctor Classen - we have our next open hearing  
14 speaker, Ms. Newby.

15          MS. NEWBY: Hello.

16          Thank you for letting me speak today. My  
17 name is Carla Newby, and I serve as the General  
18 Manager for the Meningitis Foundation of America. I'm  
19 speaking today in support of this vaccination, not  
20 only on behalf of the Foundation, but also as a mother  
21 whose son died from pneumococcal meningitis. One year  
22 and one week ago, October 28, 1998, I was forced to  
23 watch my youngest child, an only son, die from  
24 pneumococcal meningitis. Jacob passed away only one  
25 month before his 7<sup>th</sup> birthday.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           As you well know, the only way to determine  
2 for sure if a patient has meningitis is to perform a  
3 spinal tap. That's a problem. Some doctors refuse to  
4 do spinal taps. At best, it seems the doctors often  
5 delay the order of a spinal tap. Either way, the  
6 necessary antibiotic treatment is often delayed. The  
7 tragic result is that children commonly suffer  
8 lifelong disabilities, or in the **case** of my son and  
9 many others die from this disease.

10           In my role at the Meningitis Foundation, I  
11 hear the same story over and over again from parents  
12 throughout the country, from parents who have had  
13 their **young** babies die from pneumococcal meningitis,  
14 as well as from parents of school-aged children and  
15 even young adults, the children who suffer this  
16 disease and the parents who bear the heartache for the  
17 rest of their lives are from all walks of life, rich,  
18 poor, middle class, all ages and all races.

19           My son Jacob was an all American kid. He  
20 had no special medical problems. He had none of the  
21 special conditions that would have made his doctor  
22 think that he should receive the existing pneumococcal  
23 vaccination, but he still got pneumococcal meningitis  
24 and we watched him die.

25           Prevention of pneumococcal disease is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 clearly the answer to avoid these tragedies. The  
2 vaccination you are considering today offers promises  
3 of preventing pneumococcal disease in millions of  
4 children. The clinical trials have demonstrated how  
5 effective it can be in protecting children against the  
6 most serious forms of pneumococcal disease. I  
7 strongly urge you to support and approve this  
8 vaccination, and that you do everything possible to  
9 make it available to children as quickly as possible.

10 All of us have heard the complaints about  
11 the number of vaccines our children now receive.  
12 Maybe those people have complaints - have never  
13 experienced first hand the tragedy of a loved one  
14 suffering from a serious disease that could have been  
15 prevented from a vaccination. Believe me, none of us  
16 who understand the devastation pneumococcal disease  
17 can cause will ever complain about too many shots. We  
18 want to do everything humanly possible to prevent  
19 disease from destroying our children and our lives.

20 Pneumococcal disease is serious, deadly and  
21 crippling. We absolutely must do everything we can to  
22 prevent children from getting it. The hardest thing  
23 I've ever had to do was to tell my son it was okay to  
24 stop fighting and to let go. Please do everything  
25 possible to ensure that another parent never has to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 say those words to their child.

2 Thank you for your time and attention.

3 CHAIR GREENBERG: Thank you, Ms. Newby.

4 Any questions?

5 Okay. I think we are going to move on,  
6 Doctor Pratt, to the — are there any other people in  
7 the audience who wish to make a statement? Okay.

8 What I think we should do is go through  
9 these one by one and sort of talk them through, and  
10 see what questions we have and how close to a  
11 consensus we have.

12 So, the first question is, do the data  
13 provide sufficient evidence of efficacy against  
14 invasive disease for Prevenar as it is studied in the  
15 efficacy trial, in as you know, two, four, six and 12  
16 to 15 months, and if not, what additional information  
17 should be requested. So, the first question really  
18 is, one, do you think there's sufficient evidence of  
19 efficacy, and I'll take any comments the panelists  
20 want to make.

21 Doctor Ferrieri?

22 DOCTOR FERRIERI: I assume you'd like us to  
23 just open this up and then we can move on to more  
24 controversial questions, perhaps.

25 I think that the data provided were very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701

1 compelling in demonstrating efficacy against invasive  
2 disease against the pneumococcal serotypes in the  
3 vaccine given under the conditions of the efficacy  
4 trial.

5 CHAIR GREENBERG: Not a highly controversial  
6 statement in my mind.

7 Does anybody else on the panel have any  
8 other point of view? Many of you on this panel have,  
9 obviously, been engaged in vaccine trials, and it is  
10 rare for any of us to have 17 and zero numbers.

11 Dixie?

12 DOCTOR SNIDER: Well, I don't think this is  
13 a highly controversial question, but, perhaps, it is  
14 important to say that the evidence, of course, is very  
15 strong for protection against invasive disease caused  
16 by the vaccine serotypes. The issue of any cross  
17 protection against other serotypes is not very  
18 substantial at this time, and at this point it looks  
19 very good in the one northern California Kaiser study  
20 with regard to the lack of replacement, but,  
21 obviously, that is something of concern.

22 There is also the issue that I'm sure most  
23 people in the room, if not everybody in the room is  
24 aware of, and that is that we don't have the same  
25 serotypes around the world and, therefore, what data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 are available for the U.S. at this particular time are  
2 not necessarily applicable to other countries and may  
3 not be applicable to the United States at some point  
4 in the future.

5 CHAIR GREENBERG: And, of course, what Dixie  
6 says is absolutely true, but it, in fact, is true  
7 about all existing vaccines as well, where there's  
8 always the possibility of change in the pathogen.  
9 That's one of the uncertainties that we have.

10 Any other comments?

11 Well, since there aren't, I'm going to now  
12 then simply poll the committee members. I'm open to  
13 more discussion of this, if there is any, but if  
14 there's not I'll start with you, Doctor Daum.

15 DOCTOR DAUM: I think this is a giant step  
16 forward for the health of children, and I vote yes on  
17 question one.

18 CHAIR GREENBERG: Doctor Kim?

19 DOCTOR KIM: Again, I guess this has been  
20 addressed by others, that this disease is certainly  
21 particularly invasive diseases due to pneumococci,  
22 namely, meningitis, is a very serious disease, with a  
23 considerable mortality and morbidity, based on the  
24 data presented today I will support the license of  
25 this product.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 CHAIR GREENBERG: Doctor Snider?

2 DOCTOR SNIDER: Yes, the data are sufficient.

3 CHAIR GREENBERG: Doctor Stephens?

4 DOCTOR STEPHENS: This is an important  
5 breakthrough vaccine, and I think the data was  
6 compelling. I would add a couple of caveats. I think  
7 we haven't heard much about the efficacy of this  
8 vaccine in high-risk groups, which is an important  
9 area and subject. I think there are a number of  
10 unanswered questions regarding the efficacy, long-term  
11 efficacy of this vaccine, which have not been  
12 addressed. But, I think in essence my response is yes  
13 to question one.

14 CHAIR GREENBERG: Ms. Fisher?

15 MS. FISHER: I think the evidence is  
16 compelling that is protective against invasive  
17 disease. I wouldn't say it is compelling in terms of  
18 the other otitis media, et cetera, but that's not what  
19 we are considering today.

20 I would like to know more about mechanism,  
21 I'd like to know more about interference of maternal  
22 antibodies, but for question one only.

23 CHAIR GREENBERG: We are only dealing with  
24 question one at the moment for the rest of the people  
25 here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 Doctor Estes?

2 DOCTOR ESTES: I think the data was quite  
3 convincing, and my answer to this question is yes.

4 CHAIR GREENBERG: Doctor Hartigan?

5 DOCTOR HARTIGAN: I also think the data was  
6 convincing and my answer is yes.

7 CHAIR GREENBERG: Doctor Ferrieri?

8 DOCTOR FERRIERI: I'm very enthusiastic about  
9 licensure.

10 CHAIR GREENBERG: Doctor Glode?

11 DOCTOR GLODE: Yes, I think the data provide  
12 sufficient evidence for efficacy.

13 CHAIR GREENBERG: Doctor O'Brien?

14 DOCTOR O'BRIEN: Yes.

15 CHAIR GREENBERG: And, for the record, I also  
16 say yes, and would simply second my colleagues in  
17 saying that this seems to be an extremely exciting  
18 breakthrough for a disease that can have a devastating  
19 effect.

20 So, we'll move on to the next question then.  
21 Do the data provide sufficient evidence of safety of  
22 Prevenar, and, if not, what additional information  
23 should be requested? And, I'd like to hear some  
24 comments from the committee about safety. We've seen  
25 a fair amount of data, I would say it's complicated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHOODE ISLAND AVE., N.W.  
WASHINGTON, DC 200053701

1 data, and it's multi factorial and there's all sorts  
2 of analysis of it, so what are your thoughts and what  
3 further information do you want, and what do you  
4 think?

5 Doctor Snider?

6 DOCTOR SNIDER: Well, first of all, as we all  
7 know from recent experience with rotavirus, we never  
8 have sufficient evidence surrounding safety before we  
9 are in a position to be licensing vaccines. And so,  
10 it's important to continue to look at safety data  
11 after licensure to look for uncommon events.

12 Here, I think we really have a lot more  
13 data, though, on common events than often we have had,  
14 because, as was pointed out, of the place that this  
15 study was done and the kinds of record systems they  
16 have, and the kinds of research infrastructure they  
17 have and so forth. And so, if anything, I think  
18 there's more safety data here than we have gotten in  
19 the past.

20 As has been pointed out, there's some  
21 confounding here because of the fact that another -  
22 the control was another experimental vaccine. It was  
23 good to be able to see some of the vaccine safety data  
24 link data prior to the use of either one of the  
25 vaccines to let us know that some of those uncommon

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 events were in the ballpark of what were observed  
2 before. Otherwise, we wouldn't be able to say over an  
3 average two-year follow-up period that some of these  
4 things were not of concern, or had as much assurance  
5 about that.

6 I think the issue which was raised around  
7 diabetes, about long-term effects, is something we are  
8 all sensitized to, and we recognize the need to look  
9 properly at long-term events, potential long-term  
10 adverse consequences of vaccines. And, that's  
11 challenged not just with this vaccine, but for many  
12 other vaccines that are already licensed, as well as  
13 new ones that come before us.

14 So, I was not, with regard to safety, was  
15 not particularly surprised that when seven vaccines  
16 were given compared to one vaccine, in essence, that  
17 there were more local reactions or more systemic, but  
18 non-serious, reactions. And so, I felt that the  
19 safety profile given, particularly given comparisons  
20 to what has proven to be used in the past as a  
21 standard of care, such as DTP was actually let, the  
22 overall profile was better than whole cell DTP, given  
23 the fact that we were providing benefits against or  
24 protection against seven serotypes was a reasonable  
25 tradeoff with regard to safety. So, I personally was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 comfortable with that.

2 But, that's, I think, additional information  
3 on that, on even the local reactions, would be useful  
4 to continue to collect.

5 CHAIR GREENBERG: Thank you, Dixie, for your  
6 usual good and well-balanced answer.

7 Any other comments on safety? Now, safety  
8 is a very important issue, remember you are always  
9 weighing safety and efficacy, and that's the issue  
10 that we are dealing with. Do we have any other -- I'm  
11 just going to step in while the committee collects  
12 their thoughts and just say that I also just couldn't  
13 be happier with the venue where this trial took place,  
14 and the database and accessibility of being able to do  
15 multiple comparisons, and I think we should all just  
16 take our hats off to the Kaiser team that put this  
17 together, because they sure overwhelmed me. I have  
18 too many comparisons in my mind at the moment to  
19 really figure it all out, but there certainly is  
20 access to an awful lot of data, which I think sets the  
21 bar for future studies.

22 Any other questions about safety? If not,  
23 I am going to start over there in the right-hand  
24 corner again with my colleague, Doctor Daum.

25 DOCTOR DAUM: It was much better yesterday

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 morning when I sat up there.

2 I'm very comfortable with the safety profile  
3 data that I saw today that the safety and  
4 effectiveness ratio comes way down on the  
5 effectiveness side. I am mindful of Doctor Snider's  
6 comments, and agree with them, and believe that the  
7 long-term, post-marketing assessment needs to be in  
8 place. I believe it is in place. There are  
9 mechanisms for capturing these things, and that we  
10 might need to revisit this, but for right now I'm very  
11 enthusiastic, the short-term safety data we saw today  
12 were impressive, and this is a safe vaccine.

13 so, I think, yes, the evidence is  
14 sufficient.

15 Doctor Kim?

16 DOCTOR KIM: Well, I guess there's no  
17 absolute answer to this question, unless there is a  
18 continued monitoring of potential adverse effects or  
19 other unanticipated side effects that may occur  
20 following licenses. So, with that in mind, the data  
21 presented on localized systemic reactions appear not  
22 to differ from that we have seen with the other  
23 licensed vaccines. So, with that, I would support,  
24 the safety data appears to be, you know, acceptable.

25 CHAIR GREENBERG: Doctor Snider?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, O.C. 20005-3701

1 DOCTOR SNIDER: I think I've already answered  
2 it, but, yes, I think the data -

3 CHAIR GREENBERG: For the record.

4 DOCTOR SNIDER: - for the record, I think  
5 the data are sufficient on safety, as I already  
6 indicated. I think additional information should  
7 continue to be collected, as it relates to local and  
8 system reactions, but more importantly long-term  
9 events need to be evaluated using good scientific  
10 methodology, with attention to any confounding and to  
11 all of - hypotheses being met.

12 CHAIR GREENBERG: Doctor Stephens?

13 DOCTOR STEPHENS: You can never be sure about  
14 the long-term safety of any product, but I think the  
15 data do provide sufficient evidence for safety as we  
16 now view it.

17 CHAIR GREENBERG: Ms. Fisher?

18 MS. FISHER: There's not enough known about  
19 the safety of this vaccine, especially when U.S. data  
20 shows that local systemic and neurological reactions  
21 are more frequent in the pneumococcal vaccine group  
22 than in controls.

23 The fact that the whole cell DBT vaccine was  
24 used extensively in this trial, and an experimental  
25 meningococcal vaccine **was** used to control further

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C. 20005-3701

1 clouds the safety picture.

2 There is no biological mechanism data that  
3 has been presented to this committee regarding adverse  
4 events, and we don't know if the addition of this  
5 vaccine to the routine schedule will cause ultimately  
6 an increase in autoimmune or neurological disease in  
7 children and young adults.

8 The fact that the ACIP committee has  
9 recommended this vaccine for universal use in all  
10 children, before this vaccine has been licensed, is  
11 highly inappropriate, because it means that  
12 policymaking has preceded the scientific evaluation by  
13 this committee of this vaccine, and it means that when  
14 we vote for licensure we vote for basically mandatory  
15 use of this vaccine, because once a vaccine is  
16 recommended for universal use by ACIP it is put into  
17 the mandatory schedule.

18 And, this happened with rotavirus vaccine,  
19 and now with this vaccine, and I would hope that it  
20 would never happen again, because it does not instill  
21 confidence in the public in the integrity of the  
22 licensing process.

23 CHAIR GREENBERG: Doctor Estes?

24 DOCTOR ESTES: I share the words that have  
25 been said earlier in terms of the need for long-term

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 follow-up, but I think that the data that were  
2 presented today do provide good evidence of safety for  
3 this vaccine.

4 CHAIR GREENBERG: Doctor Hartigan?

5 DOCTOR HARTIGAN: The data seem to me to  
6 provide sufficient evidence, even though it was an  
7 experimental control, but long-term follow-up should  
8 be looked at.

9 CHAIR GREENBERG: Doctor Ferrieri?

10 DOCTOR FERRIERI: Well, I share other  
11 opinions here that have been stated by the majority,  
12 that the data presented certainly suggests that this  
13 is a safe vaccine. Anticipating questions from  
14 parents who want to know what might happen if my child  
15 gets the vaccine, and you might say, well, at the very  
16 least there may be — he has a very high chance of not  
17 having any side effects, on the other hand the worst  
18 scenario is that the patient, your little baby, may  
19 develop a very high fever, be listless, irritable,  
20 have a painful limb with diminished appetite, and  
21 might even need to be hospitalized. And, I use this  
22 example in order to stimulate conceivable studies that  
23 could be done to examine the possible beneficial value  
24 of non-steroidal anti-inflammatories given prior to  
25 complex vaccines like this, examining whether there's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any attenuation of immunogenicity.

2 I recollect seeing a small paper several  
3 years ago, perhaps, seven or eight years ago, not with  
4 the polysaccharide vaccines, but with routine DTWPE  
5 and, perhaps, something else showing certainly one can  
6 attenuate fever and other local side effects. And,  
7 I'd like to, as we move forward with the complexity  
8 possibility that we will even have a more expanded  
9 repertoire of this pneumococcal vaccine, then I think  
10 we are asking for more and more side effects, adverse  
11 events, not life threatening necessarily, but they can  
12 diminish the credibility and interest of parents in  
13 having their children vaccinated.

14 So, I think it's obligatory for us to  
15 examine whether there's a safe way of ameliorating  
16 some of these reactions.

17 CHAIR GREENBERG: Doctor Glode?

18 DOCTOR GLODE: Yes, I think the information  
19 presented this morning provides sufficient evidence of  
20 safety, again, with the caveat that events that would  
21 occur at a rate of one to 50,000 that would be serious  
22 we won't know about, except for very stringent and  
23 careful post-marketing surveillance. And, I don't know  
24 if things have changed and if there's currently a  
25 mechanism by which manufacturers are scheduled on a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 regular basis to present post-licensing data, but I'm  
2 concerned about rare serious adverse reactions that  
3 occurred at a significant rate of one in 50,000, as  
4 well as the long-term follow-up for other chronic  
5 conditions.

6 CHAIR GREENBERG: Doctor O'Brien?

7 DOCTOR O'BRIEN: I think the data certainly  
8 were supportive of this vaccine being safe. I share  
9 Doctor Glode's caveat that we really do need to be  
10 sure that we look at potential adverse reactions. I'm  
11 less concerned, I know the rotavirus vaccine is being  
12 brought up as a concern rightly, but this is a  
13 different kind of vaccine, and the prototype more like  
14 it is the Hib vaccine, which has proven to be quite  
15 safe. So, I have more assurance on that basis.

16 CHAIR GREENBERG: For the record, I think  
17 also that safety has been demonstrated. I would simply  
18 like to say that it is a daunting task to ensure, in  
19 anyone's lifetime, that the long-term effect of a  
20 vaccine is totally understood. When you immunize a  
21 child, presumably you have a 70-year approximate time  
22 frame in which some adverse event could be associated  
23 with that vaccination. And, scientifically how to get  
24 at that is very, very hard. I think we all need to  
25 think about better ways to do it, but at the moment it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is a very — you have to weigh that with the fact that  
2 there are children dying from pneumococcal meningitis.  
3 And so, I feel that the preponderance of the data says  
4 this is safe, but I think we really need to think  
5 about how better to understand possible long-term  
6 consequences.

7 Can we have the next set of questions?

8 Well, here's the one where you really have  
9 a lot of interactions, so please discuss the data  
10 regarding concurrent use of Prevenar with other  
11 vaccines administered according to the recommended  
12 schedule of infants and childhood immunization. So,  
13 just to put this, you saw lots of data put up there  
14 with many interactions, and the question really is, do  
15 we know enough, or do we know sufficient amounts to  
16 say that this vaccine can now be incorporated into  
17 general use, and we feel comfortable that we are going  
18 to muck up what we currently have.

19 Dixie, so far you've just cut right to the  
20 chase. You are hot, do you want to continue?

21 DOCTOR SNIDER: Oh, so that's the reward I  
22 get.

23 CHAIR GREENBERG: Yes, never volunteer.

24 DOCTOR SNIDER: Well, I thought the data were  
25 somewhat concerning, mostly because there are a lot of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHOODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comparisons, and the type one polio is an example, or  
2 polio is an example, because the type one, responses  
3 to type one was lower, but then when I went back and  
4 looked the response to type three was higher. So, I  
5 don't know what to make out of that, and I was glad  
6 Karen made her comment, that with regard to the actual  
7 — the absolute responses of the participants, there  
8 doesn't appear to be any reason for major concern.  
9 But, this clearly is an area, another area, where we  
10 need to continue to look at this, and I'm not sure  
11 whether the data are available or embedded in this  
12 study to tease more out, or whether additional studies  
13 need to be done.

14 But, overall, I'm reasonably sure that there  
15 are not any monsters in here, in terms of there being  
16 interference which would lead to an increased  
17 susceptibility to disease, but have some concerns  
18 because of the small amount of data and the lack of  
19 clinical endpoints, as opposed to immunologic  
20 endpoints.

21 So, I think it's something we need to  
22 continue to give some attention to.

23 with regard to the safety of the concurrent  
24 use, which is another issue, I think that we do have  
25 this confounding effect of, as has been pointed out in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701



1 shifting around from whole cell to acellular  
2 pertussis, there are also, with the confounding,  
3 trying to look in this trial - I mean, the ethical  
4 reasons for giving the other experimental vaccine were  
5 laudable, it's just that from a scientific standpoint  
6 it makes it a little bit more difficult to figure out  
7 what's going on. So, that confounding makes the data  
8 difficult to interpret, a little bit more difficult to  
9 interpret.

10 So, bottom line is, I think that what was  
11 outlined as appropriate concurrent administration is  
12 probably okay on a population basis, but I would like  
13 to see more data in the future on that.

14 CHAIR GREENBERG: Doctor Ferrieri?

15 DOCTOR FERRIERI: One of the questions that  
16 will be asked by primary care physicians is the  
17 influence on the haemophilus conjugate vaccine and its  
18 antibody responses, and the data that has been  
19 presented suggests that there may be some attenuation  
20 when the pneumococcal vaccine is given with DTaP and  
21 the haemophilus vaccine versus the control group  
22 without the pneumococcal, but the titers are still  
23 extremely favorable and eons beyond what one would  
24 need for protection against haemophilus influenzae  
25 type B. But, the numbers are small, and so I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 echo the need for expanding studies of this kind to  
2 examine responses to the other vaccines administered  
3 in any post-licensure phase.

4 And, the other point raised by someone else  
5 here earlier, I think, is an important one. We don't  
6 have the data we would like on other products, other  
7 than the HbOC, and so we know that many healthcare  
8 systems that contracts are put out that are based on  
9 price only, without consideration of compatibility of  
10 one vaccine with another or scientific medical  
11 rationale, but it's strictly bottom line contract.  
12 And so, I think careful thought must be given to this  
13 and studies done to demonstrate that there is no  
14 modification of either product in the face of some  
15 other haemophilus product, haemophilus conjugate.

16 CHAIR GREENBERG: Other comments? Doctor  
17 Daum?

18 DOCTOR DAUM: Thank you. I think that we  
19 haven't begun to deal with the probably more difficult  
20 part of this question, and that's what happens when  
21 combination vaccine research begins with the  
22 pneumococcal conjugate being a component of a putative  
23 new combination vaccines.

24 And, that might be a more difficult issue to  
25 deal with. We don't have data today to need to deal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 20005-3701

1 with that, except that I would encourage the  
2 manufacturer to proceed with development and  
3 assessment of combination vaccines, including this new  
4 vaccine, as quickly as they are able in an effort to  
5 keep the number of injections down that children need  
6 to receive.

7 In terms of vaccine components given  
8 separately, one is always faced with a conundrum when  
9 one analyzes the data, because you can do a correction  
10 for multiple comparisons, and thereby make a  
11 demonstration of significance more stringent, but the  
12 price of that, of course, is to miss real comparisons  
13 which shook out at a less stringent level. So, I'm  
14 actually glad the data were presented the way they  
15 were today, so that we can discuss and assess all  
16 possible interactions and decide whether the  
17 statistically significant ones are clinically  
18 important.

19 My view is that most of these in combination  
20 vaccine research that I've done myself and seen others  
21 do, that many of these so-called significant  
22 interactions, in fact, represent alpha errors and  
23 don't hold up to repeated study.

24 And finally, although Doctor Ferrieri's  
25 point is correct, that the fourth dose had raised some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 concerns about interactions with the Hib component,  
2 the primary series, the manufacturer now has data from  
3 two, and I think even three, trials, that the primary  
4 series, the Hib response was enhanced by children that  
5 received the pneumococcal vaccine at the same time.  
6 That raises the possibility of doing some  
7 investigation to see if the actual milligram dose of  
8 these vaccines could be turned down without loss of  
9 effectiveness or deterioration in safety and, perhaps,  
10 these vaccines could be made available to more  
11 children around the world.

12 CHAIR GREENBERG: Ms. Fisher?

13 MS. FISHER: We have been given no data about  
14 what occurs at the cellular molecular level when you  
15 give this vaccine in combination with all these other  
16 vaccines. If this vaccine were being licensed for  
17 use, voluntary use is one thing, but it's going to be  
18 inserted into the mandatory schedule, all children  
19 ostensibly are going to be receiving it, which means  
20 what we basically have here is a post-marketing  
21 experiment, and I think that we need to know more  
22 about the biology of what occurs in the human body  
23 when you give this vaccine in combination with other  
24 vaccines, versus just simply clinical observations.

25 CHAIR GREENBERG: Doctor O'Brien?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D C 200053701

1 DOCTOR O'BRIEN: I'd just like to follow-up  
2 on the Hib question, and note that I never heard any  
3 mention of any cases of haemophilus influenzae disease  
4 at all, in either control or vaccine group, both of  
5 which got CRM-related products. Small scale, but if  
6 there was some problem we might have seen a case or  
7 two. Was there any?

8 CHAIR GREENBERG: Doctor Black is going to  
9 address that issue.

10 DOCTOR BLACK: We have not had a single case  
11 of Hib disease since 1991, both before the trial and  
12 during the trial.

13 CHAIR GREENBERG: Doctor Glode?

14 DOCTOR GLODE: I was just going to say that  
15 I continue to be impressed as new vaccines are being  
16 studied with some unexpected immunologic outcomes that  
17 seem to happen in many vaccine trials, and I think  
18 this is one of them. And so, with the Hib antibody  
19 response being enhanced with the early doses, but  
20 suppressed with the later doses, that still is  
21 disturbing to me. And, although I accept Doctor  
22 Siber's possible immunologic explanation for it, I  
23 think we could have support for that by studying some  
24 of the other Hib conjugates and, perhaps, shed a  
25 little light on that issue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And, I am concerned as new combination  
2 vaccines are licensed, et cetera, that it will be very  
3 important to study this vaccine, because I just think  
4 some of these interactions do seem a little bit  
5 unpredictable and surprising us, and then we learn  
6 about it and can explain it.

7           CHAIR GREENBERG: Doctor Stephens?

8           DOCTOR STEPHENS: One issue that I'd like to  
9 address is the catch-up schedule which we haven't  
10 talked about very much. In the data from the catch-up  
11 schedule, and it's not on our questions, so I'm not  
12 sure that we are being asked to judge that or not,  
13 but, you know, the data as I saw it was very  
14 preliminary, or the numbers were much smaller for the  
15 catch-up schedule and it seemed less defined. And,  
16 certainly regarding question three, the potential  
17 interactions with other vaccines in the catch-up  
18 schedule, I think have not been addressed.

19           And, just as a general comment, and I think  
20 I agree with most of the other comments that have been  
21 made, the issue of overall vaccine delivery and the  
22 issue of continued increasing antigen load in  
23 children, and the desire to look at development of  
24 alternative vaccine delivery systems.

25           CHAIR GREENBERG: I'm just going to make a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200013701

1 comment here. I think it was the manufacturer who  
2 mentioned the fact that attempts to study comparisons  
3 of various vaccines were looked upon as somewhat low  
4 level types of research for the VTUs, or at least  
5 somebody mentioned that the VTUs felt that the mundane  
6 questions of how to study multiple vaccine  
7 interactions was not appropriate for good scientists.

8 And, I would simply say that this committee  
9 seems to be daunted with this question on almost ever  
10 vaccine, and I personally would say that it's a  
11 perfectly good thing to use the VTUs for, so our  
12 colleagues in the audience who are NIH and who have  
13 oversight on the VTUs, those tremendous assets  
14 represent one of the few places where you can really  
15 do comparisons here that are very, very hard to do in  
16 other ways, and I would suggest that people start  
17 thinking about that as one of the places to do  
18 research.

19 Any other - just a little editorial, that  
20 was just an editorial - any other comments?

21 Bill?

22 DOCTOR EGAN: Doctor Egan from FDA.

23 I forget the data exactly, but, perhaps,  
24 either the manufacturer or Kaiser could comment on the  
25 response to the Hib vaccine that was done from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 initial trial, particularly, with regard to the  
2 percentage of seroconverters at the various levels,  
3 relative to the data that's presented now for the  
4 pneumococcal arm.

5 CHAIR GREENBERG: I'm not sure they  
6 understand your — am I correct that the manufacturers  
7 aren't understanding the question? They are looking  
8 puzzled over there.

9 DOCTOR EGAN: Yes, I'm sorry, there was some  
10 concern about in the pneumococcal arm about the GMCs,  
11 the titers going down after dose four, and the percent  
12 of seroresponders, the 97 and 98 percent in the  
13 pneumococcal arm, do you recall what those numbers  
14 were from the initial study of the haemophilus  
15 vaccine?

16 DOCTOR SIBER: You're really asking, Doctor  
17 Egan, from the original efficacy trials done at  
18 Kaiser?

19 DOCTOR EGAN: Yes, that's correct.

20 DOCTOR SIBER: Those are not data we brought.  
21 The best we can do is the control comparison in the  
22 one study that was controlled, which just suggested I  
23 think it was about 20 micrograms per ML. Does anyone  
24 in this group have a solid memory that's worthy of  
25 putting up here on the initial trials? No? Well,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 200053701



1 those are the data we are talking about right now, we  
2 are talking about in the control trial. Wait a  
3 minute. The question is, the original efficacy trial  
4 done at kaiser with HbOC, what were the levels with  
5 boosting in those trials, and the answer is, we would  
6 have to get back to you on that.

7 Dot says Midori's memory, and she has  
8 vouched for a pretty good memory, is 16 to 22  
9 micrograms geometric means, in the good old days.

10 CHAIR GREENBERG: Say it again, George.

11 DOCTOR SIBER: In the Kaiser study itself,  
12 the immunogenicity was done as part of the Hib  
13 efficacy trial, we were asked what the geometric mean  
14 antibody response was after the booster dose, and the  
15 memory of our staff, it is between 16 and 22  
16 micrograms, but I would ask you to let us get back to  
17 you to confirm this, because this is memory.

18 DOCTOR EGAN: Yes, and the percentage at  
19 greater than one microgram. My recollection is that  
20 these numbers here are actually higher.

21 DOCTOR SIBER: Forty-five might be a record.

22 CHAIR GREENBERG: Okay.

23 I have the request to make a comment from  
24 Doctor McInness from NIAID.

25 DOCTOR McINNESS: Doctor Greenberg, your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C. 20005-3701

1 editorializing forced me to my feet. I'm puzzled by  
2 the comment that the VTU investigators sometimes are  
3 scientifically non-challenging. In fact, I think for  
4 the record we have been involved in a variety of  
5 relatively extensive trials looking at what we call  
6 mix and match combinations, and I don't believe that  
7 philosophy has changed in our partnering to find  
8 effective and safe vaccines.

9 So, I would extend my invitation earlier  
10 this morning to have some discussions on what might be  
11 possible in that framework. Thank you.

12 CHAIR GREENBERG: Well, that's the best  
13 possible outcome, and to the degree that I  
14 misinterpreted or misheard what was said earlier, I'm  
15 happy because that's a terrific thing, and we really  
16 need the VTUs to address this issue, because it's an  
17 important one.

18 Anymore — Doctor Ferrieri?

19 DOCTOR FERRIERI: I have a question for the  
20 sponsors, if they have any preliminary information  
21 from study 124-501 on the effect of the 9-valent  
22 vaccine on nasopharyngeal colonization with  
23 pneumococci, is there anything that we're learning  
24 from that that would influence post-marketing studies  
25 of the 7-valent vaccine in terms of addressing the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 issue of the colonization, for example, with serotypes  
2 that are not in the vaccine, or the most basic  
3 question, does it influence nasopharyngeal  
4 colonization as Hib conjugate did for HIV?

5 DOCTOR SIBER: There are data published by  
6 Ron Dagan on this from a study in Israeli daycare  
7 centers, in which a majority of the children were  
8 enrolled into studies were they were randomized to  
9 either pneumococcal 9-valent vaccine or meningococcal  
10 c vaccine.

11 What the study showed was a variable  
12 reduction in the vaccine serotype, but the average was  
13 on the order of 40 or 45 percent, as I recollect, and  
14 that occurred rather quickly after immunization.  
15 These were toddlers at one or two doses of vaccine.

16 And, a more gradual increase in the vaccine  
17 recipients of non-vaccine serotypes, there's been lots  
18 of debate about whether that increase represents  
19 unmasking of non-vaccine serotypes, because we  
20 eliminated the vaccine serotypes, whether they are  
21 there in similar concentrations that were simply  
22 allowed to be cultured when you got rid of the  
23 dominant vaccine types, and that is not yet resolved.

24 Another effect that was observed is that the  
25 character of the antibiotic resistant stains was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 200053701

1 significantly reduced in children who got the  
2 pneumococcal vaccine.

3 There will be additional data which are not  
4 yet available that look at overall antibiotic use in  
5 that daycare center population, and overall morbidity  
6 in terms of doctors visits, otitis, and so forth, that  
7 are shaping up to be interesting, but they have not  
8 yet been presented by Doctor Dagan.

9 CHAIR GREENBERG: Doctor Pratt, do you feel  
10 you are getting a good answer here, since you don't  
11 have a specific question I'm not sure you are getting  
12 a discussion. Are you becoming informed on number  
13 three?

14 DOCTOR PRATT: Yes, I find the discussion  
15 useful.

16 CHAIR GREENBERG: Any other -- I think I have  
17 a good idea so far -- did I see another hand over here  
18 -- of where the panel -- anymore data for number three?

19 DOCTOR ESTES: I was on to four, I'm sorry,  
20 Harry, I didn't realize we were through with number  
21 three.

22 CHAIR GREENBERG: Well, we are not quite yet,  
23 until everybody gives me the high sign that they think  
24 they are done with talking about three. I think we  
25 are pretty done on three, so let's go on to four, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 this is good, I think we are making good progress.

2 Please identify any issues that should be  
3 addressed in post-marketing studies. Now, of course,  
4 we've touched on a bunch of this, but I won't -Dixie,  
5 I've trained him here, Dixie, I'll let you go first.

6 DOCTOR SNIDER: Well, since you called on me  
7 last time, I thought I'd just volunteer this time.

8 Yes, a number of things we've already  
9 mentioned. We've mentioned safety, particularly,  
10 long-term safety issues. I think a number of us are  
11 still concerned about the concurrent administration  
12 issue, and we'd like to see more data on that.  
13 Mention has been made about efficacy and safety, but  
14 particularly efficacy in other risk populations that  
15 were not included here. Certainly, there are other  
16 health outcome data, notably pneumonia, that we  
17 haven't seen data on yet, so somewhere along the way  
18 we'd want to look at that. And, probably post-  
19 marketing it would be - even if you do it in a trial,  
20 this might be the way to really figure out how much  
21 pneumonia is due to these serotypes, and how much  
22 acute otitis media, for that matter.

23 We need to follow the serotypes, both in  
24 terms of the replacement issue and in terms of the  
25 vaccine serotypes that might be showing up. I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it was some suggestion in 19f, I believe, that, you  
2 know, it may mean nothing, but the question is whether  
3 the current vaccine needs to be beefed up in any way  
4 to protect against any of the vaccine serotypes.

5 The issue of catch-up has been mentioned and  
6 the proper dosing there, and I think we could open it  
7 up even further and say, how many doses, and when, we  
8 really don't know, and there is suggestion from the  
9 data, as has been commented on, that you might get  
10 quite a bit of efficacy out of one initial dose in the  
11 series, but obviously we don't know how long that  
12 might persist.

13 So, it will be interesting over time to look  
14 at pneumococcal conjugate vaccines to try to ascertain  
15 what -- how many doses you actually need and what is  
16 the optimum interval between doses. So, I think those  
17 are some of the things down the road we'd be looking  
18 at, not necessarily all of them that the manufacturing  
19 are doing, but these are some of the things that come  
20 to mind.

21 CHAIR GREENBERG: Doctor Kim?

22 DOCTOR KIM: You know, this is, I think, a  
23 serious -- again, this is pretty much bottom line, but  
24 it is very, to me, important to document that invasive  
25 pneumococcal disease is, indeed, decreased and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE.. N.W.  
WASHINGTON, D C 200053701

1 maintained throughout, you know, the coming years,  
2 make sure that there's no - not only replacement with  
3 other serotypes, you know, or replacement by other  
4 pathogens in the community regarding invasive disease.

5 And, an additional comment, I think that  
6 needs to be done, which we touched several occasions,  
7 I mean, is regarding some of the immunologic profiles  
8 and the assay issues, and I think it is important to  
9 have some sort of a consensus or guidance from the FDA  
10 regarding the issues about protective levels of  
11 antibodies, which, you know, may differ depending upon  
12 the types of diseases, like invasive disease versus -  
13 again, I'm not still clear about the beneficial effect  
14 of this vaccine against otitis media, for example, and  
15 I think those types of issues continue to be very  
16 critically analyzed through the samples are variable  
17 from participants, I think those are extremely, to me,  
18 valuable resource to address some of those issues.

19 And, again, as you know, the assays ought to  
20 have some variations, so that if you are looking to  
21 some numbers, and those numbers happen to be within  
22 two-fold, I'm not sure I have any confidence in those  
23 numbers in providing some guidance to, let's say,  
24 second, third generation vaccines to, you know, imply  
25 that those vaccines are, indeed, efficacious or not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 CHAIR GREENBERG: Ms. Fisher, then Doctor  
2 Daum.

3 MS. FISHER: I have a practical question. If  
4 this vaccine is licensed and shortly put out on the  
5 market for use in all children, how is the FDA and the  
6 CDC, how are they going to determine when a child  
7 suffers this healthproblem following vaccination with  
8 this vaccine, in combination with all these other  
9 vaccines, if it is indeed due to the pneumococcal  
10 vaccine?

11 CHAIR GREENBERG: Well, I don't think there's  
12 - perhaps, there is somebody here who can speak for  
13 the CDC, but that's a question directed at the FDA  
14 first.

15 MS. FISHER: Well, Dixie is from CDC.

16 CHAIR GREENBERG: Yes - no, no, but I'm  
17 letting the FDA, since this is an FDA meeting, take  
18 first chair in this response.

19 DOCTOR GOLDENTHAL: Thank you.

20 Well, I mean, that's a very - you know,  
21 that's a very complex - you know, it's a very  
22 difficult question to answer, because when you are  
23 giving multiple immunizations attributing cause to one  
24 particular vaccine, or even to vaccination at all, can  
25 be difficult. I mean, we have a staff that's focused

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 200053701



1 on post-marketing evaluations, and looking at VAERS  
2 data, and looking for trends or any troubling findings  
3 that may occur. I think that a specific post-  
4 marketing study in the right setting may also be  
5 helpful to look for any unanticipated, you know,  
6 events, and maybe also detect any increase compared to  
7 background.

8 But, you know, in a setting of a non-  
9 randomized evaluation, that can be difficult to do.

10 MS. FISHER: I mean in any given case, you  
11 know, a parent takes a child in, and the child suffers  
12 a seizure, or suffers high-pitched screaming, or  
13 whatever, I mean, it seems that we need to have a  
14 clear idea if we are going to attribute that to the  
15 vaccine or not, and it seems that biological mechanism  
16 work is the only way we are going to get at that.

17 CHAIR GREENBERG: Dixie, and then Doctor  
18 Ferrieri.

19 DOCTOR SNIDER: Well, with regard to looking  
20 at vaccine safety, I think people are aware that we do  
21 have the Bayer system and people hopefully are also  
22 aware of the limitations of that system.  
23 Nevertheless, I think FDA and CDC, who work together  
24 with that system, would like to enhance it and make it  
25 better, and increase the participation in it among

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 clinicians and parents.

2 There are also the large link databases,  
3 such as the vaccine safety data link, which we would  
4 very much like to get the resources to expand, because  
5 people, I'm sure, are aware of the fact that your  
6 ability to detect rare events increases as your sample  
7 size increases, and, therefore, we'd like to include  
8 other organizations in the vaccine safety data link.

9 I think it's hard to get very specific about  
10 what one might do, depending upon - because it will  
11 depend upon what kind of event you are talking about,  
12 certainly all the events we look at we have to look at  
13 what is the temporal association, what is the biologic  
14 plausibility. At times one can do special case  
15 control studies to evaluate putative adverse events,  
16 at other times it does take a special study set up  
17 prospectively to be able to adequately answer certain  
18 questions.

19 And, as many people know, we are engaged in  
20 establishing a number of studies, both retrospective  
21 studies, nested case control studies, and prospective  
22 studies, to look at several putative associations  
23 between vaccines and various adverse events.

24 CHAIR GREENBERG: Doctor Ferrieri?

25 DOCTOR FERRIERI: I'd like to address the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200013701

1 issue of post-licensure bacteriological monitoring of  
2 pneumococcal isolates and present the fact that many  
3 academic institutions, many hospitals, medical  
4 centers, including university hospitals, do not  
5 routinely serotype pneumococci. And so, who will be  
6 responsible for seeing that they are examined  
7 properly, that they don't die in route? Will there be  
8 a central bank to receive them, and will that be  
9 organized somehow?

10 So, I guess I would appreciate any comment  
11 from FDA, CDC or the sponsor about this. We don't  
12 want misleading information, and we do extensive  
13 pneumococcal typing in the laboratory I direct, but  
14 there are pitfalls in doing this, and one needs to be  
15 aware of that.

16 So, I think this is really important as we  
17 move forward, to understand the full protection or  
18 cross protection, et cetera.

19 CHAIR GREENBERG: Doctor Pratt or Doctor  
20 Frasch, are you going to take a crack at that, at  
21 answering that?

22 DOCTOR SNIDER: Well, Harry, I'd be glad to  
23 say, I mean, I think CDC plans to continue the ABC  
24 data collection that George showed, and certainly that  
25 would be one source for us to be able to continue to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 20005-3701

1 look at serotypes.

2 CHAIR GREENBERG: Does the sponsor have  
3 anything to add here?

4 DOCTOR FERRIERI: It's a lot different in  
5 ~~doing~~ this for a study and doing it under very diffuse  
6 conditions with all comers, and what will be the  
7 practice? Will FDA write something up, so that all  
8 physicians know that there's interest in examining  
9 those isolates?

10 CHAIR GREENBERG: Doctor -

11 DOCTOR FERRIERI: Carl, what do you think  
12 about that? How do we make it not a problem?

13 DOCTOR FRASCH: Well, I think it's FDA's job  
14 to license, and then monitor the safety through the  
15 VAERS system, but I think it's the CDC's job to do the  
16 strain surveillance, you know, through Doctor  
17 Fraklan's lab and so on.

18 CHAIR GREENBERG: Doctor Daum, and then  
19 Doctor Stephens.

20 DOCTOR DAUM: Just to add a couple of things,  
21 and try not to be repetitious to things that have been  
22 said before. I think we need some studies directed at  
23 the performance of this vaccine in high-risk groups,  
24 specifically, sickle cell anemia patients and children  
25 infected with HIV, perhaps, asplenic. I'm sure there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 are others that come to mind, but we need to know,  
2 need to be reassured that there can be bridging.

3 CHAIR GREENBERG: It was my impression that  
4 the manufacturer did show us a list of a fair number  
5 of immuno - of high-risk groups that studies were  
6 ongoing, which we'll need to see that data, but I  
7 think those studies, or at least we saw a slide that  
8 said they were underway.

9 DOCTOR DAUM: Also, I think that the duration  
10 of the antibody response, which has been touched on,  
11 is an extremely important issue, because pneumococcal  
12 disease differs quite markedly from Hib, which has  
13 been analogous so far, and that pneumococcal disease  
14 also is a problem in adults. And, it will be  
15 interesting to consider, at least, how long protection  
16 from a four dose series in early childhood can, in  
17 fact, endure, and how long is it capable of being  
18 boosted.

19 So, I would like to conceive and have  
20 performed some studies to look at that.

21 We also need to think about a vaccine that  
22 will protect against all cases of pneumococcal  
23 invasive disease, not just the percentage that we have  
24 captured in a 7 or 9-valent vaccine. There may be  
25 some carrier priming and carrier immunity issues here

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 that need to be explored and addressed, but buoyed up  
2 by the success of this first generation vaccine it  
3 might be fun to push that along as quickly as we can  
4 so the children aren't getting any pneumococcal  
5 invasive disease.

6 I also touched on before, but would like to  
7 just reiterate under the umbrella of question four,  
8 that I think the vaccine community needs to explore  
9 issues of bridging to other pneumococcal conjugates as  
10 quickly as we can, because I think that there may be  
11 other candidates out there that may be useful, and we  
12 won't know how to assess them.

13 I also will take up a theme that I touched  
14 on this morning in talking about post-marketing  
15 immunogenicity assessment. I don't know quite who  
16 should do it. I don't know what umbrella it should be  
17 done under, but I think that there should be some  
18 monitoring of the immunogenicity of randomly chosen  
19 lots that are put on the market to ensure performance  
20 is **as** good as it has been.

21 **And**, lastly, this issue of carriage with  
22 respect to vaccine, which has been well documented and  
23 incredibly complete in some populations, and clearly  
24 in other high-risk populations been much less complete  
25 in terms of eradication, needs to be studied more.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And, I would encourage people who are doing research  
2 in this area to pursue this, I think it's a very  
3 important issue.

4 CHAIR GREENBERG: Doctor Ferrieri, and I  
5 would encourage committee members now to really try to  
6 touch on things that haven't been said before, so that  
7 we can give the FDA as broad a list as possible. I  
8 think they've gotten a fair amount of input from us.

9 DOCTOR FERRIERI: As we're winding down, I  
10 feel it's very important to say something that FDA  
11 will not be able to control, but that has to do with  
12 allocation of resources and social policy. I  
13 understand that this vaccine is going to be quite  
14 expensive per dose, and I want us to mobilize as a  
15 group of physicians and scientists to see that this,  
16 as well as other critical vaccines, are distributed to  
17 disadvantaged, economically disadvantaged,  
18 disenfranchised racial minorities, in particular, who  
19 are at highest risk of invasive disease. And, as a  
20 single individual I can't do anything about this, but  
21 I think we should reflect on this and see that there  
22 is appropriate use so that the kids of Bethesda and  
23 Chevy Chase are not the only ones in Maryland who  
24 receive this vaccine.

25 So, what about inner city kids in Baltimore,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Atlanta, New York City, Chicago, et cetera.

2 CHAIR GREENBERG: And, I would just echo  
3 that, I assume that there are outer city kids in  
4 Bangladesh who sorely need this vaccine as well, and  
5 they are even further from it.

6 Doctor Snider?

7 DOCTOR SNIDER: I just wanted to respond to  
8 that. I mean, I just want to call to people's  
9 attention that actually we have a very peculiar  
10 situation in the U.S. with regard to that. If the  
11 vaccine is licensed and the ACIP votes to include the  
12 vaccine in the vaccine for children program, there  
13 will be a mechanism for purchasing the vaccine for the  
14 poorest children. Presumably, the richest will be  
15 able to purchase it as well, and it's those in the  
16 middle that are most likely to be deprived of the  
17 vaccine, or have the most difficulty obtaining the  
18 vaccine. And, it's just an anomaly of our vaccine  
19 delivery system these days, as to who is most likely  
20 to have difficulty obtaining it, and not the poorest  
21 of the poor.

22 CHAIR GREENBERG: Well, we have a big list of  
23 things that you folks at the FDA, and the  
24 manufacturer, and the CDC have to do, and Doctor  
25 Stephens is going to add to that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D C 20005-3701



1 DOCTOR STEPHENS: At the risk of prolonging  
2 this a little bit, I had seven categories, most of  
3 which have been covered. I do want to make a couple  
4 of points. One is an issue we haven't talked about,  
5 is individuals who may have received prior  
6 pneumococcal polysaccharide vaccine, and the potential  
7 effects or interference effects of that vaccine on  
8 this vaccine, an area that we haven't touched upon,  
9 but certainly for high-risk groups that's an area of  
10 some concern, given other data and other systems  
11 suggesting that there may not be — there may be less  
12 of a response in those particular individuals.

13 The other has to do with as an adult  
14 infectious disease individual, there is a clear need  
15 for this vaccine or a much improved vaccine in the  
16 adult population, in terms of the immunocompromised  
17 patients with serious pneumococcal disease, and  
18 certainly in the elderly, and I realize those studies  
19 are ongoing, but it's an area of immense concern for  
20 many of us.

21 And lastly, an issue regarding, I  
22 participate as one of the sites for the ABCs, the CDC  
23 ABCs, and certainly those sites are ideal for looking  
24 at the impact of the introduction of a new vaccine.  
25 We certainly saw the dramatic decrease in haemophilus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 influenzae B with the introduction of the vaccine in  
2 the Atlanta community, and I think it's important to  
3 document the introduction of this vaccine in sites  
4 where there is very good active population-based  
5 surveillance, to look at overall efficacy, and to  
6 identify potential effects on herd immunity, which was  
7 certainly a major benefit of the haemophilus  
8 influenzae vaccine that we really didn't anticipate  
9 when we began the studies.

10 CHAIR GREENBERG: Ms. Fisher?

11 MS. FISHER: I think also in any post-  
12 marketing surveillance there has to be attention paid  
13 over the long term to the increases in - possible  
14 increases in diabetes and other autoimmune disorders,  
15 asthma, with the addition of this vaccine to the  
16 routine schedule.

17 CHAIR GREENBERG: I'm just going to take a  
18 second here to underline what Ms. Fisher said, and I  
19 think it's clearly time for the vaccine community to  
20 really figure out the scientific way how to deal with  
21 these long-term questions that are so hard  
22 scientifically to deal with, and to develop systems so  
23 that we can be ahead of the curve as opposed to behind  
24 the curve, in having databases that are robust that  
25 really enable us to answer these questions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1           And, I think my advice to the FDA and the  
2 CDC is, perhaps, and maybe it's already in place and  
3 I'm unaware of it, but it seems to me that there are  
4 real statistical problems and database problems, and  
5 all sorts of - yes, and money problems, excuse me,  
6 that deal with this.

7           On the other hand, being behind the cure  
8 also creates money problems, and it seems to me that  
9 it might be a good idea for people to come together  
10 and say, what is the best solution we can come up with  
11 now, and start instituting it so that, otherwise five  
12 years from now, and ten years from now, we're going to  
13 have the same questions, and they are going to be very  
14 difficult to answer, because we won't have good  
15 databases.       So, that would be, again, just  
16 editorializing.

17           Carl, I'm about to wind this down now, so  
18 make it brief.

19           DOCTOR FRASCH: I think I need to point out  
20 that Doctor Daum had made comments about not getting  
21 behind the game, shall we say, we've already had  
22 meetings regarding, through the WHO mechanism, one,  
23 how to get the pneumococcal conjugate vaccine out to  
24 the underprivileged countries. We've met there this  
25 year. We've already had another meeting regarding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 assay standardization and possible mechanisms to allow  
2 for a second pneumococcal conjugate vaccine or an  
3 improved pneumococcal conjugate vaccine, so we are not  
4 exactly playing too much catch up.

5 CHAIR GREENBERG: That's heartening.

6 If there are no other new comments from the  
7 committee, I'd like to ask Doctor Pratt, do you feel  
8 you've gotten a full airing of the two last issues for  
9 which there wasn't real questions?

10 DOCTOR PRATT: Yes.

11 CHAIR GREENBERG: Okay, and the  
12 manufacturers, I hope, have been taking notes.

13 Okay. I'd like to thank the committee for  
14 being extremely active, and thoughtful, and also  
15 timely, and I'd like to thank the audience, and I'm  
16 going to call this meeting - oh, there's an open  
17 public meeting, does anybody want to address the panel  
18 before I close the meeting? Excuse me.

19 DOCTOR CLASSEN: Yes, I have one question.

20 Ms. Fisher brought this up, again, you know,  
21 do we have specifics on a plan of action to look at  
22 the effect of vaccines on diabetes? I mean, we heard  
23 about, Doctor Black brought up that they had  
24 historical data on diabetes, but I think three or four  
25 different vaccines have changed since that time, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, DC. 200053701

1 timing of the hepatitis B vaccine using the acellular  
2 pertussis vaccine versus the whole cell pertussis  
3 vaccine. There is other changes as well.

4 So, it's very difficult using the Kaiser  
5 database to look at the effect of vaccines on  
6 diabetes, and I was wondering if the FDA had some plan  
7 or specifics, that I guess Ms. Fisher alluded to.

8 CHAIR GREENBERG: So, any FDA -

9 EXECUTIVE SECRETARY CHERRY: This is not the  
10 time for questioning.

11 CHAIR GREENBERG: Okay, so we take that, the  
12 questions are over, I think the FDA has heard you,  
13 that they are well advised to have a specific plan to  
14 look at type one diabetes, and I hope the FDA is  
15 listening to me. These questions will not go away  
16 until the FDA generates - the FDA, government or  
17 whoever, generates compelling data to show that  
18 there's not a risk. So, I think it's important to get  
19 those databases in place.

20 Thank you, everybody.

21 (Whereupon, the meeting was concluded at  
22 2:23 p.m.1

CERTIFICATE


This is to certify that the foregoing transcript in the  
matter of:                   Vaccines and Related Biological Products  
                                  Advisory Committee

Before:                       DHHS/FDA/PHS/CBER

Date:                         November 5, 1999

Place:                        Bethesda, MD

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.



A handwritten signature in black ink, appearing to read "J. M. [unclear]", is written over a horizontal line.