

1 high of almost 40 percent. So the 22 percent where  
2 we were, were -- it was right there in the middle of  
3 it. But the average, I guess, for the studies that  
4 they looked at were 12. But, again, I can't remember  
5 which studies we looked at.

6 MAJ KADRMAS: Okay. And my final question  
7 -- I apologize for all these questions -- is similar  
8 to Dr. Endres' study for a lateral meniscectomy. You  
9 say the periphery of the meniscus is being used to  
10 support those compressive and hoop stresses in the  
11 medial meniscus, so it's simply the tensile stresses  
12 that are being seen, similar to the shoulder and the  
13 Restore patch. If you extrapolate that to the  
14 lateral meniscus going back to the red/white zone or  
15 red/red zone at the popliteal hiatus and they will be  
16 experiencing those hoop stresses there. Do you have  
17 any comment on its function in the lateral meniscus  
18 as opposed to the medial meniscus?

19 MR. DICHIARA: Yes, I'll let Dr. DeHaven --

20 DR. DeHAVEN: Well, that's an important  
21 question, and it's a bit of a tossup right now  
22 because in Europe, where they are using it, they're  
23 using it in both cases that have a bridge, a  
24 popliteal bridge, and in cases that do not have a  
25 popliteal bridge. So I personally would be reluctant

1 to put one in if there was no popliteal bridge there  
2 to connect the anterior and posterior parts of the  
3 lateral meniscus. But some have been done. We'll  
4 see whether there is any difference in the outcomes  
5 as time goes on. But the experience is fairly early.  
6 Bill, when did they start that with the lateral side?  
7 About a year and a half ago? So it's still early.  
8 But that point is still in play.

9 MAJ KADRMAS: Thank you very much.

10 MR. DICHIARA: Just to let you know, we're  
11 collecting data in Europe on those lateral cases.  
12 And, you know, the preliminary data that we've looked  
13 at was to look at safety of using it in the lateral  
14 meniscus, one of the concerns, of course, being --  
15 the major concern being the popliteal hiatus. And,  
16 you know, this safety data that we saw as far as  
17 failure rates on the lateral side, and we only have a  
18 year and half follow-up in that, were comparable to  
19 what we saw on the medial side. Adverse events were  
20 very similar also. But we don't have any long-term  
21 data on that.

22 MAJ KADRMAS: Thank you.

23 DR. MABREY: Dr. Shawen? Any other  
24 question?

25 (No response.)

1 DR. MABREY: Dr. Kelly, you had another  
2 question?

3 DR. KELLY: Yeah, I just want to say that I  
4 was very much impressed by the lack of inflammation.  
5 And I guess I'm going to back to original question  
6 which I -- I guess I'm not quite satisfied. There's  
7 been some reports, at least with the shoulder, of,  
8 like, the Restore patch evoking exuberant  
9 inflammation. Is there something about the -- and,  
10 actually, Dr. Arnoski's (ph.) lab I think has shown  
11 that the more foreign the tissue, the more processed  
12 the tissue, it may be the processing itself which may  
13 be the devil that may evoke inflammation. So there  
14 must be some sort of proprietary preparation of this  
15 substance to, I think, explain the lack of  
16 inflammation. Am I correct in assuming that?

17 MR. DICHIARA: Yes. The processing of the  
18 product certainly is -- has a major effect on that.  
19 As Dr. Badylak talked about, you know, the processing  
20 of these different materials is different. Ours is  
21 different than any of the others just as they are  
22 different. The immunology study that we did was for  
23 just that reason because you have to remember that  
24 this study was designed in 1995. And at that time,  
25 you had collagen, soluble collagen, and you had

1 reactions to the soluble collagen, so there was  
2 concern about immune response. That's why we did the  
3 blood testing and did the humoral response to the  
4 actual material itself.

5           That was done outside at the University of  
6 Arizona. It was a blinded study where they were sent  
7 the serum samples directly from the investigational  
8 sites. They analyzed the samples blindly and saw no  
9 difference in humoral immune response. And I think  
10 Dr. Vigorita can talk about the actual cellular  
11 response that he saw in the histology to the material  
12 compared to say other materials that he looks at.

13           DR. KELLY: I guess what I'm asking in a  
14 roundabout way, doctors, is that would our patients  
15 be better served with more of an allogeneic substrate  
16 or is there something that you say would outweigh  
17 those disadvantages, because, clearly, the shoulder  
18 literature is showing more of an exuberant  
19 inflammatory response for some of the more bovine or  
20 equine products.

21           DR. VIGORITA: Well, you're asking a very  
22 important question, which depends on a host of  
23 processing issues and a host of possibilities of  
24 carrier molecules along the way. And it's my  
25 understanding -- I wasn't involved in the manufacture

1 of this material, but as John alluded, that they took  
2 into question a lot of the previous history on even  
3 formalin causing a reaction in tissue.

4           But I can tell you based on what I was  
5 seeing -- and by the way, the material that I  
6 presented to you was presented by the histologists  
7 not in conjunction with ReGen Biologics but as our  
8 own interested study at the academy, where I went  
9 into much more detail on some of the occasional  
10 cellular responses that were seen. They were rarely  
11 observed, and I think they can clearly be broken down  
12 into two categories.

13           One, which I think nicely fits  
14 Dr. Badylak's discussion of mononuclear cell  
15 microenvironment remodeling, which would appear to be  
16 a helpful response, and then that rare occurrence,  
17 which I showed in my last slide of something reacting  
18 to the graft. But that was a very rare -- that could  
19 even be an infectious agent or an infection in the  
20 actual procedure.

21           So, again, I think manufacturing would be  
22 the clue to really understanding the lack of a lot of  
23 carrier molecules and processing steps that we learn  
24 from history to avoid.

25           DR. BADYLAK: I'll be brief, and I've got

1 two responses to it. One is that with all of these  
2 surgical meshes and all of the applications and  
3 surgical meshes that are used for hernia repairs as  
4 well have been criticized for having seroma  
5 formations and other things that could be equated to  
6 the types of responses that are seen in the shoulder  
7 to some of the surgical meshes that are there. But  
8 the issue is nobody understands whether that -- those  
9 reactions are a result of an immune response or part  
10 of the inflammatory system or part of the remodeling  
11 response, and that's work yet to be done. But that's  
12 questions that we are not going to answer here.

13 I think the second part of the question,  
14 though, is basically -- it's related but unrelated.  
15 And that is the consideration before you is does the  
16 collagen scaffold that we're talking about today  
17 cause -- is it as safe or better than the surgical  
18 meshes that have already been, you know, out there as  
19 predicate devices. And I think that's the way this  
20 needs to be considered. So I think from the  
21 information that you've seen both in the pre-clinical  
22 studies and the clinical studies, there's not a hint  
23 of those types of responses that you're speaking  
24 about, other devices that are already out here. So  
25 if you had to go to the equivalent or better, my

1 response would be that you're seeing a better outcome  
2 than you are to the predicate devices. So the  
3 immunology question we could sit here and debate all  
4 day and bore everybody in the audience with it, but  
5 it is an interesting and important question.

6 DR. MABREY: We can go to Dr. Endres and  
7 then Dr. Shawen.

8 DR. MONTGOMERY: I just wanted to reinforce  
9 that the -- obviously, every clinician that was  
10 involved in the IDE study was concerned about any  
11 type of immunological response. With the Restore  
12 patch, it was cleared with five patients with a  
13 three-month follow-up, and then the literature  
14 started coming out. And those were very small  
15 series. So we have one with a 20 percent reoperation  
16 rate, another with a 26 percent reoperation rate, and  
17 another with a 16 percent explant, meaning they had  
18 the severe reaction and they were pulled out.

19 We had 160-some odd patients. We had, you  
20 know, almost no response at all immunologically both  
21 looking at their blood tests and looking at the  
22 biopsies, which we did not have on any other mesh.  
23 And, clinically, the patients have done well. So we  
24 were concerned. We were worried. But it didn't seem  
25 to happen, and kind of the proof is in the pudding.

1 It doesn't seem like there is any ill effects from  
2 it.

3 DR. MABREY: Dr. Endres, go ahead and --

4 DR. ENDRES: I think you've shown  
5 histologically and clinically that this device  
6 promotes new tissue growth, but I think you would  
7 also agree that the new tissue does not function  
8 biomechanically like a normal meniscus. So I'm  
9 wondering -- your conclusion is that the patients at  
10 least in the chronic group were able to regain more  
11 of their activity level, so I'm wondering why you  
12 think that is. And do you think that this new tissue  
13 alters the low-transmission between the femur and the  
14 tibia, and, if so, how, if it's not -- if you're not  
15 restoring the circumferential fibers and restoring  
16 the ability to dissipate hoop stresses with  
17 compression?

18 MR. DICHIARA: I'll let the surgeons --

19 DR. DeHAVEN: Well, I think the answer to  
20 the question is that the extra tissue makes a  
21 difference, and how much of a biomechanical  
22 difference, we don't have any way to quantitate that.  
23 But, you know, an interesting individual patient of  
24 mine might at least reflect what happens.

25 This was a 43, 44-year-old Master's

1 competitive runner, distance runner, who had had a  
2 well-down partial medial meniscectomy in the  
3 community, and he came to see me. And, you know, it  
4 reflects the importance of the Tegner discussion we  
5 had because for ADL, activities of daily living, he  
6 had no symptoms, no problems, but if he tried to run,  
7 he couldn't go 200 yards without getting severe pain.  
8 So he entered the study, was an implant, had 70  
9 percent regeneration at second look, and functionally  
10 by nine months, he was running 25 miles a day without  
11 any problems. And by a year he was up to 30 miles a  
12 day and a successful competitive runner again.

13           Two years later, he tore the medial  
14 meniscus in his other knee. He met the criteria, and  
15 he entered the study for the other knee. This time  
16 he is control. To this day, he has not been able to  
17 return to running because of pain in the opposite  
18 knee. I mean, that's one patient, but -- and you  
19 can't, you know, make a summer out of that, but at  
20 least as his own control, it's pretty interesting.

21           And if we were only looking at Lysholm  
22 data, his original partial meniscectomy would have  
23 been considered a great success because he was not  
24 having symptoms because he was limiting himself to  
25 activities of daily living.

1           But, you know, these are my thoughts about  
2 how to answer your question. It's an important  
3 question, and we're --

4           DR. ENDRES: I guess I'm --

5           DR. DeHAVEN: -- anticipating that there's  
6 a likelihood, say, at ten years, of showing  
7 radiographic evidence of biomechanical function at  
8 least.

9           DR. ENDRES: Um-hum.

10          DR. MABREY: Dr. Shawen, I want to get to  
11 your question, and then we're going to go to break.

12          DR. ENDRES: Can I --

13          DR. MABREY: I'm going to go to Dr. Shawen.  
14 Thanks.

15          LTC SHAWEN: This is a yes/no question.  
16 During the development of the product, was the sample  
17 ever implanted just in soft tissue to see the  
18 inflammatory response rather than intra-articular?

19          MR. DICHIARA: Yes, it was. Bill Radtke  
20 (ph.) --

21          LTC SHAWEN: Okay.

22          MR. DICHIARA: Do you want to comment on  
23 it?

24          LTC SHAWEN: And if yes, then what was the  
25 response?

1           MR. RADTKE: I'm Bill Radtke. I'm  
2 affiliated with the company and do have an interest  
3 in the company and the device. I've been one of the  
4 original developers of it. Early on, very early on,  
5 we implanted some of this material just  
6 subcutaneously for this very reason. What we saw was  
7 it was initially encapsulated with a fibrous type of  
8 tissue when we looked at it at three weeks. As we  
9 followed it out at six weeks, three months, and six  
10 months, by the end of six months, it was completely  
11 resorbed, and we found nothing except the permanent  
12 suture that we had left there for it. So we didn't  
13 see -- when we looked at it histologically, we did  
14 see early on some inflammatory cells and a few giant  
15 cells, but after that it was just a very benign  
16 fibrous response.

17           DR. MABREY: Any other questions from the  
18 Panel?

19           (No response.)

20           DR. MABREY: Then what I'd like to do is  
21 call a break at this point. It's almost 10:40. If  
22 we could be back here at ten minutes before 11, that  
23 would be very helpful, ten minutes before 11. If you  
24 have any personal items and want to use them, please  
25 take them with you. And Panel members, remember,

1 there should be no discussion --

2 (Off the record at 10:36 a.m.)

3 (On the record at 10:55 a.m.)

4 DR. MABREY: 10:55. I'm calling the  
5 meeting back to order. The FDA will now give their  
6 presentation on this issue. Dr. Kessler, one hour.

7 DR. KESSLER: Thank you. My name is Larry  
8 Kessler. I'm the Director of the Office of Science  
9 and Engineering Laboratories in the Center for Device  
10 and Radiological Health. I'd like to thank the Panel  
11 for the deliberations and coming here. I'd also like  
12 to thank the Sponsor especially for the impressive  
13 team of people they brought to have this important  
14 dialogue with us and with you as the Panel.

15 Some of the material I will present is very  
16 similar to things you've seen from the Sponsor.  
17 There are some subtle differences. We'll try and  
18 point those out.

19 The ReGen Collagen Scaffold is indicated  
20 for use in surgical procedures for the reinforcement  
21 and repair of chronic soft tissue injuries of the  
22 meniscus (one to three prior surgeries to the  
23 involved meniscus) where weakness exists. This, in  
24 particular, is the statement that we reviewed in  
25 510(k) K082079. Okay. So it's important to note

1 this was for the chronic soft tissue injuries. In  
2 repairing and reinforcing meniscal defects, the  
3 patient must have an intact meniscal rim and anterior  
4 and posterior horns for attachment of the mesh. In  
5 addition, the surgically prepared site for the  
6 collagen scaffold must extend at least into the  
7 red/white zone of the meniscus to provide sufficient  
8 vascularization. So that is very specifically the  
9 indication which we reviewed.

10           From the executive summary of ReGen, we  
11 note the modification by the Sponsor, and it's not  
12 included in the pending 510(k) although we have  
13 looked at it previously. So the difference here is  
14 that it includes both chronic and acute. It does not  
15 distinguish just the chronic patients, and that's the  
16 difference.

17           As I understand it, the Panel -- I  
18 understand the Panel process, the FDA is allowed to  
19 receive the input on this. It's a prior indication,  
20 so we do look for you to help us with that. However,  
21 I want to make it very clear that that's not the  
22 indication that we reviewed, and so most of my  
23 presentation will focus where we can, on the chronic  
24 patients. There are certain data we took from the  
25 company's submission of the 510(k) as well as from

1 the literature that combined chronic and acute. They  
2 were not separated. I'll try and indicate those when  
3 I get to them.

4           Now, as the Sponsor mentioned, the excerpt  
5 from the *JBS* article, the implant ReGen Collagen  
6 Scaffold was not found to have any benefit for  
7 patients with an acute injury. And the Sponsor has  
8 said that this is taken out of context. Well, in the  
9 context of 510(k) review and assessing effectiveness  
10 of this device relative to other surgical meshes, the  
11 FDA must consider evidence of effectiveness derived  
12 from clinical trials including the comparison of this  
13 device to the surgical control as originally  
14 identified in the IDE protocol approved by the FDA  
15 and conducted by the company.

16           There we go. Why have the Panel meeting.  
17 First of all, the ReGen Collagen Scaffold has in our  
18 interpretation a new indication for use. To  
19 establish substantial equivalence, FDA must consider  
20 effects of the new indication and what it might have  
21 on safety and effectiveness for legally marketed  
22 predicate devices. We consider why this new  
23 indication does not affect safety and effectiveness  
24 of the device when used as intended by the  
25 manufacturer -- predicate devices labeling. And this

1 is going to be critical later. I'm going to point  
2 out that we review certain indications of the  
3 manufacturer, who do not regulate the practice of  
4 medicine, and so how devices are used as indicated,  
5 as we review them, as what we expect to happen in  
6 clinical practice. FDA must determine if data  
7 reasonably suggests the new device is substantially  
8 equivalent devices, when the predicates are used,  
9 again, in accordance with their labeled indications.  
10 This will become a pivotal point when we later talk  
11 about the way we interpret the Restore DePuy as a  
12 surgical mesh and as a predicate or not.

13           We must rely on valid scientific evidence  
14 from which it can be fairly and responsibly be  
15 concluded by qualified experts that there is  
16 reasonable assurance of the safety and effectiveness  
17 of the device under its conditions of use. And there  
18 are specific questions FDA has for the Panel.  
19 They're in Tab A, and they'll be presented later by  
20 the Executive Secretary, Mr. Jean -- Dr. Jean. I'll  
21 talk about the device. I'll talk about the pre-  
22 clinical information, the clinical data, substantial  
23 equivalence to a predicate device, which is certainly  
24 what this meeting is about, talk about some predicate  
25 device information, and then later you'll have the

1 Panel questions.

2           As you've heard the ReGen Collagen Scaffold  
3 device is a resorbable matrix composed of Type 1  
4 collagen. It is semi-lunar in shape with a  
5 triangular cross-section for use in a meniscus. The  
6 surgeon trims the device to size necessary repair of  
7 damaged or weakened soft tissue. It is sutured in  
8 place through a minimally invasive arthroscopic  
9 procedure. And we note the shape of the device is  
10 unlike the predicate surgical meshes. It is well-  
11 designed for this meniscal application.

12           As pointed out by the company, we asked  
13 them to do a number of pre-clinical tests, the  
14 tensile strength, biocompatibility, viral  
15 inactivation, sterilization, packaging and shelf  
16 life, done by the company. We have no disagreements.  
17 We agree all the information you have in your packet  
18 should be adequate. If you have further questions,  
19 we'll be sure to address them.

20           What we'd like to do is focus on the bench  
21 testing, the suture pull-out strength, the animal  
22 testing, the canine model, and talk very briefly  
23 about the biomechanics of the meniscus compared to  
24 forces in the shoulder. You've already heard a  
25 detailed presentation from the Sponsor about this,

1 and what we're going to do is talk about the  
2 biomechanics of the meniscus compared to the shoulder  
3 with respect to the indications for which we cleared  
4 the DePuy-Restore surgical mesh. So that's where  
5 this is going to come in later.

6           At the bench, the suture retention of  
7 strength of the ReGen CS is similar to predicate  
8 meshes. We note those predicate meshes are not  
9 cleared for meniscal repair. So they are comparing  
10 to the predicates and they are similar, but we note  
11 those are not for meniscal repair. Why is that  
12 important? We asked the company to do a suture pull-  
13 out study from canine native meniscus, and as you'll  
14 see, from these data that we got from the company,  
15 the suture pull-out strength needed for the canine  
16 native meniscus is three to six times higher than  
17 that from the ReGen Collagen Scaffold in the canine  
18 model anywhere from 0 to 24 weeks. So all along,  
19 suture pull-out was substantially less than was  
20 necessary in the canine meniscus. In the environment  
21 that this new indication is indicated for, that's a  
22 concern.

23           Clinical data. So the feasibility study  
24 has been presented. You've seen that and you've seen  
25 some data from Europe. Now, in fact, in the

1 submission in the 510(k), there were limited  
2 published results from Europe. We've seen much more  
3 extensive data that we had not seen in the 510(k)  
4 submission. That's what we were looking at. FDA's  
5 clinical data presentation will focus on the approved  
6 IDE protocol and the IDE data presented in the 510(k)  
7 as well as the article that's been discussed several  
8 times, the Radtke, et al. article that was in *JBJS*.

9           We note, again, that in the context of  
10 510(k) review, we have to look for effectiveness or  
11 benefit, clinical benefit, and we're looking for that  
12 here in the study that we approved, and we think this  
13 is valid and reasonable even in the context of 510(k)  
14 review.

15           So we'll give an overview of this study.  
16 It was a well-designed, randomized control, clinical  
17 trial of the ReGen Collagen Scaffold. It's a multi-  
18 center clinical trial. It was approved in 1996.  
19 Enrollment completed April 2003, and, as you know,  
20 follow-up has continued. Sample size, 144 patients,  
21 72 per group with a minimum of 64 evaluable necessary  
22 to power the study adequately for the effectiveness  
23 endpoints. I'm going to talk about those in a little  
24 bit.

25           The IDE study compared the clinical

1 outcomes of the partial meniscectomy group, that's  
2 the control group, to the partial meniscectomy  
3 followed by the ReGen Collagen Scaffold treatment  
4 group.

5           There were two -- the firm says two study  
6 arms. There's in fact two different protocols.  
7 There is an acute protocol with no previous meniscus  
8 treatment and the chronic group, with a meniscal  
9 injury (1 to 3 previous meniscus treatments). The  
10 only difference between the arms is the number of  
11 prior surgeries. In the 510(k) we reviewed, and I'll  
12 be discussing largely here, they requested clearance  
13 for only the chronic patient group. We've already  
14 pointed out that's a little bit different than what  
15 you've heard today, but as we've already pointed out,  
16 the acute group, the study that we looked at in *JBJS*,  
17 showed no difference.

18           Protocol study endpoints. Safety,  
19 assessment of serum markers and adverse events.  
20 We'll review those in detail. The clinical endpoints  
21 for effectiveness, pre-defined success, either two  
22 out of three, VAS pain score, Lysholm pain and  
23 function knee score, and patient self-assessment.  
24 I'd like to note that the effectiveness, in contrast  
25 to what the Sponsor said was powered for an

1 improvement in the treatment group not just to stay  
2 the same as the very successful partial meniscectomy  
3 group that Dr. DeHaven mentioned.

4 Surrogate endpoints. CS status assessment,  
5 arthroscopy, histopathology, and radiographs, and  
6 we'll talk about some of those data as well.

7 In addition, there were additional  
8 endpoints that were in the protocol. There were 14  
9 of them, including what you'll see bolded in here,  
10 the Tegner Activity Level. This is not the Tegner  
11 Index. We'll talk about that later. But something  
12 called Tegner Activity Level was indeed one of the 14  
13 additional endpoints, and each of those endpoints  
14 have a pre-defined success/failure criteria in the  
15 IDE protocol.

16 So three steps to the ReGen surgical  
17 technique. First, there's the assessment of the  
18 meniscal defect. And we note that the meniscal  
19 defect criteria includes irreparable injury. This is  
20 the same for the partial meniscectomy control group.  
21 So it is the same patient population that we use in  
22 partial meniscectomy. It's for traumatic or  
23 degenerative origin, both attachment sites for the  
24 anterior and posterior horns are intact, as you've  
25 heard already. The site preparation must result in a

1 full thickness defect, and a defect site must extend  
2 into the red/red/ zone or the red/white zone, and  
3 exclude unstable segmental defects in which the  
4 meniscal rim is not intact. And I think this is  
5 consistent with what you heard from the Sponsor.  
6 Then a partial meniscectomy is conducted, and,  
7 finally, there's the preparation of the defect site  
8 and the implantation of the ReGen Collagen Scaffold.

9           The rehabilitations protocol, as you  
10 expect, would be different between the collagen  
11 scaffold and the control group. In the collagen  
12 scaffold, you've got non-weight-bearing with passive  
13 motion of one week, followed by five weeks of partial  
14 weight-bearing with passive motion, and a slow  
15 progression for full activities by six months. In a  
16 successful partial meniscectomy, generally, you get  
17 returned to full activities in two to three weeks.

18           So the patient enrollment. In the chronic  
19 arm, 85 subjects have partial meniscectomy and 69  
20 subjects had only partial meniscectomy. The complete  
21 accounting of the patient enrollment was provided in  
22 the FDA executive summary, and you can also find it  
23 in the *JBJS* article.

24           Primary endpoints were evaluated at the 12  
25 or 24-month endpoint. We note that at the three to

1 seven-year annual follow-up of time points, there's  
2 approximately 50 percent of the data available and 50  
3 percent missing, and it is not clear in our  
4 evaluation of the 510(k) how missing data at time  
5 points later than 24 months affects the presentation  
6 of safety and effectiveness endpoints. So while the  
7 analysis was done and did include data from past 24  
8 months, which is a substantial amount of missing  
9 data, and it is unclear from our review of the 510(k)  
10 how the missing data were handled

11 I'm going to talk about clinical data now.  
12 And, again, this is comparing the ReGen Collagen  
13 Scaffold with the control group. The serum analysis,  
14 we told you it was one of the safety endpoints, no  
15 difference.

16 The serious adverse events, there are  
17 several things to note. First, we'll look at serious  
18 adverse events, and there are two lines in each of  
19 these four rows. Total events divided by total  
20 patients. So you can get multiple events per  
21 patient, and that's expressed more or less as a rate.  
22 Patients with events divided by total patients, so  
23 here in this case, multiple events per patient, the  
24 patient is only counted once, so that would be  
25 expressed properly as a percentage.

1           So, for example, here, in serious adverse  
2 events, there were 21 in the ReGen Collagen Scaffold  
3 patients who had one or more events divided by 87.  
4 That's a rate, a percentage of 24 percent and a 20  
5 percent in the controls. Total events divided by  
6 total patients, 0.43 divided by 0.33.

7           As you would expect the serious device-  
8 related adverse events and non-serious device-related  
9 adverse events largely collect in the ReGen group.  
10 These are data from the firm. We're not exactly sure  
11 how you get device-related adverse events. We just  
12 want to point out that there are non-trivial numbers  
13 of both serious device-related and non-serious  
14 device-related events.

15           In the context of evaluating a 510(k) for  
16 this indication, we're particularly interested in are  
17 there any safety concerns. So are there serious  
18 device-related events that would generally not exist  
19 in this control group. And the answer is yes.  
20 You'll see 14 out of 87 total events and 8 patients  
21 out of 87, or 9 percent. And then non-serious,  
22 higher, .59 is total events for total patients. A  
23 third of patients with the ReGen Collagen Scaffold  
24 experienced at least one non-serious device-related  
25 events.

1           If you look at all adverse events, you do  
2 see this very slight difference in favor of ReGen  
3 Collagen Scaffold. Here 295 total events per total  
4 patients, 3.39 versus 3.48 in the control. But in  
5 terms of patients per events, 85 percent of the ReGen  
6 Collagen Scaffold had some event versus 78 in the  
7 controls.

8           What kind of adverse events are we talking  
9 about? So here, these are data derived from the  
10 Sponsor's submission by the FDA. And so let's take a  
11 look at the serious adverse events, and you'll see  
12 surgery operative index in the knee, tear medial  
13 meniscus, intra-articular swelling and effusion, four  
14 here versus two in the control. Down here, you get  
15 five pain experienced versus control, et cetera. So  
16 totals here are a little higher than in the control.

17           Serious device-related adverse events and  
18 non-serious device-related adverse events, you do see  
19 a couple here that we got from the firm. We want you  
20 to focus on the column about the serious device-  
21 related adverse events and the non-serious events.  
22 In the chronic study arm, these are the kinds of  
23 events we saw, saphenous nerve injuries, squeaking  
24 and creaking, stiffness, numbness of the lower  
25 extremity, patella-femoral complaints, locking or

1 catching, torn implants, plica, lateral meniscus  
2 tear, implant fraying, popping and clicking of the  
3 knee. Those are the additional non-serious device-  
4 related events.

5 And then there were some non-serious  
6 adverse events in general that did not appear to be  
7 device-related, including knee range of motion,  
8 worsening osteoarthritis of the operative knee, and a  
9 tear at implant meniscus interface.

10 Another issue of safety for us is explants.  
11 There were six ReGen Collagen Scaffold explants  
12 during the study, in five patients, one due to  
13 infection and five due to mechanical failure, and  
14 this is from our executive summary.

15 Now, I'm going to turn to the effectiveness  
16 results, and I'm going to draw these data from the  
17 *JBJS* article. And as you've already heard, there are  
18 no differences between the ReGen Collagen Scaffold  
19 and the control group in the three measures pre-  
20 defined in the agreed upon IDE protocol in 1996.  
21 Pain score, no difference, Lysholm score, no  
22 difference, and patient self-assessment, no  
23 difference. So there's no difference in  
24 effectiveness in any of the three pre-defined  
25 endpoints of the original IDE study. And I'll

1 repeat, in the context of looking at the 510(k) even  
2 comparing to predicates, when you're looking at this  
3 kind of indication, all evidence even from this  
4 randomized trial is appropriate.

5           At the one-year relook, there were  
6 surrogate endpoints. There is the Outerbridge score,  
7 which is the evaluation of articular cartilage  
8 surface. And you'll see that in the collagen  
9 scaffold, pre-op was 1.5, went to 1.3, and there's  
10 1.7 in the control group, and as you've heard from  
11 the Sponsor, no one-year relook was performed. The  
12 evaluation of the ReGen Collagen Scaffold attachment  
13 to meniscal rim, firmly attached, 84 percent, and not  
14 firmly attached, 16 percent. And change in knee  
15 compartment for the ReGen CS subjects -- and here,  
16 notice in both of these, acute and chronic arms are  
17 combined. We did not have them separately from the  
18 company. Improved, 23 percent, unchanged, 59,  
19 worsened, 18 percent. So, again, in effectiveness,  
20 some of the things we're looking at here, and we see  
21 a worsening in the change of knee compartment for 18  
22 percent, or 25 of 141. The reason you see 141 is  
23 because we're talking about both the acute and  
24 chronic arms. We did not have those data separate.  
25           Surrogate endpoints. Cellular in-growth.

1 Here, we're talking again about the acute and chronic  
2 arms marked with cells resembling fibrochondrocytes,  
3 45 percent, marked 20 percent slight and none.  
4 Extracellular matrix organization, here, you see the  
5 proportions of fibrocartilaginous tissue, sections of  
6 continuous chondroid matrix, random organization, or  
7 no matrix organization. And I'll note here and maybe  
8 again later that we saw, the FDA saw, no evidence  
9 that true meniscal tissue oriented in the right way  
10 and collagen was being produced supplanting the  
11 collagen scaffold region. That's one of our  
12 concerns. The tests that were done  
13 histopathologically are not convincing to tell us  
14 that we have Type 1 or 2 collagen nor that it's  
15 oriented in the way the meniscus needs to, to perform  
16 the function necessary in that region.

17 Inflammatory response, acute and chronic  
18 arms, minimal to none, 94.7 percent, 0.8 mild, 0.8  
19 moderate, severe, and 2 percent missing --  
20 inflammatory response. Again, acute and chronic arm  
21 data are presented together.

22 Radiographic evaluation is here. Surrogate  
23 endpoint with a radiographic evaluation. Change from  
24 pre-op for combined acute and chronic study arms.  
25 Take a look here fairly directly at the P-values. No

1 difference between 12 months and 24 months between CS  
2 and control group, whether you're talking about  
3 osteophyte formation, Fairbank-Ridge, et cetera, et  
4 cetera, so all the measures and parameters evaluated,  
5 no statistical differences between collagen scaffold  
6 and the surgical controls.

7           Another effectiveness measure is the amount  
8 of tissue. And you'll see here collagen scaffold  
9 versus control group, percent meniscus remaining here  
10 and here. As you can imagine, percent defect filled  
11 not measured in the control group, only here in the  
12 CS group. Percent tissue surface area here, and this  
13 proportion here, this 40 percent, this mean, is drawn  
14 from here. It's assumed by the Sponsor, and it's  
15 reasonable that without intervention that there would  
16 not be more tissue surface area here. Again, I'd  
17 like to cite that the type of tissue here that's  
18 being grown, we don't have evidence from the Sponsor  
19 that we were able to evaluate to show that we're  
20 talking about Type 1 or 2 collagen.

21           The Sponsor places a lot of emphasis on the  
22 Tegner Index. And so we'd like to point out from the  
23 IDE protocol that, first of all, the Tegner Index was  
24 not a pre-specified endpoint. What's related is the  
25 Tegner Activity Level that was one of 14 additional

1 endpoints. And in the *JBS* article, the chronic CS  
2 patients regained more lost activity level than did  
3 the controls, here. But information that's important  
4 to us to evaluate whether this Tegner Index is  
5 meaningful was the mean score at annual time points  
6 and follow-up rates. The data analyzed in the Tegner  
7 Index appears to us to have been after the two-year  
8 follow-up, and all data was used but with variable  
9 cut-off. And with an enormous amount of missing  
10 data, it's almost impossible to tell exactly what the  
11 meaning of the Tegner analysis is in this context.  
12 In addition, it was not done as a pre-specified  
13 endpoint, and since all of the primary endpoints  
14 failed, we are at a loss to understand the analysis  
15 plan for the secondary or tertiary analysis of the  
16 Tegner Index.

17           Some more information here on Tegner  
18 Activity Level, mean scores. Most recent report for  
19 both the CS and control chronic arm patients provided  
20 in IDE annual report. Follow-up was 70 percent at 12  
21 and 50 percent at 24 months. No difference at 12  
22 months and only a 0.6 point difference at 24 months.  
23 And, again, some questionable analysis technique to  
24 figure out what this will mean after 24 months.  
25 These data are provided in the IDE annual report,

1 2003.

2           The clinical significance of the Tegner  
3 Index has not been reported in the literature as we  
4 understand it. And, again, we and the firm can argue  
5 about this. That is, we think it's designed to  
6 complement other functional scores, for example, the  
7 Lysholm knee score for patients with ligamentous  
8 injuries. Lysholm was one of the primary endpoints,  
9 was not found statistically significant in the  
10 original design. If the firm had wanted to have  
11 Tegner Index as a primary endpoint and had specified  
12 it, we may be having a different analysis plan, but  
13 we don't. We have the plan that was given at the  
14 time of the protocol.

15           Reoperations is an issue that you can find  
16 in the *JBJS* article. And so you'll see eight  
17 reoperations in the control group and 15  
18 reoperations -- I'm sorry -- in the CS group -- I  
19 apologize -- and 15 in the control group. However,  
20 the *JBJS* article did not include five reoperations in  
21 the control group and 17 reoperations in the CS  
22 device patients. The reasons provided for removing  
23 those reoperations, reoperation on the same patient,  
24 four in CS, five in the control, procedure during the  
25 one-year relook, n=10 for the collagen scaffold

1 group, and reoperation not related to meniscus, n=3,  
2 evaluation of saphenous nerve, excision of neuroma,  
3 and infection/device removal. And so the rationale  
4 given for these being removed is that they were  
5 incidental operations.

6           So we had our orthopedic surgeon,  
7 Dr. Barbara Bruch (ph.), look at it and develop our  
8 own subjective reoperation inclusion criteria. For  
9 the controls, we included anything that could be  
10 considered a failure of the meniscectomy, and if the  
11 procedure was due to trauma, excluded.

12           For ReGen Collagen Scaffold, we excluded if  
13 the procedure was solely due to the second-look  
14 arthroscopy. If during the second look additional  
15 procedures were performed and accompanying meniscal  
16 or medial symptoms and pain were noticed, then the  
17 patient/procedure was considered to have had an  
18 additional procedure or reoperation. So we counted  
19 those. All explants included as considered procedure  
20 or device-related, procedures to repair or revise,  
21 for example, smooth the edges or repair tears in the  
22 device, were also included. And similar to the  
23 controls, if the procedure was due to the new trauma,  
24 it was excluded.

25           So our analysis showed that you compare

1 whether it's number of procedures or number of  
2 patients between the CS and the control group,  
3 basically you get 18 or 17 procedures or patients in  
4 the CS group and 11 in the control group. So our  
5 analysis of the reoperations is not consistent with  
6 the company's analysis.

7           Now I'm going to turn to talking about  
8 substantial equivalence to a predicate device. And  
9 quite a bit has been made of this by the Sponsor, and  
10 I've already noted previously that in the context of  
11 looking at this indication in the knee environment,  
12 where there will be significant load-bearing, we  
13 believe that we should be looking for how this will  
14 work as indicated by the Sponsor.

15           So from Code of Federal Regulations, a  
16 surgical mesh is a metallic or polymeric screen  
17 intended to be implanted to reinforce soft tissue or  
18 bone where weakness exists. Examples of surgical  
19 mesh are metallic and polymeric mesh for hernia  
20 repair and acetabular and cement restrictor mesh used  
21 during orthopedic surgery.

22           As outlined in Table 1 of the FDA executive  
23 summary, current predicate surgical mesh devices are  
24 indicated for patients to reinforce soft tissue where  
25 weakness exists, including the following, rotator

1 cuff, hernia, anal, rectal and enterocutaneous  
2 fistulas, urethral and vaginal prolapse repair, colon  
3 and rectal prolapse repair, reconstruction of the  
4 pelvic floor, bladder support, soft tissue of the  
5 lung. There are no legally marketed surgical mesh  
6 devices indicated for the reinforcement and repair of  
7 chronic soft tissue injuries of the meniscus. We  
8 note this is critical because you're talking the  
9 weight-bearing situation in the knee.

10           And we'll contrast that, as the firm has  
11 done, with DePuy Restore Surgical Mesh. This is one  
12 of the key points, although not the only point the  
13 firm is trying to make about its predicates, but we'd  
14 like to talk about this one in some detail because we  
15 and the Sponsor have a disagreement here. So this is  
16 a surgical mesh indication for use cleared by the  
17 FDA. It is for use in general surgical procedures  
18 fro reinforcement of soft tissue where weakness  
19 exists. In addition, the implant is intended for use  
20 in the specific application of reinforcement of the  
21 soft tissues which are repaired by suture or suture  
22 anchors during rotator cuff repair surgery. The  
23 Restore implant is not intended to replace normal  
24 body structure or provide the fully mechanical  
25 strength to repair the rotator cuff. Sutures to

1 repair the tear and suture or bone anchors to  
2 reattached the tissue to the bone provide the  
3 mechanical strength for the rotator cuff repair. The  
4 Restore implant reinforces soft tissue and provides a  
5 resorbable scaffold that is replaced by the patient's  
6 own soft tissue.

7           And so we've highlighted these issues of  
8 what it's for, repair by suture or suture anchors,  
9 and where the load is going to be born by the suture  
10 or bone anchors. This is the indication FDA cleared.  
11 That is not to say it that it is not used in other  
12 ways. This is what we cleared, and this is the  
13 indication that we reviewed for Restore.

14           So when you compare the surgical mesh, the  
15 rotator cuff does stabilize and support the shoulder  
16 joint. And our clearance of that device was for the  
17 use of this surgical mesh, the Restore mesh, in the  
18 rotator cuff, to create a smooth area over a suture  
19 repair. That was the intent of the clearance for the  
20 510(k) that Restore gained.

21           So, here, this is pictures from the DePuy  
22 Restore surgical treatment, and we copied it with  
23 their permission to show where the overlay is and  
24 where the support is supposed to be gained by the  
25 sutures. And so this is a rotator cuff not

1 replacement but an overlay.

2 I'm sorry. I don't know why this is in  
3 there. Okay. Oh, I'm sorry. Now I know. I  
4 apologize. So we're going to contrast that with the  
5 surgical technique suggested by ReGen. Again,  
6 remember, we're talking about irreparable injury for  
7 the meniscus and how its prepared. Then there's the  
8 partial meniscectomy followed by preparation of  
9 defect site and implantation. And, clinically, if  
10 you look at this, it's going to be quite different  
11 than the way in which the technique for Restore is.

12 So you've got the tear. You saw the dotted  
13 outline from the Sponsor and how this mesh will be  
14 used. And we ask the Panel to inquire what will  
15 happen with the mesh in this place, with this  
16 collagen scaffold and what kind of forces it will  
17 bear, and we look for your dialogue about this.

18 When we're reviewing within 510(k) a review  
19 of surgical mesh with new indications, the type of  
20 data that we will ask for will depend on the new  
21 indication. For example, differences in clinical  
22 situations, the specific indication the Sponsor is  
23 requesting or specifics about the products will  
24 suggest more or less data in biocompatibility,  
25 sterility, bench or animal testing, and varying

1 degrees of clinical data. So a new indication with  
2 certain kinds of clinical situations that might be of  
3 concern would be a case where to establish  
4 effectiveness or safety, we might see, need to see a  
5 lot of clinical data. The Sponsor's executive  
6 summary and 510(k) include statements concerning how  
7 FDA determined substantial equivalence for legally  
8 marketed predicates, and we actually disagree with  
9 the characterization of their FDA determinations.  
10 And the firm is not privy to the information FDA  
11 reviews for all of its predicate products.

12           So in the case of Restore, for example, we  
13 saw from the firm their interpretation of how Restore  
14 is used or what they got from the literature. I'm  
15 showing you what we cleared and the data relevant to  
16 Restore. So there's a little difference here and may  
17 be worth discussion by the Panel.

18           I want to summarize now. The clinical  
19 environment for this indication is one where there  
20 are weight-bearing forces that will certainly apply  
21 to the ReGen Collagen Scaffold. While the ReGen  
22 Collagen Scaffold is designed to be replaced by  
23 meniscal tissue, we have seen no evidence that the  
24 tissue replacement for the collagen scaffold is  
25 meniscus-type. We don't know that it's Type 1 or 2

1 collagen, no evidence of that.

2 Safety issues. The treatment group of the  
3 ReGen Collagen Scaffold device has, as you would  
4 expect, some, and we think significant, device-  
5 related adverse events. The explants, the six  
6 explants you saw in five patients, suggest mechanical  
7 failures of the device are possible.

8 In the effectiveness side of this, the  
9 ReGen CS did not attain significance compared to the  
10 partial meniscectomy group in any primary endpoint.  
11 So we see no evidence of clinical effectiveness. And  
12 the analysis of the two -- I'd rather call them --  
13 additional clinical endpoints, the Tegner Index is a  
14 post-op endpoint done with possibly many analyses.  
15 We do not know how many analyses were done of the 14  
16 endpoints, and so the analysis for the FDA is  
17 questionable and in the presence of no primary  
18 endpoint further questionable. And, finally, the  
19 reoperations that the firm cites, the inclusion and  
20 exclusion criteria we believe were subjective. Our  
21 analysis of our own criteria suggest possibly a  
22 different outcome.

23 That's my summary. I want to thank the  
24 Panel again and the Sponsor. I'll try and take as  
25 many questions as I can. And I only note that I'm

1 the Director of the Office of Science and Engineering  
2 Lab, so my background is mostly  
3 statistical/mathematical.

4 DR. MABREY: Thank you. Could we have the  
5 lights back up, please? I'll start with Colonel  
6 Shawen. Do you have questions for the FDA?

7 LTC SHAWEN: Just one quick question. You  
8 had mentioned the canine pull-out, and you said  
9 necessary strength, and I don't understand how you  
10 determined what's necessary strength. Do we have --  
11 essentially, you showed that the canine meniscus had  
12 a certain strength and that the collagen scaffold was  
13 less than that. And then you made a statement saying  
14 it did not reach the necessary strength.

15 DR. KESSLER: Oh, I'm sorry if I said --  
16 that's a misstatement. We just wanted to tell you  
17 that we were looking in the pre-clinical data for  
18 suture pull-outs to look at the strength of the  
19 tissue that would be there because you're talking  
20 about a weight-bearing situation. And we're trying  
21 to figure out whether it's going to have the kind of  
22 strength necessary for the forces bearing it. And  
23 it's just very much less than the native meniscus of  
24 the canine.

25 LTC SHAWEN: Because what I'm trying to

1 understand is what is that necessary strength. I  
2 don't think that that was established.

3 DR. KESSLER: Good point. Fair.

4 LTC SHAWEN: I don't have any other  
5 questions right now.

6 DR. MABREY: Okay. Dr. Kadrmas?

7 MAJ KADRMAS: Similar to that, when you  
8 said the strength being far less, as far as pull-out  
9 strength, I think suture pull-out strengths in the  
10 meniscus probably aren't as important as they are in  
11 the rotator cuff in the Restore -- being as pull-  
12 out -- primary failure mode. Most of the rotator  
13 cuff repairs and not for meniscal repair, it's  
14 usually not -- we don't see failure as being pulled  
15 through the meniscus. So that may be something that,  
16 in my mind, is less relevant for this particular  
17 surgical mesh.

18 The other thing that I was a little bit  
19 interested in was the discussion of Outerbridge  
20 classification, and you said there was a concern that  
21 18 percent of those worsened after the implant. And  
22 I think most would agree that articular cartilage and  
23 Outerbridge classification is a progressive thing. I  
24 think the more surprising fact is that 30 plus  
25 percent improved, again, this being a subjective

1 thing. In a chronic study arm, chronic being, you  
2 know the definition one to three surgeries, you know,  
3 the question is, is that -- pathway already gone down  
4 that pathway and is surgery going to -- or  
5 meniscal -- increase of meniscal tissue going to  
6 change that? That's probably a pretty wide debate.

7           But I think that the main concern for me  
8 anyway is that I don't -- I wouldn't expect with any  
9 of these studies for there to be a huge improvement  
10 or difference in the control between -- versus the  
11 implant at two years in a chronic study group. I  
12 think the more important thing for me is that we  
13 don't see a large decrease in their function or a  
14 large worsening of the function at two years. Two  
15 years in a chronic treatment group after a particular  
16 treatment is not a very long time to see any  
17 improvement. So the fact there's no difference for  
18 me isn't concerning. The fact that there isn't a big  
19 decrease in function and drastic increase in  
20 complication rate I think is important. But I don't  
21 know if you want to comment on some of the  
22 Outerbridge classifications, or anything.

23           DR. KESSLER: Not particularly. I just  
24 want to comment on the follow-up, two years versus  
25 longer. The firm does have longer follow-up, but --

1 LTC SHAWEN: True.

2 DR. KESSLER: -- a lot of the analysis was  
3 to cut off at two years in the original design.  
4 We've received further analysis of follow-up data,  
5 but in terms of the FDA, it's hard to tell because  
6 it's an uneven random cut off. I mean, if you're  
7 saying that longer-term data would be necessary, I  
8 think that's an important point for the Panel to  
9 consider.

10 DR. MABREY: Other questions? Dr. Potter?

11 DR. POTTER: Some of my concerns is around  
12 the subjective nature of some of the outcome. For  
13 example, the operative surgeon doing the Outerbridge  
14 classification as opposed to an independent  
15 assessment of cartilage wear. We do have the  
16 radiographs. They are at best a very indirect  
17 assessment of arthritis. The assessment of tissue  
18 regeneration, as previously stated, again was  
19 somewhat subjective. And so the numbers generated  
20 from those data are somewhat drawn into question  
21 about the reproducibility.

22 But to that end, did you require in any  
23 similar predicate device more objective outcome  
24 assessment than was seen today?

25 DR. KESSLER: Well, in the middle of that

1 was your question similar predicate device, and what  
2 the FDA would like to argue is that we have cleared  
3 no devices for meniscal repair. In this clinical  
4 situation -- one that concerns us because of the  
5 force we believe that would be experienced by a  
6 product in this region. So, you know, I can say at  
7 one point, no. The answer is no we haven't asked for  
8 any, but we haven't been looking at any for this  
9 specific indication. Generally, though, I think what  
10 you're more asking about is, generally, surgical  
11 meshes, are we asking for this level of  
12 reproducibility? I think I'm going to say probably  
13 not. I got a shake of the head. Probably --

14 DR. POTTER: Okay.

15 DR. MABREY: Dr. Endres?

16 DR. ENDRES: Just a quick question. I'm  
17 not familiar with the literature regarding the use of  
18 surgical mesh in general surgery or any of those  
19 areas, but I think I'm fairly familiar with the  
20 literature regarding the use of mesh for shoulder  
21 surgery. And, in fact, there is a paucity of  
22 literature, at least my understanding is, that shows  
23 really any benefit of currently, clinically, the use  
24 of surgical mesh in the shoulder. Would you agree to  
25 that statement or is there some literature that I'm

1 not aware of?

2 DR. KESSLER: I would not know, and I would  
3 probably turn to the guys that we have who are  
4 experts in that area. I am unaware of any. I just  
5 want to point out that the Restore product  
6 specifically was cleared for the indication we talked  
7 about, the covering, not for repair.

8 DR. MABREY: Okay. Dr. Kelly?

9 DR. KELLY: Thank you for that very, very  
10 succinct presentation. Couple questions. Could you  
11 elaborate further on the second procedures, how your  
12 dissection of that cohort show that many of them had  
13 additional pathology. But did all of them also have  
14 symptoms? I wasn't clear about that.

15 DR. KESSLER: I'm not sure what you're  
16 referring to. I'll --

17 DR. KELLY: When you broke down the second  
18 procedures that were sort of incidentally performed  
19 at one year --

20 DR. KESSLER: Ah, the relook? Hang on.  
21 Let's go back to that --

22 UNIDENTIFIED SPEAKER: For reoperation or  
23 relook?

24 DR. KESSLER: You talking reoperation?

25 DR. KELLY: At the relook --

1 DR. KESSLER: At the relook?

2 DR. KELLY: You qualified second procedures  
3 as if an intervention was done at the second look if  
4 incidental pathology was found.

5 DR. KESSLER: Yeah --

6 DR. KELLY: But I also read in the text,  
7 though, it seems that -- did all those patients also  
8 have symptoms or that's not qualified?

9 DR. KESSLER: That was not qualified. So  
10 here -- I think this is the slide you're talking  
11 about. So we looked at reoperations and developed  
12 our own inclusion/exclusion criteria, which,  
13 admittedly, are subjective for the ReGen group.  
14 Okay. And what we did not exclude was as follows,  
15 but I think here's where your -- is this what you're  
16 asking here, if during the second look additional  
17 procedures were performed and accompanying meniscal  
18 and medial symptoms/pain were noted then the  
19 patient/procedure was considered to have an  
20 additional procedure? Is that what you're asking?

21 DR. KELLY: Yes, yes. That's sort of  
22 implying that -- well, not implying. It's stating  
23 they all had symptoms. So it must have been known to  
24 the surgeon --

25 DR. KESSLER: Right.

1 DR. KELLY: -- that they had a complaint.

2 DR. KESSLER: Yes, exactly. If they did at  
3 the relook -- if at the relook they said, "I'm having  
4 pain," and it was recorded, we would then include  
5 that as part of the reoperations. Those may have  
6 been excluded by the firm because they were done at  
7 the relook. They might have -- the firm said we  
8 consider some of these incidental. We said, look, if  
9 we think that you've got symptoms or pain during the  
10 relook, that seems to us to be worth including as a  
11 reoperation that would count "against" either the CS  
12 or the control group.

13 DR. KELLY: All right. And just as a  
14 distillation of the data, am I correct in saying that  
15 if you look at the presentation by this morning's  
16 doctors that there was significance in pre and post  
17 scores from the pre and post evaluations in the  
18 control -- or the chronic and acute. But what you're  
19 really saying is that the controls versus the  
20 intervention there was no significant difference?

21 DR. KESSLER: Correct. But the  
22 presentations are very different in that sense. In  
23 the firm -- and those are data we evaluate in the  
24 510(k) so it's not as if we haven't seen it. The pre  
25 to post changes in the treatment group only were

1 statistically significant as presented by the firm.

2 DR. KELLY: Right.

3 DR. KESSLER: The design of the IDE was to  
4 compare changes between pre-imposed treatment versus  
5 control, no difference, no difference, and I'll say  
6 it again, no difference.

7 DR. KELLY: And one final question. Thank  
8 you for your kind responses, but if you look at these  
9 50 percent follow-up, was there anything in that data  
10 that would at least imply maybe some sort of  
11 selection bias?

12 DR. KESSLER: None that we're aware of. I  
13 will say that after the two years, when you start  
14 getting fewer and fewer data points and the analysis  
15 of that has been very hard, we just don't have enough  
16 data to tell you, but we had no indications of bias.

17 DR. KELLY: Thank you.

18 DR. MABREY: Colonel?

19 COL KRAGH: I have no questions at this  
20 time.

21 DR. MABREY: Dr. Propert?

22 DR. PROPERT: I'm still struggling with  
23 these sample sizes that I now see bouncing around  
24 even more than I saw before. Part of that is because  
25 I misread the consort diagram when I first saw it,

1 which is presented in an unusual way. But I have a  
2 specific question about one of your slides regarding  
3 sample sizes. Most of the things refer -- excuse me  
4 -- to their being 85 patients in the ReGen group.  
5 Your AE slide said 87. Can you explain where those  
6 extra two came from? It's unusual to see sample  
7 sizes go up.

8 DR. KESSLER: Right, I know. Pardon?  
9 Okay. These are data from the Sponsor, and we're not  
10 exactly sure. And if you wouldn't mind, can we take  
11 that offline and we can ask them about it and come  
12 back with an answer? Those data, the 85 and the 87  
13 was the data that we got from the Sponsor, not our  
14 data, and we understood -- we saw that discrepancy,  
15 too. I was sort of hoping you wouldn't notice it.

16 DR. MABREY: And we'll have time this  
17 afternoon for both groups to address that issue.  
18 Ms. Dalrymple?

19 MS. DALRYMPLE: Okay. I have a question.  
20 The first one is that --

21 DR. KESSLER: Could you speak in the mike a  
22 little?

23 MS. DALRYMPLE: Oh, I'm sorry. How do you  
24 get six out of five or, yeah, six out of five  
25 explants? So does that mean that --

1 DR. KESSLER: No, five patients with six  
2 explants. One patient had two.

3 MS. DALRYMPLE: Okay. So when they do the  
4 original explant, they don't actually remove all of  
5 the CS ReGen?

6 DR. KESSLER: Oh, they had two implants in?

7 UNIDENTIFIED SPEAKER: They removed the  
8 first one --

9 DR. KESSLER: Then they implanted the  
10 second?

11 MS. DALRYMPLE: Oh, okay.

12 DR. KESSLER: Sorry.

13 MS. DALRYMPLE: So then the second implant  
14 also then had to --

15 DR. KESSLER: Was -- came out.

16 MS. DALRYMPLE: Is there a time frame  
17 between the first removal and the second removal?

18 DR. KESSLER: In that one patient?

19 MS. DALRYMPLE: Was it immediate or --

20 DR. KESSLER: No, no, no. It was certainly  
21 not immediate --

22 MS. DALRYMPLE: Okay.

23 DR. KESSLER: And I'd have to look. We'll  
24 have to look at the data specifically.

25 MS. DALRYMPLE: Okay. And then the other

1 question I guess goes back to my first question to  
2 the Sponsor panel was, again, about the  
3 rehabilitation period because here it says that in  
4 your Slide 19 or -- 19 I guess -- it says that the  
5 difference was actually two to three weeks in the  
6 control group up to one, five, six months --

7 DR. KESSLER: Um-hum.

8 MS. DALRYMPLE: -- in the ReGen group?

9 DR. KESSLER: That's right.

10 MS. DALRYMPLE: Which is that something  
11 that there should be a direction to the  
12 rehabilitation and should there be a comment whether  
13 it was different in that group versus the --

14 DR. KESSLER: No, this is more -- this  
15 descriptive. So let me take a step back. What are  
16 we trying to evaluate? We're trying to find out  
17 whether the ReGen Collagen Scaffold when placed in  
18 the meniscus for a repair in this region is going to  
19 be safe, is going to be effective. That's what we're  
20 trying to figure out, and it is like other surgical  
21 meshes. I mean, that's what we're talking about. So  
22 in the context of like surgical meshes, we'll do pre-  
23 clinical testing, et cetera, et cetera, but we're  
24 looking in this region where we see significant low-  
25 bearing situations. We want to see is it safe and is

1 it effective. So we're trying to understand what  
2 we're looking at. And in this case, we're just  
3 trying to give you a clinical description that the  
4 rehabilitation protocol for the collagen scaffold  
5 patients will be significant. There will be up to  
6 roughly six months of down time before you get back  
7 to full activities, which contrasts with the control  
8 group.

9 MS. DALRYMPLE: Right.

10 DR. KESSLER: No, there's no advantage. So  
11 we're trying to figure out what are all the potential  
12 safety issues versus all the effectiveness. So we --  
13 quite descriptive here. And this is something that I  
14 think the surgeons will tell you for ReGen you would  
15 expect this kind of rehabilitation protocol. We're  
16 not -- this is not a criticism. This is descriptive  
17 of what's going on with the more significant surgery  
18 and needing a collagen scaffold area to repair, this  
19 is what you're going to experience. And --

20 MS. DALRYMPLE: Would that --

21 DR. KESSLER: -- evidence of no  
22 effectiveness, this may be concerning.

23 MS. DALRYMPLE: Would that in any way  
24 minimize the type of patient that should be available  
25 for this type of product?

1 DR. KESSLER: Not that I'm aware of.

2 MS. DALRYMPLE: Okay. Thank you.

3 DR. KESSLER: Thank you.

4 DR. MABREY: Dr. Spindell?

5 DR. SPINDELL: Hi, thanks. Could we have  
6 Slide 19, please?

7 DR. KESSLER: I can.

8 DR. MABREY: And could we get closer to the  
9 microphone?

10 DR. SPINDELL: Oh, I'm sorry, sorry --

11 DR. KESSLER: Not at all.

12 DR. SPINDELL: Sorry. So this slide we  
13 talked about. I mean, obviously, in this comparison  
14 group, there's -- this -- I mean, obviously, because  
15 device-related events, it's obviously  
16 significantly -- because in one group there is no  
17 device.

18 DR. KESSLER: Yes, correct.

19 DR. SPINDELL: Okay. So and I know you've  
20 commented on using all the information available, but  
21 my understanding is that in the evaluation of 510(k),  
22 it's substantial equivalence to a predicate device.  
23 So in the control arm, what is the predicate device?

24 DR. KESSLER: There is none in the -- the  
25 control arm is just the partial meniscectomy group.

1 So we're not comparing -- good point. We're not  
2 comparing a surgical mesh to another surgical mesh.  
3 We're trying to figure out how does this surgical  
4 mesh work in this indication?

5 DR. SPINDELL: Okay. I understand that.  
6 And in that vein, did you look at this data and  
7 compare it to other published literature data and  
8 other surgical meshes which are -- predicate devices  
9 for rates of adverse events with devices?

10 DR. KESSLER: No.

11 DR. SPINDELL: And was there a reason for  
12 that?

13 DR. KESSLER: Well, when you look at --  
14 there is one chart from the firm about adverse  
15 events, and you take a look at adverse -- other  
16 surgical meshes, and you see that theirs is  
17 relatively low and relatively similar to other  
18 predicate meshes. There's one very tall bar from  
19 another product. That tall bar happens to be -- 90  
20 percent to recalls not related to the device. So  
21 it's in the same range, that is, what we would expect  
22 to see from other surgical meshes globally.

23 DR. SPINDELL: Okay. So as far as  
24 substantial equivalence in forms of device-related  
25 events, even though I know there's not tons of data,

1 but the data to other surgical meshes does not seem  
2 to be unusually large?

3 DR. KESSLER: In other indications, not  
4 that we're aware of.

5 DR. SPINDELL: Okay. Great.

6 DR. KESSLER: But remember, we're talking  
7 about other surgical meshes not cleared for this  
8 indication.

9 DR. SPINDELL: I understand that.

10 DR. KESSLER: Okay. Good.

11 DR. SPINDELL: Could you go to 31?

12 DR. KESSLER: I can.

13 DR. SPINDELL: Hope I got my numbers right  
14 here.

15 DR. KESSLER: Yeah, and, if not, I can move  
16 around. Is this it?

17 DR. SPINDELL: Yeah, okay, so this is --  
18 and this gets back to Dr. Kelly's point about the  
19 relook and the reoperation. I understand the  
20 difficulties in separating them out. I guess I'm  
21 having a hard time with the relooks at one year since  
22 the control group didn't get relooks at one year.  
23 Did we look at how many patients at one year had  
24 similar symptoms of pain to the people who got the  
25 relook and the surgery? Because my guess is a lot of

1 these patients, and of course, I'll leave it to my  
2 surgical colleagues, a lot of these patients in a  
3 year would have some pain, right?

4           So the control group doesn't necessarily  
5 get operated for the pain, depending on the level of  
6 the pain, because I don't know if we have  
7 quantitation [sic] of level of the pain. So they  
8 would not get relooked and who knows what they would  
9 find as opposed to the group that had the relook and  
10 they may happen to have pain at the time and surgeon  
11 that's in there, of course he's going to do whatever  
12 he can about the patient. So I understand your  
13 struggle with that as well, but I just wanted to  
14 bring that out that I think that's a really tough  
15 call either way.

16           DR. KESSLER: So, first of all, I want to  
17 agree. It is a tough call.

18           DR. SPINDELL: Right.

19           DR. KESSLER: I mean, there are certainly  
20 ways you could rationalize this. I'll tell you that  
21 it was not pre-specified in the protocol, so a little  
22 bit tricky when you're trying to do science and  
23 figure out what was and was not. These  
24 inclusion/exclusion criteria were not pre-specified.  
25 So they had to be created. Now, I'm not saying that

1 they're wrong or right but there are other ways of  
2 doing it.

3           Your point about the controls is excellent.  
4 I do not know the answer. When we take the break,  
5 I'll try and come back after lunch to find out what  
6 do we know about the controls at about one year and  
7 are we trying to compare in the reoperations apples  
8 to apples.

9           DR. SPINDELL: Right.

10           DR. KESSLER: Or in terms of the relook,  
11 did that introduce an orange in the mix?

12           DR. SPINDELL: Right.

13           DR. KESSLER: And, essentially, I think we  
14 were asked earlier about radiographic evaluation, and  
15 other things, when you're doing different things with  
16 the controls at one year, you know, then it makes  
17 some of the scientific comparisons difficult. And  
18 I'm sympathetic to you guys to try and struggle with  
19 us and to the Sponsor as well.

20           DR. SPINDELL: Right. Okay.

21           DR. KESSLER: I'll try and get you an  
22 answer as to what was going with the controls.

23           DR. SPINDELL: That's okay, and I just --  
24 again -- I think there's a lot of, as you pointed  
25 out, there's a lot of difficulties interpreting some

1 of this data, and there is some subjectivity here.  
2 Could we go to Slide 36, because the Sponsor -- this  
3 is just the other indication information. I know  
4 that the Sponsor also this morning mentioned -- I  
5 think I wrote this down -- there's been mesh approved  
6 for Achilles tendon and patella tendon as well.

7           So that's a wide variety of clinical  
8 applications and a wide variety of different stresses  
9 and tensile strengths, and you guys know more about  
10 the hoop stress, stuff like that. Yet, those were  
11 approved. You know, very different indications were  
12 approved with almost no clinical data. So what did  
13 the FDA look at when they approved, say, the patella  
14 tendon, the Achilles tendon. There was one for a  
15 spine, which seemed like a very, you know, different  
16 application than a hernia, but no clinical data.

17           DR. KESSLER: I'm going to take that  
18 question after lunch.

19           DR. SPINDELL: Okay.

20           DR. KESSLER: Okay? Please?

21           DR. SPINDELL: Okay.

22           DR. KESSLER: Thank you.

23           DR. SPINDELL: Thanks.

24           DR. MABREY: Great. It's about a quarter  
25 to 12. I'd like to give everyone an hour for lunch.

1 I'd like to come back at 12:45. And I would remind  
2 you that this room will be closed down during the  
3 lunch period. If you need any of your materials,  
4 please take them with you, and we'll reconvene the  
5 Panel meeting at 12:45 in this room.

6 (Whereupon, at 11:47 a.m., a lunch recess  
7 was taken.)

8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25



1 device to the surgical control.

2           Specifically, Dr. Shindell [sic] asked  
3 about what about other surgical meshes --

4           DR. SPINDELL: Spindell. Shindell --

5           DR. KESSLER: Oh, sorry.

6           DR. SPINDELL: I'm Spindell.

7           DR. KESSLER: I thought I said Spindell --

8           DR. SPINDELL: You said Shindell.

9           DR. KESSLER: Oh, did I? I'm sorry. So  
10 the responsibility for conforming to precedent is  
11 FDA. So what we have to do is we have to make sure  
12 we are conforming to precedent. We take that very  
13 seriously. There have statements made by the Sponsor  
14 we slightly disagree with. However, we are not  
15 really in the position to disclose some of the  
16 details about the base on which some of those  
17 510(k)'s were cleared.

18           But, as an example, you mentioned a number  
19 of indications, for example, a surgical mesh in the  
20 spine. So as an example of that specifically, that  
21 mesh was cleared after a bone graft operation, and  
22 it's a bag or a covering, so it's not a supporting  
23 kind of surgical mesh. So, again, we return to  
24 looking at this surgical mesh. We're trying to  
25 figure out what surgical mesh is equivalent for this

1 indication, meniscus repair. Since we have cleared  
2 none for this specific indication, we're trying to  
3 figure out what are the appropriate data that we need  
4 in terms of effectiveness and safety, is a challenge.  
5 It's one of the reasons we're turning to this Panel  
6 very specifically what are the right data? What are  
7 the right questions to ask?

8           So I want to go back briefly to the time  
9 frame that you asked about, about that one patient.  
10 So the one patient was explanted because of pain at  
11 four months, and, apparently, the clinical records  
12 suggest that the individual was actually on -- was  
13 working out on a treadmill perhaps causing the  
14 mechanical failure of the device. He was then  
15 reimplemented, pain repeated, this time after  
16 stationary bike work, and that was explanted at six  
17 months. The explants of the six range anywhere from  
18 17 days soonest to six months or beyond. So that's  
19 your explant answer.

20           Somebody asked a really interesting  
21 question about the control group and the  
22 reoperations. What did we know about the  
23 reoperations at one year? What was the control group  
24 doing, and could they have actually had the same kind  
25 of symptomatology, maybe they should have been

1 reoperated on. So we don't have complete  
2 information, but here's what I can tell you.

3           So pain was evaluated at one year for both  
4 the treatment and the control group in the original  
5 IDE study. As we pointed out, there were no  
6 differences in the VAS pain score, as pre-specified  
7 by the firm. We counted those reoperations you asked  
8 about where there was pain only if the reoperation  
9 was intervention to fix something in the knee, not  
10 only for pain, not only for ameliorating pain.

11           And, finally, if you want some of the  
12 clinical details about this, they're in Appendix J of  
13 the 510(k). They're narratives for each reoperation,  
14 so you can judge yourself. And I want to add from a  
15 statistical standpoint, the measure of statistical  
16 significance of the reoperations being positive for  
17 effectiveness as the company claims, it's a very  
18 unstable measure. A change in one of those patients  
19 would change the statistical significance from the  
20 conventional under 0.05 to above. So a very unstable  
21 measure. And it's very subjective. It's one of the  
22 things that FDA has questioned, the validity of that  
23 particular measure.

24           Finally, last one, suture pull-out. You  
25 asked over there -- both of you asked about suture

1 pull-out, and why do we care, what's necessary? And  
2 so I talked to my mechanical engineers in the Office  
3 of Science and Engineering Labs, and it's a great  
4 point. We don't know what is enough force that's  
5 needed in this region.

6 Our comment about this was that we're  
7 trying to figure out whether the collagen scaffold  
8 and the tissue that is being replaced in that region  
9 by design is strong and how strong is it? Clearly,  
10 it does not appear to be as strong as the native  
11 canine meniscus. Now, is it sufficient or not? We  
12 don't have the answer. That's a great question. But  
13 what we do see is that it is dramatically less.

14 And if you look over the 24-week period in  
15 that animal study, you see no increase. So from zero  
16 to six months, no increase in strength. So you're  
17 talking about an implant now in a region of soft  
18 tissue where this does not have the same strength as  
19 the surrounding tissue. And that may be of surgical  
20 or clinical concern. So -- but necessary?  
21 Absolutely, you're right. We don't know what's  
22 enough. Thank you.

23 DR. MABREY: Thank you. At this point, I'd  
24 like to open up the discussion to the Panel members,  
25 and I would caution you that this is a general

1 discussion and that we will not be discussing the  
2 exact questions from the FDA until later on this  
3 afternoon. But if you have any specific questions  
4 for either the Sponsor or the FDA, this would be the  
5 time to bring up those points.

6 Oh, and before we do that, I should give  
7 the Sponsor an opportunity to address any outstanding  
8 issues as well.

9 MR. DICHARA: Thank you. Yeah, regarding  
10 some of the issues that were brought up, you know,  
11 there was one issue that was brought up that they  
12 asked the Sponsor to respond to. That was the number  
13 of patients that you asked, 85 versus 87. The  
14 difference was that two patients were excluded by the  
15 authors of the *JBJS* publication due to that they  
16 didn't meet the inclusion criteria. They had greater  
17 than three prior surgeries to the involved meniscus  
18 and were excluded from that analysis since they  
19 looked at, you know, acute being no prior surgeries  
20 and chronic, one to three prior surgeries. Okay.

21 DR. MABREY: Great. Thank you.  
22 Dr. Spindell, any other points you'd like to bring up  
23 for clarification?

24 DR. SPINDELL: Well, actually, I'd like to  
25 ask the orthopedic surgeons, because one of the

1 things that seems to be a struggle here is that this  
2 procedure, this device, the benefits seem most likely  
3 long term and not short term, but we have short-term  
4 data, which shows no change, no change from controls,  
5 and I just want to hear some talk about what would  
6 they have expected at two years. When would -- you  
7 know, is this unexpected -- expected data, and their  
8 feelings on that.

9 DR. KELLY: That's a very insightful  
10 question. I would speak for myself only that I think  
11 that it is not something we usually see within that  
12 two-year window. In fact, there's a rash of studies  
13 now looking at medial meniscectomy alone doing  
14 actually better than many people realize. This is a  
15 sort of -- now, the literature is all over the place,  
16 is my understanding, but there actually has been some  
17 recent data looking at -- for isolated medial  
18 meniscus tears, the patients did better than many  
19 people initially realized.

20 I do think it's a short time period. I  
21 would also add that the fact that the tissue is not  
22 totally normal still may be somewhat protect,  
23 analogous to, say, microfracture versus hyaline  
24 cartilage. But I think in the answer to your  
25 question, it's still -- if you look at the joint

1 space narrowing, actually, Dr. DeHaven's been very,  
2 very helpful with all these studies, that some  
3 studies indicate for meniscus repair, repair alone,  
4 that what may look good at seven years becomes not so  
5 good at 15.

6 LTC SHAWEN: I think the time issue is  
7 fairly well understood as a number of empiric studies  
8 that look at meniscus injury will eventually cause a  
9 high rate of knee arthritis, and that is a major time  
10 issue. And the two years, it's small. At 35 years,  
11 it's high. And I think that's something that we know  
12 from recent European studies, and I think the very  
13 first study, Dr. Fairbank looked at that.

14 I think that what the patient experiences  
15 on an x-ray is -- those two things are separable.  
16 They're not the same. I think that there's a lot of  
17 fuzziness. I am personally comfortable with a lot of  
18 that fuzziness in the science, but I think that there  
19 is a substantial time factor. I think that the *JBJS*  
20 article obviously looked at short-term things, and  
21 that's the least likely to show benefit from this  
22 type of device.

23 DR. MABREY: Anyone else?

24 MAJ KADRMAS: I think one of the problems,  
25 and correct me if I'm wrong, one of the problems is

1 we don't know how much meniscus is enough, how much  
2 can we live with, how much can we not live with. We  
3 do know with Fairbanks, we take it all out, it's bad.  
4 So the trend is leave as much as we can. As much as  
5 we can? What does that mean? I don't think anybody  
6 knows.

7           There's some radial tear models that render  
8 a meniscus basically absent, but as far as I know no  
9 one's looked at if you remove 30 percent of the  
10 meniscus is the remaining meniscus enough? If you  
11 remove 50 percent, is the remaining meniscus enough?  
12 If you have the peripheral five millimeters to the  
13 red/white zone, is that enough? So I don't think we  
14 know that number. Surely the attempt is to leave as  
15 much meniscus as you can, as gray as that is, but I  
16 don't think we have a definitive answer as far as,  
17 you know, how low can you go or how much is enough.

18           DR. POTTER: You know, to some extent any  
19 discussion of the efficacy is based to a large extent  
20 on how well that device will function as a meniscus  
21 down in long-term follow-up. Right now you're faced  
22 with irreparable meniscus. It is either just live  
23 with it or meniscal transplant. That's what's  
24 available. Meniscal transplantation data is very  
25 mixed, depending on the time when the implant is

1 placed or the allograft is placed.

2           So I think the only way to really assess  
3 that is to get long-term, very good data on the rate  
4 of progression of osteoarthritis in a blinded  
5 fashion, independent analysis, to get a sense of how  
6 much meniscus is necessary and how well a device  
7 would function as a meniscus if the primary role is  
8 to delay the progression of osteoarthritis.

9           DR. MABREY: Does that answer your  
10 question?

11           DR. SPINDELL: Sort of, because I guess one  
12 of my concerns here is the FDA cited that they didn't  
13 see any difference in the effectively [sic] and that,  
14 you know, we'll discuss later about safety as well.  
15 I guess just -- because we're not going to have  
16 seven, ten, fifteen-year data here, and, to be honest  
17 with you, a seven-year study is probably an  
18 unreasonable burden upon a manufacture to -- for a  
19 product -- is what can we infer from the data we have  
20 here as to, you know, the fact that, you know, the  
21 potential that at two years, it was -- there was no  
22 difference. Is it likely, more likely going further  
23 down the road that having this extra tissue there  
24 will be helpful or not?

25           DR. MABREY: Ms. Dalrymple, questions?

1 MS. DALRYMPLE: Okay. Well, my question  
2 pertains to the extra tissue as well, because there  
3 was a mention that it's not oriented in necessarily  
4 the correct position as it grows, regenerates. And  
5 so I don't know anything about orthopedics  
6 necessarily as a surgeon, so my question would be can  
7 it develop into what would be kind of like scar  
8 tissue and then that would actually limit the motion  
9 of the knee in any way or -- because that, too, would  
10 go into, like, a long-term study. I'm just  
11 interested in, like, the benefits to the patient.

12 COL KRAGH: I don't think stiffness was an  
13 issue. That's not been my experience using similar  
14 surgeries, and I don't think that arthroscopic  
15 pictures show that, being adhesions between the  
16 implant and anything was a problem. If that's --  
17 that's the type of scar tissue that we colloquially  
18 talk about. When you actually look at the device and  
19 the histology, that's what was shown. Does that  
20 answer that question?

21 MS. DALRYMPLE: Well, yeah. Again, just it  
22 not being in the proper orientation I was thinking in  
23 terms of flexibility and --

24 COL KRAGH: I think that's a separate issue  
25 in my mind, and I'll talk about that. Tissues like

1 muscle respond very quickly, relatively speaking, red  
2 meat, muscle, to reorganization, healing, et cetera.  
3 Bone, cancellous bone, tends to do it a little  
4 slower. Some tissues, like tendon, do it extremely  
5 slow, and fibrocartilage is much closer to that very  
6 slow thing.

7           So having something that looks like  
8 histology like on something of a muscle, essentially,  
9 in our science, which I do a fair amount of muscle  
10 work, there are very strong scientific arguments that  
11 the histology means nothing. Essentially, you can  
12 look at histology and see tea leaves. I'm just  
13 saying this is what some expert opinion is in this  
14 field, and that it's very difficult to tell whether  
15 treatment A and B are really different just based on  
16 histology.

17           So it's the general pattern of the results,  
18 not just the histology. Jeez, that looks like a scar  
19 on the slide. That's just one piece of data. And I  
20 think that the time issue is a major difference  
21 between some of the science for some of the other  
22 tissues. So fibrocartilage, I think, is a longer  
23 term plasticity of the tissue than other things. So  
24 I'm not all that surprised that it looks like such  
25 and such at five years. I don't think that's what

1 it's going to look at, at 15 years. I don't think we  
2 will know that for a certainty, but I think that the  
3 expectation is that these things change.

4 MS. DALRYMPLE: Thank you.

5 DR. POTTER: But that being said, matrix  
6 orientation we know is very tied to material  
7 properties. We know that it's very true for  
8 articular cartilage and probably it's true for  
9 fibrocartilage as well. A secondary sign that there  
10 was not increased stiffness would be that there was a  
11 lack of progressive cartilage loss by second look or  
12 a significant change in progressive cartilage loss.  
13 Most of the effect of a stiff implant in the knee  
14 will be progressive wear of articular cartilage and  
15 we didn't see that.

16 MS. DALRYMPLE: Okay.

17 DR. KELLY: I just want to add, I thought  
18 it was interesting, following what Dr. Potter said  
19 earlier, meniscus allograft transplantation has been  
20 not conclusively shown to be a disease modifier, but  
21 it has been shown in several series to increase -- to  
22 decrease pain. So it's a little -- and some of those  
23 studies do look as early as two years. So I thought  
24 it was a little surprising that there was no  
25 difference in pain. That would be a nice barometer

1 that's at least serving as some sort of spacer  
2 effect. So I will say that even though we don't have  
3 conclusive data for disease modification, some of  
4 these new technologies do give decrease in pain at  
5 least short term.

6 COL KRAGH: I think this gets to your  
7 question to what is effectiveness, and pain relief is  
8 something people talk about to us in the clinic. We  
9 can measure effectiveness on slides, on histology.  
10 We can use pull-out strength of sutures from tissue,  
11 and we've done these things. We're looking at  
12 what -- all the data that's available to us and  
13 assessing the quality of these data, and we have to  
14 have a certain level of comfort with the fuzziness of  
15 some of these essential surrogates of indicators of  
16 effectiveness. And they're not all that direct.

17 And so when do we do a visual analogue  
18 scale? Do we do that at two years or do we do that  
19 35 years? These are, you know, pertinent questions.  
20 And there's a degree of lack of data that we talk  
21 about. So what exactly is effectiveness is a  
22 reasonable question.

23 And I think that histology is a limited  
24 factor in that. And I think that Tegner Scale is,  
25 you know, an attempt at trying to see how the

1 patient's doing, how you're doing activity-wise. Is  
2 it, you know, T2 gradient echo -- technology gathered  
3 at 48.5 months post-op on 92 percent of your  
4 patients? No. But it's still an attempt at seeing  
5 how people are doing. And this is the best that we  
6 got, apparently.

7 DR. MABREY: Dr. Propert?

8 DR. PROPERT: Another question to help my  
9 understanding from the rest of the panel, and it's --  
10 you asked the first half of my question. My second  
11 half actually has to do with short-term improvements.  
12 Do you expect when -- and I realize this is somewhat  
13 hypothetical, but when you put some of this matrix  
14 into whatever joint that the improvement is going to  
15 be linear and just happen over time or is there going  
16 to be a point at which something has happened and  
17 then suddenly people improve, because I'm looking at  
18 some of the data here and setting aside the issue of  
19 missing. It does look like there's some things that  
20 sort of have an elbow in them. Does that make any  
21 scientific sense that that would be happening? This  
22 is of anyone but me.

23 MAJ KADRMAS: I guess I didn't understand  
24 that question. Can you repeat that?

25 COL KRAGH: I think is there a cusp? And

1 when one looks at the data from Fairbanks, there is  
2 no discussion of a cusp. When you look at the  
3 European data, there is no clear indicator. I think  
4 that the general history of the disease is wax and  
5 wane symptoms with a general progression usually  
6 measured on imagine. That's the most obvious data  
7 sets that we have, and that's the natural history of  
8 a tear. That's the natural history of a partial  
9 meniscectomy. I think that the time factor we've  
10 already cleared. I think that there is generally  
11 some data that says that the more tissue you remove  
12 the faster the progression, but that's very soft.

13 DR. MABREY: What's the experience of the  
14 rest of our Panel, those of you who routinely remove  
15 the meniscus or get to watch the meniscus removed at  
16 HHS?

17 DR. POTTER: You know, the rate of  
18 progression of osteoarthritis is so unpredictable  
19 because there are so many confounding variables, BMI,  
20 loads put on the knee, activity. And then there's  
21 this genetically mediated group of people that  
22 clearly have express inflammatory mediators and have  
23 a more rapid rate of progression of osteoarthritis,  
24 and it's almost impossible to screen for those  
25 individuals.

1           So I think you just do the best you can.  
2 You find a suitable BMI, in a study try to match for  
3 activity level, similar rehabilitation regiments.  
4 But people do well for two years. If you just look  
5 at cartilage repair data, two years, everything is  
6 great, and then everything drops off from two to five  
7 year follow-up, and that's where things spread out  
8 and the data points are not linear anymore. Just  
9 because we have all these confounding variables that  
10 it's very hard to control for. But it's generally  
11 related to the magnitude of osteoarthritis and how  
12 that patient responds in terms of pain and function  
13 to their disease.

14           DR. MABREY: Dr. Kragh, any other points,  
15 other questions?

16           COL KRAGH: I have none at this time.

17           DR. MABREY: Okay. Dr. Kelly?

18           DR. KELLY: It's just putting all this  
19 together in my aging brain here, I'm just trying to  
20 reconcile, you know, the meaning of all this in that  
21 I had the blessing before I came here of preparing a  
22 talk on meniscus repair, and I looked at all these  
23 data.

24           And, you know, Dr. DeHaven, who I hold is a  
25 very honest man, did some great working looking at, I

1 think, amount of meniscus resection did correlate  
2 with arthritic changes. But there's been some recent  
3 studies looking at the efficacy of repairs.  
4 Dr. Potter just alluded to long-term, even in the  
5 best of hands, repairs don't hold up in terms of  
6 disease modification. So I'm just still trying to  
7 reconcile the exact meaning. Even if repaired native  
8 tissue doesn't confer significant chondro protection,  
9 how can we expect the same of a substitute?

10 DR. MABREY: Dr. Endres?

11 DR. ENDRES: I have a couple direct  
12 questions for the Sponsor. One is I believe in the  
13 literature that has been provided it states that an  
14 absolute contraindication to the product is a bovine  
15 allergy. Is that correct?

16 MR. DICHIARA: Yes.

17 DR. ENDRES: How would I distinguish that  
18 when I'm talking to a patient? What do I  
19 specifically ask them?

20 MR. DICHIARA: That certainly was a concern  
21 in the clinical trial. In doing a clinical study,  
22 you don't want to get patients who could potentially  
23 confound it. As a result, some of the testing, the  
24 immune testing that we did and the blood samples were  
25 to try to address that issue so that when it goes out

1 into the population that there isn't an issue.  
2 European -- the surgeons talked about European  
3 experience with the product. This product has been  
4 on the market in Europe since 2001. There have been  
5 between 2,500 and 3,000 patients. We haven't seen  
6 any indication from the complaint systems or any of  
7 the literature that would indicate that that has been  
8 an issue.

9 DR. ENDRES: What if one of my patients,  
10 and I could see this happening, potentially, what if  
11 they ask me if they're at risk for mad cow disease?  
12 What do I tell them?

13 MR. DICHIARA: Well, you tell them that the  
14 testing -- we did viral inactivation testing, and,  
15 you know, we presented to FDA. We also had to do in  
16 Europe very extensive testing for BSE, and the  
17 product met the standards to be able to -- to meet  
18 all of the current standards as well as the most  
19 updated standards. So from the BSE standpoint we  
20 feel pretty confident that the product doesn't have  
21 issues in that respect.

22 DR. ENDRES: Last question is I believe the  
23 age range of the patients was 18 to 60, with an  
24 average age of 40, if I'm not mistaken. Do you think  
25 there could potentially be any difference in the

1 clinical results based on age? And what I mean by  
2 that is, theoretically, there is less intrinsic  
3 healing capacity of the meniscus much like the  
4 rotator cuff the older you are. So, arguably, older  
5 patients might now have as robust a healing response.  
6 Is there any role for a subgroup analysis based on  
7 age or stratification --

8 MR. DICHIARA: Certainly in the trial we  
9 looked at correlation with age. There was no  
10 correlation with age, but I'll let the -- Dr. DeHaven  
11 talk about, you know, that as clinical --

12 DR. DeHAVEN: You know, I can't really add  
13 to that. I was thinking that might be an interesting  
14 stratification in terms of percent regrowth and  
15 quality of tissue, but it turned out that some of the  
16 low percentage ones were older, but some of the older  
17 ones had a lot of regrowth, and it looked pretty  
18 good. So it really didn't -- maybe it's an end  
19 problem, but we couldn't see any trend there, which  
20 is encouraging for the older group.

21 (Laughter.)

22 DR. MABREY: Dr. Potter?

23 DR. POTTER: No more questions.

24 DR. MABREY: Dr. Kadrmas?

25 MAJ KADRMAS: Yeah, I struggle with a few

1 of these issues. One of my concerns is -- one of the  
2 things that was brought up by the FDA is, you know,  
3 we can't compare this to a predicate device because  
4 nothing else has been approved as a mesh for meniscal  
5 repair, intra-articular -- I guess my question with  
6 that is, as far as I know, there's only one implant  
7 indicated for the spine, bone, holding, you know,  
8 bone graft in. So what standard was that held to as  
9 far as comparing to anything else?

10           And so it's hard to -- I kind of take that  
11 with a grain of salt that there hasn't been another  
12 device. I think that's the whole principle of  
13 predicate devices is, you know, there's not going to  
14 be the exact device that we're going to compare these  
15 to. And so what level of -- or what standard do you  
16 hold that to?

17           One of the things we all live by is, you  
18 know, first do no harm. So in a lot of these  
19 studies, what I can see, there hasn't been a whole  
20 lot of harm. While there may not be benefit, did  
21 the -- was it necessary for the Restore patch to show  
22 benefit as opposed to just standard rotator cuff  
23 repair, because we've seen in the literature, it  
24 hasn't been any benefit, and it may have done a  
25 little bit of harm. So I think, you know, there

1 hasn't been any harm shown.

2           The standards going through a lot of the  
3 predicate devices, which I've tried to review as much  
4 as I could, it's -- you know, what's standard or the  
5 new 510(k) is held to? It seems like the more data  
6 they present and the more studies you do, you open  
7 yourself to a lot more criticisms as far as  
8 comparison to controls or the standards as opposed to  
9 comparing to other predicate devices. I don't know  
10 those standards. I know this isn't a question. It's  
11 just a concern that I think for my edification needs  
12 to be brought up.

13           DR. MABREY: Well, I think we could ask the  
14 FDA to expound on their definition of the standards  
15 for 510(k). Is the FDA ready to respond to that,  
16 because as you point out that's a crux to the  
17 argument, and I think we really need to get our hands  
18 around this --

19           DR. KESSLER: I'm going to ask Heather  
20 ROSECRANS from the Office of Device Evaluation to  
21 come up, and she's really the expert on this. She  
22 lives this day in and day out. So Heather will give  
23 you the right answer. And I'm thanking Heather for  
24 me.

25           MS. ROSECRANS: So you're asking about the

1 standards for safety and effectiveness on a 510(k)?

2 MAJ KADRMAS: My question --

3 MS. ROSECRANS: I want to make sure I  
4 understand the question.

5 MAJ KADRMAS: My question is I guess  
6 because the FDA brought up, you know, this is a new  
7 indication, there's no other device that is used as a  
8 mesh for repairing the knee. My question is, then,  
9 what were the other ones compared to? What was the  
10 spine mesh compared to because there wasn't another  
11 spine mesh, so that was a new application? What was  
12 the, you know, the first rotator cuff for soft tissue  
13 in the shoulder compared to and what standards were  
14 those held against or based on going towards approval  
15 with only, you know, limited data?

16 MS. ROSECRANS: Okay. For a 510(k) in all  
17 pre-market applications, we have to look at the  
18 probable benefit compared to the probable risk, and  
19 we use valid scientific evidence in the review of  
20 pre-market notification submissions, as well as pre-  
21 market approval applications. So, again, being a  
22 risk/benefit, you look at the indication for use, how  
23 it's used, and what kind of data we need to support  
24 that and support that risk. So different indications  
25 obviously have different risks, and then we have

1 different amounts of data. And as far as a clinical  
2 response, I wouldn't -- I would have to refer to  
3 Dr. Schultz. Does that help?

4 MAJ KADRMAS: Yeah.

5 DR. SPINDELL: I have a question. Some of  
6 these devices which Achilles tendon, et cetera, were  
7 approved with actually no clinicals, so how did you  
8 do the risk/benefit on something with no clinical  
9 data?

10 DR. KESSLER: So the way you'll do that is  
11 to take a look at the indication, to try and look at  
12 any predicates that exist anywhere else, other  
13 anatomical structures, and you're going to make  
14 conjectures whether in fact the strength of the  
15 material, the appearance of the pores, how it's  
16 manufactured, whether all of that will look like and  
17 function in the same way so that, you know, we will  
18 be able to tell in many cases without requiring  
19 clinical data. We can do bench testing. And I'll  
20 give you a good example. In a very different world,  
21 suppose you're looking at something in ultrasound and  
22 the way it will ablate soft tissue in one part of the  
23 body. If you start ablating in another part of the  
24 body, I don't need to see an entirely new data system  
25 if it's working exactly the same way, if the energy

1 is going to ablate a tissue that's very similar,  
2 different part of the body. I just need to know that  
3 the bench testing is going to be the same, the power  
4 is the same. So, in meshes, you're looking for poor  
5 strength, et cetera, et cetera, depending on the  
6 application.

7           When all of the sudden, now talking  
8 ultrasound, I'm going from ablated soft tissue in one  
9 place to a very different clinical application. Now  
10 I'm going to ratchet it up, and I'm going to need to  
11 see different kinds of data. If the energy source is  
12 different, if the power is different, if the tissue  
13 I'm doing is different, and then I'm going to have to  
14 make the requirements whether it's biocompatibility,  
15 bench testing, or even clinical data, to fit the need  
16 to evaluate the effectiveness versus that predicate.  
17 And it's really, it's a little bit tricky. It really  
18 is. But it is different and a lower standard than  
19 PMA, absolute demonstration of safety and  
20 effectiveness.

21           So you're trying to sort of wend your way  
22 through, what more do you need to make sure that this  
23 is working as same as the predicate? When you wind  
24 up with a new indication, such as the meniscus, in  
25 our interpretation, now we're starting to ask, what

1 do we need to see here. Okay. Do we need  
2 biocompatibility? Yes, we think we need so. Do you  
3 need strength testing like the suture pull-out? Yes,  
4 we think so because of the forces -- going to bear.  
5 Why do we need clinical? Because we believe that  
6 this situation has clinical implications. There may  
7 be the next one around the corner that doesn't.  
8 Certainly, if we were to go to the spine, that's an  
9 area where we'd be very concerned, okay? Now, you  
10 asked about the spine mesh. What did you compare it  
11 to? It was a bag covering a bone graft, so we're  
12 talking about the indication was so relatively  
13 straightforward as to not require much more than  
14 equivalence at the functional or bench level. Does  
15 that help?

16 DR. SPINDELL: Yeah, it does help. So in  
17 the clinical study, you would look at safety and  
18 effectiveness being the same as the predicate?

19 DR. KESSLER: Yes.

20 DR. SPINDELL: You don't require it to be  
21 safer nor more efficacious?

22 DR. KESSLER: Absolutely not in a 510(k)  
23 regardless.

24 DR. SPINDELL: If I'm not mistaken, in the  
25 clinical study, you said before that the -- it was no

1 clinically significant difference in the  
2 effectiveness or the safety?

3 DR. KESSLER: No.

4 DR. SPINDELL: So doesn't that essentially  
5 meet the standards?

6 DR. KESSLER: No difference in  
7 effectiveness, none at all. You saw the safety  
8 concerns, the potential and what we think are real  
9 safety concerns, explants, reoperations, which we  
10 think is an arguable issue, but explants, serious  
11 device adverse events. In the face of no  
12 demonstrated clinical effectiveness, then if you have  
13 any safety concerns that gives us pause. That's why  
14 we're here, and that's why we're looking to you to  
15 debate: Do you see any evidence of clinical  
16 effectiveness? Do you see any evidence of safety?  
17 And the question is: Do you put a product on the  
18 market that has neither?

19 DR. SPINDELL: Okay.

20 DR. MABREY: In the interest of fairness,  
21 I'll point out that FDA is only allowed one person at  
22 the podium as well.

23 DR. KESSLER: My fault. I apologize.

24 MR. DICHIARA: May I make a comment?

25 DR. MABREY: Yes.

1           MR. DICHIARA: As far as, you know, the --  
2 I think that was a very good question, and the  
3 regulations and the way that the regulations are  
4 applied -- 510(k) substantial equivalence is in some  
5 ways a lesser standard than PMA but in other ways a  
6 more difficult standard because it implies that  
7 you're comparing, as you said, the surgical mesh in  
8 the spine to a hernia mesh or a mesh in the abdomen  
9 to shoulder mesh. And in going from any one of those  
10 locations from -- to the shoulder, you have very high  
11 forces in the shoulder. To go into the anal/rectal  
12 fistula, you have other concerns about infection, the  
13 type of biochemical environment. So each one of  
14 these raises new questions.

15           And in our mind, we had the same question  
16 that you did. You know, you're making these jumps on  
17 all of these others, and now all of the sudden this  
18 jump seems to be much greater. And we pointed out  
19 that, yes, in the knee, the force, the major force,  
20 is still a tensile force, and the tensile force is  
21 very similar to tensile force in the shoulder. So  
22 you do have concerns in each of these areas, and  
23 they're addressed by varying amounts of data. But,  
24 certainly, the amount of data has been very limited  
25 on all of these -- there were 17 new indications

1 since 2002. None of them -- all of them combined  
2 probably had less clinical data than we're presenting  
3 on this product. Thank you.

4 DR. MABREY: Thank you. Dr. Shawen, I  
5 think we were --

6 LTC SHAWEN: I have no more questions.

7 DR. MABREY: -- at you. Members of the  
8 Panel, again, has this discussion brought up other  
9 issues that you'd like to have answered?

10 (No response.)

11 DR. MABREY: Okay. At this point, we'll  
12 proceed to the second open public hearing of the  
13 meeting. Only one person requested to speak this  
14 afternoon before this meeting, Mr. Jonas Hines.  
15 Mr. Hines are you in the room? Please come forward  
16 to the podium, state your name, your affiliation, and  
17 indicate your financial interest, if any, in the  
18 device being discussed today or any other device.

19 MR. HINES: My name is Jonas Hines. I am a  
20 staff research at the Public Citizen Health Research  
21 Group. I have no financial conflicts of interests at  
22 all.

23 So I would like to thank you guys for the  
24 opportunity to present today, or to speak about this  
25 issue today. I want to start out by addressing the

1 proposed regulatory pathway for this device. As you  
2 guys are aware, a device, medical device can reach  
3 the market either through a PMA application or  
4 through a 510(k). The FDA makes it clear that in  
5 order to proceed through a 510 -- or in order to  
6 qualify for a 510(k), you have to establish it is for  
7 the same intended use. So any device that has a  
8 different intended use cannot be considered  
9 substantially equivalent. However, different  
10 indications are permitted as long as modifications do  
11 not raise any new questions about the effectiveness  
12 or the safety or any new questions about  
13 effectiveness or safety.

14 Today, ReGen Biologics is seeking clearance  
15 of their collagen scaffold device, comparing it to 23  
16 other predicate surgical meshes. The FDA has made --  
17 the Agency has stated "has not previously cleared a  
18 surgical mesh for this specific indication."

19 The Sponsors in making their comparison  
20 provide only laboratory data. They do not provide  
21 any clinical data comparing the collagen scaffold to  
22 the predicate devices, and the data that they do  
23 provide is at best unconvincing. The FDA has already  
24 pointed to numerous fallacies in the comparisons  
25 between the collagen scaffold and the predicate

1 devices. In particular, one of them was in regards  
2 to the fact that the FDA requested a comparison of  
3 the collagen scaffold to human meniscus in order to  
4 assess whether or not the device could withstand the  
5 function of demands placed upon it over the many  
6 years it would take for it to be resorbed. The  
7 Sponsor chose to compare it to a dog meniscus  
8 instead. When they did do that, the suture pull-out  
9 strength was notably weaker than a dog meniscus.

10 The inappropriateness of considering  
11 clearance through the 510(k) devices can be  
12 demonstrated through a simple thought experiment.  
13 Imagine a clinical trial trying to compare the  
14 collagen scaffold to one of -- any of the predicate  
15 devices. For example, comparing the mesh implant in  
16 the knee to the mesh implant surrounding the shoulder  
17 joint. These sites are so different, no reasonable  
18 conclusions could be drawn from such a study.

19 I mean, this brings us back to the  
20 fundamental problem, and that problem is that the  
21 collagen scaffold is for a different intended use  
22 than any of the predicate devices and is thus not  
23 suitable for the 510(k) process.

24 A more appropriate path would be -- for  
25 this device would be through the PMA process, and,

1 indeed, the Sponsors have conducted a trial that  
2 would be ideally suited for a PMA. The problem is,  
3 is that this study fails to demonstrate either  
4 effectiveness or safety in this trial.

5           This trial, as was already discussed, is a  
6 randomized control trial comparing partial  
7 meniscectomy plus a collagen scaffold to just partial  
8 meniscectomy alone. I just think it's important to  
9 reiterate the fact that the apparently positive  
10 clinical endpoints that were reported by the Sponsor  
11 are only when the Sponsor considers those who --  
12 patients who receive the collagen scaffold even  
13 though they did have data comparing them to a  
14 control. When that data of the control is included,  
15 the apparently positive endpoints all become  
16 negative, all three primary clinical endpoints are  
17 negative. Furthermore, there's three primary  
18 surrogate outcomes. The problem is that these  
19 surrogate outcomes all suffer from major  
20 methodological errors including unblinding and lack  
21 of control.

22           The two outcomes that the Sponsors claim  
23 demonstrate superiority of the collagen scaffold to  
24 standard partial meniscectomy, which are the Tegner  
25 Index and then also the reoperation rate have been

1 refuted by the FDA's analysis. The Tegner Index, as  
2 it's been pointed out, is a post hoc analysis based  
3 on a validated pre-specified and related secondary  
4 outcome, which was the Tegner Activity Score.  
5 However, an analysis of that -- of those values has  
6 not been provided either in the journal article or  
7 from the FDA materials.

8 Talking about reoperations, the FDA did,  
9 you know, did an analysis of the reoperations with a  
10 more conservative definition and found that what the  
11 Sponsor's claim, which was statistically significant  
12 lower level of reoperations in the collagen scaffold-  
13 treated groups disappeared with their new definition.

14 So, essentially, what we end up -- what  
15 we're left with here is a randomized control trial  
16 that demonstrates that this device is no better than  
17 the standard of care. The trial failed on its  
18 primary clinical outcome and it appears to have  
19 failed on the secondary -- or on the primary  
20 surrogate outcome.

21 Furthermore, when we're talking about  
22 adverse events, I think it bears to point out that  
23 initially the Sponsors argue that because this is a  
24 510(k) application it is not appropriate to compare  
25 the device to the standard of care as far as

1 effectiveness goes. But then when establishing the  
2 safety, they use the adverse events from this  
3 randomized control trial as proof that this device is  
4 safe. They mention that the fact that there was no  
5 significant difference between adverse events between  
6 the control group, the people who received the  
7 partial meniscectomy, versus the people who received  
8 collagen scaffold is proof that this is indeed a safe  
9 device. And I also would like to just reiterate  
10 Dr. Kessler's point that the difference in the  
11 device-related adverse events is not trivial.

12           So at Public Citizen we reject the use of  
13 the 510(k) clearance process in this instance, and we  
14 believe that the device fails to meet device approval  
15 standards regardless of whether or not you consider  
16 510(k) or the PMA. The bottom line is that when a  
17 device has been shown to add nothing to conventional  
18 therapy, it is hard to see how the public health is  
19 served by this. We understand that the pre-market  
20 review process raises a series of complicated legal  
21 questions, but I think that the saying, "you can only  
22 ring a bell once" -- or sorry -- I take that back.  
23 "You can't unring a bell" has a lot of relevance  
24 here. The fact is, is that any effort to push this  
25 device through the 510(k) process ignoring the fact

1 that a randomized control trial has been conducted  
2 that shows that this device does not offer any  
3 benefit over the standard of care needs to be  
4 recognized because, I mean, after all, the reason  
5 we're here is to improve patient outcomes. That's  
6 all I have to say, and I thank you guys, and I will  
7 take any questions if you have them.

8 DR. MABREY: Thank you, Mr. Hines.  
9 Questions from the Panel for Mr. Hines?

10 (No response.)

11 MR. HINES: Thank you.

12 DR. MABREY: Thank you for your testimony,  
13 and I'll remind the audience that copies of  
14 Mr. Hines' testimony are available on one of the  
15 tables outside.

16 Things seem to be moving along well. We  
17 have a scheduled break at the end of the open public  
18 hearing, and I'd like -- oh, anybody else that wants  
19 to speak? Seeing no one --

20 (Laughter.)

21 DR. MABREY: Sorry about that. But at this  
22 point we'll take a ten-minute break. It's 1:35. If  
23 we can come back at 1:45, we will start with the FDA  
24 and Sponsor summations at that point.

25 (Off the record at 1:35 p.m.)

1 (On the record at 1:50 p.m.)

2 DR. MABREY: Resume the meeting. Is there  
3 any further comment or clarification from FDA? And  
4 that shaking of the head indicates a no, I would take  
5 it?

6 Okay. Is there any further comment or  
7 clarification from the Sponsor?

8 MR. DICHARA: Yes, I'd like to address  
9 several issues. I think one of them that -- a  
10 question that was asked that I don't think was  
11 answered adequately was Dr. Kadmas'. Hopefully, I  
12 am not mispronouncing your name, but you had a very  
13 good question. You asked exactly, you know, what  
14 kind of effectiveness data was relied upon to move  
15 from one new indication to another. And, you know,  
16 we presented that information in one of our slides,  
17 where we looked at, for instance, anal/rectal fistula  
18 plugs, where you had 26 patients, you know, who were  
19 followed to discharge.

20 You know, the amount of effectiveness data  
21 is related to the fact that the device -- the  
22 effectiveness of these devices has to go back to what  
23 is the intended use. And the intended use of the  
24 device is to reinforce soft tissue or bone. And  
25 clinical outcomes are not typically looked at so that

1 when you looked at the shoulder, you weren't looking  
2 at a statistically significant in range of motion  
3 because you certainly couldn't have gotten that from  
4 a five-patient, a three-month study.

5           The same with any of these new indications.  
6 And to characterize the new indication and the  
7 meniscus as that different from any other indication  
8 is very difficult to understand, where you're going  
9 from, you know, something like a hernia mesh to the  
10 spine. And the spine is actually a polyester  
11 permanent implant that's a bag that's put into the  
12 vertebral body so the vertebral body is under  
13 compressive load with the bone graft material in it.  
14 So that is a very different indication, and, you  
15 know, there was no clinical data in those  
16 submissions.

17           So we're not saying that FDA had too little  
18 or too much. What we're saying is that the playing  
19 field and the way that these decisions are made was  
20 based on a certain amount of data. We've presented  
21 an extensive amount of clinical data. And I think  
22 that the clinical data that we showed shows that, you  
23 know, compared to these other meshes we have  
24 considerably more data to show the safety and the  
25 effectiveness of the device for its intended use,

1 which is to reinforce soft tissue.

2 Now, I'd like to also address the issue  
3 that was brought up about reoperation rate because I  
4 know that there was some confusion. We presented our  
5 analysis that was in our submission, which was part  
6 of the publication in the *JBJS* by the authors of that  
7 paper. And, you know, the FDA presented theirs. And  
8 I think that that needs to be put in context, and I'd  
9 like to have Dr. DeHaven address that issue.

10 DR. DeHAVEN: Yes, we have had some time  
11 to -- short period of time to try to compare the two  
12 ways of counting the secondary surgeries. And to the  
13 best of our ability in this short period of time  
14 here, we have identified ten of the second-look  
15 patients who had additional procedures that we feel  
16 should be excluded because those additional  
17 procedures were not done for anything that was  
18 relevant to the meniscus implant. They were done for  
19 partial lateral meniscectomy, exploring the pes  
20 anserinus for pes strain chronic symptoms, loose  
21 body, things of that nature.

22 And so our approach to what should be  
23 included and what should be excluded was clinical  
24 relevance to the implant that had been done. So we  
25 were criticized for having a rather subjective way of

1 going about this. Dr. Kessler agreed that their way  
2 is also subjective and that we could argue about  
3 this. But like the swing states in the election, you  
4 know, how those ten patients are counted or not  
5 counted make a big difference. And since it's been  
6 said repeatedly that the safety data is bad, if you  
7 agree with our approach of being clinically relevant,  
8 then it's -- it is safe. If you agree with the FDA  
9 that all of them need to be counted no matter what,  
10 whether it's clinically relevant or not or whether  
11 they even had symptoms, then it looks different.

12           So that's just I wanted to explain a little  
13 more about how we went about deciding whether to  
14 include or exclude those cases. And our approach was  
15 at least accepted by the reviewers and the editor of  
16 *JBJS*.

17           MR. DICHIARA: Again, you know, that  
18 reoperation rate, you know, I'm not sure that  
19 everybody understands, one of the problems with  
20 calculating that is that in looking at the two  
21 groups, the control group did not have a relook  
22 surgery and biopsy at one year. That relook surgery  
23 and biopsy provided the surgeons an opportunity to go  
24 into the joint, look around, see if there's a loose  
25 body, if the, you know, if there's loose fibers on

1 the meniscus to shave it, you know, if they notice  
2 that there is a small lateral tear to go and repair  
3 it. So, you know, it provided the opportunity for  
4 these minor surgical procedures to be reintroduced  
5 into this. And, you know, 141 of those patients had  
6 relook surgeries. None of the controls. So if you  
7 had done the same thing on the control, what would  
8 you have seen?

9 I'd like to also address, you know, one  
10 other issue about, you know, the meniscus and the  
11 uniqueness of the meniscus. The FDA has actually  
12 cleared a device recently also for use in the  
13 meniscus. Again, with a certain amount of data, they  
14 used animal study data, to clear a device which is a  
15 hollow tube that's made of resorbable PLA-type  
16 material. And it's placed into the meniscus in the  
17 area of the defect to guide cells from one area of  
18 the defect to another.

19 Although it's not a surgical mesh, you  
20 know, it's an absorbable implant being placed into  
21 the meniscus of the knee and the data that's relied  
22 upon to be able to make the decisions whether, you  
23 know, that sort of device is going to cause problems  
24 in the knee, in that case, you know, was based on  
25 animal study data. And, certainly, one would worry

1 about, knowing what we know about the resorbable  
2 meniscus arrows that are made of similar materials  
3 and the rigid plastics, you would certainly have a  
4 concern about the clinical effectiveness of some of  
5 those devices.

6 I'd also like to have Dr. Montgomery talk a  
7 little bit about overall impressions of the clinical  
8 data.

9 DR. MONTGOMERY: I'll be brief. I saw you  
10 look at your watch right there. We've all been sort  
11 of picking away at a lot of data, and the good news  
12 is there is a lot of data when many of these products  
13 coming in for 510(k) do not have that. But I wanted  
14 to kind of bring us all back home to why we're here.  
15 The medical literature has overwhelmingly shown that  
16 loss of the meniscal tissue can lead to arthritis.  
17 And in the U.S., if you think about it, every year,  
18 there's about 850,000 meniscectomies of which I've  
19 done thousands, unhappily, 150,000 meniscal repairs,  
20 but, unfortunately, another 400,000 total knee  
21 replacements. And that's really what we're here --  
22 we're trying to slow down arthritis. And as the baby  
23 boomers are getting older, the arthritis rates are  
24 increasing.

25 And at this time, the only available

1 biologic treatment for pain secondary to meniscal  
2 insufficiency is a meniscal allograft. And very big  
3 procedure and still questionable with regards to the  
4 results. So the collagen scaffold gives the surgeon  
5 another treatment option to treat meniscal tears and  
6 insufficiency, which would be the only other option  
7 out there than just trimming it.

8           Unlike other surgical meshes, the collagen  
9 scaffold has a vast amount of clinical data as you've  
10 been seeing it all day today. And it's from an  
11 FDA -- most of it from an FDA-approved multi-center  
12 study. But unlike many of the other meshes, the real  
13 endpoint is arthritis, and, unfortunately, the  
14 prevention of arthritis, we may not see that for ten  
15 years, and there's not going to be a study that's  
16 going to just be a 510(k). Hopefully, we will get  
17 that data out there in the future, but, hopefully,  
18 the device is available before that occurs.

19           Now, if we look at the results, the two to  
20 five-year results show that the collagen scaffold is  
21 effective in treating meniscal defects. We have  
22 second look surgeries, and we show a significant  
23 regrowth of the meniscus. We're not sure exactly  
24 what type of tissue. It is meniscal-like, but there  
25 is an increase in tissue there, and, hopefully,

1 that's going to be working.

2           And, also, the clinical results show a  
3 significant improvement in pain and function. People  
4 have been showing differences between a  
5 meniscectomies and the patients with the implants,  
6 but the bottom line is, if you look at the patients  
7 that had the implant, there is an increase in --  
8 there's an improvement in pain and function in those  
9 patients.

10           The results also show that the collagen  
11 scaffold is safe. There is no host immune response.  
12 There's no negative histological response. And it's  
13 comparable to the safety of a partial meniscectomy,  
14 which is remarkable because that's a smaller  
15 operation. And it's as safe, if not safer, than what  
16 we refer to as predicate surgical meshes, including  
17 the Restore shoulder implant.

18           So there are over 400 surgical meshes that  
19 are cleared by the FDA with vastly different  
20 indications in a variety of different body regions.  
21 But the majority of these surgical meshes were all  
22 cleared by the FDA with significantly less clinical  
23 information than is available for the collagen  
24 scaffold. And you've seen all that information  
25 today.