

1 collected and recorded from the time when the patient
2 signed the informed consent until the study
3 completion. Overall, during the initial treatment
4 phase of the study, 56.9 percent patients of
5 Synvisc-One group and 60.8 percent patients of
6 placebo group experienced at least one adverse event.
7 Of these, 3.3 percent of Synvisc-One group and 1.5
8 percent of placebo group had adverse events that were
9 assessed by the investigator to be related to the
10 study treatment.

11 Adverse events in the target knee occurring
12 in more than one patient in either group-safety
13 population are summarized in the table. Treatment-
14 emergent adverse event rate of the two groups are
15 comparable to each other.

16 Safety and effectiveness. The Panel will
17 be asked a question about the overall safety and
18 efficacy of this device.

19 Key efficacy results. I will present,
20 primarily, the result of the applicant's initial
21 submission. Dr. Lao, a FDA statistician, will
22 present the applicant's analysis requested by FDA and
23 FDA's analyses of the primary and secondary
24 endpoints. The following slides will demonstrate
25 that, for the primary endpoint, there was a

1 statistically significant difference in the least
2 square mean of WOMAC A scale, using analysis of
3 covariance.

4 The clinical significance of this change
5 will be a question for the Panel. There is also a
6 question to the Panel. How much mean difference
7 between the two groups should exist in order to be
8 clinically meaningful? There are a number of
9 evaluations of secondary endpoint that were variable
10 in their result. The Panel will be asked the
11 question on the result of a secondary endpoint by the
12 various methods.

13 Primary endpoint. This is the analysis of
14 primary endpoint submitted to FDA in the original
15 supplement. There was a statistically significant
16 difference in the primary endpoint between the two
17 groups in favor of Synvisc-One. The difference in
18 the least square mean change from baseline between
19 the two groups was 0.15 out of a five Likert scale.
20 It will be a Panel question whether such a difference
21 of 0.15 out of five scale is a clinically meaningful
22 difference between the two groups.

23 Summary of results. The least square mean
24 difference from the baseline through the 26 weeks on
25 the WOMAC A scale, between the two groups, was 0.15

1 on a five-point scale. The primary endpoint has a p-
2 value of 0.047. The Panel will be asked the question
3 about the effectiveness of device, based primarily on
4 these two findings.

5 The applicant's predetermined secondary
6 efficacy end point. One of the secondary endpoints
7 was to analyze the difference in WOMAC A sub-score
8 from baseline to week 26 assessment between the two
9 groups. The secondary endpoints were to analyze the
10 difference in the WOMAC A1, WOMAC C, PTGA and the
11 COGA subscores over 26 weeks and from baseline to
12 week 26 assessment between the two groups. Another
13 secondary endpoint was responder analysis according
14 to responder criteria of OMERACT-OARSI set between
15 the two groups. There was no pre-specified
16 adjustment for the Type I error. The secondary
17 measures were described by the applicant.

18 WOMAC A at 26 weeks. Analysis of WOMAC A
19 at 26 weeks shows no statistically significant
20 difference between the two groups, according to an
21 analysis of covariance.

22 Categorical analysis of secondary
23 endpoints. The applicant submitted results of
24 analysis of the above ordinal data, using
25 proportional odds model, cumulative logit model for

1 PTGA, COGA and WOMAC A1. There were statistically
2 significant p-values in PTGA, COGA and WOMAC A1.
3 Dr. Lao will discuss the applicant's and FDA's
4 proportional odds analysis of PTGA, COGA and WOMAC A1
5 in his statistical presentation.

6 This is the applicant's proportional odds
7 analysis for COGA. There were statistically
8 significant differences in week 26 and overall 26
9 weeks.

10 This is the applicant's proportional odds
11 analysis for WOMAC A1. There were statistically
12 significant differences in week 26 and overall 26
13 weeks.

14 The applicant's responder analysis
15 according to OMERACT-OARSI criteria. The proportions
16 in the responder rate between the two groups at 26
17 weeks and over 26 weeks were analyzed. There were no
18 statistically significant differences in the
19 proportion in the responder rates between the two
20 groups, either at 26 weeks or overall 26 weeks.
21 Dr. Lao will discuss statistical issues regarding the
22 analysis of primary and secondary endpoint in his
23 statistical presentation.

24 Repeat treatment phase of the study. After
25 the completion of safety and effectiveness assessment

1 at the week 26 visit, patients were offered
2 participation in repeat treatment phase of the study,
3 which lasted for an additional four weeks. Study was
4 conducted to monitor only safety after the initial
5 26-week study.

6 The same knees of the patient, as were
7 treated in the initial treatment, were injected with
8 a second injection of the same doses. It is an
9 observational study. There were 77 patients for
10 Synvisc-One Synvisc-One treatment group, and 83
11 patients for placebo Synvisc-One treatment group.
12 Adverse event rates of both groups were comparable to
13 each other. The adverse event rate of Synvisc-One
14 Synvisc-One treatment group were less than the
15 adverse event rate of the placebo Synvisc-One
16 treatment group. As to FDA's statistical
17 presentation, Dr. Lao from FDA will discuss in detail
18 both applicant's analysis requested by FDA and FDA's
19 analysis in his presentation. Thank you.

20 DR. LAO: Good morning, Panel Chairman,
21 Panel members, ladies and gentlemen. I appreciate
22 the opportunity to speak to statistical perspective
23 for PMA 940015, Supplement Number 12. My name is
24 Chang Lao from FDA Division of Biostatistics, Office
25 of Surveillance and Biometrics.

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 This is the outline for my talk and the --
2 of statistical component of the submission. First
3 I'll talk of sample size, statistical models on
4 repeated measures, effective result by FDA, and a
5 summary.

6 Sample size determination is superiority
7 trial with the primary endpoint based on the main
8 difference in the change from baseline of WOMAC A
9 pain score between the two groups. Applicant's
10 assumption of the sample size calculation is based on
11 two-sided Type I error rate, which -- rate equals
12 five percent, power rate, 80 percent. There's the
13 probability of finding a true secondary difference
14 between the two groups, equals 80 percent. Overall
15 treatment difference based on mean change from
16 baseline, 0.297. Common standard deviation over two
17 groups, 0.725. Effect size is a ratio of the
18 difference divided by the standard deviation, equals
19 0.41. This is close to the median effect size, .5.
20 The expected dropout rate, 25 percent.

21 So sample size in each group, 93 subjects
22 per group, unadjusted for 25 percent dropouts equals
23 124 subjects per group, and adjusted for 25 percent
24 dropout. And the footnote, sample size calculation
25 was based on t-test, not based on the repeated

1 measures.

2 This is the total sample size distribution
3 by country and site. As you can see, there are six
4 countries, a total of 21 centers. The number of
5 subjects between the two groups appear to be very
6 close from each country, from country to country. It
7 appears that a one-to-one randomization worked pretty
8 reasonably here based on this, Table 1.

9 Statistical models. Because I concentrate
10 on statistic inference, I needed to spend some time
11 talking of what kind of model we used and plus some
12 statistical terminology. We used the mixed model on
13 the repeated measures. Applicant model mean change
14 from baseline over 26 weeks on treatment, site,
15 visit, treatment-by-visit interaction, and the
16 baseline WOMAC A score. They include site here. FDA
17 model mean pain score not changed from baseline, mean
18 pain score over 26 weeks, with similar covariant but
19 without site. We chose site as a random effect.

20 So FDA analysis of covariance, ANCOVA,
21 tests null hypothesis, no difference in overall least
22 square means, LSMEANS, in WOMAC A pain score and
23 other WOMAC scores averaged over 26 weeks between the
24 two groups. The reason we call it least square mean
25 is because the model -- least square mean.

1 Okay. Now, I'll spend time talking of
2 criteria for the model selection. Purpose: Find a
3 model which fits best to the observed WOMAC A data by
4 jointly modeling mean and variance-covariance
5 structure. Second: Find a better variance-
6 covariance measure structure among repeated measure
7 of visits in terms of residual maximum likelihood,
8 for example, auto-regressive of order one
9 correlation. AR(1) correlation assume various --
10 that the covariance decreased as time advances
11 expiration rate.

12 And we used the likelihood ratio test for
13 comparing full and reduced nested model. Also, we
14 used the Akaike information criteria, AIC, or
15 likelihood ratio, for selection of different models
16 under the same data set. The model should be
17 sufficiently complex to fit the data best, but also a
18 parsimonious model. A simple model, if possible.

19 Applicant's original analyses, ANCOVA.
20 ANCOVA on change from baseline, CFB, site is fixed
21 effect. So each site, the same effect, same
22 variance. So their model, CFB_{ij} , i , subject, j ,
23 visit, equal the linear combination of this
24 covariant -- $_{ij}$, for subject i , visit j .

25 Statistical models on repeated measures.

1 FDA model: Repeated measure analysis of covariance.
2 We model mean pain score, Y_{ij} , over 26 weeks of the
3 patient covariant. So the -- of Y_{ij} -- mean of the
4 Y_{ij} is the linear combination of this covariant, and
5 the Beta is intercept. E_{ij} is the error term. The
6 above parameters, Beta 1 up to Beta 5, estimate by
7 generalized least square, is the SAS software --
8 mixed software.

9 Different questions answered by change from
10 baseline versus FDA's ANCOVA on mean. Change from
11 baseline, applicant used -- either used in the
12 randomized or observational study. The question to
13 ask, are the profiles of the average change over all
14 visits equal between the two groups? But ANCOVA on
15 mean FDA used, appropriate only for randomized trial.
16 This is randomized trial, anyway. So the question we
17 asked, what's the expected true treatment effect on
18 means over all visits, given that each subject has
19 the same baseline value? We assume the population
20 distributions of baseline values are equal between --
21 equal randomization.

22 Comparison between the two different
23 models, applicant versus FDA. The ANCOVA model on
24 means over 26 weeks, FDA, always has a smaller
25 variance of treatment difference. It means more

1 efficient or more powerful than the mean change from
2 baseline model, except when the correlation between
3 repeated visits reaches 1.0, perfect correlation.
4 That's very rare.

5 And the relative efficiency, which is the
6 variance of ANCOVA based on mean and the variance
7 changed from baseline, can be simplified, one plus --
8 divided by two -- is the correlation coefficient
9 among repeated visits. Assume this is a compound
10 symmetry correlation. And post-treatment visits
11 equal one -- otherwise, general case, the efficiency
12 is dependent on the number of repeated visits and the
13 correlation structure.

14 With the FDA model, the treatment effect,
15 averaged over all 26 weeks and at each visit, is
16 measured by the difference in the estimated least
17 square mean between the two groups and more likely is
18 a more powerful approach. However, no matter what
19 the model, we are testing the null hypothesis of zero
20 difference. Because this is a superiority trial,
21 this does not guarantee a clinically meaningful
22 difference, which may be not zero.

23 Table 2 is the FDA model and the difference
24 between the mean, least square mean, minus 0.15, the
25 same -- changed from baseline. But a standard error

1 of the difference is 0.072, which is smaller than
2 this -- standard of 0.076. So the 95 confidence
3 intervals for the difference changed from -- changed
4 from baseline, a change of p-value from 0.047,
5 changed from baseline model into the 0.032, into the
6 baseline model on mean. This is the primary endpoint
7 on WOMAC A, on the auto-regressive correlation.

8 This is the FDA's analysis of primary
9 endpoint of observed and fitted mean WOMAC A score on
10 repeated measures. To answer Dr. Blumenstein's
11 question, this table will give you the baseline, four
12 week, eight week, up to 26 weeks. Sample size and --
13 at the beginning, 124 for the Synvisc, and 119 and up
14 to 115, the 26th week. So similar interpretation for
15 the control group. We have observed mean of 1.45 for
16 week four, and fitted by the model, 1.48. The
17 difference between the two is 0.0 -- a negative 0.03.
18 So this is the residual for Synvisc, O minus F,
19 observed model fitted. If you look down this column
20 here, the difference up to the second decimal point.
21 So overall, the model fitting, I would say, pretty
22 reasonable. Similar situation for the control group.

23 And the missing data here at the beginning,
24 124, at the end of study, 115, so nine patient visits
25 and about five or six percent. So the percent of

1 missing data not too severe here. And also, in the
2 mixed model, we assumed those missing data and the --
3 so it means the probability of missing data is
4 independent for the future observed data, so that
5 assumption used -- mixed.

6 Table 4 is FDA ANCOVA for secondary
7 endpoint on mean results. This one is -- the ordered
8 result here is the secondary endpoint, based on --
9 covariance, repeated measure on least square mean.
10 And the least square mean for the WOMAC A1, walking
11 pain, at 1.44 for Synvisc-One, and placebo, 1.63, a
12 difference here of standard error and 95 confidence
13 interval and the p-value. And the confidence
14 interval will give some clinical interpretation, and
15 the p-value only gives you probability statement. So
16 the PTGA, COGA and the WOMAC C and -- significant,
17 except WOMAC A1 based on a mixed model.

18 Secondary effective endpoint continued,
19 WOMAC A1, WOMAC C, PTGA, COGA, and OMERACT-OARSI. In
20 the original submission, the applicant prepared a
21 different approach for each endpoint. The first was
22 a mixed model for change from baseline, for WOMAC C
23 because WOMAC C has 17 questions there, and they used
24 every -- 17 questions and each question has a zero to
25 four, five point.

1 And that they also used a proportional odds
2 model for the ordinal categorical data for A1, PTGA
3 and COGA. That's only based on one question, each
4 question for five points, zero to four. And the
5 final rating was binary analysis for the OMERACT-
6 OARSI. That's a responder/nonresponder rate, odds
7 ratio equals 0.66 overall. P is not as significant
8 for the binary analysis.

9 Secondary effective endpoint continued. At
10 FDA's request, the applicant prepared a mixed model
11 on change from baseline for WOMAC A1, PTGA and COGA.
12 Only WOMAC A1 was statistically significant. P
13 equals 0.029, based on mean change from baseline
14 versus P equals 0.017 based on FDA's mean score over
15 26 weeks. So both are significant from zero.

16 For proportional odds model, the applicant
17 provided graphical results for PTGA, COGA and WOMAC
18 A1, by various cutoff point of the clinical outcome
19 because we have a total of five outcomes, no pain,
20 mild, moderate, severe, extreme, to show the validity
21 of proportional odds model. The problem is it
22 appears no existing computer software is available to
23 test proportionality of parallelism assumption of
24 slopes from different cutoff points of clinical
25 outcome.

1 Comments on the applicant's generalized
2 estimating equation model based on proportional odds
3 assumption. If we let Y_j , for j as outcome, equal
4 probability, the outcome less or equal to j ,
5 condition on vector of covariate X , which is j th
6 cumulative response, Y_j , probability given a set of
7 covariate X , group, site, visit, visit group
8 interaction, and baseline.

9 By logistic regression for p covariate, we
10 have a logit, Y_j , which is defined by -- of the
11 probability, Y less or equal than j , divided by
12 probability, Y greater than j . J goes from zero,
13 one, two, three, four, for the no pain, mild,
14 moderate, severe, extreme. As you can see from this
15 logistic regression model, if x_1 is the treatment, β
16 is the regression coefficient for the treatment,
17 assume proportional odds model, assume. It doesn't
18 matter which cutoff we use, use G equals zero, or
19 zero plus one, or zero plus one plus two versus as --
20 in the denominator. The β_1 equals common β . No
21 change. So that's the proportional odds model,
22 calculate odds ratio. And so odds ratio here, based
23 on this logit model, is E to the β power, β_1
24 power -- β_1 -- GEE output based on logistic
25 regression model.

1 Question to ask, Are slopes parallel? Does
2 β_1 equal β ? Cutoff point j , does it matter? This is
3 the number one question. Applicant's response: The
4 data provide a graphical visual inspection of odds
5 ratio, and that there are 95 confidence intervals
6 from different cutoff points, j for zero, one up to
7 four, shows overlapping of 95 confidence intervals.
8 So they believe cutoff point does not matter.
9 Problem: No formal hypothesis testing. Most 95
10 confidence intervals contain one for COGA, PTGA and
11 the WOMAC A1, which we'll show in the next graph.

12 Figure 1 is the applicant's justification
13 of proportional odds model for three different
14 secondary endpoint. The first one is COGA and the
15 next one is PTGA and the WOMAC A1. There's different
16 cutoff points. This is zero versus one, two, three,
17 four. Zero, one compared with two, three, four. So
18 by combined five-by-two data into two-by-two table, a
19 different comparison, you can see from this chart
20 here, the point estimated odds ratio here, most of
21 them are less -- 95 confidence intervals must include
22 one. The point estimated odds ratio, most of them
23 are less than one. But a 95 confidence intervals
24 cover one. And they used this graph to show the
25 proportional odds model is reasonable.

1 This is Table 5, the summary of statistical
2 significance testing over 26 weeks. Here, the
3 primary endpoint. Applicant's mixed model on change
4 from baseline, site fixed. It showed the same
5 effect, clinical effect. No variability from site.
6 So the p rating is 0.047. And we assume each set is
7 different clinical effect. Each site has -- a p
8 equals 0.032 random effect model. So it actually
9 improved the p rating from 0.047 to 0.032.

10 Secondary endpoint, no multiplicity
11 adjustment and -- adjustment. FDA requested the
12 applicant to also do the mixed model and for those
13 outcomes, A1, PTGA, COGA -- except the A1. And for
14 the PTGA, COGA and WOMAC C, none of them are
15 statistically significant based on mixed model,
16 assuming -- distribution versus the FDA mixed model.
17 So this -- except that this, the proportional odds
18 model repeated the measure on the generalized
19 estimated equation model using the covariate, site,
20 baseline, visit, visit by group interaction. You
21 have significant p-value, A1, PTGA and COGA. For the
22 binary responder, we agree, is not significant, p
23 equals 0.059.

24 So summary. Primary WOMAC A pain score
25 showed a difference Synvisc-One minus placebo equal

1 0.15. This is about three percent of the five-point
2 scale. Applicant and FDA agree, statistically
3 significant. The question is, is it clinically
4 significant? The Panel question.

5 Secondary endpoint, WOMAC A1, ANCOVA.
6 Treatment difference equals minus 0.19, Synvisc-One
7 minus placebo. Applicant and FDA also agree,
8 statistically significant and -- zero difference, but
9 no multiplicity adjustment. PTGA, COGA, not
10 significant by ANCOVA model by FDA, but they are
11 significant by proportional odds model. But again,
12 no multiplicity adjustment. That's another Panel
13 question. Thank you.

14 Back up a slide. A different way to look
15 at the odds ratio, based on two-by-two table, not
16 based on model. If, Panel, you're interested, I can
17 show you, otherwise I'll stop here. Thank you very
18 much.

19 The next speaker will be Dr. Wang from FDA
20 who's talking about post-approval study.

21 DR. WANG: Thank you, Dr. Chang. And good
22 morning, distinguished Panel Chair and members and
23 the welcomed guests. My name is Cunlin Wang. I'm an
24 epidemiologist in the Office of Surveillance and
25 Biometrics. I'll now present post-approval study

1 consideration for Synvisc-One device.

2 And first, please be reminded that
3 discussion of the post-approval study, prior to a
4 formal recommendation on approvability of this PMA,
5 should not be interpreted to mean that we are
6 suggesting the Panel find the device approvable. The
7 plan to conduct the post-approval study does not
8 decrease the threshold of evidence required to find
9 the device approvable. The premarket data submitted
10 to the Agency and discussed today must stand on its
11 own in demonstrating a reasonable assurance of safety
12 and effectiveness in order for the device to be found
13 approvable.

14 The main objective of conducting post-
15 approval studies is to evaluate the device
16 performance and the potential device-related problems
17 in the broader population over an extended of period
18 of time after premarket establishment of reasonable
19 device safety and effectiveness. Post-approval
20 studies should not be used to evaluate unresolved
21 issues from the premarket phase that are important to
22 the initial establishment of device safety and
23 effectiveness.

24 Generally, the reasons for conducting
25 post-approval studies are to gather post-market

1 information, including the longer-term performance of
2 the device, community performance, which is the
3 device performance in a broader patient population
4 treated by average physicians as opposed to highly
5 selected patients treated by leading physicians in
6 the clinical trials. Post-approval studies are also
7 used to evaluate the effectiveness of the device
8 utilization training programs and evaluation of the
9 device performance in sub-groups of patients since
10 clinical trials tend to have limited number of
11 patients and may not include all sub-groups of
12 general patient population. In addition,
13 post-approval studies are also used to gather data on
14 device real-world experience and to monitor device-
15 associated adverse events, especially rare adverse
16 events that were not observed in the clinical trials.
17 Finally, post-approval studies also enable issues and
18 concerns raised by the Panel members to be addressed.

19 Currently, the Sponsor did not consider a
20 post-approval study is necessary and therefore did
21 not provide a post-approval study plan. They
22 identified a few issues that may be considered in
23 assessing the need for a post-approval study of
24 Synvisc-One in the United States. First, the
25 clinical study supporting this PMA supplement was

1 solely conducted in Europe, and literature has shown
2 that patients' characteristics may be associated with
3 the treatment effects of the device.

4 Second, the follow-up of this PMA study was
5 26 weeks for initial phase and four additional weeks
6 for repeat phase, while intra-articular injection of
7 similar devices has demonstrated the treatment
8 effects extended to 12 months after injection.

9 And third, literature has also suggested
10 that, compared to sodium hyaluronate, cross-linked
11 hylan G-F 20 used by Synvisc may be associated with
12 increased risk of severe acute inflammatory reaction;
13 the exact mechanism and the long-term consequences
14 remain unclear.

15 Given this consideration, if a device is
16 recommended for approval at a later date, we would
17 like the Panel to comment on the need to evaluate the
18 device in U.S. population in a post-approval study.
19 And if a post-approval study is recommended, we would
20 also like the Panel to discuss the following items:
21 objective, clinical endpoint, study size, comparison
22 group, duration of follow-up, and other specific
23 issues that you would like to be addressed. That's
24 it. Thank you.

25 DR. MABREY: I'd like to thank the FDA

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 speakers for their presentations. Does anyone on the
2 Panel at this point have brief clarifying questions
3 now for the FDA before we get into our general
4 discussions? You may also ask the FDA more in-depth
5 questions during the Panel deliberations coming up.
6 Any specific questions for the FDA to clarify their
7 presentations? Dr. Goodman?

8 DR. GOODMAN: No.

9 DR. MABREY: Dr. Olsen?

10 DR. OLSEN: No, I have not.

11 DR. MABREY: Okay. Dr. Skinner?

12 DR. SKINNER: No.

13 DR. MABREY: Dr. Blumenstein?

14 DR. BLUMENSTEIN: No.

15 DR. MABREY: Thank you. Ms. Rue?

16 MS. RUE: No.

17 DR. MABREY: Ms. George?

18 MS. GEORGE: No.

19 DR. MABREY: And Dr. Evans? Okay.

20 MR. HALPIN: Dr. Mabrey?

21 DR. MABREY: Yes.

22 MR. HALPIN: I just wanted to point out
23 that the Sponsor is ready to answer Dr. Blumenstein's
24 clarifying question from earlier, if you're ready for
25 us now.

1 DR. MABREY: Yes, this would be an
2 appropriate time to clarify Dr. Blumenstein's
3 question.

4 MR. HALPIN: Okay, I'd like to have
5 Dr. Nancy Silliman --

6 DR. MABREY: I'm sorry, to present an
7 answer to Dr. Blumenstein's question.

8 DR. SILLIMAN: Thank you. My name is
9 Nancy Silliman. I'm a Vice President of biostats and
10 stat programming at Genzyme. Slide on, please.

11 So first I would like to go through the
12 reasons for discontinuation, and overall, the dropout
13 rate was relatively low; it was eight percent. In
14 the Synvisc group there was one patient who dropped
15 out for an adverse experience, one patient was
16 noncompliant, one who wished to withdraw, and six who
17 dropped for lack of efficacy.

18 In the placebo arm, there were three who
19 dropped for adverse experiences, two who were
20 noncompliant, one who wished to withdraw, four who
21 dropped for lack of efficacy, and two who dropped for
22 other reasons. Slide on.

23 This shows the amount of patients, patient
24 data available at each visit for the Synvisc arm as
25 well as the placebo arm. And you can see, for

1 Synvisc, it baselined. There were 124 patients, and
2 there were a high number of patients through week 8,
3 maintaining a still high number through week 26. And
4 a similar distribution was seen in the placebo arm.
5 Slide on.

6 And this is just a little bit more detail.
7 We did do quite a bit of sensitivity analyses around
8 the missing data. The column in blue was our primary
9 endpoint, with no imputation of missing data. So I
10 would just like to clarify. Since it was a repeated
11 measures analysis, we did actually use all available
12 visit information for each patient, so patients that
13 dropped out would just contribute less information to
14 the overall estimate of the treatment effect.

15 We looked at worse case analysis, which is
16 this second row here, where we assumed that Synvisc-
17 One patients showed no change from baseline after
18 withdrawing, whereas the control patients showed
19 their best results observed after withdrawing. The
20 treatment effect was similar. The p-value was .069.
21 We also looked at baseline carried forward where, for
22 all patients who dropped out, we carried forward
23 their baseline value. This is this column. The
24 treatment estimate again was similar. The p-value
25 was .04. We looked at a mixed baseline observation

1 carried forward, the last observation carried forward
2 analysis, which is something commonly seen in drug
3 studies of pain. That's this column here.

4 And this one is of interest because here we
5 assume that, for patients who withdrew due to an
6 adverse event or lack of efficacy, we're carrying
7 forward their baseline values, so that's
8 conservative, assuming there was no treatment effect.
9 And then we used last observation carried forward for
10 all the other patients.

11 And then, finally, we did a best case
12 analysis, which is the opposite of the worse case.
13 So now we're assuming that control patients -- we
14 carried forward their baseline after they withdraw,
15 and Synvisc-One patients, we carried forward their
16 best observed value. So you can see the treatment
17 effects are consistent and the p-values are also all
18 fairly consistent.

19 DR. BLUMENSTEIN: I don't know whether -- I
20 had a couple more questions that kind of bear on this
21 issue, but it's getting into the weeds. So should I
22 do it?

23 DR. MABREY: I think we can start to move
24 into our general Panel discussions, and I'd be more
25 than happy to start with you, Dr. Blumenstein.

1 DR. BLUMENSTEIN: All right. On Page 44 of
2 your SAP, you have a little snippet of SAS code there
3 that you say was used to do the primary analysis, and
4 there's an element of that SAS code that I don't
5 understand, and it might have bearing on this.

6 DR. SILLIMAN: Slide on. This is the
7 actual SAS code that we used.

8 DR. BLUMENSTEIN: Okay, it's the second
9 line. Could you explain --

10 DR. SILLIMAN: Yes.

11 DR. BLUMENSTEIN: -- what that means?

12 DR. SILLIMAN: Yes, absolutely. So let's
13 just make sure that we're looking at post-baseline
14 visits. Visit one was baseline, visit two was
15 week -- no, I'm sorry. Visit one was screening,
16 visit two was baseline, visit three was week one, and
17 we didn't collect any efficacy information. Visit
18 four was week four. So this is just making sure that
19 we're using post-treatment efficacy.

20 DR. BLUMENSTEIN: I didn't know what the
21 visit numbers meant.

22 DR. SILLIMAN: Yeah, I apologize for that.

23 DR. BLUMENSTEIN: Okay, thank you. For the
24 rest of you, it's okay.

25 DR. MABREY: Thank you for clarifying that.

1 At this point we'll begin the Panel discussion
2 portion of the meeting, as we already have. And
3 although this portion of the meeting is open to
4 public observers, public attendees may not
5 participate except at the specific request of the
6 Panel.

7 I'll just keep going. Dr. Blumenstein, do
8 you have any questions for either the FDA or the
9 Sponsor? And I'll remind the Panel that this is
10 often a good time, if you have in-depth questions, to
11 give both the FDA and the Sponsor a heads-up so that,
12 over lunch, they can provide a more in-depth
13 response. And so for both the Sponsor and FDA, if
14 you think your answer is going to take more than a
15 couple of minutes and you'd like some time to work on
16 it, just say so and we'll expect your answer in the
17 afternoon.

18 DR. BLUMENSTEIN: Okay. So my next issue
19 has to do with the lack of control of Type I error
20 over this secondary endpoint, and the Sponsor has
21 stated that they're not making a claim and therefore
22 it's not relevant. But nonetheless, we're being
23 presented with an array of analyses based on the
24 secondary endpoints, and we are subject, like it or
25 not, to the possibility of coming to false positive

1 conclusions, especially since there's probably
2 correlations between these endpoints. But I just
3 wanted to ask, there was something in the FDA
4 briefing document, and then that said that the
5 Sponsor had not pre-specified a hierarchy of testing
6 of the secondary endpoints.

7 But yet I found a statement in the SAP that
8 says, the OMERACT-OARSI responder criteria is
9 therefore considered to be the most important
10 secondary efficacy endpoint in the study. Yet I
11 don't see that declaration in the SAP carried forward
12 in the presentation. Would you care to comment?

13 DR. SILLIMAN: Sure. So we didn't mean
14 that statement to imply that we were going to do any
15 sort of formal testing, looking at the OMERACT-OARSI
16 first. Slide on. So the FDA had asked us, after the
17 study was finished, to come up with a method of
18 adjusting for Type I error over the secondary
19 endpoints. We had proposed a hierarchical sequential
20 testing order, and you see here the OMERACT-OARSI
21 responder analysis was actually marginally
22 significant. Over the 26 weeks the p-value was .059.

23 DR. BLUMENSTEIN: But this doesn't
24 represent what you said in the SAP?

25 DR. SILLIMAN: Right, that was our best

1 thinking on the subject at that time. But again, in
2 the SAP, we specifically said we weren't planning to
3 adjust for multiplicity for the secondary endpoints.

4 DR. BLUMENSTEIN: So when you submitted
5 this list of -- this hierarchy of secondary endpoints
6 to the FDA, this was after you had already analyzed
7 the data?

8 DR. SILLIMAN: Yes, absolutely. And we
9 noted that in our response. The FDA asked us this
10 question after we had submitted our PMA document, so
11 we clarified that it was post hoc.

12 DR. BLUMENSTEIN: I'm done for now.

13 DR. MABREY: Okay. Ms. Rue, questions for
14 the FDA or the Sponsor?

15 MS. RUE: I don't have any questions at
16 this point.

17 DR. MABREY: Okay. Ms. George?

18 MS. GEORGE: I guess I just have one
19 question right at the moment, and maybe it's because
20 I'm confused about all the statistic stuff. But I
21 know, in the Genzyme package they presented, it looks
22 like seven different analyses of data, the one that
23 they submitted initially, and then there's six more
24 that the FDA either did themselves or requested. So
25 I guess what I'm trying to understand is, is which

1 technique is the FDA actually asking of the Sponsor
2 to focus on for us to be able to make the decisions
3 as to whether they met or did not meet their
4 endpoints.

5 DR. LAO: This is Chang Lao. We tried
6 different models because we have found the best model
7 fitted data best. So in terms of correlation of
8 various covariance measures, we tried -- at the
9 beginning we tried like an unstructured -- and a
10 compound symmetry, and finally -- and we agree with
11 the Sponsor. The last covariance measure is the best
12 to fit the data best.

13 So, finally, the only difference between
14 the Sponsor's model and the FDA's final model is they
15 choose site as a fixed effect, which assumes each
16 site has same variance, same clinical effect, and
17 have some sites as a random effect, and last, some
18 variability among different sites. Also some slide
19 today, different mean response from site to site.
20 That's the only difference between the two different
21 models.

22 And finally, we compared observed and
23 fitted model, and we feel the analysis of covariance
24 on mean, least square, fitted data pretty well.
25 That's about a history of model fitting procedure.

1 MS. GEORGE: Okay.

2 DR. MABREY: Does that answer it? Other
3 questions, Ms. George?

4 MS. GEORGE: Not right now, no.

5 DR. MABREY: Dr. Evans?

6 DR. EVANS: Yes, I have several questions
7 and they're sort of spread around, so maybe I can
8 sort of fire them off and you can respond to them
9 after lunch. But first allow me to thank the folks
10 at Genzyme and the FDA for their comprehensive
11 efforts. I appreciate the complexity of the issues
12 of clinical relevance and conducting pain trials, and
13 I think you've done a nice job trying to understand
14 the data.

15 So question number one is -- some of them
16 are just clarifications, and others are a little bit
17 more in depth. The first question I have, this
18 was -- your pivotal trial was a blinded trial, and I
19 was wondering if there was any assessment of the
20 success of the blinding in particular because, you
21 know, pain is a very subjective measure and because
22 of the subjective endpoints, I think it's important
23 to get an idea about how successful the blinding was.
24 So that's question number one.

25 Question number two is actually more of a

1 comment or a question for Dr. Dworkin, who -- I found
2 your presentation very informative and particularly
3 the distinction between clinically meaningful group
4 difference in contrast to clinically meaningful
5 changes in individual patients. And you provided a
6 nice list of considerations for defining what would
7 be a clinically meaningful group difference. And so
8 not to put you on the spot, but I was wondering if
9 you had an opinion about, given the characteristics
10 of this trial and this syndrome, what you thought a
11 clinically meaningful group difference would be in
12 this particular case.

13 Question number three or clarification
14 number three was just a terminology issue. I know,
15 in your presentation, you talked about treatment
16 effects, but then you talked about effect sizes, and
17 I often use the term interchangeably, but you had
18 distinct definitions for those, so I'd just like to
19 clarify what was meant by that.

20 The fourth question was about the design of
21 the trial, and you did a nice job explaining how you
22 sized the trial with sample size and power
23 calculations, and you stated that you selected an
24 effect size of .297.

25 And oftentimes, when we size these trials,

1 we pick a minimum clinically relevant difference, and
2 I was wondering whether that was selected because
3 that's what you believed this was. But I think you
4 used terminology that the .297 was an estimated
5 treatment effect and not necessarily a minimum
6 clinically relevant difference, and I would just like
7 you to comment on that if you could.

8 And my last question was -- actually, it's
9 sort of directed at both Genzyme and the FDA folks,
10 and the FDA presentation, I thought, brought about a
11 very important issue here, and that is about one
12 thing that was -- that came across somewhat nicely is
13 actually because of the different models that were
14 fit, you actually have conducted sensitivity analyses
15 of sorts in looking at the consistency of at least
16 the qualitative interpretation as you vary different
17 models.

18 And I would like to ask both the FDA and
19 the Genzyme folks to comment on, one, as you fit
20 these models, what did you check in terms of model
21 assumptions? All models have some sort of
22 assumptions associated with them and I know, in the
23 FDA presentation, I think you mentioned that there's
24 actually no software that evaluates some of these
25 assumptions.

1 And so I think sensitivity analyses are the
2 key, and I think checking model assumptions is also
3 very important and what were the results of that.
4 And as an extension of that, I hate to be one who
5 suggests an alterative analysis, but given that
6 you've probably analyzed this more than you care
7 to -- but there are methods that are "model-free or
8 more robust" to assumptions, in particular
9 nonparametric things that don't require assumptions
10 about distributions and things like that, and other
11 types of methods, Way and Johnson (ph.) type of
12 things that are essential model-free.

13 And since you don't have modeling, you
14 don't have the assumptions associated with the
15 modeling, and there are some methods that could be
16 explored that essentially eliminate the problems with
17 assumptions or having to make them. And so I just
18 sort of throw that out as an idea and whether that's
19 been tried.

20 MR. HALPIN: So I think we can respond to
21 some of the clarifying questions right now, if that
22 would be appropriate.

23 DR. MABREY: That would be appropriate,
24 yes.

25 MR. HALPIN: Okay, great. First I'd like

1 to have Dr. Polisson come up and speak to the
2 treatment effect and the protocol of 0.297, and also
3 touch base on comments about OMERACT-OARSI as the
4 most important secondary endpoint.

5 DR. SILLIMAN: All right. So I'm going to
6 speak to the choice of the .297 for the power
7 calculations. First let me clarify, when we sized
8 the trial -- and I'm sorry for the confusion. So
9 actually, maybe, let me back up and start with the
10 way we define effect size here is the treatment
11 difference divided by the standard deviation in the
12 control group. So I'll try to be very clear about
13 whether I'm talking about a treatment difference or
14 an effect size. The .297 was actually an observed
15 treatment difference in a previous open-label Synvisc
16 trial versus steroids.

17 So the -- all right. Let's see, can I have
18 the slide on? So this is just a recap of the power
19 calculations and that the estimate of the treatment
20 difference of .297 was based on this Kayborn (ph.)
21 study.

22 Slide on. This was an open-label study of
23 Synvisc versus Arristaspam (ph.) and designed very
24 similarly with WOMAC A and almost exactly the same
25 treatment schedule. There was no visit 18. Sorry,

1 no week 18 visit, so we just interpolated responses
2 in that study and then we -- for each patient, we
3 averaged the overall mean change from baseline across
4 the study visits, post-treatment study visits, and we
5 used this to come up with our estimate of .297 for
6 the treatment difference as well as -- I think it was
7 .725 for the standard deviation. Slide on.

8 One important point about the Kayborn study
9 was that this was an open-label trial and so
10 treatment effects tend to be much larger in the open-
11 label study. We postulate this could be one reason
12 why the observed effect in the current study is less
13 than .297.

14 So, you know, power I show you design the
15 study that's related to the Type II error, the risk
16 of not being able to observe a significant difference
17 in your study. Once you're done with the study, then
18 you're looking at the p-value for the primary
19 endpoint. You're interested in preserving the Type I
20 error, for example, at five percent.

21 So, again, this .297 was chosen, based on
22 the Kayborn study, as sort of our best estimate of
23 what we might see as a target treatment effect. It
24 was not chosen to be any sort of a minimum difference
25 that would be considered clinically meaningful. So

1 you could certainly have a treatment effect less than
2 .297 and still consider it clinically meaningful.

3 DR. EVANS: Although, just to clarify -- so
4 if that was the case, then would the current trial
5 actually have been underpowered for effect sizes
6 smaller than .297?

7 DR. SILLIMAN: Well, we also estimated a
8 dropout rate of 25 percent, and we saw about eight
9 percent, so I think that the dropout rate and the
10 treatment effect, treatment difference, kind of wash
11 each other out, so that we -- you know, we believe
12 that the study was adequately powered. I might also
13 try to answer the question about the blinding.

14 We did not assess, at the end of the study,
15 whether the patients guessed which treatment they
16 received. However, the injection adverse event rates
17 were similar between the arms, suggesting that that
18 wasn't a cause for un-blinding, as well as we used a
19 blinded injector so that they were the ones
20 communicating with the patient.

21 MR. HALPIN: Okay, I'd like to have
22 Dr. Stephen Lake come up.

23 DR. LAKE: Hi, my name's Steve Lake,
24 Genzyme biostatistics. Slide on, please. And so we
25 actually -- at the time we responded to FDA, we did

1 not have a formal test of the proportional odds
2 assumption, but then we subsequently actually did
3 identify two tests for that proportional odds
4 assumption.

5 So just to refresh what Dr. Lao said, the
6 proportional odds model, when we have ordinal data as
7 a commonly used extension of logistic regression for
8 ordinal response variables, what we do is we model
9 the cumulative logits, and there are assumptions with
10 this proportional odds model, namely, that it assumes
11 that the odds ratios associated with covariance, such
12 as treatment effects, are the same, regardless of
13 which cumulative logit is used. So if you're looking
14 at the treatment effect -- and this is what Dr. Lao
15 presented.

16 He showed a graphical representation of the
17 odds ratios across the cumulative logits. We can
18 actually test that assumption of whether or not those
19 are equal or show large deviations from the
20 assumption of proportional odds. So the next slide,
21 please.

22 DR. EVANS: Could I just clarify? So the
23 null of the test is that there's no violation, is
24 that right?

25 DR. LAKE: Yes. So the null is that there

1 is no violation, yes. So this is the actual table
2 here of p-values and a p-value less than .05 would
3 indicate evidence against proportional odds. And you
4 can see that there is test for the GEE proportional
5 odds assumption, and that's the test of overall on
6 the first row there, and you can see that this is
7 what Ms. Elkins indicated, that the p-values are all
8 greater than .05, indicating that the proportional
9 odds assumption does hold.

10 And then we also looked at each specific
11 post-baseline proportional odds and tested that
12 assumption as well. And there was only one out of 15
13 that indicated that, at week 12, in that COGA, that
14 there was a deviation for proportional odds. So we
15 feel comfortable that use of the proportional odds
16 model to analyze ordinal data is warranted in this
17 situation.

18 DR. EVANS: So let me just clarify, I
19 guess, the way I would state it. So these tests were
20 not significant, essentially stating that you looked
21 for violations to the model assumptions and did not
22 find them?

23 DR. LAKE: That's correct.

24 DR. EVANS: Which is good, although just to
25 clarify, is distinct from confirming that the

1 assumptions indeed hold. So, in other words, you
2 failed to find evidence against it, but it doesn't
3 mean you found evidence to support it.

4 DR. LAKE: That's correct, yeah. And I
5 think the graph that Dr. Lao presented showed that,
6 you know, there aren't no large violations, visually,
7 as well.

8 MR. HALPIN: I'd like to have
9 Professor Chevalier, who was a clinical investigator
10 in our study, just come up and speak briefly to
11 blinding.

12 PR. CHEVALIER: I am Xavier Chevalier, head
13 of the department of rheumatology in Paris, and I was
14 one of the senior investigators in this trial. And I
15 have my travel taken charge by Genzyme and sometimes
16 there are fees as a consultant for Genzyme. I would
17 like to answer on your question on the blinding,
18 which is very important for this trial, of course,
19 for a patient.

20 The surveying was completely hidden, so the
21 patient couldn't know whether he received the placebo
22 or he received the drug. And in this kind of trial
23 is an investigator who -- the patient, who was not,
24 of course, the one who injected the product. So
25 taking together, the patient couldn't know whether he

1 receive or not the -- or the placebo.

2 MR. HALPIN: Okay, I'd like to have
3 Dr. Dworkin come up briefly and answer the question.

4 DR. DWORKIN: That is a great question,
5 Dr. Evans, so let me preface it by saying that most
6 of the research I've done for the last 20 years has
7 involved drugs, not devices, and so we've done a lot
8 of studies of anti-depressant medications, anti-
9 convulsive medications, in neuropathic pain
10 conditions, like diabetic neuropathy, but also more
11 recently in low back pain and in osteoarthritis.

12 And so my perspective on your question
13 really comes from that drug and particularly anti-
14 depressant and anti-epileptic background. In that
15 arena, a delta of active treatment versus placebo
16 because we do have inert placebos, obviously, in drug
17 studies, of 1.0 out of 10 is a common delta. We do
18 find, you know, between group differences of one or
19 even a bit more out of 10, out of 0 to 10 pain scale.
20 Of course, we have to remember this is a five-point
21 scale in this pivotal trial.

22 And so I think we wouldn't be here today,
23 is my guess, if the delta in the pivotal Synvisc
24 trial was of that magnitude because I think that
25 seems pretty obviously clinically significant, and

1 the clinically significant group difference would be
2 meaningful. But of course those drugs, where the
3 delta can be 1.0 out of 10, are drugs that cause
4 nausea and constipation and serious cardiac toxicity
5 in some cases.

6 Anti-epileptics are associated with
7 Stevens-Johnson syndrome. And that's all taken into
8 account in tolerating between group differences that
9 can be one out of 10, or even one and a half out of
10 10, almost never more than that. But of course,
11 here, the between group difference was less and I
12 think we wouldn't be here today and -- you know, I
13 hadn't thought about it until your question. I don't
14 think we'd be here today if Synvisc-One was
15 associated with an elevated rate of Stevens-Johnson
16 syndrome or cardiac toxicity or the development of
17 addiction, but I don't know what the addiction to
18 Synvisc-One would be, as we deal with, all the time,
19 in the drug world.

20 And so I think, from the perspective of a
21 treatment benefit, that is clearly modest, you know,
22 but that has a 26-week duration with what seems to me
23 a very, very low rate of adverse events, and you have
24 one injection that gives benefit for 26 weeks. I
25 think, from my perspective, that's clinically

1 meaningful in the context of, you know, my background
2 in drug development. One of the things I do but I
3 didn't mention is publish consensus treatment
4 guidelines for neuropathic pain.

5 And so when I view this in terms of the
6 benefit versus risk tradeoff that we obsess about
7 when we publish consensus treatment guidelines, to me
8 it seems a clinically meaningful benefit. You know,
9 26 weeks after a single injection, with no adverse
10 events. And I'd be happy to answer a follow-up
11 question, if you have one.

12 MR. HALPIN: In regard to your last
13 question, Dr. Evans, I think we're probably going to
14 elect to answer that after lunch. I was wondering if
15 you could restate it. Oh, you have it? Okay, we've
16 got it. Thank you.

17 DR. MABREY: Dr. Goodman.

18 DR. GOODMAN: I'd first like to thank the
19 Sponsor and the FDA for their excellent presentations
20 and for the opportunity to comment on this
21 submission. I have a number of very basic questions,
22 and I'm just going to read them off and you can
23 either answer them now or perhaps answer them later.

24 First of all, I'd like to know, from the
25 Sponsor, how they think Synvisc actually works and

1 how it decreases pain. I didn't see anything in the
2 submission to this effect.

3 Number two is I'm wondering when the
4 product was introduced originally. It was originally
5 proposed to have three injections and not one, and
6 I'm wondering now, at this point, why they're going
7 to a single injection rather than three injections,
8 other than the reason that was given by the clinician
9 at the beginning of this meeting. And I'm wondering
10 why six cc's are being introduced and not two cc's,
11 if they have any information on this.

12 Third, in terms of the inclusion and
13 exclusion criteria, I'm wondering if they could
14 explain the inclusion criteria for the K-L
15 assessment. They ruled out, I believe, severe
16 degenerative arthritis, but I'm wondering how they
17 assessed and what groups they included with regards
18 to the mild and moderate arthritis.

19 They also have excluded people with
20 significant varus and valgus deformity, and I'm
21 wondering how they defined severe varus and valgus
22 deformity. The majority of our patients with
23 degenerative arthritis have a varus deformity about
24 10 to 1 versus valgus deformity, and I'm wondering
25 how they excluded people with varus deformity of a

1 severe nature or a valgus deformity of a severe
2 nature.

3 I also would like to know if they have any
4 data on rescue medication. Did the placebo group
5 take more rescue medication than the treatment group?
6 I'm wondering if they have also a control group where
7 the patients came to visit the doctor and didn't
8 receive any injections at all, either in the past,
9 from their past data, or if they have another group
10 as well.

11 One other point that I neglected to mention
12 was with regards to inclusion and exclusion criteria.
13 Other than the radiographic designation and the varus
14 and valgus deformity, one of the exclusions was a
15 tense effusion. So if patients come with mild to
16 moderate arthritis, they generally have an effusion.
17 At least probably half of them do. And I'm wondering
18 how they excluded patients with a tense effusion.
19 Did that make them enter into the severe arthritis
20 group, or how exactly clinically did the people
21 involved deal with patients who had a tense effusion?

22 Finally, the improvements were very modest,
23 and even as in comparison, NSAIDs and other
24 treatments seem to really have a very modest
25 improvement. And I think the Sponsor gave a fairly

1 compelling reason how their single treatment fits
2 into this paradigm, and I'm wondering, do they have
3 plans to perhaps repeat the six cc injection in the
4 future, if a patient might not respond the first
5 time, or is the six cc injection going to be the be-
6 all and end-all? Thank you.

7 MR. HALPIN: Okay, thank you. I think we
8 can probably answer some of these questions now, and
9 we may need to answer some of them after lunch.

10 DR. MABREY: Okay.

11 DR. HOLMDAHL: Thank you for all the
12 questions. I would like to answer first how we got
13 to the single injection and why we choose six cc's.
14 That was actually based on the results of a pilot
15 trial -- slide on, please -- where we evaluated
16 various different combinations of volumes and number
17 of injections and we rated, as I briefly mentioned in
18 my presentation, the performance, both in terms of
19 efficacy and safety of these various treatments. And
20 the three times two mL here is the currently approved
21 treatment, which was on the WOMAC A1, your results
22 here, and this is the rank of the PTGA, and this is
23 the rank of the COGA. And as you can see here, the
24 one-time six mL performed at least as good as that,
25 whereas the other various combinations here did not

1 perform as well as -- at least in our minds, as the
2 three times two mL treatment. Next slide on, please.

3 And the reason why we did this to begin
4 with was that we -- as I briefly also mentioned, that
5 we had received requests, if it was possible to
6 simplify the treatment, since the patients have to
7 return a couple of times to get their full treatment.
8 That is basically the justification for why we did
9 this. And we knew that physicians were experimenting
10 with simplification and alternative doses, so we
11 thought it was the responsible thing to do, to
12 investigate this. Yeah, can I have the slide on
13 rescue medication from the -- yes, slide on, please.

14 So this is the rescue medication, the
15 average mean daily use of paracetamol. So it's
16 specifically to the rescue medication for the
17 duration of the trial, as you can see here. And the
18 Synvisc-One is shown in blue and the control is shown
19 in red. And after about a month, the two curves
20 began to separate, and then there is a trend towards
21 greater average daily use of rescue medication in the
22 Synvisc-One arm, although this difference, over time
23 here, did not reach statistical significance. The p-
24 value was 0.095. And then we will come back with the
25 rest of the answers.

1 MR. HALPIN: We have someone who --
2 Dr. Murray is going to come up and address the
3 mechanism of action question.

4 DR. MURRAY: Good morning. I'm
5 Dr. Christopher Murray, a Senior Director in the
6 Medical Affairs Group at Genzyme Biosurgery, an
7 analgesic pharmacologist by training. Slide on,
8 please.

9 When considering the mechanism of action of
10 viscosupplements, the original hypothesis from a
11 number of years ago that was first tested in
12 racehorses was that when you have osteoarthritis,
13 there's an observed degradation in the physical
14 properties of synovial fluid inside the joint space.
15 Slide on, please.

16 As you can see on this slide, the
17 elasticity and viscosity and average molecular weight
18 of hyaluronic acid inside the joint space for normal
19 patients or normal volunteers, and you can see that
20 when you get into a degenerative joint disease
21 situation, that those physical properties get
22 degraded. It was thought by the originators of
23 viscosupplementation that if you replaced the
24 degraded synovial fluid with a prosthetic device that
25 had physical properties resembling normal synovial

1 fluid, that that would enable the joint to re-reach
2 homeostasis, and because of that, that pain would be
3 relieved and other symptoms would improve.

4 That hypothesis -- slide on, please -- has
5 recently been tested in a human clinical trial that
6 was published a couple years ago by some
7 investigators in Australia. In that study, they took
8 patients with relatively early staged disease, about
9 60 of them, and studied them for about six months
10 after treatment with three injections of Synvisc.
11 They took synovial fluid samples before the treatment
12 and three and six months after the treatment. Slide
13 on, please.

14 And the results of that study had the
15 following findings: first, at month three, there was
16 a statistically significant increase in the mean
17 concentration of hyaluronic acid in the patient's
18 joints, and the complex sheer module, which is a
19 combination of elasticity and viscosity, increased
20 significantly. There were similar effects at month
21 six, although they did not reach statistical
22 significance. So this was the first human
23 demonstration of the proof of the hypothesis behind
24 viscosupplementation.

25 Did that address your question,

1 Dr. Goodman?

2 DR. GOODMAN: Yes, but if you go back to
3 your last slide, I think the controls you used -- do
4 you want to put that back on, please?

5 DR. MURRAY: Thank you.

6 DR. GOODMAN: To the slide before that.

7 DR. MURRAY: RD-42, please. Slide on.

8 DR. GOODMAN: The one before that, please.

9 So it doesn't appear that your normals are age-
10 matched.

11 DR. MURRAY: That's correct, these papers
12 were published at different times by different groups
13 of authors, yes.

14 DR. GOODMAN: Do you have any idea what the
15 properties would be on each match control?

16 DR. MURRAY: There were some studies that
17 were done later on, with smaller numbers of patients
18 that were studied in this particular paper, and
19 they're about in the range of what you're seeing with
20 that particular slide on osteoarthritic conditions.
21 I don't have those data in a slide for you today,
22 though.

23 DR. GOODMAN: So just to paraphrase, the
24 age-matched controls had the same physical properties
25 in their synovial fluid as the normals, age 18 to 27?

1 DR. MURRAY: There is a slight decrease
2 with aging in those physical properties; however,
3 they do not reach nearly the extent of the
4 degradation that's observed with osteoarthritis.

5 DR. GOODMAN: Thank you.

6 DR. POLISSON: Let me see if I can answer
7 two questions raised by Dr. Goodman. I don't have
8 slides for this, so I'll just speak to them. It had
9 to do with your question about a third control, I
10 believe, and was there another control that was not
11 treated by intra-articular saline or Synvisc-One, and
12 the answer is no, and that was not part of the
13 construct of this clinical trial, although it's an
14 excellent question and one would love to have that
15 information, but we did not do that as part of this
16 program.

17 I believe your next question had to do with
18 Synvisc-One being the whole enchilada, if you will,
19 and I think there is -- if this is approved and used
20 in practice, I think it's -- we should leave it up to
21 the physician and the patient to decide which product
22 would be most useful in that particular situation. I
23 will say, however, that we did study Synvisc-One in a
24 repeat phase, in an attempt to get some short-term
25 adverse event data in case, you know, we did want to

1 go forward with using this much as you might do a
2 steroid injection, and the safety looked pretty good.
3 So again, I don't think that, you know, we think that
4 this is going to be it. Both products will be out
5 there and available.

6 DR. HOLMDAHL: Can I have the rescue
7 medication slide again? I was advised that I
8 misspoke to that slide, so I would like to show that
9 again, the rescue medication slide. Slide on,
10 please. So there was a less -- lower average daily
11 consumption of rescue medication in the Synvisc arm.
12 Thank you.

13 MR. HALPIN: And I think we would like to
14 come back after lunch to answer your question
15 specifically about the three different issues
16 regarding inclusion and exclusion criteria.

17 DR. MABREY: Thank you. Dr. Olsen.

18 DR. OLSEN: I have one. It's actually more
19 of a concern than a question. It reflects on the
20 baseline characteristics or the population
21 characteristics of these individuals who are
22 enrolled, and I think reflects that they were
23 European rather than studied in the United States,
24 and that is that their mean BMI was 29. I'm the only
25 rheumatologist here, but in my clinical practice I

1 see many -- when I see a BMI of 29, I actual notice
2 it because so many of my patients have BMIs greater
3 than 30 and even greater than 40. So one scenario
4 for use in the United States, I would think, might be
5 that such individuals who aren't ready to get their
6 joints replaced because the orthopedic surgeons won't
7 replace joints in such larger individuals, might be
8 you need to lose weight, and there's ways of doing
9 that now, so maybe they could lose weight, Lap-Band
10 or some kind of procedure.

11 But in the meantime, maybe we'll recommend
12 that you get these injections to see if you can get
13 along until you can get a joint replacement. So
14 there would be a question about efficacy in this type
15 of a population, whether it's efficacious as it is in
16 patients of this size, whether it would last as long,
17 and I think that would be something that would
18 reflect the type of use that would go on in the
19 United States.

20 MR. HALPIN: I'd like to have Dr. Holmdahl
21 come up and answer that question.

22 DR. HOLMDAHL: Thank you. I mean, that's a
23 very, very appropriate question. So we have actually
24 done that comparison ourselves. Slide on, please.
25 This is the baseline characteristics of all patients

1 in the trial, in the column here, and we have
2 compared that to the OA initiative cohort that is
3 published with U.S. patients, and there is -- fairly
4 consistent between the two cohorts, in terms of mean
5 age and actually BMI, as well as, you can see from
6 here, what the difference -- would rather be the
7 ethnicity. That is what stands out. But the
8 baseline characteristics, we otherwise believe, are
9 very, very comparable.

10 DR. OLSEN: But these still reflect trials
11 rather than clinics, and I'm just -- I mean, even
12 that BMI of 30 is not -- you really do see BMIs of
13 44, and those are people who walk into the clinics,
14 so I just think it's an issue that might be out there
15 in clinical practice.

16 DR. HOLMDAHL: We have actually looked at
17 the efficacy of the product in terms of BMI, whether
18 patients have an increased BMI or have normal BMI, so
19 I'd like to show that slide here. Slide on, please.
20 And we defined that as increased BMI is greater than
21 25, and since normal BMI is -- 25 and we do not see
22 any decrease in efficacy. What you see here is the
23 WOMAC A score by BMI. We don't see a decreased
24 efficacy in the patients with increased BMI.

25 DR. BLUMENSTEIN: What are the number of

1 patients on that -- in that split?

2 DR. HOLMDAHL: I would like to come back to
3 you with that information. Does it say on the slide?
4 I can't see that from here. It probably should say
5 here on the slide, but I don't think the Panel
6 members can read that either.

7 DR. EVANS: I think one thing you'd want to
8 do is actually assess interaction of baseline BMI
9 with treatment effect, with treatment. You probably
10 don't have enough power to find anything, but that's
11 really the way to look at it.

12 DR. MABREY: Dr. Olsen?

13 DR. OLSEN: Yeah.

14 DR. MABREY: I'll just add that I'm also
15 from Dallas, as Dr. Olsen is, and as you all know,
16 everything's bigger in Texas, including our clinics.
17 Dr. Skinner.

18 DR. SKINNER: Thank you, Dr. Mabrey. My
19 questions or concerns are similar to Dr. Goodman's
20 and basically revolve around my concern that the two
21 groups --

22 DR. MABREY: We're going to get a
23 clarification of one thing first.

24 DR. SKINNER: Sure.

25 DR. MABREY: We're going to straighten it

1 up.

2 DR. SILLIMAN: Okay, great, thank you.

3 DR. MABREY: Sorry about that.

4 DR. SILLIMAN: Can I have that slide back
5 again? Slide on. So we did actually look at some
6 additional covariants that weren't pre-specified.
7 BMI was one of the ones that we looked at, and there
8 was no significant treatment by covariant interaction
9 in this case. The p-value was .313.

10 MR. HALPIN: I'd like to have Dr. Polisson
11 just comment briefly.

12 DR. EVANS: I think that's the way to look
13 at it is through a de-interaction, although the power
14 to find significant interaction is probably going to
15 be pretty low, but I think it's what you can get out
16 of it.

17 DR. POLISSON: So this is anecdote. What
18 Dr. Olsen raises is a very good point about very
19 large people, and we do have an investigator that
20 works with us, Dr. Waddell in Louisiana, and he has
21 used Synvisc in this very obese patients and claims
22 that they, you know, have similar types of efficacy
23 as you would see in people with a BMI that was listed
24 in the results of our trial or the osteoarthritis
25 initiative. We recognize that that's sort of a

1 shoot-from-the-hip anecdote, but that at least speaks
2 from my experience and our experience with this
3 particular physician.

4 DR. MABREY: Okay, Dr. Skinner, I'm sorry
5 to interrupt you.

6 DR. SKINNER: Okay. As I was saying, my
7 concerns are similar to Dr. Goodman's, and I think,
8 although he didn't specifically say it, I think his
9 concern is that the control group and the
10 experimental group are the same group, statistically,
11 anyway. And my concern comes in -- partially in
12 making sure that while the WOMAC score and pain
13 scores are significant in defining the group, their
14 snapshot in time, and the Kellgren-Lawrence
15 evaluation is more of a less time-dependent
16 situation.

17 So I'd be interested in seeing that the
18 Kellgren-Lawrence two-three group is similar in the
19 two groups, the percentages for each one, because
20 that would help reassure me that the two groups had
21 the same amount of OA, and similarly to the comments
22 Dr. Goodman had regarding varus/valgus.

23 Another issue is that the rescue medication
24 was paracetamol or acetaminophen, and while that's an
25 adequate rescue medication, I guess, the patients

1 were allowed to take nonsteroidal anti-inflammatory
2 drugs with a half-life less than five hours. I don't
3 know what that means. But I'd be interested, again,
4 to know if the NSAID medication used by the two
5 groups was similar because even though they wash out
6 for 48 hours prior to their presentation for follow-
7 up appointments, if they're not very active in that
8 time, the effects of the NSAIDs can be carried over.

9 The third thing is that it's pretty well
10 accepted, I think, by most orthopedic surgeons,
11 although -- and rheumatologists, you'll find no one
12 who says that they can't put the needle into the
13 joint every time. This sometimes misses, as has been
14 shown in the literature. Dr. Jackson in Long Beach
15 did a big study on this and showed that he did much
16 better in getting the needle into the joint if he
17 used an image intensifier or X-rays.

18 And the criteria, I guess, for getting into
19 the joint, in this study, was that there was
20 aspiration, but I couldn't find data on how many of
21 the knees actually achieved the successful
22 aspiration. If it was similar in the two groups,
23 again, I'd feel more secure that the two groups were
24 similar. So I think those are my comments.

25 DR. MABREY: Does the Sponsor wish to

1 respond at this point or wait?

2 MR. HALPIN: Yeah, we would like to respond
3 to the NSAID question.

4 DR. HOLMDAHL: So we did analyze the use of
5 all concomitant medications -- slide on, please --
6 and which is shown here in this bar graph. We have
7 the proportion of patients on the Y axis. This is
8 all analgesics. This is where there was a slightly
9 increased use in the control population.

10 Anti-inflammatory was the same proportion.
11 There was also some patients who were taking aspirin.
12 There was a slightly increased proportion in the
13 control group. There were topical products for joint
14 pain, which also were a little higher in the control
15 population as was corticosteroids for systemic use.

16 This is also another, I think, interesting
17 finding, where we had drugs for acid-related
18 disorders by the two treatment groups and we had --
19 this difference is actually statistically
20 significant, there is a greater proportion of
21 patients in the control group taking drugs for
22 acid-related disorders.

23 DR. SKINNER: To follow up on that, the
24 protocol required them to take it only a certain
25 amount of time per month.

1 DR. HOLMDAHL: Yes.

2 DR. SKINNER: This slide shows the number
3 of people who took them or --

4 DR. HOLMDAHL: Yes.

5 DR. SKINNER: But it doesn't give an idea
6 of how much they took?

7 DR. HOLMDAHL: That is correct.

8 DR. SKINNER: Do you have any information
9 on --

10 DR. HOLMDAHL: I am hoping that I will be
11 able to get back to you after lunch with more
12 detailed information regarding that.

13 DR. MABREY: Thank you. Dr. Blumenstein.

14 MR. HALPIN: I'd like to have Dr. Simon
15 come up and speak briefly about the KLG
16 inclusion/exclusion criteria.

17 DR. MABREY: Okay.

18 DR. SIMON: I think it's important to
19 remember that, in designing clinical trials for
20 determining baseline characteristics for a pain trial
21 as opposed to a functional outcome trial, one
22 recognizes that you use K-L to define that a patient
23 has established osteoarthritis as a disease state.

24 However, there's no evidence in any
25 literature that correlates the extent of the pain

1 that a patient might have directly to what X-ray they
2 actually have. How often do we clinically see people
3 with eburnated joints who actually are able to do
4 pretty well, and people with only mild disease, by
5 X-ray characteristics, who are extremely
6 uncomfortable and very complaining? So I believe
7 it's really critical to understand the utility of K-L
8 to define what in fact is going on with the patients.
9 And as you can see on this slide -- slide up,
10 please -- what was not shown on the demographic data
11 previously in the core presentation is here is the
12 K-L grade and you can see that, basically, there was
13 an attempt to exclude people with Grade 4 and Grade
14 1, and basically it was a reasonable distribution of
15 people with obviously established disease, which is
16 really the only way that one can characterize the
17 utility of K-L in the context of distinguishing
18 patients from one group to another. Next slide,
19 please.

20 And as you can see here, there is a slight
21 difference between the ratio of K-L Grade 2 and 3
22 between the two groups. Neither interaction nor the
23 K-L grade showed a statistically significant effect.
24 Thus, in fact, the K-L grade was not helpful to
25 understand how one group may have responded versus

1 another group, but at the same time allowed the
2 appropriate patient to be recruited into the trial.

3 DR. SKINNER: And actually biased it
4 against Synvisc?

5 DR. SIMON: To a degree, one might argue
6 that that might be true.

7 MR. HALPIN: I think we'd like to answer
8 the remaining questions regarding inclusion/exclusion
9 criteria and aspiration of the knee after lunch.

10 DR. MABREY: Okay, thank you.

11 Dr. Blumenstein, you had another question?

12 DR. BLUMENSTEIN: Yeah, a quick one. Could
13 I see Slide CC-11? Could you describe the yellow
14 study, please? Is it complete? What's its status?

15 MR. HALPIN: The yellow study is a
16 completed evaluation of a different formulation of a
17 viscosupplement, so it's not Synvisc; it's a
18 bacterial HA-based viscosupplement.

19 DR. BLUMENSTEIN: Would that have the same
20 indications we're talking about today?

21 MR. HALPIN: Yes, I believe it would have
22 the same indication for use as what we're talking
23 about today.

24 DR. BLUMENSTEIN: How large is the study?

25 MR. HALPIN: I think this study -- well,

1 the study design was somewhat different than the
2 design we're talking about in that it was not a
3 comparison to saline, so it was --

4 DR. BLUMENSTEIN: Is it a comparative
5 study?

6 MR. HALPIN: It's a comparative study
7 between the new viscosupplement and intra-articular
8 steroid.

9 DR. MABREY: Anything else? I just have
10 one thing I'd like the Sponsor to consider. You've
11 reported that your study was -- your study subjects
12 were 96 percent Caucasian, taken from sites
13 throughout Europe. The U.S. Census Bureau 2006 data
14 shows that only 66 percent of the U.S. population is
15 Caucasian, with 15 percent being Hispanic or Latin
16 American, 13 percent African-American, and four
17 percent Asian.

18 In addition to that, there are recent
19 studies and I'll reference one of them, Jing Song,
20 et al., in *Arthritis Care & Research*, Volume 57,
21 Number 6, August 15th, 2007, talking about the ratio
22 among ethnic differences and activities of daily
23 living, disability in older adults with arthritis, a
24 longitudinal study, and in that they report that the
25 ADL disabilities are likely to be twice that for

1 African-Americans and Hispanics than they are for
2 Caucasians.

3 So when I look at these figures -- and
4 again, I'm not a statistician. I did not stay at a
5 Holiday Inn last night; I stayed here at the Hilton.
6 I'm looking at one-third of the population, number
7 one, has not had this study conducted, and yet they
8 are twice as likely to be subjects of this device.
9 And I'll let the Sponsor reserve that for that
10 afternoon. I know it's a big chunk to bite off. And
11 a second question --

12 MR. HALPIN: Thank you.

13 DR. MABREY: -- regarding the repeat trial
14 at the end of the study, were there any efficacy
15 results obtained from that, and why not?

16 MR. HALPIN: The repeat portion of the
17 trial was a four-week study of the safety of repeat
18 treatment, and the duration was not long enough to
19 study efficacy endpoints.

20 DR. MABREY: All right, thank you. Well,
21 it's exactly twelve o'clock. You've all done
22 extremely well with keeping us on time, and for being
23 so good, I'm going to call a one-hour lunch break and
24 have us return here at one o'clock.

25 I will advise the Panel members, please,

1 you are not to discuss the subject matter at lunch.
2 I'll also remind you that, for the Panel member, we
3 have lunch in the restaurant, in a separate room, and
4 that's meant to speed us through our dinner process.
5 Please take any personal belongings with you.

6 (Whereupon, at 12:00 p.m., a lunch recess
7 was taken.)

8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26

1 of concomitant medication, and the answer is that we
2 didn't collect the data in such a way that it'll
3 enable us to show, over time, if there were
4 differences other than what I already showed you in
5 the morning. We did collect data in the beginning,
6 and then we only collected subjects on various -- the
7 various concomitant medications. So I have no
8 further information and that is the answer.

9 DR. SKINNER: Could I ask a quick question
10 on that? Which NSAIDs were acceptable NSAIDs? Which
11 ones have the half-life less than five hours?

12 DR. HOLMDAHL: Dr. Simon is going to
13 address that.

14 DR. SIMON: As everyone here knows, there
15 are many different nonselective nonsteroidals that
16 are presently available in the United States as well
17 as a COX-2 selective inhibitor. The ibuprofen is the
18 one with the shortest half-life. It ranges between
19 one and a half and two and a half hours, depending on
20 the patient. So that is a serum half-life, not
21 necessarily a biologic effectiveness half-life. And
22 I presume that that's what you're referring to.

23 Now, I have no idea what that particularly
24 meant for this particular trial, but that in fact fit
25 that category. And as you know, that then reflects a

1 significant number of patients who take OTC
2 nonsteroidals because that's one of those that is
3 particular available. Obviously the other one that's
4 available OTC in the United States, naproxen has a
5 13-hour half-life. Did that help?

6 DR. SKINNER: Yeah.

7 DR. HOLMDAHL: Then I'd like to go on to
8 address verification of needle placement. And we
9 asked the investigators to try to verify correct
10 needle placement either by trying to aspirate joint
11 fluid and to ensure that there were at least a couple
12 of drops that they could aspirate. And if they
13 couldn't do that, then they were asked to use their
14 clinical judgment to ensure proper needle placement
15 in the joint.

16 And the last question I would like to
17 address are all the questions pertaining to
18 inclusion/exclusion criteria and in particular to
19 tense effusion and to deformities. The target here
20 was to include patients with mild to moderate OA,
21 which is the current indication for Synvisc, and as
22 we have mentioned this morning, it has been on the
23 market in the U.S. and worldwide for many years. So
24 that was the target population.

25 So for that reason and also for the reason

1 that we were concerned that major deformities, in and
2 of itself, could have an effect or ameliorate the
3 effect of a viscosupplement, patients with major
4 deformities were included. And the assessment of
5 whether a deformity could have this impact or not was
6 left to the clinical judgment of the investigator.

7 When it comes to the tense effusions, there
8 was an exclusion criteria for tense warm joints, with
9 a criteria of inflammation, and the reason for
10 excluding those patients was that there is
11 international recommendations and treatment
12 guidelines that is recommending these patients to be
13 treated with intra-articular steroids. So therefore
14 we thought that that was appropriate to have that
15 exclusion criteria and whether a joint was --
16 fulfilled these criteria or not was also left to the
17 clinical judgment of the investigator.

18 MR. HALPIN: I'd now like to have
19 Dr. Polisson and then Dr. Simon come up and speak to
20 ethnic representation in the Synvisc-One clinical
21 study.

22 DR. POLISSON: So the question was a great
23 one, and I just like to start out by saying that --
24 to remind the Panel and everybody in the audience
25 that this Synvisc-One that we're reviewing today is

1 not a new molecular entity. It's just a simple
2 regimen change of putting Synvisc three-by-two into
3 one syringe. So it's a product that's been out there
4 for a long time, for 10 years. Four and a half
5 million patients. We've got a lot of experience with
6 it across races. And the Synvisc trials that have
7 been done in the U.S., both as part of our initial
8 application as well as other studies that have been
9 done post-approval, really kind of reflect the same
10 distribution that you're seeing here in the Synvisc-
11 One program.

12 Now, let me have this slide on. So this is
13 what we showed when we analyzed the response in WOMAC
14 A across the time points by ethnicity, and these are,
15 I acknowledge, incredibly small numbers but at least
16 a trend in the right direction, and that is to say
17 that the top two rows, if you look at the 10 non-
18 Caucasians who are randomized six and four, you see a
19 decrement in WOMAC A by a Likert scale of minus 1.54
20 in the Synvisc-One group and minus 1.01 in the
21 control group. So to the extent that that says
22 anything, I think, at least you know the data with
23 respect to this particular trial.

24 Now, you raised a bigger question, though,
25 and I think, as a rheumatologist, I don't know of any

1 biologic plausibility that there should be a
2 difference in safety and efficacy with this type of
3 therapy that -- and OA expression that would go
4 across racial divides. That said, I would like to
5 ask Lee Simon, who actually is more of an expert than
6 I am on this particular area and has published in
7 this area, to comment further, if I could. Thank
8 you.

9 DR. SIMON: So it's very interesting to be
10 able to address you about this particular issue. I'm
11 an author on two of the most critical papers about
12 the use of hyaluronic acid in the United States. One
13 was in the *Rheumatic Disease Clinics of North*
14 *America*, and the other one -- I was first author of
15 that, and the other one is by Brandt, et al., in
16 *Arthritis & Rheumatism*, both this decade.

17 And basically, we did extensive literature
18 review on the entire field, and we attempted to
19 understand the trial design issues that some of you
20 have already brought up as it relates to what
21 happens, one of which is continued rescue use
22 throughout the entire trial, for example, how that
23 can obfuscate benefit, and other issues that have
24 plagued the particular field, one of which has to do
25 with local therapy for two joints versus one joint.

1 But one of the things that really became
2 clear in our study is that -- in our analyses of
3 these data is that, A, there was no real differences
4 in how people of different racial backgrounds
5 responded to the kind of therapy. We would've
6 pointed that out because we believe that that's an
7 important issue. Two is, in thinking about a local
8 therapy for pain, and being one of the people -- I
9 was the author, one of the authors of the OMERACT-
10 OARSI responder index, one of the problems in
11 thinking about ADLs and responsiveness to therapy is
12 whether or not a pain drug, an analgesic drug, can
13 actually really alter function to the extent that you
14 might want to see in a clinical trial outcome. And
15 powering such a trial can be very difficult.

16 We're grateful that the FDA has actually
17 chosen to ask sponsors to use the OMERACT-OARSI
18 outcome responder index as secondary outcome so we
19 can learn more about it, but we are a little bothered
20 by how it's being interpreted.

21 So in the end, my comment really has to do
22 with the fact that we really found, in an extensive
23 review of the literature, any -- no real particular
24 biases based on racial background, ethnicity
25 background, in the context of outcomes in a highly

1 problematic field of outcome measurement with this
2 kind of therapy. I don't know if that is totally
3 helpful.

4 DR. MABREY: Yes, it is, thank you.

5 MR. HALPIN: And then I'd like to have
6 Dr. Silliman and Dr. D'Agostino come up and address
7 the model fit issue and multiplicity, briefly.

8 DR. SILLIMAN: Thank you. Let's see. So
9 first I wanted to respond to -- I think it was
10 Dr. Evans' question about the model fit for our
11 primary model for the primary endpoint. So we fit
12 that repeated measures, analysis of covariance, with
13 an unstructured mean as well as an unstructured
14 variance/covariance structure.

15 So in terms of assumptions, it was sort of
16 the minimal amount of assumptions that we needed to
17 make. We did check the residuals, and that plot of
18 the residuals were fine. I can show that if you'd
19 like. No? Okay.

20 And we also did some work when the FDA
21 asked us to fit the model using site as a random
22 effect. They also suggested that we pick a
23 variance/covariance structure based on the AIC, the
24 Akaike information criteria. So we did some work on
25 that, which I can go through. Slide on.

1 So we fit the five different covariant
2 structures here, the first auto-regressive moving
3 average, first auto-regressive, spatial power,
4 compound symmetry, and tuppets. And what you see
5 here is that you have the AICs and you're looking for
6 the smallest value. That's the covariant structure
7 that gives you the best fit. You'll notice here that
8 that was actually the first order auto-regressive
9 moving average. Thank you. That's this one.

10 We were unable to get that model to
11 converge consistently on the various populations,
12 intent to treat versus protocol, as well as we were
13 unable to get it to converge consistently on the
14 secondary endpoints, so we therefore moved to the
15 first order auto-regressive as our choice for the
16 covariant structure, and that's what we used for all
17 the FDA-requested analyses.

18 There was also a question about -- you can
19 put the slide down, thank you. There was a question
20 about whether we had done any nonparametric analysis.
21 We actually did not do any nonparametric analysis for
22 the primary endpoint here.

23 And then I wanted to maybe introduce
24 Professor Ralph D'Agostino and the topic of
25 multiplicity for the secondary endpoints. Slide on.

1 So this is the IMMPACT paper that
2 Dr. Dworkin spoke about, and in there there's
3 actually a statement about the lack of a need to
4 adjust for multiplicity for secondary endpoints, and
5 as Dr. Dworkin mentioned, this was an effort
6 involving several FDA officials. Next slide. Slide
7 on.

8 So this is just -- there's just two slides
9 here with a quote from the paper, and then I'll
10 introduce Dr. D'Agostino. So I bolded here that the
11 statement from this paper was that, in a regulatory
12 context, when there is a single pre-specified primary
13 efficacy endpoint, and all additional endpoints are
14 declared as providing only supportive or exploratory
15 information, adjustment for multiplicity will
16 typically not be necessary. And the reference here
17 is actually the Committee for Proprietary Medicinal
18 Products, points to consider on multiplicity issues
19 in clinical trials document. This is part of the
20 European regulatory authorities. Slide on.

21 And then it goes on to say, there are other
22 circumstances in which multiplicity adjustment is
23 usually not considered necessary, for example, to
24 examining secondary hypotheses or secondary endpoints.
25 And this is actually the reference to Dr. D'Agostino,

1 for his paper and stats and medicine on controlling
2 alphas in a clinical trial, the case for secondary
3 endpoints.

4 And as we heard before, Dr. D'Agostino is
5 internationally recognized and very well published
6 statistician, so I'd like to introduce
7 Dr. D'Agostino.

8 DR. D'AGOSTINO: Thank you. The material
9 that has just now been presented is pretty much what
10 is to be said. Could you put the slide back on,
11 please? The history of secondary endpoints is pretty
12 long, and it does pay heed -- and we should have heed
13 in terms of are we handling them correctly.

14 I mean, I've been around for a long while,
15 as a number of other people here, and there was a
16 time when you would run hypotheses tests for clinical
17 trials and you would just give a long list of
18 variables and whatever was significant you declared
19 as your winner. Then there was a time when one would
20 say, okay, let's separate primary from secondary, but
21 it did make a bit of difference where the
22 significance was where you declared winners.

23 And then there was a time when -- not that
24 long ago, when things like mortality was being put in
25 as a secondary variable in cardiovascular trials and

1 nothing else would be significant except the
2 mortality, and that was being elevated to the claim.
3 And the sorting out in the paper that is quoted
4 there, the 2000 stat medicine paper was an attempt by
5 myself and a number of other individuals, FDA
6 included and a lot of FDA advisory committee members,
7 to sort of sort out what the issues were.

8 And the bottom line is that a good trial
9 should have a small number of primary efficacy
10 variables, one if possible, and then some secondary
11 variables. And if the secondary variables are --
12 well, first of all, the primary is where your money
13 is, and if it's one variable that's in the primary,
14 then you must show significance on that to go
15 anywhere. If that is significant, then you can say,
16 what about the secondary? And if the secondary, as
17 it's quoted here -- and this actually -- could you go
18 to the SM-3, please? This one here.

19 And actually the paper that is quoted from
20 me has this also in it. If the point of the
21 secondary variables is solely to give confirmation
22 consistency to the primary, then there is no real
23 need to control the alpha. Where you need to worry
24 about controlling alpha in the secondary is if you
25 have some secondary variable, again, after the

1 primary has been significant, is shown to be
2 significant and there's some secondary variables that
3 you would, say, for example, in a regulatory setting,
4 you'd like to elevate to being part of the label
5 claim and so forth.

6 And if you have that in mind, then it's
7 very important to have the secondary variables
8 a priori declared in that fashion, that you're going
9 to look at them after the primary, you're going to
10 look at them as possible variables to make claims
11 with. And then you have to have very tight control
12 of your alpha. We call it study-wide alpha. If,
13 however, in our case, we're interested in these
14 secondary variables as confirmation that, are they in
15 the right direction?

16 And if you look, no matter what was done by
17 the FDA, no matter what was done by us, the effect
18 sizes, the direction, the differences, are all going
19 in the same way. The drug is better than the
20 placebo. And what we're trying to do with the
21 study -- what the Sponsor is trying to do is say,
22 here's the significance, no matter -- here's the
23 primary. No matter how you look at the primary,
24 there's significance. And do the secondary; go in
25 the right direction.

1 And, in fact, they all go in the right
2 direction, the ones on the WOMAC A1, where it's
3 walking, pain on walking, the global variables. They
4 all go in the right direction. Depending on which
5 analysis you use, you get sometimes over .05,
6 sometimes under .05, but they're all in the right
7 direction, they're all in the same direction. That's
8 the key to, I hope, the way you interpret the
9 multiplicity. We're trying to show consistency.

10 As far as some of the procedures used, the
11 agreement between the -- there is an agreement
12 between the FDA and the Sponsor in terms of the
13 primary. No one's questioning it. As a matter of
14 fact, when the FDA looked at it, they even got a
15 better level of significance. When you go to the
16 secondary, there's a discussion about what's right
17 and what's alternatives. CC-64. Do you have that
18 one, by any chance? Can you pull that up?

19 If you look at this -- thank you. If you
20 look at this here, the first column is what the
21 Sponsor produced when they did the proportional odds
22 model. Again, this was pre-specified, it was well
23 thought out, and the analysis showed lots of
24 consistency with the primary outcome.

25 The FDA, in looking at it, was trying to

1 make sure that there's a robustness to it, and what
2 they basically did -- and going back to Dr. Evans'
3 question there, they basically used like a
4 nonparametric method. When I started out in
5 statistics with the FDA, everybody was using what I
6 call Likert scales. Everyone was using Likert scales
7 and they were doing t-tests on analysis of
8 covariance, and the question was were they really
9 valid? And we have done a lot of work on it, showing
10 they are in fact valid, they are robust procedures,
11 they do give you appropriate alpha values. The
12 problem is that, in terms of where the Sponsor is
13 coming from, the WOMAC A, the PTGA, the COGA, these
14 are variables that have small scales, and people have
15 spent a lot of time asking about what's the better
16 analysis. Can you do something better than just
17 doing a t-test, just doing analysis of covariance?

18 And the proportional odds model came, and
19 there were some very good questions about the
20 assumptions. Our analysis, in terms of the
21 assumptions being met, shows over and over again --
22 and again, as Professor Evans said, you're accepting
23 a hypothesis, but there's no reason to believe the
24 proportional odds assumption isn't met.

25 And we think that the first list of p-

1 values is the appropriate list, but even if you go to
2 other procedures, look at that whole sheet there,
3 everything is showing the same direction. Again,
4 this is supported for consistency. Thank you.

5 MR. HALPIN: Those are all the responses
6 the Sponsor has at this time.

7 DR. MABREY: Do the Panel members have any
8 additional questions for the Sponsor or for the FDA?

9 (No response.)

10 DR. MABREY: Okay. At this time now, we
11 can focus our discussion on the FDA questions.
12 Copies of those questions are in the back of your FDA
13 handout. For the Panel members, the questions that
14 are in your three-ring binder have been changed a
15 little bit, so go by the Panel questions that are in
16 the slide handout.

17 Dr. Lee, would you like to read the first
18 question for us?

19 DR. LEE: Yes. Chairman and Panel members,
20 please note that Question 1 was modified to clarify
21 the content of the previous Question 1.

22 Panel Question 1. Based on the mean
23 difference observed between Synvisc-One and the
24 phosphate-buffered saline control for the primary
25 endpoint of the study as shown in Table 18 of FDA

1 Executive Summary, the group difference was 0.15 out
2 of the five-point Likert scale. Please discuss the
3 clinical relevance of the 0.15 incremental advantages
4 of Synvisc-One over the control in the mean
5 difference in change from the baseline for the
6 proposed indication for use.

7 DR. MABREY: Dr. Evans?

8 DR. EVANS: I guess I sort of have mixed
9 feelings about the clinical relevance of -- that is
10 seen. I thought Dr. Dworkin's presentation actually
11 shed some light on it. I think, from a statistical
12 standpoint, I was actually encouraged by the
13 consistency of at least the sort of statistical
14 significance and the similarity of effect sizes in
15 various analyses.

16 So I think, from -- you know, as you
17 evaluate treatment effects in clinical trials and
18 you're looking at statistical significance and you're
19 looking for clinical relevance, I felt -- I sort of
20 feel somewhat encouraged by -- from the statistical
21 standpoint of the statistical significance in sort of
22 consistency of effect sizes in the sensitivity
23 analyses across models that were fit. I have a
24 little bit more trouble trying to interpret the
25 clinical relevance of the effect size. I think it's

1 sort of a clinical question.

2 I thought Dr. Dworkin, you know, he
3 actually had a list of considerations to look at when
4 trying to make a decision about what would be
5 clinical relevant, and I think there was a couple of
6 issues there. There was also -- he also alluded to a
7 document that basically said that any effect of --
8 between group difference effect would be relevant in
9 some way and not to try to -- and he made
10 clarification not to confuse group differences with
11 what would be relevant for -- relevant changes for
12 individual patients. So I think that the clinical
13 relevance question and part of Dr. Dworkin's list was
14 to sort of consider it, to look at the effect sizes
15 and interpret them within the context of secondary
16 variables, within the context of the safety data and
17 what it sort of costs and risks to, you know, what
18 the other costs and risks and benefits are associated
19 with the therapy.

20 But I'm still a little unclear about how to
21 interpret the clinical relevance. I feel a little
22 bit more confident about -- or a little more
23 encouraged about -- from a statistical standpoint.

24 DR. MABREY: Dr. Goodman?

25 DR. GOODMAN: I think that this question

1 and Question 3 are basically the crux of the
2 decision-making process. I admit that when I first
3 went through this manual, I thought a difference of
4 .15 was really negligible on a five-point scale.
5 However, I think that we've been presented with
6 comparable data from other interventions which shows
7 that that is the same level, approximately, of other
8 interventions that we use in the clinic. So I was
9 encouraged by that.

10 I also was very happy that I do total joint
11 replacement because I think that's probably the most
12 effective of any intervention that there is. And
13 that's all I have to say. Thank you.

14 DR. MABREY: Thank you. Dr. Olsen?

15 DR. OLSEN: In terms of the clinical
16 relevance, maybe I'm a little more able to judge that
17 from the statistical aspects of this, but I think my
18 context is that -- sort of like what was just brought
19 up here, that these patients are looking at a
20 longstanding problem with minimal significant
21 alternatives.

22 There's very good safety profile to what
23 they got. They didn't drop out, but of course the
24 saline-injected people didn't drop out either, but I
25 think it's kind of a measure of they were all hoping

1 that there would be something here that would help
2 them.

3 So I put it in that context, and it would
4 be something that we would say to a patient, there is
5 a -- that it would have to be described this way, but
6 it is not something that is going to change your life
7 overnight, but it might extend you to the total joint
8 replacement or have some other limited goals. And in
9 that sense, I think it does have clinical relevance
10 to have even a small degree of improvement.

11 And I was encouraged by the fact that all
12 the other markers seemed to go in the same direction,
13 so there wasn't anything else there that seemed to
14 suggest some underlying current moving in an opposite
15 direction. They were all going in the same
16 direction.

17 I'm not concerned about the difference with
18 the projected number versus the number that came out
19 because I think that's all based on assumptions that
20 aren't always -- I mean, it's interesting to me that
21 you assume that 25 percent of people would drop out
22 and so few people dropped out. So you know, your
23 assumptions, you try to be real careful about them,
24 but that's one that you didn't have to be that
25 careful about.

1 So I don't know what we learned from that,
2 but there's something kind of interesting about this
3 is what happens when you do these things to patients.
4 So the bottom line is I think it's small but probably
5 clinically relevant that this would offer something
6 in a field where there's limited choices, so I don't
7 have a lot of concerns.

8 DR. MABREY: Thank you. Dr. Skinner?

9 DR. SKINNER: Well, my comments aren't a
10 lot different from the other two Panel members. I
11 think everybody in the room acknowledges that the
12 improvement with this injection process is modest,
13 and this is one of those things that'd be kind of no-
14 brainer if it was a \$50 injection. But when you add
15 an order of magnitude to that, it makes the clinical
16 relevance more significant. It'd be nice if it was a
17 nice, inexpensive drug that you could give once and
18 get this much clinical improvement.

19 Based on that, I think that there is
20 clinical improvement, and the modest effect is
21 clinically relevant. It's just a shame that it's so
22 expensive.

23 DR. MABREY: I understand that we're not to
24 consider the cost in --

25 DR. SKINNER: Of course not.

1 DR. MABREY: -- our deliberations, but I
2 appreciate your comments on that.

3 DR. SKINNER: I didn't consider it at all.

4 DR. MABREY: Dr. Blumenstein?

5 DR. BLUMENSTEIN: I have a wish list,
6 actually. To me, the .15 isn't so relevant as the
7 .97 that was used to plan the trial. In other words,
8 it was -- somebody declared that the alternative, the
9 specific alternative hypothesis to be used to compute
10 the trial size would be based on a difference of
11 .97 -- 297, .297. And I assume that number is
12 comparable to the .15, if I'm understanding all of
13 the numbers that are being thrown around here.

14 So the company then did a trial and they
15 gathered data, and the data has said that you should
16 reject the null hypothesis in favor of the
17 alternative hypothesis, and it was planned with that
18 .297. So to me it's the .297 that has more meaning
19 than the .15. And I have no basis for understanding
20 what that number means. It seems small to me, but
21 I'm listening keenly to my clinical colleague.

22 The other piece of wish list -- on my list
23 wish is that I sure do wish I had some kind of a
24 comparison either between placebo and the three
25 treatment, or between the one treatment and the three

1 treatment because I feel like I don't know where I
2 am. And that's all I have to say.

3 DR. MABREY: Thank you. Ms. Rue?

4 MS. RUE: In the clinical relevance, I
5 feel, from the discussions that we've had on how
6 effective it was, is significant. But also, I think
7 the clinical relevance, as far as a consumer basis,
8 is how it changes access and availability with the
9 only one injection instead of three and how this
10 impacts their life, as far as their work-related and
11 other things that they have to change, and it's
12 different only having to do it once as opposed to
13 three times.

14 DR. MABREY: Thank you. Comments?

15 MS. GEORGE: Well, obviously, I have the
16 least clinical expertise here, so the clinical
17 aspects aren't really key for me. But a couple
18 things that came to mind when I would listen to
19 everybody talk about this was, number one, I believe
20 I remember seeing that it was actually the FDA that
21 wanted the comparison to a placebo, not to the
22 existing, so that's one of the reasons why that data
23 is not available to us in this. I think if we had
24 wanted to look at how the original was, that would've
25 been available in the other original PMA, I would've

1 expected.

2 But the other thing that came to mind for
3 me was that whole aspect of going for three shots
4 versus one. Just as a patient, I would think that
5 patients would be much more apt to show up that one
6 time and -- rather than, you know, three times and
7 the time, and I think one of the speakers this
8 morning actually brought that up as well. So that's
9 all I have to say.

10 DR. MABREY: Thank you. Mr. Melkerson,
11 with regards to Question 1, the Panel generally
12 believes that the statistics appear to be appropriate
13 and well handled and that the clinical relevance of
14 .15 seems to be acceptable, although small. Contrary
15 to that, the Panel also has some concerns about the
16 clinical relevance of this difference and also
17 concerns about the selection of the cutoff of null
18 hypothesis. Is this adequate for the FDA?

19 MR. MELKERSON: Yes, it is, thank you.

20 DR. MABREY: Thank you.

21 DR. LEE: Panel Question 2. Multiple
22 secondary endpoints were tested without adjusting for
23 multiple comparisons. Please comment on the adequacy
24 of the applicant's analyses for the secondary
25 endpoints in light of there being no pre-specified

1 multiplicity adjustment to control the overall Type I
2 error rate.

3 DR. MABREY: I think I'm going to start
4 with Dr. Blumenstein on this one.

5 DR. BLUMENSTEIN: Well, I accept the notion
6 that there was not an intent to put forth these
7 secondary endpoints as claimed to be included in the
8 label. Nonetheless, I feel that I can't look at that
9 collection of secondary endpoints without making some
10 adjustment in my own mind, and I think that everybody
11 else knows enough to do that, at least I hope they
12 do, especially since these are highly.

13 In other words, you shouldn't be counting
14 the numbers of significant secondary analyses that
15 are significant according to a .05 criterion. That
16 would be an incorrect way of assessing those
17 secondary endpoints. I think the direction, as has
18 been pointed out, is the most important thing. I'm
19 still a little mystified by the fact that the SAP
20 made such a clear statement about the importance of
21 one of those secondary endpoints, and it has not been
22 consistently represented or carried forward, and I
23 can't help but wonder if the lack of significance of
24 that endpoint isn't the reason that it's not being
25 carried forward. So we have a lot of post hoc

1 analysis going on here.

2 DR. MABREY: Ms. Rue?

3 MS. RUE: I don't have any comment.

4 DR. MABREY: Ms. George?

5 MS. GEORGE: I think the only comment I
6 have is, is again, we should remember what
7 Dr. D'Agostino stated about the secondary endpoints,
8 is that they are there as a support if the primary
9 endpoint is met, and we wouldn't be here if the
10 primary endpoint hadn't been met.

11 DR. MABREY: Thank you. Dr. Evans?

12 DR. EVANS: I guess, as a statistician, we
13 always worry about multiplicity issues and multiple
14 testing, but I think the key is how those tests are
15 used and then, essentially, that they're interpreted
16 correctly. I agree with -- I actually agree with
17 Dr. D'Agostino. I think that the way I looked at and
18 reviewed the results of this trial was to view the
19 secondary endpoints and interpret those as -- to help
20 assess the consistency of the effect and put the sort
21 of effects of the primary endpoints into perspective,
22 and that the claims are not necessarily being made on
23 secondary endpoints, and in general, that's sort of
24 the way I viewed them. I think the key is how you
25 interpret things. Whether I make an adjustment to --

1 if I do a hypothesis test and I get a p-value and I
2 make an adjustment to that because maybe I did two
3 tests instead of one, well, the level of evidence is
4 the same.

5 I'm just changing the bar on how I
6 interpret it. I mean, the data has changed at all.
7 So it's all about how it's interpreted and you
8 realize that the more tests you do, the more chances
9 you are of perhaps finding a false positive error.
10 At the same time, I don't think there's a need to
11 control alpha, necessarily, for every test. I think,
12 as long as you realize that you've done a number of
13 tests, there's a chance of potentially making a false
14 positive claim, but you realize that that's
15 important.

16 I think there needs to be thought about
17 when do you need to control error for each test
18 versus when you can sort of just realize that you've
19 made multiple tests and make that adjustment. In
20 this particular case, I'm not sure there's a need to
21 control for the multiplicity involved with the
22 secondary endpoints. In addition, I think that even
23 if you make an adjustment for the secondary endpoints
24 for the number of secondary endpoints that are being
25 made, the adjustment's going to be fairly small and

1 in the sense that I don't think, qualitatively, I
2 would change the way in interpreting the data based
3 on the adjustment I would make for multiplicity.

4 And let me just make this point because I
5 think this is perhaps one of the biggest confusions
6 or misinterpretations of statistical output that is
7 made in the literature today, is that there's an
8 over-interpretation of p-values when we get -- and
9 what I mean by that is both when a p-value is
10 significant and when it's not significant. A p-value
11 is a composite statistic. It's partly effect size,
12 it's partly sample size, it's partly variation. And
13 if you get a high p-value or you get a low p-value,
14 you've got to find out what's driving it. It could
15 be any one of those three factors that's driving it.

16 So I think oftentimes we spend too much
17 time. You know, I think, as evaluators, sometimes we
18 spend too much time worrying about whether we get
19 under this magical 05 level. And I think people who
20 are doing research spend too much time worrying about
21 how to get under that 05 level and don't worry about
22 trying to interpret what the data are telling you,
23 and I worry about sort of that over-interpretation of
24 p-values. And the only way to deal with that is to
25 look at effect sizes through use of confidence

1 intervals, to perform sensitivity analyses through
2 varying assumptions and missing data and things like
3 that. I think, in this case, I have less concern
4 about the multiplicity issue with the secondary
5 endpoints because I do view them as sort of -- to
6 look at them as consistency of effect and to help put
7 the overall effect of the -- you know, of the
8 intervention into perspective.

9 DR. MABREY: Thank you. Dr. Goodman?

10 DR. GOODMAN: I've nothing further to add.

11 DR. MABREY: Dr. Olsen?

12 DR. OLSEN: I didn't have any concerns.

13 DR. MABREY: Dr. Skinner?

14 DR. SKINNER: Nothing further to add.

15 DR. MABREY: Mr. Melkerson, with regards to
16 Question 2, the Panel generally believes that
17 secondary endpoint analysis was appropriate and that
18 there is probably no need to control for the
19 secondary endpoints, and that even if adjustments
20 were made, they would be small, anyway. Having said
21 that, the Panel also has -- does have some concerns
22 about use of secondary endpoints and post hoc
23 analysis. Is that adequate for the FDA?

24 MR. MELKERSON: Just a point of
25 clarification, and maybe it's aimed at Dr. Evans and

1 Dr. Blumenstein. In terms of interpretation or
2 limitations or qualifications and of presenting the
3 secondary endpoints, any suggestions on how you would
4 present that type of information, given the concerns
5 of multiplicity?

6 DR. BLUMENSTEIN: I'll respond to that. I
7 think it's really quite simple, that they have told
8 you that they're not making any claims, so it doesn't
9 need to be in the label. So you'll have a short
10 label to write here, if there's final approval.

11 DR. EVANS: Yeah, I think if the question
12 is directed at labeling, that's probably the right
13 approach. I think, in terms of if a report is
14 generated and as we try to make others better
15 understand the data, that there's one clarity of how
16 many tests were performed, that this is perhaps a
17 statement about something to the effect of, even if
18 this intervention has no effect whatsoever, I would
19 expect to see so many of these tests, X number of
20 these tests potentially show false positive results.
21 And that's just an expectation, but it helps put into
22 perspective, you know, what you would expect to see.
23 And so I think part of the multiplicity problem is
24 just clarity of reporting about how many tests are
25 you looking at, what significance level are we using,

1 how many would I expect to see significant even if
2 there was nothing going on, even if this was just no
3 better than placebo, and that there's clarity of
4 that.

5 But I think, in terms of labeling, I think,
6 in consistency with what I said earlier, the reason
7 that I'm not worrying about the multiplicity issue,
8 to be consistent with that, I think the answer, as
9 Dr. Blumenstein said, is that it doesn't go into the
10 label because you're not controlling for that
11 specific effect.

12 DR. MABREY: Thank you.

13 DR. LEE: Panel Question Number 3. Under
14 21 C.F.R. 860.7(e)(1), effectiveness is defined as
15 reasonable assurance that, in a significant portion
16 of the population, the use of the device for its
17 intended uses and conditions of use, when accompanied
18 by adequate directions for use and warnings against
19 unsafe use, will provide clinically significant
20 results.

21 Considering the study design and endpoints
22 discussed today, please discuss whether the clinical
23 data in PMA/Supplement provide reasonable assurance
24 that the device is effective.

25 DR. MABREY: Dr. Goodman?

1 DR. GOODMAN: Well, I was impressed with
2 how clinically effective or ineffective most of our
3 conservative treatments are for osteoarthritis, and
4 this device is no more effective than some of the
5 other alternatives. It is statistically more
6 effective than aspirating and a placebo injection.
7 So I think it is modestly effective, and that's about
8 all I can say.

9 DR. MABREY: Dr. Olsen?

10 DR. OLSEN: Well, I believe the data show
11 that it is effective. My hedge on this one is the
12 definition of the population because I still have
13 some concerns that the population in this protocol
14 had a lot of differences with the population that
15 people like us treat in this country, in terms of
16 race and ethnicity and social status and size, body
17 size, that I brought up before.

18 So I think, given the small numbers we're
19 talking about here and how effective this was, those
20 are variables that maybe the statisticians will agree
21 with me, if you put other variables into the
22 population, maybe we'd get a different outcome. So I
23 have some concern about that. It's a minor concern
24 because I still think it's being shown that it is
25 effective, but that's my asterisk on that.

1 DR. MABREY: Dr. Skinner?

2 DR. SKINNER: I basically agree with
3 Dr. Goodman. I think that it shows modest -- there's
4 modest effectiveness.

5 DR. MABREY: Thank you. Dr. Blumenstein?

6 DR. BLUMENSTEIN: I agree that the
7 statistical criterion has been met. I was
8 particularly comforted by what I consider the correct
9 model, where clinical site is a random effect, going
10 in the direction that it did. So I think that we
11 have met the statistical criterion on the study.

12 DR. MABREY: Ms. Rue?

13 MS. RUE: I don't have anything else to
14 add.

15 DR. MABREY: Okay. Ms. George?
16 Mr. Melkerson, in regards to Question 3 --

17 DR. EVANS: I think the effect is nonzero.
18 Whether it's clinically relevant, as we've discussed,
19 is a more difficult issue.

20 DR. MABREY: I'm glad we waited for your
21 comment. Mr. Melkerson, in regards to Question 3
22 now, the Panel generally believes that the device is
23 modestly effective, at least nonzero, but they do
24 have some concerns about the nature of the population
25 for which the device would be applied to. Is that

1 adequate for the FDA?

2 MR. MELKERSON: Yes, it is, thank you.

3 DR. MABREY: Thank you.

4 DR. LEE: Panel Question Number 4. Under
5 21 C.F.R. 860.7(d)(1), safety is defined as
6 reasonable assurance, based on valid scientific
7 evidence, that the probable benefits to health under
8 conditions of the intended use, when accompanied by
9 adequate directions for use and warnings against
10 unsafe use, outweigh any probably risks.

11 Considering the adverse events for the
12 device, please discuss whether the clinical data in
13 the PMA/Supplement provide reasonable assurance that
14 the device is safe.

15 DR. MABREY: Dr. Olsen?

16 DR. OLSEN: I think the data support, with
17 reasonable assurance, that it is safe.

18 DR. MABREY: Thank you. Dr. Skinner?

19 DR. SKINNER: I think it's also safe. I
20 agree with Dr. Olsen.

21 DR. MABREY: Dr. Blumenstein?

22 DR. BLUMENSTEIN: I concur.

23 DR. MABREY: Ms. Rue?

24 MS. RUE: I concur.

25 DR. MABREY: Ms. George?

1 MS. GEORGE: I concur, especially since the
2 material has been out there for 10 years in the U.S.
3 and 16 years worldwide.

4 DR. MABREY: Dr. Evans?

5 DR. EVANS: I agree.

6 DR. MABREY: And Dr. Goodman?

7 DR. GOODMAN: I concur.

8 DR. MABREY: That was easy. Mr. Melkerson,
9 in regards to Question 4, the Panel believes
10 unanimously that the device is safe.

11 MR. MELKERSON: Thank you.

12 DR. LEE: Reminder. The discussion of a
13 post-approval study prior to --

14 MR. MELKERSON: Kevin, this question only
15 comes up if there's a question regarding the Panel.
16 Is that correct? I'm looking around.

17 DR. JEAN: I believe we can generally
18 discuss this, hypothetically, at this point.

19 MR. MELKERSON: Okay.

20 DR. LEE: Reminder. The discussion of a
21 post-approval study prior to a formal recommendation
22 on the approvability of this PMA should not be
23 interpreted to mean FDA is suggesting the Panel find
24 the device approvable.

25 The plan to conduct a PAS does not decrease

1 the threshold of evidence required to find the device
2 approvable.

3 The premarket data submitted to the Agency
4 and discussed today must stand on its own in
5 demonstrating a reasonable assurance of safety and
6 effectiveness in order for device to be found
7 approvable.

8 PAS Panel Question Number 5. The applicant
9 did not provide a post-approval study plan in the
10 original PMA/Supplement. However,

11 (1) the clinical study supporting this
12 PMA/Supplement was conducted in Europe and patient's
13 characteristics may be associated with the treatment
14 effects of the device.

15 (2) The follow-up of this PMA study was 26
16 weeks for the initial phase and 4 additional weeks
17 for the repeat phase, while intra-articular injection
18 of similar devices has demonstrated the treatment
19 effects extended to 12 months after the injection.

20 (3) The literature has suggested that
21 cross-linked hylan G-F 20 used by Synvisc may be
22 associated with increased risk of severe acute
23 inflammatory reaction. The exact mechanism of this
24 association and its long-term consequences remain
25 unclear.