

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

BIOLOGICAL RESPONSE MODIFIERS

ADVISORY COMMITTEE

(BRMAC)

+ + + + +

33rd MEETING

+ + +

THURSDAY,

OCTOBER 10, 2002

+ + +

"THIS TRANSCRIPTS HAVE NOT BEEN EDITED OR CORRECTED, BUT APPEARS AS RECEIVED FROM THE COMMERCIAL TRANSCRIBING SERVICE. ACCORDINGLY THE FOOD AND DRUG ADMINISTRATION MAKES NO REPRESENTATION TO ITS ACCURACY....."

The Advisory Committee met in Salons ABCD, Hilton Hotel, Gaithersburg, Maryland, at 8:00 a.m., Dr. Daniel R. Salomon, Chairman, presiding.

PRESENT:

- DANIEL R. SALOMON, M.D. Chairman
- JONATHAN S. ALLAN, D.V.M. Member
- BARBARA BALLARD Patient Representative
- CHRISTOPHER BAUM, M.D. Guest

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

BRUCE R. BLAZAR, M.D.	Member
REBECCA BUCKLEY, M.D.	Guest
<u>PRESENT (Continued):</u>	
JOHN COFFIN, Ph.D.	Temporary Voting Member
KENNETH CORNETTA, M.D.	Temporary Voting Member
JOHN M. CUNNINGHAM, M.D.	Guest
ALAIN FISCHER, M.D., Ph.D.	Guest (via teleconference)
DAVID M. HARLAN, M.D.	Member
KATHERINE A. HIGH, M.D.	Member
CHRISTOF KALLE, M.D.	Guest
KATHERINE E. KNOWLES	Temporary Voting Member
LORI P. KNOWLES, L.L.B., B.C.L., M.A., L.L.M.	Temporary Voting Member
DONALD B. KOHN, M.D.	Guest
JOANNE KURTZBERG, M.D.	Member
ALISON F. LAWTON	Industry Representative
CRYSTAL MACKALL, M.D.	Guest
HARRY L. MALECH, M.D.	Guest
ABBAY S. MEYERS	Temporary Voting Member
RICHARD C. MULLIGAN, Ph.D.	Member
STUART H. ORKIN, M.D.	Guest
JENNIFER PUCK, M.D.	Guest
MAHENDRA S. RAO, M.D., Ph.D.	Member
BRIAN P. SORRENTINO, M.D.	Guest

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

PRESENT (Continued):

BRUCE E. TORBETT, Ph.D. Temporary Voting Member

ANASTASIOS A. TSIATIS, Ph.D. Member

LINDA WOLFF, Ph.D. Guest

GAIL DAPOLITO Executive Secretary

PRESENT FROM FDA:

NEIL GOLDMAN, Ph.D.

PHILIP NOGUCHI, M.D.

JAY P. SIEGEL, M.D.

RAJ K. PURI, M.D., Ph.D.

DAVID M. ESSAYAN, M.D.

AMY ROSENBERG, M.D.

MICHAEL A. NORCROSS, M.D.

CAROLYN WILSON, Ph.D.

PRESENT FROM NIH:

AMY PATTERSON

C O N T E N T S

	<u>PAGE</u>
Introductions	6
Conflict of Interest Statement	15
FDA Introduction to Topic, Dr. Philip Noguchi	20
Efficacy of Bone Marrow Transplantation in SCID, Dr. Rebecca Buckley	86
Retroviral Insertional Mutagenesis and Cancer in Animal Models, Dr. Linda Wolff	116
Myeloid Leukemia Following Retroviral Transfer of the dLNGFR Gene into Murine Hematopoietic Cells, Dr. Christopher Baum	147
The Role of LMO2 Gene in Hematopoiesis and Leukemia, Dr. Stuart Orkin	170
Gene Transfer for ADA Deficient and X-linked SCID, Dr. Donald Kohn	190
Gene Transfer for JAK 3 Deficient SCID: Dr. John Cunningham	206
Dr. Brian Sorrentino	214
Gene Therapy Protocol in X-SCID Patients with Defects in Immunity, Dr. Harry Malech	219
Public Comment:	
Paul Gelsinger	240
Dr. Rachel Salzman	243
Mike Susko	247
Dr. Phillipe Leboulch	251
Ms. Robb	255
Letter from Council for Responsible Genetics	257
Jason Babloch	263
Questions to the Committee and Discussion	271
Update on FDA Research Programs:	
Introduction, Dr. Amy Rosenberg	370

C O N T E N T S (Continued)

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

PAGE

Research Programs in the Laboratory of
Gene Regulation, Dr. Michael
Norcross 372

Introduction, Dr. Phil Noguchi 381

Research Programs in the Laboratory of
Molecular Tumor Biology:

Dr. Raj Puri 383
Dr. David Essayan 396

P R O C E E D I N G S

(8:10 a.m.)

1
2
3 CHAIRMAN SALOMON: Good morning, everybody. My
4 name is Dan Salomon, and I am privileged to chair this session.

5 You'll probably get to hear me a little bit better in a second
6 when they get the speed back issue settled.

7 Today is the 33rd meeting of the BRMAC, and the
8 topic is on retroviral gene therapy for the treatment of
9 patients with severe combined immunodeficiency disease,
10 specifically for safety issues. So if you thought you were at
11 another BRMAC meeting, you're at the wrong one.

12 This is an unusual meeting in the sense that it is
13 always an amazing amount of work for the FDA staff to put such a
14 meeting together, and it's always an amazing commitment on the
15 part of the expert staff, the expert panel that come from all
16 over the country to join us.

17 But this particular meeting is noteworthy in that
18 it was put together in a period of time that I imagine if there
19 were records kept on these things at the FDA would be quite
20 amazing since this whole thing was done in less than three
21 weeks. Two weeks, yeah. I didn't want to exaggerate, but we've
22 really basically just heard about it about two weeks ago before
23 it hit the press, and it was coming from the top at the FDA from
24 Phil and Kathy Zoon and Jay Siegel that this was something that
25 the FDA wanted to step up and provide expert leadership to and

1 took on this amazing task.

2 And so normally whereas I would make my
3 introductions and get on with it, I don't think I can just do
4 that today, and so first give you that background.

5 Second, I'd like to especially recognize two major
6 things here. First of all, the experts around the panel here
7 have made an amazing effort that we really appreciate to
8 rearrange their schedules. These are very busy people; you're
9 all very busy, and to be here and just the amount of
10 cooperation. The cooperation from Dr. Fischer who is on the
11 line by teleconference link. Really I speak now for everyone at
12 the FDA and for myself as Chair to say thank you to all of you
13 for doing that. It's remarkable.

14 Second, I would like to read a list of names, and
15 I don't ever do this, but, again, I think this is necessary, and
16 that is of the people at the FDA who in a two-week period put
17 this whole meeting together, and there's just not enough ways to
18 thank them. I think they just need to be recognized.

19 From the Office of Cellular Tissue and Gene
20 Therapies, obviously Phil Noguchi and Carolyn Wilson really took
21 the lead in putting some of the initial issues together,
22 developing the background, helped by Philippe Bishop, Joyce
23 Frey Vasconcells, Tina Moulton, Cynthia Rask, who also took a
24 lead on this; Stephanie Simek, and Daniel Takefman.

25 From the Office of Therapeutics Research and

1 Review, Jay Siegel, Karen Weiss, Michael Bazaral, Linda Forsyth,
2 and Patricia Keegan. From the Scientific Advisors and
3 consultant staff, Rosanna Harvey, who called me at 6:18 this
4 morning to make sure that I knew to get here, which was very
5 appreciated.

6 (Laughter.)

7 CHAIRMAN SALOMON: Seriously.

8 William Freas, Diana Widner, Jane Brown, Sheila
9 Langford, and Gail Dapolito.

10 From CBER's Freedom of Information Office, Beth
11 Ryan-Brockner and Joanne Binkley.

12 And from the FDA Dockets Office, Jennie Butler.

13 Again I just have to thank them and recognize
14 publicly the incredible effort that it takes to do this so
15 quickly. I mean just getting everybody's conflict of interest
16 forms through and processed through the bureaucracies, you know,
17 anyone who had dealt with our government knows that that's
18 amazing. Actually anyone who has dealt with any government
19 that's amazing.

20 Okay. Enough of that. Welcome here. I'd like to
21 go around the table and have everybody introduce themselves. We
22 have a lot of new people here as experts on the panel, and we'll
23 get the people along the back line as well, and in the process
24 I'd like to especially recognize three new members. As we get
25 around to them we'll do that.

1 So if we can start, this is definitely not a new
2 member here.

3 MS. MEYERS: Abbey Meyers. I'm the President of
4 the National Organization for Rare Disorders, which is NORD, and
5 I'm a former member of the committee.

6 DR. KATHY KNOWLES: Kathy Knowles, and I'm from
7 Seattle, Washington, and this is my second or third time being
8 here as a consumer representative.

9 DR. CORNETTA: Ken Cornetta from Indiana
10 University, and my research interest is in retroviral gene
11 transfer and also coordinate the National Gene Vector Lab for
12 the NIH.

13 DR. LORI KNOWLES: I'm Lori Knowles from the
14 Hastings Center and have a background in law and bioethics.
15 It's nice to see you again.

16 CHAIRMAN SALOMON: And this is one of our first
17 new members, Dr. Anastasios Tsiatis.

18 DR. TSIATIS: That's correct. Yeah, my name is
19 Anastasios Tsiatis, and quite to my mom's horror, I go by
20 "Butch."

21 (Laughter.)

22 DR. TSIATIS: Anyway, I'm from the Department of
23 Statistics at North Carolina State University.

24 DR. HIGH: My name is Kathy High. I'm at the
25 Children's Hospital of Philadelphia, and my research interests

1 are in gene transfer for hemophilia.

2 DR. LAWTON: I'm Alison Lawton, and I work for
3 Genzyme Corporation, and I'm the industry rep. on the committee.

4 CHAIRMAN SALOMON: The second new member on the
5 committee, this is Dr. Jon Allan.

6 DR. ALLAN: I don't feel like a new member, but I
7 am a new members. I was ad hoc in this committee before. I'm a
8 virologist from the Southwest Foundation for Biomedical
9 Research, and I study retroviral pathogenesis mainly in nonhuman
10 primate models.

11 CHAIRMAN SALOMON: As I said before, I'm Dr. Dan
12 Salomon. I'm a scientist at the Scripps Research Institute, and
13 I'm interested in cell transplantation and gene therapy.

14 Now, before we go this way, can we maybe start at
15 the end of the back -- I don't want to call you the back row
16 because that has a pejorative sense, but I think you'll have to
17 get up and almost go to the mic, I hate to say.

18 DR. PUCK: Hello. I'm Jennifer Puck at the Genome
19 Institute, and I do research on immunodeficiencies and
20 retroviral gene therapy.

21 DR. MALECH: I'm Harry Malech. I'm at the
22 National Institute of Allergy and Infectious Diseases, and I
23 study immune deficiencies, and Jennifer and I are working
24 together on a protocol for gene therapy for XSCID. That's why
25 we're here.

1 DR. SORRENTINO: I'm Brian Sorrentino from St.
2 Jude Children's Research Hospital, and my research interest is
3 hematopoietic stem cell gene therapy.

4 DR. CUNNINGHAM: I'm John Cunningham from St. Jude
5 Children's Research Hospital, and my interest is also
6 hematopoietic stem cell gene therapy.

7 DR. KOHN: I'm Donald Kohn from Children's
8 Hospital in Los Angeles, and I'm also interested in
9 hematopoietic stem cell gene therapy.

10 DR. BAUM: I'm Chris Baum from Hanover Medical
11 School, recently also joined Cincinnati Children's Hospital, and
12 I have the same research interests as the other people here in
13 the back row.

14 DR. KALLE: My name is Christof Kalle. I have the
15 same research interest as the previous speakers. I recently
16 joined Cincinnati Children's Hospital, coming from Freiburg
17 University in Germany, and I'm also interested in retrovirus
18 insertion analysis.

19 CHAIRMAN SALOMON: Thank you.

20 We've got you guys pegged. So that's easy to know
21 what you do.

22 MS. DAPOLITO: Gail Dapolito from the Center for
23 Biologics, FDA. I'm the Executive Secretary for the Committee.

24 And I'd also like to introduce the committee
25 management specialist who is Rosanna Harvey.

1 Thank you.

2 DR. RAO: Mahendra Rao from the National Institute
3 on Aging. My interests are in stem cells and retroviral
4 therapy.

5 DR. KURTZBERG: Joanne Kurtzberg. I'm a pediatric
6 hematologist/oncologist at Duke, and I run the Pediatric
7 Pulmonary Transplant Program and have an interest in cord blood
8 transplantation.

9 DR. TORBETT: I'm Bruce Torbett from the Scripps
10 Research Institute, and I'm a basic research scientist. I have
11 interest in HIV gene delivery and transcription.

12 DR. BLAZAR: Bruce Blazar, University of
13 Minnesota, and I'm a pediatric pulmonary transplantation
14 clinician and immunobiologist.

15 DR. MULLIGAN: I'm Richard Mulligan from Harvard
16 and Children's Hospital, and I'm a gene transfer guy.

17 DR. PATTERSON: I'm Amy Patterson, Director of the
18 Office of High Technology Activities in the Office of the
19 Director at NIH. It's the office that, among other things,
20 provides analytic and staff support for the NIH Recombinant DNA
21 Advisory Committee.

22 DR. RASK: Cynthia Rask from Clinical Evaluation
23 in the new Office of Cellular Tissue and Gene Therapy in CBER.

24 DR. WILSON: Carolyn Wilson from Division of
25 Cellular and Gene Therapies in the Office of Cellular Tissues

1 and Gene Therapies.

2 DR. NOGUCHI: Phil Noguchi. I'm the Acting
3 Director of the Office with a long name that covers tissue
4 cells, gene therapies, and other related items.

5 CHAIRMAN SALOMON: If I can also have our guest
6 experts.

7 DR. WILL: I'm Linda Will from the National Cancer
8 Institute. My interest is in insertional mutagenesis of
9 retroviruses in animal models and their role in cancer.

10 DR. BUCKLEY: I'm Rebecca Buckley from Duke
11 University and my interest is in severe combined immune
12 deficiency and the most effective way to treat it.

13 DR. MACKALL: I'm Crystal Mackall from the
14 Pediatric Branch of the National Cancer Institute, and my
15 interest is in T cell homeostasis and immune reconstitution.

16 CHAIRMAN SALOMON: Okay. So the one little piece
17 of business here -- everybody did really well. When your red
18 light is on, you're talking. When you're don, turn it off just
19 by clicking it up. Otherwise you get the feedback loop on
20 things.

21 Thanks for trying.

22 Okay, and the only thing I regret, and I'm sincere
23 about this, is that there are equal sets of experts in our
24 audience, and obviously we don't have time to introduce
25 everybody sitting out there, but I think you will believe me,

1 please, when I say that as we go into the discussion phase this
2 afternoon, I don't think that everything has to occur up here at
3 this table, and I will do my best to recognize and facilitate
4 people coming from the audience to communicate as well.

5 So I think I've covered everything that I have to
6 do this morning. There are some other little issues that we'll
7 get into in a few minutes, but with that, I'd like to introduce
8 Gail Dapolito to read in the conflict of interest statement.

9 MS. DAPOLITO: Thank you, Dr. Salomon.

10 This announcement is made as part of the public
11 record for the Biological Response Advisory Committee meeting on
12 October 10, 2002.

13 Pursuant to the authority granted under the
14 committee charter the Director of FDA's Center for Biologics
15 Evaluation and Research has appointed Ms. Katherine Knowles, Ms.
16 Abbey Meyers and Drs. John Coffin, Kenneth Cornetta, Lori
17 Knowles and Bruce Torbett as temporary voting members.

18 Based on the agenda, it was determined that there
19 are no products being approved at this meeting.

20 The committee participants were screened for their
21 financial interest. To determine if any conflicts of interest
22 existed, the agency reviewed the submitted agenda and all
23 financial interests reported by the meeting participants.

24 As a result of this review, the following
25 disclosures are being made. In accordance with 18 USC 208, Drs.

1 Bruce Blazar, John Coffin, Kenneth Cornetta, Daniel Salomon, and
2 Anastasios Tsiatis were each granted a waiver that permits them
3 to participate in the committee discussions.

4 Dr. Richard Mulligan was granted a limited waiver
5 for Session 1 that permits him to participate in the discussions
6 without a vote.

7 We also note for the record that Ms. Alison Lawton
8 serves as a nonvoting industry representative member acting on
9 behalf of regulated industry. She is employed by Genzyme and
10 thus has interest in her employer and other similar firms.

11 With regards to FDA's invited guest speakers and
12 guests, the agency has determined that the services of these
13 speakers and guests are essential. The following interests are
14 being made public to allow meeting participants to objectively
15 evaluate any presentation and/or comments made by the speakers
16 and guests.

17 Ms. Barbara Ballard will join us after a while.
18 She's running a little late and serves today as the patient
19 representative for this meeting. She's a member of the board of
20 trustees of the Immune Deficiency Foundation and President of
21 the SCIDs Alliance.

22 Dr. Christopher Baum is employed at the Hanover
23 Medical School in Hanover, Germany.

24 Dr. Rebecca Buckley is employed at Duke University
25 Medical School. She is involved in studies of retroviral gene

1 therapies to treat patients with SCID.

2 Dr. John Cunningham is employed at St. Jude
3 Children's Research Hospital. He's involved in studies of
4 retroviral gene therapies to treat patients with SCID.

5 Dr. Alain Fischer is employed at the Hospital
6 Necker in Paris, France, and Dr. Fischer is joining us today by
7 audio conference.

8 If you can hear me, good morning.

9 And he is involved in retroviral vector gene
10 therapy studies to treat patients with SCID.

11 Dr. Christof Kalle is employed at the University
12 of Cincinnati.

13 Dr. Donald Kohn is employed at the Children's
14 Hospital, Los Angeles. He is involved in studies in retroviral
15 gene therapy to treat patients with SCID.

16 Dr. Crystal Mackall is employed at the National
17 Cancer Institute at the NIH. NCI is involved in retrovirus gene
18 therapy research.

19 Dr. Harry Malech is employed at the National
20 Institute of Allergy and Infectious Diseases, NIH. He is
21 involved in studies of retroviral gene therapy to treat patients
22 with SCID.

23 Dr. Stuart Orkin -- Dr. Orkin will also join us
24 alter -- is employed at the Dana Farber Cancer Institute.

25 Dr. Amy Patterson is employed by the Recombinant

1 DNA Program, Office of Biotechnology Activities, NIH. NIH funds
2 gene therapy research.

3 Dr. Jennifer Puck is employed at the National
4 Human Genome Research Institute, NIH. She is involved in
5 studies of retroviral gene therapies to treat patients with
6 SCID.

7 Dr. Stephen Rose is employed with the Recombinant
8 DNA Program Office of Biotechnology Activities, NIH, and again,
9 NIH funds gene therapy research.

10 Dr. Brian Sorrentino is employed at St. Jude
11 Children's Research Hospital. He is involved in retroviral gene
12 therapy studies to treat patients with SCID.

13 Dr. Linda Wolff is employed at the National Cancer
14 Institute, NIH. NCI is involved in retrovirus gene therapy
15 research.

16 In the event that the discussions involve other
17 products or firms not already on the agenda for which FDA's
18 participants have a financial interest, the participants are
19 aware of the need to exclude themselves from such involvement,
20 and their exclusion will be noted for the public record.

21 With respect to all other meeting participants, we
22 ask in the interest of fairness that you state your name,
23 affiliation, and address any current or previous financial
24 involvement with any firm whose product you wish to comment
25 upon.

1 I'm almost done.

2 A copy of the waivers addressed in this
3 announcement is available by written request under the Freedom
4 of Information Act.

5 And just as a little housekeeping request, we ask
6 as a courtesy to the committee and to your neighbors in the
7 audience if you'd put your cell phones and pagers on silent
8 mode.

9 Thanks.

10 We may have broken the record for the longest
11 conflict of interest reading.

12 Okay. Then I think it's time to get to the meat
13 of this, and it's my pleasure to introduce Dr. Phil Noguchi, the
14 Acting Director of the center with the long name.

15 DR. NOGUCHI: Thank you, Dan, and thank you, all
16 of our guests at the Advisory Committee meeting.

17 Could I have the first slide?

18 I'll just sit here and make sure that everyone has
19 appropriate time to discuss in more detail the very important
20 issues we're discussing, but I'd like to frame it in this way.
21 Why are we here?

22 We're here to acknowledge that there are
23 extraordinarily difficult diseases that still remain to be
24 treated. The purest recombinant insulin really doesn't matter
25 if you're a brittle diabetic, and obviously for the thousands of

1 genetic diseases, therapies are really not necessarily a current
2 reality but are on the horizon.

3 We're here to affirm that, in fact, rigorous
4 clinical trials remain the societal imperative for us to move
5 forward in these difficult areas. These are experimental
6 products. We are asking human subjects to volunteer for this.
7 We are asking them to participate fully knowing that the
8 outcomes cannot be really truly and finally predicted, and it is
9 only through the experimental process under a clinical trial
10 regimen with all of the appropriate ethical, scientific, and
11 regulatory controls that we can really understand and make
12 rational decisions.

13 We're also here to learn that adverse events must
14 be discussed, but they need to be discussed in the proper time,
15 and I'll explain.

16 We actually learned about this adverse event back
17 on Labor Day. Dr. Fischer has been -- and here we must give him
18 absolute thanks -- totally open with this. His only request has
19 been let me talk to my patients and my families in person, and
20 they're all over the European continent, before we discuss it in
21 public. And remarkably that has held.

22 Now, when we first learned about it, we went
23 through at FDA identifying those trials that most closely
24 resembled the trial in France, determined that there were three:
25 two for SCID, one for ADA-SCID.

1 Two of those trials for XSCID actually were ready
2 to begin, but did not because of this new event. The trial with
3 ADA-SCID had treated four patients and, again, had been stopped.
4 You'll hear more about those trials from the investigators
5 today.

6 Literally at the time we learned of the adverse
7 event, we just knew there was a lot of cells that were growing,
8 and over the course of the last month -- and Dr. Kalle will be
9 presenting a lot of this data which literally has only been
10 generated within the last several weeks -- the initial
11 notification has now turned to a better understanding of what
12 may be going on here, and it is now the right time to discuss
13 it.

14 And I think we should confirm that we're here
15 because this is exactly where we should be. Several decades ago
16 or more the prediction was made that if a person lacked a gene
17 and you were able to replace it, maybe you would be able to
18 treat or maybe even cure the disease.

19 And because you're putting a gene into the genome,
20 you don't know exactly where it would go, and perhaps something
21 untoward might happen at the same time.

22 So we're here to really review the fulfillment of
23 those predictions and to deal with the issue, to really
24 understand what the issues are, and then to hopefully -- we
25 certainly hope -- advise the FDA on some very serious questions.

1 And if I can have the next slide, please.

2 The questions which will be asked at the end of
3 the discussion and presentations today are: are there
4 additional data or measures that clinical investigators need to
5 provide before future and present clinical trials on SCID
6 patients should proceed in the United States?

7 Please consider in your discussion each of the
8 following as they pertain to X-linked form of several combined
9 immunodeficiency disease and other forms, such as adenosine
10 deaminase deficiency SCID.

11 As you go through your deliberations and as we
12 seek advice, consideration of the risk-benefits of gene therapy
13 in this particular disease, diseases, versus alternative
14 therapies.

15 What revisions to the informed consent document
16 and process should be imposed? What about alterations to the
17 cell dose, alterations to the vector dose?

18 Mapping of vector insertion sites. We have to
19 point out that Dr. Kalle has done a remarkable amount of work on
20 this. It is not an easy thing to determine an insertion site by
21 any means, and to be able to do it here really in a way was only
22 possible because of the science and biology of the event.

23 What about alterations in vector design, for
24 example, self-inactivating SIN vectors?

25 With that I will just stop, and I thank everybody,

1 again, for coming here. We look forward to a very intense, but
2 a very enlightening and a very productive discussion.

3 CHAIRMAN SALOMON: Thank you, Phil.

4 So what we're going to do now is I'm going to need
5 some help from the panel. The level of expertise here and the
6 basic understanding of what's happened is such that I think we
7 all realize we could all just forego everything, jump into quite
8 an active debate and discussion of these questions, and I don't
9 think anyone here thinks that we should do that.

10 So what I need help today is that you kind of make
11 my job a little easier in terms of trying to do a two phase
12 process. The first will be really a presentation of the case
13 and what kind of information we have on it, which is going to
14 immediately follow my comments.

15 And then scientific background on some of the
16 issues that are directly pertinent, and as we roll through into
17 the afternoon, very specific comments about some of the ongoing
18 trials in gene therapy and SCID disease that are going to be
19 potentially directly affected by our discussions, and then we
20 will get to a discussion.

21 So what I'm saying is that we should try and hold
22 back what could happen, you know, five minutes from now, and if
23 you can help me, I would really appreciate that because I think
24 that's going to be the hardest thing I have to do.

25 At the same time, certainly, you know, there

1 should be some key questions asked on these presentations to
2 elucidate specific scientific elements that you think are going
3 to be critical to the discussion later.

4 I also am not against someone saying, "Well, that
5 is a really key theme and we've got to get back to it in the
6 discussion," that sort of thing. So if you want to let a few
7 things bubble to the surface and highlight them, I'll try and
8 take note of those and come back to them, but if you'll forgive
9 me in advance, I'm really going to try and hold us to a course
10 so that most of the discussion, if not all of the discussion,
11 occurs this afternoon in the question and answer period when
12 everything has been done, we're all on the same page, and we
13 start then, if that will be okay.

14 All right. So with that I would like to -- first
15 of all, let me test our teleconference. Dr. Fischer, are you
16 on?

17 DR. FISCHER: Yes, I am with you.

18 CHAIRMAN SALOMON: Thank you.

19 DR. FISCHER: Can you hear me?

20 CHAIRMAN SALOMON: Yes, we can. Thank you very
21 much for joining us.

22 I'd also like to echo Dr. Noguchi's statements
23 that, in simple terms, you're an example of an investigator in
24 this field in the most positive way, and I'm proud to have you a
25 part of this. Thank you.

1 DR. FISCHER: Thanks for your comments.

2 And good morning to everyone. I'm sorry I haven't
3 been able to join physically for the meeting, but we will try to
4 do our best.

5 CHAIRMAN SALOMON: My only request had been to do
6 a high speed video conference line, but apparently that was
7 asking too much to be arranged in two weeks.

8 DR. FISCHER: Okay.

9 CHAIRMAN SALOMON: So we can't see you, but we
10 very much appreciate your being here.

11 DR. FISCHER: Okay.

12 CHAIRMAN SALOMON: So with that I'd like to bring
13 Dr. -- is it Kalle or Kalle? -- Kalle, Dr. Kalle, and Dr. Kalle
14 is helping us out here. He's obviously an expert in this area,
15 but he is also going to be presenting Dr. Fischer's data. So I
16 think he wants me to be very clear that he's not trying to
17 plagiarize Dr. Fischer, and Dr. Fischer is obviously here by
18 teleconference to answer questions.

19 Okay. We have a disconnect between the slides
20 being projected and the slides on his computer.

21 MS. DAPOLITO: If there's a Dr. Candotti in the
22 audience, would you please report to the registration desk?

23 Thank you.

24 CHAIRMAN SALOMON: There will be a two minute
25 technical break here, but don't move.

1 DR. KALLE: That seems to be the right set of
2 slides.

3 So I wanted to thank the organizers for the
4 invitation and wish you all good morning.

5 Again, I want to stress that Dr. Fischer has
6 requested that I would present part of his data, and I would
7 kindly request your patience in referring to him for any further
8 questions into the depth of the treatment of the patient.

9 I have been one of the members of the scientific
10 group trying to work on the analysis on some of the samples of
11 these patients, but again, I'm not the treating physician on
12 this protocol.

13 SCIDX 1 gamma c deficiency is a disease that
14 involves a genetic deficiency of the common gamma chain of the
15 family of Interleukin-2, Interleukin-4, 7, 9, 15 and 21
16 receptors that blocks T cell differentiation in these patients
17 leading to a severe and profound T and resulting B cell
18 immunodeficiency.

19 And the only available treatment other than a
20 genetic correction in experimental terms are haploidentical or
21 HLA-identical stem cell transplantations.

22 Although this therapy has seen some success, and a
23 very good success -- and I hope you can read this -- there is
24 still some problems in this area that need to be solved.

25 By now more than 80 percent of the patients

1 treated by transplantation -- and we will hear more of this
2 later on -- have good success with this transplantation.
3 However, the long term outcome of these transplantations also
4 have some problem areas that need to be solved.

5 There is poor T cell function resulting in some
6 cases. There's some indication that the long term T cell
7 function from these transplants tends to decline over time. The
8 T cell counts tend to be low and the correction of the B cell
9 immunity, which usually remains of recipient origin, requires
10 intravenous immunoglobulin replacement for the lifetime of these
11 recipients in most cases.

12 So here is some data that has already been
13 published that Dr. Fischer wanted us to include. There is a
14 decline in recent Thymic immigrants after stem cell
15 transplantation in these patients in the long term.

16 The concept of ex vivo gene therapy of this
17 condition by retroviral vectors does not need much explanation
18 to this panel, where replication incompetent retrovirus vectors
19 that carry the normal gamma chain, C, B, N, A, are exposed to
20 stem cells and precursor cells of the bone marrow and basically
21 lead, as has been shown in the mouse model, to functional
22 expression of the gamma chain, then leading to interleukin
23 responsiveness and development of T cell function.

24 The vector used in this trial is the MFG vector
25 from Psi-Crip Packaging cell line, including the normal gamma c

1 CDNA in an anthiltropic (phonetic) envelope packaging.

2 And the preclinical studies that Dr. Fischer's
3 group and other groups have conducted to prepare this kind of
4 therapy first in vitro was conducted on gamma c deficient B
5 lymphocytes to demonstrate the feasibility of a genetic
6 correction and to measure the protein expression and function
7 which was obtained.

8 In gamma c deficient CD34 cells in vitro again,
9 expression in progenitor cells was detected, and there was
10 evidence for a long term correction of the receptor defect in
11 special types of cultures in K and T cell differentiation from
12 these corrected progenitor cells has been observed.

13 In vivo studies in gamma c knockout mice showed
14 that there was in t he mouse model up to an observation period
15 of more than a year no toxicity of that type of treatment and
16 the disease in the mouse model as far as several groups have
17 reported stood corrected with introducing a strong selective
18 advantage for the corrected cell population.

19 So the SCIDX-1 gene therapy trial was initiated.
20 Eligible patients were, of course, only those with gc mutation
21 and that did not have an HLA identical donor available at the
22 time of treatment.

23 The protocol consisted on marrow harvesting, CD34
24 selection by immunomagnetic microbeads, a one-day preactivation
25 step in the presence of stem cell factor of 52 ligands

1 (phonetic), MGDF, and Interleukin-3; three daily rounds of
2 infection with the retrovirus vector supernatant in bags coated
3 with fibronectin fragments to improve the construction
4 efficiency.

5 The cells were then injected IV without additional
6 therapy and no conditioning therapy was administered to these
7 patients.

8 I'm afraid this is not very well legible.

9 There are so far 11 patients reported from the
10 French group in different age ranges. The patient that we are
11 going to talk about is patient number four on this trial. He
12 has been treated at one month of age.

13 Most of the patients had preexisting serious
14 infections and few of them, only two, had presence of maternal T
15 cells or signs of their own endogenous partial gamma c chain
16 production.

17 The trial was a success in nine out of these 11
18 patients, where you can see that the T lymphocyte recovery --
19 this is the time axis at month after gene therapy. After about
20 three months after this treatment was observed normal numbers.

21 The patient that we are already going to discuss
22 is outlined as patient number four again.

23 On analysis of the different subpopulations, you
24 can see that basically the genetic correction which enables
25 these cells to grow is, of course, because of the selective

1 advantage, 100 percent in the T cells and then K cells
2 detectable in the peripheral blood of these patients.

3 You can see also clearly that although B cell
4 immunity is reconstituted, that this is not happening as much
5 through the genetic correction of the receptor. We're only
6 around one to ten percent of the B cells carry indication of
7 retrovirus integration, and the same is true for the myeloid
8 cell compartment, which is not under the same type of selection
9 and, therefore, shows a much lower prevalence of retrovirus
10 vector integration.

11 The characteristic of the transduced T cells in
12 this trial demonstrate that the patients develop normal counts
13 at subset distribution of T cell function. The repertoire
14 analysis of the T cell receptor beta shows a normal
15 distribution. The cells have a normal phenotype with normal
16 relations between naive and memory T cells, and there is
17 evidence of thymic function as shown by the presence of recent
18 thymic immigrants, as well as, I think, studies of thymus size.

19 The function of these T cells is normal, and they
20 provide a normal functional immunity, basically enabling these
21 patients to go home and start leading normal lives.

22 The summary analysis of the retrovirus as present
23 in these cells shows that there is approximately one copy of
24 retrovirus per corrected cell.

25 The follow-up of the patients on this trial as it

1 stands from October 1st, 2002, is indicated here. The two
2 patients that have not received a full success of this therapy,
3 patient number three, who went to allogeneic bone marrow
4 transplantation after living more than half a year, and this is
5 a patient who was older at the time of treatment and has not
6 seen full success of generating functional T cells, probably due
7 to the absence of thymic function and at his age.

8 All the other patients on the trial are alive and
9 well, with as a notable exception of patient number four, which
10 I'm now going to give you some more details.

11 Patient number four has been alive and well on
12 this treatment for up to 30 months after transplantation. He
13 observed, like the other patients, rapid T cell development, a
14 fully clonal repertoire of T cell function.

15 The T and B cell immune responses developed
16 normally. The patient has been capable of dealing with the
17 usual type infections, including a VZV infection at month 30
18 after transplantation.

19 Integration site analysis conducted by my
20 laboratory has indicated that there are more than 40 different
21 integrational sites present in the T cells of the peripheral
22 blood, again, at a frequency of one per cell zone, and the
23 patient was doing well.

24 The patient then went on to develop an increased -
25 - indications of an increased T cell count around month 30.

1 Most of these cells were gamma/delta T cells. About 7,000 cells
2 of this phenotype were depicted at month 30 around the area of
3 this episode of benign chicken pox.

4 But after the infection, these cells did not go
5 down again. So from month 31 to 35, 50,000 to 80,000 white
6 cells per microliter were depicted without the patient being
7 symptomatic, where then he became what in clinical terms can be
8 described as leukemic with 300,000 white cells per microliter in
9 splenomegaly, at which point it was decided to treat him like a
10 T-ALL patient at his home medical center where he has responded
11 to chemotherapy, and currently the counts of the pathologic
12 cells have dropped at or below the limit of detection.

13 The analysis that has been conducted so far by a
14 panel of experts concludes that this has been a monoclonal
15 gamma/delta T cell clone, both by immunoscope and T cell
16 receptor sequence analysis.

17 The morphology of the cells is blast-like, with a
18 mature T cell phenotype that is indicated here being positive
19 for CD3, CD5, CD7, CD28, CD35R0 and expressing gc.

20 The negative for like one and two expression,
21 CD34, CD10, CDT, CD1, CD4, CD8, and myeloid markers, as well as
22 for CD16 and CD56.

23 Evidence for -- preliminary evidence for in vitro
24 proliferation in the presence of Interleukin-7 and Interleukin-
25 15 has been observed in culture. This depicts a picture of the

1 morphology of these cells, and here is evidence of the
2 cytogenetic analysis of these cells, where you can see an
3 abnormality on the Chromosome 13 at the stage of the leukemic
4 clone that could be identified as a partial price of the long
5 arm (phonetic) at Chromosome 6 and in addition to one of the
6 Chromosomes 13.

7 So the analysis that further has been conducted
8 demonstrate that one provirus integration site could be detected
9 by a procedure that I will later explain to you. The patient of
10 that insertion locus is in Chromosome 11 at p1,3 within the
11 first entrant of the LMO2 oncogene locus.

12 The cells show evidence aberrant expression
13 compared to normal T cells of LMO2, where there is a
14 transcription at least of the coding sequence of LMO2, and we
15 have data on the follow-up of the integration site that is
16 detectable starting in month 13 because not only does the
17 integration site allow us to try to find out whether this
18 interferes with any sort of genetic function of the cell, but it
19 is also a molecular marker for the malignant clone that we can
20 follow up at quantitative PCR, and I will give you some of that
21 data later that we have just collected last week.

22 There has been an increase in the activity of this
23 clone before any clinical symptoms were marked at months 17 and
24 24, and again, I will give you some more detail on that later.

25 And the analysis of the infusion, pre-infusion

1 product on 200 nanogram of that DNA has not shown presence for
2 that integration site in the treated CD34 cells of that patient,
3 which is not so surprising for us, but I can comment on that if
4 you are interested.

5 Further, analysis has revealed that preliminary
6 data of abnormal transcript has arisen from the viral LTR,
7 although as we know and as we have seen, the receptor that is
8 encoded by this vector is being made.

9 There's no indication so far for activity of
10 replication of the retrovirus, and I've already told you about
11 the translocation found at months 34 that has not been detected
12 preexisting.

13 So I wanted to introduce to you briefly the
14 concept on how we detect these integration sites, where a
15 proviral site is integrated into the genomic DNA. It basically
16 integrated so that it pushes apart the normal genomic locus with
17 the introduction of a four base curve repeat just proximal to
18 the proviral DNA.

19 The problem is that if we want to do this in a
20 very high sensitivity you can't use the PCR technology because
21 for the amplification of such integration locus you only know
22 one of the flanks, whereas the other flank is at random or
23 complete random in the whole cellular genome.

24 The method that we have developed has allowed us
25 to detect such sequences now down to the single cell level where

1 we can do amplifications that both allow us -- and I will show
2 some evidence later for that also -- to detect a parallel marker
3 for different copies of integration sites, as well as single
4 integration sites with very high sensitivity down to the single
5 cell level.

6 It involves a preamplification and enrichment of
7 the original locus, an immobilization on a magnetic bead system;
8 double strand DNA synthesis; a restriction digest that basically
9 gives each integration site a defined length; the ligation of a
10 vast molecular excess of a polynucleotide precept that then adds
11 a known stretch of DNA to the what we would call open flank of
12 the integration site, and which allows us to amplify the
13 sequence of these integration sites by conventional PCR
14 methodology.

15 We have been involved in analyzing the patients
16 from this trial for quite some time because we were interested
17 as this is the first successful gene therapy correction what the
18 number of transduced progenitor and stem cells may be in these
19 patients.

20 And as you can see here, these are analysis panels
21 of patients one, two, and four of the Paris trial. You can see
22 that there is an abundance of PCR bands, and I can assure you
23 that by sequencing analysis all of the bands that we have
24 reamplified do actually represent integration sites in this type
25 of analysis, shows an abundance of integration sites, more than

1 40 that we can count on this type of resolution.

2 Whereas you can see in samples of myeloid cells,
3 there is some frequency of integration sites, and we tried to
4 find out whether that was contamination or through integration
5 sites.

6 So the group in Paris has been growing out the CIC
7 colonies from bone marrow samples, and they were able to
8 identify clones that were grown, CFU colonies that were grown in
9 the absence of lymphoid growth factors which show evidence for
10 single integration sites.

11 So we do know that myeloid cells were collected in
12 these patients, and we also do know that the integration sites
13 that we find in these myeloid cells 13 months after
14 transplantation show up earlier in the CD3 fractions of these
15 patients, indicating that at least in part collection has been
16 achieved in progenitor cells that supply both the myeloid and
17 the lymphoid cell system.

18 We see a similar type of analysis also on patient
19 four. This is approximately 13 months after transplant where,
20 again, you can see a fully clonal signal in the CD3 fraction.

21 You will hear a little later in one of the other
22 talks about an analysis, what would this look like if it was not
23 fully clonal. Here's an analysis of fully clonal (phonetic) and
24 monoclonal patients that you will hear about in more detail, and
25 the control on the site where we have a mixed monoclonal sample

1 with a fully clonal sample where you can see that we can detect
2 both the mixed monoclonal band within the fully clonal sample,
3 as well as are able to by PCR amplification from these products
4 define the monoclonal integration site from the mixture for the
5 single reamplification experiment.

6 You can see here this is rough, and unfortunately
7 not yet ordered according to the time line, something that we
8 thought was a sampling error on patient four, where you can see
9 that at 24 months and 32 months after transplantation for the
10 samples of patient one there still is a fully clonal sample,
11 whereas the 17 month sample of patient four is still the fully
12 clonal sample, but there is a preponderance of certain bands and
13 the absence of detectable other bands in the 24 month sample of
14 patient four.

15 These are very small children. The amounts of DNA
16 that we usually obtain for this type of certainly scientific
17 study are very small, and they are being sent around in Europe.

18 So we were, of course, wondering whether this was an artifact
19 of the method or of the DNA preparation rather than the true
20 finding at the time.

21 Of course, the patient then developed the problems
22 that have been previously described, and you can see here the
23 LAM-PCR analysis on his peripheral blood where the sequence of
24 his clones 7175, by our lab terminology, have been identified.

25 And independent of the DNA concentration, we have

1 only been able to find this one clone only at the leukemic
2 state, and you can see here data that Man fred Schmidt and
3 Manuela Wissler in my lab have done just last week, where you
4 see a quantitative competitive PCR for this dominant clone.

5 Quantitative competitive PCR is a methodology that
6 we use to get an indication of the quantity of these integration
7 sites. We generate a PCR product that is a little smaller and a
8 little different in sequence than what we're actually looking
9 for in the integration site, but it does amplify with the same
10 type of PCR primers.

11 And we spike that PCR probe at the defined copy
12 number into defined DNA amounts of sample to get a semi-
13 quantitative estimation of the presence of this clone.

14 And this data is remarkable for a number of
15 things. First, you can see there is what you have to understand
16 is that if the amount of DNA increases on a specific site, it
17 concludes away the amplification of the internal control.

18 So what you can see here is along the time axis
19 where there is no detection as compared to 50 copies of the
20 internal standard in ten nanogram of wild type DNA, which would
21 be indicative of about 1,500 cells here.

22 So you have to imagine these are about 1,500 T
23 cells. You can see that there is about an equal amount at month
24 13, which means that out of the 1,500 lymphocytes here,
25 approximately 50 are of this clone at month 13 already.

1 And there is an increase in the activity, a steady
2 increase of this activity of this clone over time where at month
3 17 there's already probably between 100 and 200, maybe by the
4 other dilution step up to 500 copies within 1,500, and you
5 have to keep in mind that the first time this clone showed up on
6 FACS analysis with this type of percentage was at month 24.

7 We can basically see 11 months ahead of any
8 indication in the FACS analysis that this clone has had already
9 activity, and then of course, in the leukemic stage or in the
10 state where there was the preponderance of the clone, it
11 basically competes away the internal standard, and this is just
12 a verification with the same type of technology with spiking 500
13 copies into that sample.

14 So Dr. Fischer has generated an estimation of the
15 clonal growth of this cell clone, and you can see there's below
16 ten to 100 copies early on where the clone starts picking up
17 activity in almost linear fashion from month 13 through the
18 various stages of sampling, through months 17 and 24, 30, 34,
19 and 36.

20 You can see here indicated on the time axis the
21 time when the chicken pox event that was sort of a clinical
22 indication of the first stage where there was an abnormality in
23 the T cell distribution, and then the clinical manifestations of
24 the currently ongoing disease that is being treated.

25 The location of the integration site indicated

1 before was in the first entrant of the LMO2 gene. We will hear
2 more about the function of the LMO2 gene later on. It is a
3 transcription factor that is necessary for embryogenic blood
4 formation. The knockout mouse of the LMO2 gene does not show
5 any signs of blood formation and dies at an early embryonic
6 stage.

7 The LMO2 gene has also been involved in childhood
8 T-ALL, where it is over expressed in more than half of the
9 diagnosed cases. You can see here the six different axons of
10 the LMO2 gene and the location of the viral insertion site in
11 the first entrant that spans about 10 kb, and it is about 2 kb
12 into the first entrant.

13 Another interesting thing is that this retrovirus
14 has inserted in reverse orientation with the sequence of the
15 retrovirus promoter and transcription directed upstream of the
16 genomic strain.

17 You can see here data that we have received from
18 Bruce Areneau (phonetic) at Cincinnati Children's Hospital.
19 This is a comparative genomic analysis of the LMO2 insertion
20 locus, and you can see that the insertion has occurred in an
21 area that is not conserved between man, the human, and the
22 murine (phonetic) genome.

23 You can also see that the area has a high density
24 of regulatory or potential regulatory elements of the DNA
25 strain, for this curve here is indicating basically the number

1 of regulatory sites in the area.

2 Here data that the Paris group has also done on
3 the LMO2 RT-PCR, where you can see in a negative control of
4 normal peripheral blood cells there's no evidence for LMO2
5 messenger RNA, but there is in the clone of patient four, as
6 well as in the positive control of CD34 cells.

7 The interpretation of all these data to us is that
8 there is insertion mutagenesis in this patient cell clone in as
9 far as there is compared to normal T cells in aberrant
10 expression of LMO2.

11 There are additional factors that could be
12 contributing to this. There may be you might want to call this
13 aberrant gc signaling. However, there's no over expression of
14 the gamma c chain.

15 There is a normal c for such a vector. The data
16 on whether there's Step 3 and Step 5 activations currently
17 pending.

18 Another open question is whether VZV has a role in
19 precipitating the course of this event. The T cell clone is VZV
20 positive by PCR, and there's further analysis conducted on this.

21 It is also a question of whether this T cell clone
22 is involved in an immune response to that virus and whether
23 there is genetic susceptibility in these patients and, of
24 course, other contributing factors, including the chromosomal
25 aberration on Chromosome 13.

1 There's a pedigree for medulloblastoma in the
2 family of this patient with one sibling, as well as one distant
3 relative on having this disease, and there's currently research
4 going on also in terms of the transportations for these cancers.

5 Ongoing investigations, of course, include the
6 mechanism of the LMO2 deregulation, the analysis of the LMO2
7 transcripts, whether the non-spliced RNA in fact contains
8 evidence for vector sequence or whether the deregulation is
9 happening from the proximal promoter of LMO2 or from the other
10 allele.

11 Of course, we want to complete the retrospective
12 clone tracing of the integration site, as well as an overall
13 profile of gene expression which is currently conducted.

14 And about the other features I've already talked.

15 The VZV replication and conscription in this clone
16 is currently studied in the Cohen Lab here at the NIH, and also
17 there is a question of the anti-VZV specificity of this clone.

18 There is a general screening for a genetic
19 predisposition to cancer going on in the genome of this patient,
20 as well as a comprehensive analysis of other integration sites
21 that we are trying to find in this patient.

22 The other patients on these trials we are
23 screening by integration site analysis for the presence of
24 clones that show activity in terms of growing in their
25 proportion, and we are planning to conduct a more comprehensive

1 analysis of all the integration sites we have sequenced from
2 this trial so far and tried to find out what is the frequency
3 that can be established at the current point in time of the
4 human genome sequencing project as to at risk integration sites
5 near cellular oncogene or other structures that could
6 potentially be involved.

7 And this is something that may be reserved for the
8 discussion where we would try to define a monitoring algorithm
9 for the integration sites. We have clearly seen from other
10 trials, and we will see that also that the presence of an
11 oligoclonal or monoclonal situation as such may not be an
12 indication or is clearly not an indication that problems need to
13 develop.

14 And so we would have to look at clone integration
15 site patterns over time repeatedly. Probably there could be a
16 closer time interval if there was evidence of clonal
17 deregulation, and as well, if there was evidence that one of the
18 integration sites or one of the clones would become confunderant
19 (phonetic) over other clones that showed a FACS analysis of T
20 and pan leucocyte markers should have been conducted.

21 Keeping in mind that in this patient the first
22 signs of activity that we could see by IAM analysis was around
23 month 24 and could see the clinical disease by about ten months.

24 There should probably be item monitoring if the
25 clone continues to grow, but probably this should only have

1 consequences in the clinical sense if you can clearly establish
2 it and if a clone grows to more than 50 percent of the marked
3 population within six months of observation.

4 So I would like to close by thanking the
5 collaborators and, of course, Alain's group for being so kind to
6 provide these samples throughout the study, as well as the
7 magnificent work they have been conducting in trying to clarify
8 the role of these events, and my collaborators at Freiburg
9 University, Manfred Schmidt, who has conducted most of the
10 integration site analysis, together with Manuela Wissler, and
11 also Hanno Glimm, who is the post doc on our gene therapy
12 analysis.

13 Thank you very much.

14 (Applause.)

15 CHAIRMAN SALOMON: Okay. Before we get started on
16 the question and answer period, I would like to invite Dr.
17 Fischer now. Dr. Fischer, do you have any additional comments
18 you'd like to make before we even make any questions to you?

19 DR. FISCHER: Not really. I think Christof has
20 really described everything that we have done and we are trying
21 to do in collaboration with him and other groups throughout the
22 world. So I don't think I have additional information to give.

23 Of course, I'm ready to answer questions.

24 CHAIRMAN SALOMON: Excellent. Okay. So we have
25 25, 30 minutes here, and my thinking about the focus now should

1 be any questions from the group on what's been presented and on
2 some of the scientific issues here are all fair game, and the
3 only place that, as I said earlier, I'll truncate things is when
4 we get into discussions of, well, should we do this or should we
5 do that. That will wait for this afternoon.

6 But certainly I suppose there are plenty of
7 scientific issues here that I think are fair game for discussion
8 in the next few minutes.

9 So to take the Chair's prerogative, I'd like to
10 begin by asking: this is a gamma/delta T cell clone. Have you
11 gone back into any of these other patients just by simple flow
12 cytometry, for example, and looked for any evidence for an
13 expansion of a CD4 negative, CD8 negative, CD3 positive subset?

14 DR. FISCHER: Maybe I will try to answer that
15 question. Two things. One, there was one patient with number
16 six in the trial who presented with severe meningoencephalitis
17 caused by VZV infection at the time of diagnosis of XSCID, and
18 this infection went on and on over weeks once the gene therapy
19 was performed up to the time and that was approximately three
20 months after gene therapy when T cells became detectable in the
21 blood of that particular child.

22 And the very first T cell which became detectable
23 were gamma/delta T cells, and they were V-gamma 9, V-delta 1 of
24 phenotypes. And at the time, there was a fairly quick recovery
25 of the VZV infection.

1 And then these T cells positively declined and
2 became replaced very progressively by the different gamma/delta
3 T cells one normally detects in the blood of a normal
4 individual.

5 In the other patients so far we haven't seen any
6 expansion of an abnormal gamma/delta T cell clone. At the very
7 last screening that has been performed over the last month, all
8 of the patients have been screened. They have almost normal or
9 fully normal distribution of the different subsets of the
10 gamma/delta T cells.

11 CHAIRMAN SALOMON: Okay. Katherine?

12 DR. HIGH: Christof, you showed one slide. I
13 think it was an RT-PCR slide for LMO2 transcripts from the
14 patient and from CD34 positive cells, and what time point was
15 that from? And do you have data on other time points?

16 DR. KALLE: This was a part of the ongoing
17 analysis. The RT-PCR on the patient cells was conducted at the
18 leukemic state basically. The CD34 sample is not in this
19 patient.

20 Looking at other time points is an analysis that
21 we currently do, but that I don't have any data on.

22 CHAIRMAN SALOMON: Bruce.

23 DR. BLAZAR: Given the QC-PCR plot that you
24 showed, with the expansion of cells over time beginning quite
25 early, have such studies in other patients showed this kind of

1 expansion rate in other clones?

2 And would it have been predicted that regardless
3 of VZV infection that this clone would have continued to grow
4 above a threshold amount?

5 CHAIRMAN SALOMON: The question is do you want to
6 direct that to Dr. Fischer or to Dr. Kalle?

7 DR. BLAZAR: I want to know whoever has studied
8 clones, which is Dr. Kalle.

9 DR. KALLE: We have conducted those studies.
10 Again, you will hear later on from other trials, yes, other
11 clonal studies have been conducted. Other follow-up studies of
12 clonal tracking have been conducted both in animal models and in
13 this.

14 We have not seen an evidence of a clone that
15 really continuously over grows or out grows other clones unless
16 it had been established very early after transplantation and
17 just continued to exist.

18 I have pulled up a slide again, and you have to
19 see that at month 31 here is the situation when the VZV
20 infection developed, where you can clearly see that there
21 already was a preponderance of this clone at least in the
22 inspection, and the patient was just bordering on elevating his
23 leucocyte clones.

24 But within the T cell fraction and especially with
25 the gamma/delta fraction, there was a preponderance of this

1 clone much earlier on. We would estimate between month 17 and
2 month 24, and the growth of that clone was continuous throughout
3 this time period, as you can see on the graph here.

4 Yes, that's the graph.

5 DR. BLAZAR: So that's unique to this patient.
6 And how many have you studied?

7 DR. KALLE: Well, we have basically studied about
8 six patients from the Paris trial. We have studied two patients
9 from the Los Angeles trial. We're currently looking at the
10 patients from the trial from London also.

11 DR. FISCHER: Maybe I should add that we have done
12 quite a number of tests of the immunoscope so that the
13 distribution of the use age of the different families and the
14 length of the CDR3 in terms of frequency within each beta family
15 has been tested in now almost all of the patients, and we never
16 detected any abnormal pattern suggesting of expansion of a
17 clone.

18 So we always detect what amounted to alpha/beta
19 positive cells fairly fully polyclonal repertoire, which of
20 course tells very much against any expansion of the clone so
21 far, except, of course, this patient.

22 CHAIRMAN SALOMON: Dr. Mulligan.

23 DR. MULLIGAN: Christof, I have a question about
24 the limit of sensitivity of the LAM-PCR approach. Eventually a
25 question is: could we look at every integration in a CD34 plus

1 infected cell?

2 What do you know about the sensitivity?

3 So let's say that if you look at these patients,
4 they're infected, and if infection goes as everyone would hope,
5 with the best vector systems and you're infecting ten to the
6 seventh or ten to the eighth CD34 plus cells, you would have
7 many, many different integration sites. Let's say in the best
8 case ten to the seventh or ten to eighth different integration
9 sites.

10 What can you tell us as the potential for looking
11 at all of them using your method? And tell us something about
12 the sampling error.

13 One of the paradoxes of this is, of course, that
14 you can't sample all of your sample or else you can't use your
15 sample, and I know we're going to get into it at the end of it,
16 you know, what can we do at the initial infection to try to see
17 what the frequency of bad apples are after infection.

18 DR. KALLE: Yes. Of course, these are the key
19 issues to go on with this type of studies from here. I can
20 freely say that the sensitivity is very good up to I would
21 estimate approximately 100 different integration sites in a
22 given sample, but it would clearly be a humongous task to screen
23 the integration events of, say, ten to the seventh cells were as
24 you had rightfully indicated you would first have to make sure
25 that they're all represented in what you are actually sampling.

1 And then also you would have to at least use some
2 mode of visualization or screening them short of sequencing the
3 integration site locus. So I think that was something that at
4 the current point in time we could not do. We could not screen
5 ten to the seventh integration sites in a reasonable time frame.

6 DR. MULLIGAN: Well, in fact, even in this
7 particular case, I think you said it's not very surprising that
8 you couldn't detect the LMO integration site in initial CD34
9 plus sample. You wouldn't have thought you could.

10 DR. KALLE: Oh, yes. That to us makes perfect
11 sense because you have to keep in mind that this is basically
12 two or three days after the infection happening when the
13 retrovirus vector comes on, and if we consider that this
14 insertion only happened in one cell at the time of reinfusion,
15 this has grown at most to a four or eight cell stage where the
16 sampling error of even if you were working up a third of that
17 sample would still be considerable and we would probably not be
18 speaking about it.

19 DR. MULLIGAN: The second technical issue is when
20 you look at the effect of retroviral insertions on the
21 modulation of cellular functions, obviously there are many
22 mechanisms, and these things can occur at a distance.

23 So tell us about the capacity of the method to
24 detect an insertion, say, outside the LMO2, you know, down
25 stream. Let's say it's ten or 20 kilobases that nevertheless

1 would have the ability to activate LMO2.

2 What are the limits of your method in terms of
3 being close? That is, I assume you have to be reasonably close
4 to make this work in a representative fashion.

5 So what we need to know is how well would this
6 detect things that are not, you know, right smack in the middle
7 of a protooncogene.

8 CHAIRMAN SALOMON: Wouldn't the answer to that be
9 just as good as your genetic data? I mean, if he finds the
10 downstream sequence using his methodology, then you've basically
11 used the available databases. You should be able to find the
12 downstream genes.

13 DR. KALLE: yes, I would concur with that where we
14 would say that the integration site that we usually -- the
15 sequence that we usually obtain is anywhere between 50 and 500
16 base pairs, and then we basically go back to the human
17 sequencing database to find out where the gene locus is.

18 We have done walking analysis with this. So even
19 in an unknown segment of DNA, you could go on to do LAMs to walk
20 that locus, but that, again, is a very tedious process.

21 DR. MULLIGAN: Can I ask one last question of Dr.
22 Fischer? Because it's a distribution question of integration
23 sites.

24 Alain, why is it that if, in fact, the CD34
25 infection was, let's say, however efficient, let's say one

1 percent efficient, one percent of cells or ten percent of cells
2 infected, when you see the T cell counts returned at the first
3 point where you've looked at the T cell counts returning, I
4 think someone said there's 30 to 50 integration sites.

5 Again, is that a sampling error or does that tell
6 you that there's a dramatic contraction of the number of clones
7 of T cell precursors that are actually making contributions?

8 DR. FISCHER: Well, I think Christof is better
9 placed to answer the questions about the number of integration
10 sites. The only thing I can tell you they are obviously I would
11 say a kind of oligoclonal efficient lymphopoietic ongoing
12 deficient (phonetic), but actually we don't know how it works
13 physiologically speaking, how many clones there are which
14 generate all of our T cells at a given time point.

15 Maybe there are not so many in normal individuals.
16 That's something we don't know about. There are some
17 suggestions in mice that it could be the same.

18 So then, of course, we don't know how many
19 different clones have been transduced during the ex vivo
20 procedures, but I would guess that many of them, the large
21 majority of them are fairly differentiated cells which still
22 express CD34, but for instance are committed to the B cell
23 lineage.

24 We know that among the CD34 positive cells in
25 these patients there are many PD cells (phonetic), and I think

1 these cells are observed dying out by giving rise to a wave of B
2 cells and are less than could be detected anymore.

3 CHAIRMAN SALOMON: And so I know Dr. Coffin is
4 going to comment on integration, I think, and then we'll go to
5 Dr. Rao, and I believe I've got two other people.

6 DR. COFFIN: Yes, I have a question, a follow-up
7 question to that actually. And I apologize -- I just came in --
8 if I am asking something that's already been answered.

9 But the oligoclonality of what grew out may, in
10 fact, not reflect a contraction, just a chance stochastic
11 contraction and expansion of the clone so that it may, in fact,
12 reflect the fact that all of those clones -- there's something
13 else about them. For example, the integration site that gives
14 them some sort of specific selective advantage over the others,
15 and so the question I have is were those other integration sites
16 characterized and located and were there any others?

17 If so, where there any others of interest as far
18 as proximity to other known oncogenes?

19 DR. KALLE: We have so far from this patient
20 sequence about 60 integration sites. We have not found anything
21 that has drawn our immediate attention.

22 We are currently doing a mapping where we try to
23 find loci of a preponderance of retroviral integration, but so
24 far nothing.

25 CHAIRMAN SALOMON: The question I had was just as

1 a bioinformatics point, if you said you found 60 integration
2 sites, how many of those, using the available bioinformatics you
3 had, were you able to assign to a locus of a gene?

4 DR. KALLE: If you include the working five
5 sequences that are still being edited, about 80 to 90 percent.

6 CHAIRMAN SALOMON: A follow-up on this point. I
7 think the question is: does the fact that initially there is
8 only around 50 or 80 integration sites, in fact, indicate that
9 there is a selective advantage of something about those
10 integration sites, or is it because the gene transfer efficiency
11 intra-cell that gave rise to those or that you're really only
12 getting 50 different infection events?

13 And how does that contrast with the T cell
14 receptor variation?

15 I mean, I thought we heard that the repertoire was
16 a very broad repertoire. Yet this would indicate that there's
17 only 50 different T cell receptors unless obviously this
18 occurred before you generated the repertoire.

19 DR. KALLE: Yes. There are both interesting
20 questions. What I would like to state is that the number 40 is
21 we say greater than 40. What we can reasonably differentiate on
22 these type of high separation gels, we can separate in excess of
23 40 integration sites.

24 Cynthia Dunbar has done some additional work with
25 gene scan analysis where you can see that on repeat sampling

1 these are about 100 nanogram samples of DNA reflective of about
2 three microliters of peripheral blood if you so wish.

3 If you do repeat sampling there is a considerable
4 sampling error so that the number tends to go up. The thing we
5 do know is, of course, that all of these integration sites
6 support expression of the receptor because that is a
7 prerequisite of these cells growing in the patient.

8 And the other statement I would like to make is
9 that the insertion site analysis is probably not a good tool for
10 a pool situation preinfusion screening. However, we are fairly
11 confident that a cell clone that after infusion starts to grow
12 at the more than ten percent level we would pick up as a
13 preponderance grown by this type of analysis and scheme.

14 CHAIRMAN SALOMON: Dr. Rao?

15 DR. FISCHER: To remind the previous work we did
16 several years ago, which actually came as a rationale for gene
17 therapy of XSCID, we had the opportunity in our laboratory to
18 study a unication (phonetic) with a SCID X1 in whom we were able
19 to determine that a reverse mutation occurred in a T cell
20 precursor, and of course, the likelihood that it occurred in
21 more than one cell is almost zero

22 And by assessing the peripheral T cell receptor
23 repertoire of this child, it was shown that the child had at
24 least 1,000 different T cell receptor beta chain among the
25 different T cells, indicating that between the point at which

1 the reverse mutation event occurred and the time where the T
2 cell receptor beta gene was rearranged in the time, at least ten
3 or 11 division cycles occurred, which shows, I think -- and
4 these kind of data have been reproduced in mice -- this shows
5 that the potential for proliferation of T cell precursors prior
6 to T cell receptor rearrangement is extremely large.

7 So that would fit with the concept that a rather
8 small number of clones, transduced clones -- whether they are
9 100, it doesn't matter -- but a rather low number can give rise
10 to a fully broad, diversified T cell receptor repertoire.

11 DR. RAO: I had a question on the LMO transcript.
12 What do you know about it? I mean is it truncated? Does it
13 look -- any data on the transcript?

14 DR. KALLE: Other than that it's made, everything
15 else is too preliminary. We're looking at it.

16 CHAIRMAN SALOMON: Dr. Wolff and then Dr. Mackall.

17 DR. WOLFF: I had a comment on trying to map these
18 initial insertion sites would be even more difficult by findings
19 in mouse experiments where retroviruses have integrated as much
20 as 100 or 250 kb away from the gene.

21 This is probably more rare, although we can't
22 really say how rare it is because there are many insertion sites
23 in the animal models that actually haven't been identified as to
24 what gene they are activating, and so some of those unidentified
25 ones may be working at a distance.

1 DR. MACKALL: I'm struck why on this slide here
2 the multiplicity of integration sites in the T cell arm versus
3 the relative paucity of integration sites in the myeloid
4 repertoire. Now, do you think that that reflects simply the
5 lack of sensitivity for the myeloid cells because so much fewer
6 of those cells -- they don't have the competitive advantage --
7 or do you think this is because you're mainly getting your
8 vector into the common lymphoid progenitor rather than the true
9 pluripotent hematopoietic stem cell?

10 DR. KALLE: That is, of course, one of the
11 questions that we have tried to answer with this experiment. So
12 to summarize this data, we can say that there is integration in
13 myeloid cells in LTCIC of integration sites that we also find in
14 T cells.

15 So has there been integration in some precursors
16 that support both myelopoiesis and lymphopoiesis? Clearly, yes.

17 Is this happening in the majority of cases? We
18 don't know. The situation of the engraftment is such that 100
19 percent of the T cells are corrected, but the myeloid or common
20 progenitors probably have a much lower seeding efficiency
21 because that part of the bone marrow function stays completely
22 recipient

23 DR. MACKALL: That would suggest that the lymphoid
24 pool is going to be at much greater risk than the myeloid pool
25 if it's simply a matter of statistics.

1 DR. KALLE: Yes, and also I would like to refer
2 with regards to the lymphoid progenitors in that question, I
3 would like to refer to Don Kohn's talk a little later where
4 we've all seen some evidence from another study that that is
5 ongoing.

6 DR. SORRENTINO: Okay. I have two questions. The
7 first just addresses, I think, the general question how certain
8 are we of the causality of this integration with this phenotype.

9 And you know, I'd subdivide that into two issues.
10 One is do we know that the transcript as coming from the
11 inserted allele. Are there polymorphisms present in the two
12 allele that would allow you to discern whether this is really
13 from the inserted allele?

14 And the second is if we were to look at
15 gamma/delta monoclonal T cell proliferations not associated with
16 gene therapy, would LMO2 be detected, LMO2 expression be
17 detected?

18 And, you know, what's the relevant difference in
19 expression level here versus what you would see in a normal
20 case, quote, unquote, normal case?

21 Gamma/delta T cell proliferation not associated
22 with gene therapy?

23 DR. KALLE: There's some data from the literature
24 to answer the last question first, but there is gamma/delta T-
25 ALL. It's very rare, and I think the frequency of LMO2

1 activation in these cases is less than in the other cases,
2 although I think there have been descriptions where T-ALL of
3 gamma/delta type has had LMO2 excavation.

4 About the causal nature, that is, of course, the
5 question that we are trying to answer. I have tried to describe
6 the data as it is, not invoking that we yet think that there is
7 a true causal relationship.

8 Of course, there are methods where we can try and
9 find out whether the allele is transcribed from the distal
10 promoter and actually involves the site of the retroviruses as
11 activated or whether there is another type of deregulation going
12 on.

13 These are studies that we are currently trying to
14 do.

15 DR. FISCHER: Excuse me. I should add that there
16 are preliminary data on this, has to be confirmed that this is,
17 indeed, the allele by polymorphic studies. This is the allele
18 where there is the insertion which is expressed. I mean your
19 LMO2 allele.

20 But this has to be confirmed.

21 CHAIRMAN SALOMON: Thank you, Dr. Fischer.

22 DR. SORRENTINO: My question is for Dr. Fischer.

23 I was interested actually in the patient 11 who
24 has not had T cell reconstitution, and Christof indicated
25 potentially due to a defective thymus. What information do you

1 have about the thymic defect in this patient, which I presume
2 is independent of the gamma chain mutation?

3 DR. FISCHER: We don't have any direct information
4 on the thymic function of these patient. This is just a guess
5 based on what is known about thymic function on long term
6 survivors with transplanted combined immunodeficiency
7 patients. The only thing we know, that prior to the gene
8 therapy when we were trying to look at the presence of a
9 thymiclump by echography or by scan, by CT scan, we were not
10 able to detect any thymic lump.

11 Of course, it doesn't tell us that there was not a
12 small one, but we were hoping actually that there might be a
13 small one.

14 So this is an indication, but of course, certainly
15 not the demonstration that the failure in these patients was
16 caused by the absence of a thymic lump. But I think this is a
17 reasonable hypothesis.

18 CHAIRMAN SALOMON: A question I have is what --
19 well, actually there's two questions. The first is what
20 promoter do you think is driving LMO2 then and its clone? Is it
21 being driven by the promoter of the transgene, the C and B
22 promoter or something?

23 DR. KALLE: Alain, please go ahead.

24 DR. FISCHER: There is no C and B promoter. It's
25 just the LCR promoter there, but it doesn't seem, again, from

1 preliminary data that anything is driven from the LCR apart from
2 the normal expected transcript from the vector itself.

3 But of course, we don't know more than that. Of
4 course, these are ongoing studies to determine exactly what is
5 driving LMO2 expression in these particular cytochromes.

6 CHAIRMAN SALOMON: I guess that's something I hope
7 that we can have some more scientific discussion of because I'm
8 trying to square that with what I've learned in the last week on
9 LMO2.

10 Dr. Coffin.

11 DR. COFFIN: In retroviral leukemogenesis or
12 oncogenesis by insertional activation, transcripts actually
13 driven from the LTR promoter and containing LTR sequences are
14 actually more the exception than the rule. Much more common is
15 an enhancer type of activation that acts on either the normal
16 gene promoter or on some cryptic promoter in the gene and not
17 directly activated by insertion of the retroviral promoter
18 itself, but more the enhancer elements.

19 CHAIRMAN SALOMON: I guess we'll get to it a
20 little later, but again, there's the old saying about if you're
21 ignorant of something it's better to stay quiet than open your
22 mouth and remove all doubts, but let me keep going.

23 So what I understand about the typical splicing of
24 the chromosome, the translocation is what ends up happening is
25 that the promoter of the translocated gene drives LMO2 and you

1 get leukemogenesis.

2 DR. COFFIN: You probably know more actually about
3 the activation of LMO2 than I do, but it's certainly clear that
4 in cases of oncogene activation by retroviruses, you can have in
5 different cases or sometimes in different species, you can have
6 different modes of activation of which there are actually three
7 or four different kinds of things.

8 Sometimes it leads to truncation of stabilization
9 of the transcript, for example. Sometimes it's a direct LTR
10 driven expression. Sometimes it's enhancement, insertion of an
11 enhancer element which is how you get these, presumably how you
12 get these activations from 250 kilobases away as was mentioned
13 earlier.

14 And sometimes it's actually splicing into the gene
15 from a cryptic splice, a donor or acceptor in the pro virus. So
16 there's a lot of different ways to do it, and even within a
17 given gene that's activate, there are examples of different
18 mechanism having been used in different cases.

19 Sometimes if you look at what happens in chickens,
20 it's a slightly different case than what happens in a mouse, for
21 example.

22 Certainly your point and the general point about
23 having to get these well characterized and see what's really
24 going on is clearly very important. Nothing would be
25 incompatible with what we know from the history of looking at

1 retroviral activation.

2 CHAIRMAN SALOMON: Just so it's clear, sort of the
3 agenda I have, and we'll get to it later, is, you know, clearly
4 an issue is going to be design of the vector.

5 DR. COFFIN: Absolutely.

6 CHAIRMAN SALOMON: And if the conclusion here was
7 that the design of the vector had nothing to do with it, that
8 the insertional mutagenesis created by a random insertion,
9 period, then you could discuss it till the cow come home, the
10 design of the vector.

11 DR. COFFIN: It's going to be a real issue.

12 CHAIRMAN SALOMON: Okay. Dr. Cornetta, and then I
13 know we have a couple of questions over here.

14 DR. CORNETTA: All right. You made a comment, I
15 believe, that we had looked and there was no aberrant transcript
16 from the LTR. How did you determine that?

17 DR. KALLE: So far this is basically also by
18 looking at the RNA product of the vector that has been made, but
19 we're currently looking more closely at that. We cannot exclude
20 that other things are going on.

21 In fact, Christopher Baum is going to present some
22 data from an animal model that is an example of how that could
23 be working.

24 DR. CORNETTA: Is that a northern analysis or is
25 it by PCR?

1 DR. KALLE: This is by PCR only so far.

2 CHAIRMAN SALOMON: Dr. Blazar, Torbett and
3 Mulligan.

4 DR. BLAZAR: Dr. Fischer, have there been any
5 studies to look at in vitro human T cell generation using fetal
6 thymic organ culture or reaggregation assays or in vivo transfer
7 into SCID mice to see if samples obtained prior to 30 months
8 preferentially grew out of this clone?

9 DR. FISCHER: No, we have not done these
10 experiments. Actually, I am afraid we will not be able to do it
11 because the samples we have are too small to perform these kinds
12 of experiments unfortunately.

13 CHAIRMAN SALOMON: It's also, I would add, unclear
14 whether the gamma/delta T cells are derived in the thymic.

15 DR. BLAZAR: Yeah, yeah. Well --

16 DR. FISCHER: This is true. This is not easy to
17 get gamma/delta T cell in fetal thymic organ culture from human
18 cells, but anyway, we can't do the experiment.

19 DR. TORBETT: Perhaps this is still preliminary,
20 but could you tell us a little bit more information from your
21 work about LMO expression, LMO2 expression in these cells? Is
22 it exceptionally high, comparable to an ALL patient?

23 And secondly, since LEM (phonetic) is a zinc
24 domain type of arrangement, it works for other proteins to bring
25 it together.

1 Secondly, have you looked at other kind of
2 insertions around the area that may give some insight of what
3 else could be hid in deregulating LMO2 if this is the case?

4 DR. KALLE: To answer the second part of your
5 question first, and I might also refer to Dr. Fischer for the
6 other part of the answer, we have looked at what is described in
7 the literature where most of the translocations activate the
8 gene from the distal promoter.

9 So the actual translocation hot spot is about
10 three to five maybe upstream of where the retrovirus is, just
11 outside of the first exit. Unfortunately because the promoter
12 specter of the gene is so complicated and that is a hot spot,
13 the regulatory influence of that first entrant, which is quite
14 large at 10 kb, has not been studied in great detail. So
15 there's very sketchy data about that also.

16 The expression level as to what we can see from
17 this very preliminary analysis, as well as what Alain Fischer
18 has from the gene array analysis indicates that the expression
19 is somewhat higher compared to a, quote, unquote, normal
20 expression level in CD34 cells, but height want to comment on
21 that.

22 DR. FISCHER: No, no. This is what do we know
23 about -- I mean if the order of magnitude is slightly higher
24 than it is in leukemic cells expressed in LMO2, but more than
25 that today we can't tell.

1 And about the potting (phonetic), this is ongoing
2 in the lab of Terry rabbits in England, but we don't have the
3 results yet.

4 DR. MULLIGAN: On this issue, how many different
5 ways can a retrovirus insertion activate something?

6 I was interested by the vioinformatics approach
7 that you're indicating there's something that looks like
8 enhancers in that sequence, and so just to add to the pot, it
9 could be absolutely simply the insertion of the sequence having
10 nothing to do with any enhancement from the long term repeat or
11 transcriptional activity; that you're just rearranging some
12 regulatory element that now allows enhancement to occur.

13 CHAIRMAN SALOMON: That's essentially what Dr.
14 Coffin was postulating.

15 The other question I had is LMO2 is on Chromosome
16 11, and you're talking about a 613 translocation. We never came
17 back to that. What in the heck happened there?

18 DR. KALLE: There is a partial trisomy of
19 Chromosome 6, of the long arm of Chromosome 6 added to one of
20 the Chromosomes 13. It's not something that has been typically
21 described for any form of leukemia, and it's currently, of
22 course, being studied as to whether this can be pinpointed down
23 to what is really happening in that location and whether there
24 is any reference to the familiar cases of cancer that have been
25 described in this family.

1 CHAIRMAN SALOMON: It actually wasn't present in
2 the kid before. If you go back before the gene therapy, there
3 was no 618 translocation?

4 DR. KALLE: As far as I understand the data, but
5 Dr. Fischer may want to comment on that again. It hasn't been
6 present in the peripheral blood cells that have been analyzed
7 prior.

8 DR. FISCHER: Yeah, there was several cytogenic
9 analyses performed between month plus 30 and now, and up to the
10 last test which was performed one months ago, there was no
11 abnormal cytogenetic -- I mean cytogenetic analysis was always
12 normal. The mitoses were normal.

13 So it seems that the presence of these 6 to 13
14 translocations inducing partial Chromosome 6 trisomy comes as a
15 secondary event which follows by at least month, and maybe more
16 than a month, the proliferation induced by -- lightly induced by
17 the aberrant LMO2 expression.

18 Now, what it means and does it induce a secondary
19 event which is important in driving cell proliferation we don't
20 know today. As long as we don't have characterized exactly the
21 breaking point, I don't think we can tell more about it.

22 CHAIRMAN SALOMON: Yes, Dr. Torbett.

23 DR. TORBETT: Could you tell us a little bit --
24 I'm not familiar with the IL-7 receptor expression levels when
25 it's regulated. Could you tell me a little bit about regulation

1 of IL-7 and gamma T cells? Since it's constantly being
2 expressed, does IL-7 normally down regulated after activation?
3 Does it become quiescent?

4 I'm trying to balance what we know with LMO2 with
5 constant expression of the IL-7 receptor.

6 DR. KALLE: I'm certain not an immunologist. So I
7 would like to refer this to Alain.

8 DR. FISCHER: Well, I don't know whether I have
9 all of the information about it. What I can tell you is that
10 the gamma c protein itself is constantly expressed by whatever,
11 alpha, beta, or gamma/delta features. There is a baseline under
12 which it never goes, and its expression is increased following T
13 cell activation, and then it goes back to baseline after some
14 time.

15 So there is no, as far as I know, no down
16 regulation of the receptor, at least of the gamma c cytokine
17 receptor subunit in any event, in any circumstances. It's
18 always there.

19 About the alpha chain of the IL-7 receptor, I'm
20 not so aware of data, but I don't think it does disappear at the
21 surface, but I'm not sure gamma/delta T cell in any
22 circumstance. But I'm not fully sure of that.

23 DR. MACKALL: I'd just make one comment on the IL-
24 7 receptor alpha. I don't know that there have been detailed
25 studies on gamma/delta cells per se, but in general it's also

1 ubiquitous. It's, you know, present throughout. As T cells
2 become activated, they tend to down-regulate IL-7 receptor
3 alpha, but I'm not aware that it disappears.

4 DR. KALLE: No, no.

5 DR. MACKALL: We do see with very high levels of
6 IL-7, which can be present in T cell depleted hosts, that you
7 also can down regulate it, but I kind of doubt that it
8 completely goes away.

9 CHAIRMAN SALOMON: Dr. Rao.

10 DR. RAO: I had a quick question. With some
11 vectors we often have hot spots because of either homologous or,
12 you know, tied to a thing similar. Is there any evidence with
13 this vector that there's any kind of hot spot for integration?

14 DR. KALLE: That is, of course, a very interesting
15 question. We have no indication so far. We are currently very
16 actively trying to map that both for murine and the human genome
17 for retroviral vectors. As you know, it has been suggested in
18 the Cell paper in August that that may be the case for
19 retroviral vectors.

20 CHAIRMAN SALOMON: Dr. Baum and then Dr. Coffin.

21 DR. BAUM: I just want to comment that the
22 retroviral LTR, which provides gamma c expression in the
23 patient, also is expected to up regulate when the T cell is
24 activated and to down regulate expression when the T cell --

25 DR. FISCHER: No, no.

1 DR. BAUM: That is at least the data with many
2 marker genes that have been introduced into T cells.

3 DR. FISCHER: Well, I think what is biologically
4 relevant is the expression of the protein at the surface, and
5 the expression in association with the other cytokine receptors,
6 say, the alpha chain of the Interleukin-7 receptor or the beta
7 and the alpha chain of the Interleukin-2 receptors, for
8 instance, and the level of functional gamma c protein at the
9 surface is controlled by the core expression of the other
10 cytokine receptors subunit.

11 Of course, there is no evidence in the treated
12 patients that there is the kind of, quote, quote, physiologic
13 regulation of the gamma c gene transcription, which is certainly
14 not the case. But at the surface level, when one sees both in
15 the patient four, the one with this malignant proliferation, as
16 well as in the others, is that the level of gamma c expression
17 is either exactly in the normal range or slightly below, and it
18 goes up in, for instance, PHA blasts, but I think this is not,
19 of course -- there is a physiological transcription control, but
20 just the association with the other cytokine receptor at the
21 surface which controls the level of gamma c expression.

22 CHAIRMAN SALOMON: Dr. Coffin.

23 DR. COFFIN: Just one clarification and a
24 question. There is no evidence right now for any kind of
25 targeted specificity for insertion of retroviral provirus. The

1 paper that was published this summer and alluded to showed a
2 general correlation of regions where insertion was frequent with
3 genes in a cell, identified one what they called a hot spot, but
4 it was really a very large chunk of DNA over which there were
5 far more integrations than was expected. It was like four
6 integrations over 8 kb or something like that.

7 There's no evidence whatsoever that -- so there's
8 clearly a non-random aspect both at that level and at a more
9 local level to retrovirus integration, but nevertheless the
10 numbers of possible target sites in a cell are truly huge, and
11 the probability of insertion at identical sites with any given
12 vector.

13 And also, there's no evidence that what's inside
14 the retrovirus has any controlling aspect on where the
15 integration occurs. It's possible that the specific aspect of
16 the integrate that's used causes some minor level of
17 selectivity, but there's no reason to believe that the sequence
18 of the provirus itself actually has anything to do with direct
19 integration.

20 The question I had, and again, forgive me if this
21 was covered, but has this provirus been completely sequenced and
22 shown to be exactly what was put in or have there been some
23 changes in it?

24 DR. KALLE: At the preliminary level I would say,
25 yes, that it has been sequenced once. Of course, this is

1 something that we would like to confirm.

2 DR. COFFIN: And at the preliminary level there
3 are no obvious changes?

4 DR. KALLE: Yes.

5 DR. COFFIN: From what was put in?

6 DR. KALLE: And the functional receptor is also
7 expressed.

8 CHAIRMAN SALOMON: Dr. Mulligan and then we're
9 going to wrap it up.

10 DR. MULLIGAN: I know Dr. Fischer and I talked
11 about this several days ago, but I think many people don't know
12 about this VL-30, which in mouse cells is an RNH transcript that
13 is well known to be packaged.

14 And so it in principle could be a secret player in
15 this whole thing, that is, it's another vector that essentially
16 transmits the cells out of all mouse cells, and therefore,
17 obviously I would think it would be very, very important to do
18 the same analysis on VL-30 insertions as on the vector because,
19 again, it's very curious about these initial 50.

20 Is there something special? Not only is there the
21 vector to think about, but there's also the VL-30 to think
22 about, too.

23 DR. FISCHER: Well, as we discussed it earlier, we
24 are, indeed, going to look at the presence of VL-30 sequences
25 and, of course, if they are one, of course, one has to look

1 where they are.

2 DR. COFFIN: There are also other sequences that
3 have been reported in addition to VL-30. So one has to look for
4 all of these.

5 There's a defective kind of old NLV provirus that
6 seems to give rise to a lot of contamination, genetic
7 contamination of vector sequences. Actually that also has to be
8 looked through.

9 CHAIRMAN SALOMON: Okay. Well, we've got -- one
10 last one?

11 DR. KURTZBERG: This is a question for Dr.
12 Fischer. What are the plans now for the treatment of this
13 patient and what criteria are you going to use to continue
14 chemotherapy or to go to transplantation?

15 DR. FISCHER: Well, for these patients so far
16 received the chemotherapy, which is the one from the so-called
17 EORTC for T cell leukemia because at this time we are not sure
18 exactly which is this kind of disease. Of course, there are
19 enough similarities to consider such a treatment.

20 So he went on for the first three or four weeks
21 now with that treatment, and he's clinically well, and now his
22 abnormal cell counts is down to a very, very low level.

23 There is a plan in about ten days from now to do a
24 marrow examination and to do a minimal residual disease
25 detection. Of course, we have many tools to do so and then to

1 discuss what to do next.

2 Of course, complete remission would be a good
3 prognostic factor. There are several options, of course. An
4 allogenic bone marrow transplantation can be considered, but by
5 definition this child has no actually identical sibling. We are
6 looking for potentially unrelated donors.

7 Another option we are considering might be to try
8 to do an additional treatment with a monoclonal antibody
9 targeting specifically either the V gamma 9 or the V delta 1
10 elements of the T cell receptor expressed by this particular
11 clone. But these are still under discussion, and first we have
12 to know whether the chemotherapy has induced full, complete
13 remission or what is the level of the minimal residual disease.

14 So next options are further chemotherapy. That's
15 not an idea we like very much, but we have to consider it; the
16 usage of the monoclonal antibody and/or an allogenic stem cell
17 transplantation from an unrelated donor.

18 CHAIRMAN SALOMON: Thank you.

19 We went a little bit longer, but there was no way
20 I was going to truncate that important discussion.

21 Before we leave this, just because I really do see
22 this first piece as central to what happens the rest of the day.

23 Let me ask first, Dr. Fischer, do you feel like we've discussed
24 most of the key points right now just to set the stage? I know
25 you're not going anywhere, but is there any last comment you'd

1 like to make at this point?

2 DR. FISCHER: No, I don't think anything has been
3 left. I mean, all of the as possible aspects have been
4 discussed.

5 Well, maybe, I don't know. One small point. I
6 would be interested to hear about possible ideas, further ideas
7 to look at genetic predisposition in the family, given the
8 occurrence of these two medulloblastomas, one in a sibling and
9 one in a relative.

10 If someone has further ideas how to look at that,
11 we would be extremely interested to hear about it.

12 CHAIRMAN SALOMON: And Phil, Carolyn, Cynthia,
13 are there any key questions or ideas you want to get on the
14 table?

15 DR. NOGUCHI: No. I would like to on behalf of
16 the FDA personally thank Dr. Fischer for his openness.
17 Obviously Dr. Fischer's trial is not under FDA jurisdiction.
18 However, that has proven to be absolutely a nonexistent barrier,
19 and we thank him for presenting here and for continuing to be
20 here during this very important discussion.

21 CHAIRMAN SALOMON: Thank you.

22 Okay. Then what I'd like to do is go on to the
23 next presentation of the morning, after which we will have the
24 scheduled break.

25 And it's my pleasure to introduce Dr. Rebecca

1 Buckley from Duke University Medical Center in North Carolina.
2 She's going to talk to us about her extensive experience doing
3 bone marrow transplantation in human patients with SCID.

4 I've been reminded as long as we have a moment
5 here let me introduce three other people that have arrived since
6 we went around.

7 Dr. Orkin from the Dana Farber Cancer Institute in
8 Boston. Welcome, sir, and you'll hear from him later on LMO-1.

9 He kindly didn't interrupt me in the middle of whatever
10 stupidity I was saying about LMO-1, but I'm sure he'll correct
11 me later.

12 Dr. Coffin, who's splitting his time between
13 Boston and the Washington area, joins us.

14 And then Dr. David Harlan, who's the third new
15 member of the panel, welcome to, I guess, the first meeting
16 here. Dr. Harlan is at the NIH.

17 DR. BUCKLEY: Well, thank you very much.

18 I was asked to begin the discussion of alternative
19 therapies for several combined immunodeficiency, and this is a
20 condition that I've been involved with most of my career, along
21 before there was any therapy available.

22 And having watched many of these patients die --
23 could I have the next slide? -- you have to remember that this
24 is fatal syndrome. It was described about 50 years ago, and
25 it's only been 32 years that there's been any treatment at all

1 that's been effective because most of these babies die by their
2 first birthday.

3 And I'm making these remarks for the people in the
4 room who are not aware of the findings about SCID because I
5 think some people only know about it as "bubble boy" disease.

6 But this is not one disease. It's at least eight
7 different genetic diseases, and it's always characterized by the
8 absence of T cell function, and because there's no T cell
9 function, there is usually no B cell function, and in some
10 genetic types there is also no natural killer cell function.

11 Next slide.

12 And briefly this is a list of all the different
13 types of SCID. This is the X-linked form that we've been
14 talking about for the last hour, the gamma c deficiency, and
15 these other are all autosomal recessive conditions. They have
16 specific phenotypes that enables recognition of the possible
17 genetic type just by looking at flow cytometry.

18 Next slide.

19 And just to give you an idea about the relative
20 prevalence of the different genetic types, as Dr. Noguchi
21 pointed out early on, the reason we're so interested in knowing
22 all of the different genes that cause SCID is because one of
23 these days we all hope for a gene therapy for all of the
24 different genetic types of SCID.

25 The most common type is the one we've been

1 discussing, which is the X-linked type. These are all the SCIDs
2 that I've seen in my career at Duke, and you can see that the
3 next most frequent is ADA deficiency, which represents about 16
4 percent, and then right behind that is the IL-7 receptor alpha
5 chain deficiency, which represents about ten percent; JAK-3
6 deficiency, about six percent. Then I think you can see up here
7 at the top of the pie that there are still 30 babies in our
8 group that we don't know what the molecular cause it is.

9 Next slide.

10 But relevant to this discussion is the fact that
11 it's been known since 1968, the year after HLA was discovered,
12 that all of these genetic types can be treated successfully by
13 bone marrow transplantation without a need for pre-transplant
14 chemotherapy because they don't have T cells, and therefore,
15 they can't reject the graft.

16 However, despite the fact that we knew that HLA
17 identical transplants in 1968 would cure this disease, it was
18 not until the early 1980s that methods were developed that would
19 allow treatment when there was no HLA identical donor.

20 And it would through the studies of people who
21 worked with mice and rats that shows that if you could remove
22 all post thymic T cells from the donor of bone marrow or in the
23 case of the mice and rats, from their spleen cell suspensions,
24 that you could get around the problem of graft versus host
25 disease, which you would also have if you used any other than an

1 HLA identical donor, and it would be lethal.

2 However, there are now excellent T cell depletion
3 techniques, and the fact that you can take out the T cells,
4 which is the most effective way to prevent graft versus host
5 disease, means that in these patients where the goal is to give
6 them immunity, it's not correct their myeloid series or their
7 erythroid series or their platelets. You want to give them
8 immunity, and this allows the omission of drugs like
9 cyclosporine and methotrexate that would interfere with the
10 development of that immunity.

11 So what I plan to do in the next few minutes is
12 just to talk about the experience that we've had at Duke, and
13 then also I was asked by Dr. Wilson to try to give you an
14 overview of what is known about the efficacy of bone marrow
15 transplantation around the world.

16 So could I have the next slide, please?

17 This is the method that was developed by Yari
18 Ricener initially in mice and then later in monkeys, where you
19 start with approximately a leader of bone marrow. You get a
20 buffy coat, and then by adding a protein from the soybean plant,
21 you can clump some of the cells, and the other cells that are
22 unclumped then still contain T cells.

23 So to get rid of the T cells, we take advantage of
24 the fact that human T cells bind to sheep red blood cells, and
25 by doing two radiant depletions, you can get rid of a large

1 majority, about four log depletion of T cells. So that you end
2 up then with about five percent of the cells that you had up
3 here.

4 And next slide.

5 This is a slide showing you what one of the final
6 preps looks like. If you can see, there are essentially no
7 lymphocytes in this final prep. These are immature myeloid
8 cells, but we know that there are also CD34 positive cells.
9 There are CD7 positive cells. There are dendritic cells. There
10 are no CD3 positive cells that you can detect, except an
11 extremely small number.

12 And this final preparation does not proliferate in
13 response to PHA or Con A. So that when you put a cell
14 suspension like that into the circulation of a patient with
15 severe combined immune deficiency -- next slide -- you have to
16 think about, well, you're not giving any lymphocytes. You're
17 not giving any T cells. Is this child going to have any way of
18 maturing these stem cells that you're giving so that they can
19 become T cells?

20 And for many years, it had been considered that
21 the thymus might be what was wrong in SCID. It's vestigially
22 small. It doesn't have any lymphocytes in it, and it doesn't
23 have any Hassell's corpuscles.x

24 This is a picture on the next slide showing you
25 that this is essentially an epithelial organ, and there are no

1 lymphocytes present. So knowing this, and one of the first
2 patients that we treated -- next slide -- and he's now 19 years
3 old; he has JAK 3 deficiency. We put the cells in at this point
4 in time, and then for about three months nothing happened, and
5 so we were really concerned that maybe the child didn't have a
6 way of having thymopoiesis.

7 But then all of a sudden CD3, CD4 and CD8 positive
8 cells appear in the circulation.

9 Next slide.

10 At the same time T cell function appeared, and
11 since these were divided in cells, we could do a karyotype. The
12 child is a male who has JAK 3 deficiency. He had no siblings.
13 His mother was a donor. These are 100 percent female cells.

14 So even though we didn't put any lymphocytes in,
15 his body was able to mature these stem cells, and we now -- I
16 don't have time to go into this -- we now know that there is
17 thymic enlargement, and there are tracks produced, and that this
18 is caused by thymopoiesis from this very tiny organ.

19 Next slide.

20 Now, one of the things that's been alluded to is
21 that bone marrow transplantation is not perfect; that B cell
22 function often doesn't develop. It did in this particular
23 child, but it took about two years, and he has never received
24 IVIG in his lifetime, and he's, as I say, 19 years old and quite
25 healthy.

1 Next slide.

2 So in summary then, we have been able to use this
3 method to treat patients who would otherwise not have been able
4 to receive any therapy, and this is since 1982. We've been able
5 to treat now 128 patients with SCID. Only 15 of these had HLA
6 identical siblings. The others had no HLA identical siblings,
7 and we used HLA haploidentical donors.

8 And you can see that three quarters of these
9 patients are going to survive it. The incidence of survival is
10 about the same whether there are ADA deficient or not, with the
11 caveat that some of these who did not accept the graft had been
12 treated with PEG-ADA, and one of these has been treated by gene
13 therapy in Italy, by the group there.

14 The next slide.

15 So just to go over the survival rates, I know that
16 in Dr. Fischer's experience in Europe that the RAG patients
17 there, that is, the T negative, B negative, NK positive patients
18 tended to do less well with transplantation from haploidentical
19 donors than the T negative, B positive SCIDs, but in our group
20 here we've not really been able to see much of the difference in
21 the survival rate among the different genetic types.

22 Okay. Next slide.

23 This is what they died from. They are 28 who have
24 died, and you can see that most of these have died from viral
25 infections that they were infected with when they presented. We

1 had one late death at 18 years of age from a child who was
2 treated with PEG-ADA. This was an ADA deficient, but most of
3 the deaths have occurred in the first year.

4 We've had a couple that occurred from CMV and
5 adenovirus as late as three or four years out. None of the
6 patients died of graft versus host disease even though only 15
7 of them had HLA.

8 Next slide.

9 So let me go back one slide if I could. I wanted
10 to talk about this because Dr. Wilson asked me to comment on
11 other problems in these patients, and that is that there is an
12 increased incidence of malignancy. These are mainly lymphoid
13 malignancies, and in our series you can see that three of our
14 patients had EBV lymphoproliferative disease versus lymphoma.
15 They were all EBV positive.

16 The next slide.

17 The article that came from Ellen Jaffe's group at
18 the NCI is the most recent report that I could find on the
19 incidence of malignancy and SCID, and this was a review article
20 in which it was stated that the incidence was estimated at one
21 to five percent. The mean age at diagnosis was a year and a
22 half and mainly boys more than girls. Most of these were not
23 Hodgkin's lymphoma. A few were the Hodgkin's type, and there
24 was no mention about leukemia in this particular review. I know
25 that there has been leukemia in SCID before.

1 Next slide.

2 Now, to talk about other centers and the
3 experience with bone marrow transplantation, this was a slide
4 that I put together for a chapter I wrote for Dick Steen's book
5 on transplantation, and it was a survey I made by calling all
6 the people I knew who were working in the field and getting an
7 idea about how many transplants were being done.

8 And I think you can see that there were 576 SCIDs
9 that I learned about, and these are the data here at the top.
10 Since I can't calculate that fast -- next slide -- I have
11 calculated it for you.

12 The HLA identicals, there were 125 and 105 were
13 surviving or 84 percent, and this is consistent with the figure
14 that you heard earlier.

15 For the haploidenticals, at that time 60 percent
16 were surviving, and for the MUD donors, 71 percent. There have
17 only been two cord blood transplants in SCID that I found out
18 about as of 1997, and I'm aware of the data, the unpublished
19 data, from the latest survey of the European immunodeficiency
20 group where I think that less than one percent of the
21 transplants have been of that type all told in SCID.

22 But all together the survival rate was 64 percent.

23 Next slide.

24 Now, these are publications since 1997, and they
25 come, again, from Dr. Fischer's group and the European Society

1 for Immunodeficiency. The article by Haddad in 1998, I think,
2 summarized the data through '96 or '95 or somewhere in the mid-
3 1990s.

4 And at that time -- and this was 193 total SCIDs,
5 this included HLA identical, as well as haploidentical and
6 unrelated, and there was a 48 percent survival rate at that
7 point.

8 Bertrand, again, from the European Society for
9 Primary Immune Deficiency, published in 1999 178 that were just
10 haploidentical, and as you can see, 52 percent were surviving
11 there.

12 And I might point out that in many of the European
13 centers, chemotherapy is used prior to bone marrow
14 transplantation, and the thing that seems to be emerging from
15 this is that the centers that use chemotherapy, the mortality
16 rate appears to be higher, and I think this is because these
17 patients present with lethal infections at the time they
18 present, and when immunosuppressive drugs are given, it's not
19 any surprise that there would be a higher mortality.

20 For example, this is the L.A. Children's group
21 here, where I think they used chemo for all of their patients,
22 and there were 37 that were hap-matched, and of those, there
23 were only 17 surviving. Overall there was a 56 percent survival
24 rate.

25 This is from UCSF, from Mort Talley's group, where

1 in the attabaskin (phonetic) SCIDs and the others who have the
2 Artemis gene, the patients -- these are inbred groups here, and
3 there are a series of 16 where only six were hap-matched. The
4 rest were DR identical. There was a 75 percent survival rate.

5 And this is the current survival rate at our
6 institution.

7 Next slide.

8 Okay. Now, I want to touch on something that is
9 probably going to come up, and that is that since they die of
10 infection, if you could diagnose them before they become
11 infected, wouldn't the survival rate be better? And the answer
12 is yes.

13 This is from Andy Cant's group, and the first
14 author is Kane from Newcastle, where they did a retrospective
15 review of 13 that they had transplanted in newborns because
16 there was a family history, and these children were diagnosed
17 early on, and they were all surviving.

18 In our series at Duke, and one of the references
19 that was sent to the members of the committee as a pdf file is
20 from our group here that was published in February, where we had
21 I think at the time it was 21 or 22 neonates, and we had only
22 lost one, and that was from a CMMV infection.

23 And included in this number 35 right here are
24 these 24 newborns, but what the number 35 refers to is all of
25 the patients that we have transplanted in the first three and a

1 half months of life, and we only have lost one patient, and that
2 was from the CMV infection, from a mother who had no prenatal
3 care, and the child was infected right after birth.

4 So survival rate is very good if you can diagnosis
5 this at birth, but -- and I'll just say this briefly because I
6 don't want to get into a long discussion of this -- but there's
7 no screening in the United States or, for that matter, that I'm
8 aware of anywhere in the world for this condition at birth. And
9 I think that, you know, every baby in the country could be
10 picked up if just a white count and a manual differential were
11 done on the cord blood because then you could recognize
12 leukopenia and transplant shortly after birth.

13 So next slide.

14 This is just a Kaplan-Meier plot showing you the
15 neonates we transplanted.

16 The next slide. The next is the ones in the first
17 three and a half months of life. I think you can see that we
18 have several that are out in the teenage years, and the oldest
19 child is a pediatrician's daughter who wants to be a physician,
20 and she's in college now and very healthy.

21 The next slide.

22 This is just to show that this was a child who was
23 transplanted at day 18 of life, and this was her thymus at four
24 years of age. So by giving stem cells to a baby with a
25 vestigial thymus with any of the types of SCID that we know

1 about, by putting the normal seed, the garden enlarges.

2 The next slide.

3 So now I wanted to talk about the other aspects of
4 this, and so far I've only talked about survival, and survival,
5 of course, is not everything, and just taking our 100 survivors
6 currently, 90 of these patients are T cell chimeras. In other
7 words, 100 percent of their T cells are their donors'.

8 Ten of the patients are not chimeras. Five out of
9 16 of the 88 efficient SCIDs, one has undergone successful gene
10 therapy in Italy, and one is awaiting gene therapy there, and
11 three of these patients are on PEG-ADA.

12 The other five are one X-linked; one JAK 3
13 deficient that you'll hear about this afternoon; three autosomal
14 recessive.

15 Thirty out of 100 have donor B cells, but 58 of
16 100 are on IVIG. So even though giving this type of treatment
17 can give you a high rate of survival, depending upon when you
18 transplant, you end up with having poor or no B cell function in
19 many of these patients, and in some cases there is resistance to
20 engraftment so that you don't even have T cell chimeras.

21 The next slide.

22 So in conclusion, this is a pediatric emergency.
23 The potential exists for diagnosis routinely at birth, and if a
24 stem cell transplant from a relative can be done in the first
25 three and a half months of life before infection has developed,

1 they would have probability of success.

2 T cell depleted hap-matched transplantation
3 provides a life saving therapy for all forms of SCID, but it is
4 not a perfect treatment, and the prospect of gene therapy offers
5 hope, but the remaining defects in these chimeras will
6 eventually be correctable.

7 And as you've already heard, most of Dr. Fischer's
8 patients who received gene therapy, not only was their T cell
9 defect corrected, but their B cell defect was as well.

10 So I'll close there, and I'd be glad to answer any
11 questions.

12 (Applause.)

13 CHAIRMAN SALOMON: So this is open for some
14 discussion. Dr. Torbett.

15 DR. TORBETT: Not following the literature and not
16 knowing why there would be a difference between those that got
17 gene delivery versus transplant, why is there such a difference
18 in B cell? And are the B cells that are coming up in the
19 patients that have been transplanted, are they completely
20 functional across all isotypes?

21 DR. BUCKLEY: Okay. Remember that in many cases,
22 in all cases at our institution, we do not give chemotherapy.
23 So we don't get of the recipient's B cells.

24 But, again, the European group has looked at this.
25 They've looked at the ones who did receive chemo versus the

1 ones that didn't receive chemo, and it was not statistically
2 different as to whether or not they had chemo, whether or not
3 they had B cell engraftment.

4 We don't know exactly why B cells don't engraft,
5 but there are lots of theories about that. Some people would
6 say it's a spacing problem, and you only engraft what you need.

7 So, for example, in the X-linked and the JAK 3
8 where they have a high number of B cells pre-transplantation,
9 does that prevent the B cells from coming in?

10 We don't know really.

11 CHAIRMAN SALOMON: Can I address that because I am
12 also -- I think this is really a potentially important point.
13 One at some point could ask if one could address this issue when
14 would balance the need for the gene therapy approach against
15 using allogeneic transplantation.

16 And it's clear that this B cell issue isn't one
17 that's totally out of the picture from the data Dr. Fischer has
18 shown us today in the sense that it would appear that the
19 paucity of insertion sites in B cell lineage suggests that,
20 again, there's some sort of decrease in whatever selection
21 pressure is that's positive for selecting the B.

22 It doesn't make a whole lot of sense to me. If
23 you don't have a T cell pool, you shouldn't be driving more some
24 of the later points in maturation and immunoglobulin switch. So
25 it seems like you'd be missing a whole lot of your more mature B

1 cell population.

2 And I just don't follow this space argument
3 either.

4 DR. BUCKLEY: Well, we have other data on B cell
5 function in our patient population. In the JAK 3s and the gamma
6 c's where their cytokine receptors don't work on their B cells,
7 we postulated that the reason they don't have B cell function is
8 because they still have abnormal cytokine receptors on their B
9 cells.

10 However, in the IL-7 receptor alpha deficient
11 patients who have all host B cells and all donor T cells, most
12 of those had normal B cell function.

13 So I think it may have to do with the genetic type
14 of SCID, and if you've got B cells that have nonfunctional
15 cytokine receptors, then this may be the explanation.

16 And I should add that approximately one third of
17 our X-linked patients do have normal B cell function, and they
18 are the ones who have donor B cells. And we didn't do anything
19 different in those patients than we did in the others.

20 So we don't know why in one third of the patients
21 we do get donor B cells and in the others we don't.

22 MS. LORI KNOWLES: I wondered if you noticed any B
23 cell function in the neonate group that differed from the other
24 group.

25 DR. BUCKLEY: Well, no. We had hoped that. We

1 did see earlier T cell function and greater thymopoiesis by
2 transplanting the newborn, period, but we didn't see any
3 difference in the development of B cell function.

4 DR. ALLAN: I'm going to go out on a limb a little
5 bit. The question I guess I have is that you show the neonates
6 survived better, and do you know why the neonates survived
7 better?

8 Because, I mean, one of the things that hits me is
9 that one patient that had lymphoproliferative disease with gene
10 therapy was the youngest patient, the one month old infant. So
11 I'm wondering if you can tie these together in any way.

12 DR. BUCKLEY: Well, we have thought about that a
13 lot. We've wondered whether the thymus might be more poised to
14 be able to mature these cells at that age.

15 But my own feeling is that I think infection must
16 have an enormous role in this because if you have a patient who
17 comes in with CMV or adenovirus or VZV, those patients usually
18 take longer for the graft to come in, and so I think the
19 infection plays a major role.

20 But it could be that because the thymus is larger
21 or more poised to mature these cells early on, that's the reason
22 for the difference.

23 DR. TSIATIS: Some of these high survival rates
24 that you show in the children of less than 25 months, does that
25 seem in some of these other studies like what you were looking

1 at?

2 DR. BUCKLEY: No, because it all gets back to what
3 I was saying, that the only reason it would be done early would
4 be if there was a family history, and in most of our cases there
5 is no family history.

6 So because there's no newborn screening program
7 anywhere in the country and I think nowhere in the world, these
8 babies are not picked up at that point.

9 I'm lobbying obviously for newborn screening for
10 this condition.

11 DR. TSIATIS: The other question had to do with
12 how these neonates were identified, and could they possibly have
13 different prognostic factors than the other children and
14 different rates?

15 DR. BUCKLEY: Well, the reference, I think there's
16 a pdf file that was sent around with the paper in it. It
17 contained a lot of the ADA deficientes and the X-linked because
18 those are the ones that you can screen easily for on amnion
19 cells.

20 But there was no difference in the survival rate
21 according to genetic type among that group.

22 CHAIRMAN SALOMON: Just for some more, Dr. Blazar,
23 Kurtzberg and Coffin.

24 DR. BLAZAR: Rebecca, could you comment on the
25 track decline that was shown earlier?

1 DR. BUCKLEY: Right, right. I would like to say
2 there was a slide that was shown by the first speaker that had
3 Batelle, et al., as a reference. I was the senior author on
4 that paper, and we had very few data points out at 12 and 14
5 years of age.

6 We're going back now trying to get some of our
7 teenagers who are now 18 or 20 years and see if, indeed, that is
8 the pattern.

9 DR. BLAZAR: The second question is: have you
10 seen a difference in B cell engraftment if you transplant
11 neonates versus older?

12 DR. BUCKLEY: That was what she asked.

13 DR. BLAZAR: Oh, I'm sorry. I missed that.

14 DR. BUCKLEY: Right, and in that paper that we
15 published in Blood in February you can see that's what we had
16 hoped for, but we didn't see any difference in B cell function
17 in the neonates versus the older group.

18 DR. KURTZBERG: I just wanted to make a comment
19 about neonatal transplantation. It's not only in SCID, but in
20 any disease that you transplant. The younger the child the
21 better they do, and whether you use chemotherapy or not, the
22 outcomes are much better.

23 So we have transplanted a larger group of kids or
24 neonates with inborn error where we used belated chemotherapy
25 and have 100 percent survival in ten kids.

1 So I think regardless of the age or the disease,
2 the younger the child the better the outcome.

3 CHAIRMAN SALOMON: Just to follow up on that, Jon,
4 in general those children have complete reconstitution of B
5 cells.

6 DR. KURTZBERG: Yes, they do. And as an aside
7 because the experience has grown over the past two or three
8 years, we had 20 babies with immune deficiency syndromes of
9 varying types and with chemotherapy in the first two years of
10 life and have an 85 percent survival in that group. They do
11 have a full B and T cell function, and the median follow-up in
12 that group was over two years.

13 So I think there's still a controversy about
14 whether or not chemotherapy helps or not. I think in the very
15 youngest kids, regardless of whether you use chemotherapy or not
16 and regardless of the diagnosis that survival is much better
17 because of age.

18 DR. BUCKLEY: I would just like to comment that I
19 think you can't lump all immune deficiencies with the others.
20 You know, most of the ones -- all of those patients in not the
21 SCID group, but the others, and I clearly didn't get to point
22 this out on the overall slide, but except for SCID one does have
23 to use chemoablation prior to transplantation in order to get
24 the graft to come up.

25 CHAIRMAN SALOMON: Christof.

1 DR. KALLE: I may have missed this, so please
2 excuse. There's data from John Vick's group that
3 transplantation of human progenitor cells to the not SCID mouse
4 model allows B cell proliferation independent of progenitor cell
5 function. So I was wondering whether that data had been looked
6 at as to the dosage of B cells that have been co-transplanted or
7 whether any attempts have been made to transplant B cells in an
8 isolated fashion.

9 DR. BUCKLEY: That's a very good point because, as
10 I showed you the smear there, there were essentially no
11 lymphocytes in the final prep of most of these T cell
12 depletions. Occasionally we will by monoclonal antibodies pick
13 up a few B cells, but we certainly do not give them many B
14 cells.

15 CHAIRMAN SALOMON: Dr. Mulligan.

16 DR. MULLIGAN: Rebecca, I have a question about
17 enzyme replacement. You know, about a decade ago when there was
18 the original ADA clinical trial, actually there was a little bit
19 of a controversy about how the enzyme replacement at that point
20 influenced the risk-benefit ratio with gene therapy, and since
21 we may be getting back to this issue of how you reset the risk-
22 benefit ratio, how does the current point, the PEG-ADA -- how is
23 looked upon with patients? Should it influence this whole
24 thing?

25 DR. BUCKLEY: Well, in our hands, when we have a

1 new patient with adenosine deaminase deficient SCID, we
2 transplant before we give PEG-ADA because of the first patient,
3 the one that was written up in the New England Journal. We had
4 had just the opposite thought.

5 We had thought that we had to detoxify the micro
6 environment by giving red blood cell transfusions repeatedly to
7 lower the de-oxyadeneonucleotides (phonetic).

8 And what happened was we empowered her T cells to
9 reject the graft, and she was the person I mentioned that at age
10 18 died of pulmonary hypertension.

11 The patients who have been on PEG-ADA have not
12 fared as well as those who have been transplanted.

13 CHAIRMAN SALOMON: Dr. Blazar.

14 DR. BLAZAR: Would you make a comment on the
15 repertoire diversity since that's relevant to the discussion?

16 DR. BUCKLEY: Right, right. We have, of course,
17 looked a that. We're looking now at B cell immunoscope, T cell
18 immunoscope, and in the patients who have been successfully
19 engrafted, we have very good T cell diversity, but in the ones
20 who have resistance to engraftment, of course, there's either
21 oligoclonality or very few T cells.

22 DR. HIGH: I wanted to ask two questions. One is
23 you mentioned several factors that appear to influence the
24 outcome of bone marrow transplantation: age at presentation,
25 presence of infection at presentation, whether they have an

1 identical versus haploidentical donor.

2 So could you essentially define a set of criteria
3 that would predict people who are not going to do well at
4 transplant?

5 DR. BUCKLEY: I would think the average baby who
6 comes in at age even months because nobody has diagnosed him
7 before that time, who comes in with an adenovirus infection or
8 with CMV, varicella, that that patient is destined to probably
9 not survive.

10 DR. HIGH: And the second question is that for
11 those of us who aren't immunologists, can you comment a little
12 bit about the cost and the trouble of IVIG therapy through life?

13 DR. BUCKLEY: I can't really tell you how much it
14 costs a year, but it's a lot. I think -- is it \$50 a gram now
15 for IVIG? Well, I think it depends on which brand and whether
16 they use Home Health to do it, a lot of different things, but
17 it's very expensive to give IVIG.

18 It's also very expensive to give different types
19 of transplants. For example, for a chemoablated transplant,
20 perhaps Dr. Kurtzberg can tell you what the average cost of that
21 is, but I would say it's well over a couple hundred thousand
22 dollars, whereas in the neonates that we've transplanted, we've
23 transplanted them as out-patients, and we've kept them in
24 apartments in our community, and the average cost is around
25 \$50,000.

1 So there are all sorts of cost factors in the
2 various treatments for these patients.

3 CHAIRMAN SALOMON: Abbey.

4 MS. MEYERS: Going back to a comment about the
5 controversy about PEG-ADA, I was on the gene therapy
6 subcommittee at that time when French Anderson came to us with
7 the first human trial and wanted to do SCID, but about two weeks
8 before PEG-ADA had been approved by the FDA, and so we said this
9 used to be a fatal disease, but now it's treatable, and so there
10 are other ethical questions that have to be addressed.

11 And most of the patients or families that I speak
12 to have been on for years, are still doing very well, and have
13 grown up and are full grown adults now.

14 So I think that there's not so much controversy.
15 What we have to face with this issue is if we find that other
16 children are at risk of leukemia because of gene therapy,
17 whether for SCID or anything else, we really have to look at the
18 question is this an untreatable disease.

19 And if there is treatment and maybe treatment that
20 is not as drastic as bone marrow transplant, but you know, other
21 kinds of normal treatments, then maybe they shouldn't be exposed
22 to that type of risk.

23 CHAIRMAN SALOMON: Well, this is a good example of
24 allowing something that's a key discussion point to bubble up at
25 this point, but we won't go anywhere on that line right now.

1 But we'll definitely come back to that because it is a key
2 point.

3 I'd like to go to the break. Richard, is this
4 short?

5 DR. MULLIGAN: Is there any attempts to look in
6 the reconstituted patients on whether there is any sort of
7 genomic or genetic instability that's not associated with tumor
8 formation, but just may eventually lead us to think that there's
9 another chance for another kind of hit?

10 DR. BUCKLEY: The only thing that I can tell you
11 is what we've not seen any evidence of any malignancy in any of
12 the long term survivors. Prior to development of T cell
13 function, we will often see myeloma-like proteins develop in the
14 serum of these patients. We've had several that have had like
15 IgA myeloma proteins or IgG myeloma proteins, and we didn't
16 treat them with chemotherapy.

17 When the T cells came in, they down regulated
18 these, and these clones went away.

19 DR. FISCHER: Excuse me. Can I add something from
20 Paris?

21 DR. BUCKLEY: Yes.

22 DR. FISCHER: I just became aware yesterday --
23 this is just by chance I don't know -- but that a patient was
24 transplanted 27 years ago. He's a SCID in France, has how a
25 diagnosis of lymphoma in his stomach. I know it's a B cell

1 lymphoma, and I don't know more today, whatever is occurring on
2 donor or host B cells, but I think this is important to know.
3 This is the first time I've been aware of late malignancy in a c
4 patient who was transplanted.

5 DR. BUCKLEY: Alain, was that an HLA identical?

6 DR. FISCHER: I don't know yet, but it is very
7 likely because it occurred in 1975. We are working how to get
8 the data.

9 CHAIRMAN SALOMON: That's interesting. So I can't
10 resist a last question. You guys can beat me up later on this
11 one.

12 But the question that came to me a few minutes ago
13 is you guys, either you, Dr. Fischer, but what percentage of the
14 B cells post transplant in these kids are donor derived?

15 DR. BUCKLEY: Well, it varies. When I said that
16 there were 39 -- I forget what was on the slide -- 39 of the 100
17 have donor B cells. By that I mean it ranged from anywhere
18 between three percent and 100 percent. So it can really vary.

19 CHAIRMAN SALOMON: Do you have a sense of what --
20 is it very low, suggesting that there's an engraftment/survival
21 issue?

22 DR. BUCKLEY: It's really a spectrum, and I think
23 we have a lot that have like 20 to 30 percent donor B cells who
24 have good B cell function.

25 CHAIRMAN SALOMON: Dr. Fischer, do you have any

1 comments on that?

2 DR. FISCHER: Yeah, well, in our experience in
3 those patients who were not myeloablated and this is the
4 majority, there is not more than ten to 20 percent of them who
5 have donor B cells at a significant level, and as Becky Buckley
6 just said, we have some data that those patients do develop B
7 cell functions whereas others don't.

8 CHAIRMAN SALOMON: Okay. Thank you.

9 A break. If I say ten minutes, it will be 15. So
10 be back in ten.

11 (Whereupon, the foregoing matter went off the
12 record at 10:37 a.m. and went back on the record
13 at 11:01 a.m.)

14 CHAIRMAN SALOMON: Okay. Thank you all for coming
15 back.

16 I would like to introduce one additional member of
17 the panel who arrived just recently, and that's Barbara Ballard,
18 and she represents the Immune Deficiency Foundation, which
19 represents patients and families of children with SCID disease.

20 Okay. Well, I think we'll go right on with the
21 next presentation, which is from Dr. Linda Wolff, National
22 Cancer Institute, on retroviral insertional mutagenesis and
23 cancer in animal models.

24 DR. WOLFF: I'm very pleased to be invited today
25 to be able to share information from my own research, and I hope

1 that this will contribute a lot to the insight of the discussion
2 that occurs this afternoon.

3 My research over the last 20 years has really been
4 focused on leukemia in mice and in cats. In my graduate work I
5 did some work with feline leukemia virus, and then ever since
6 I've been at the NCI I have worked on mouse retroviruses with an
7 emphasis on insertional mutagenesis.

8 What I'd like to cover today, shown in the first
9 slide, I would first like to give a brief historical overview of
10 insertional mutagenesis and cancer, but then I'm going to talk
11 about an example of a model where we used information to promote
12 leukemia progression in conjunction with retroviral mutagenesis.

13 And inflammation, of course, might be considered
14 to mimic a chronic infection.

15 Next I'm going to talk about how we've used
16 collaboration or combination of genetic events to induce
17 leukemia in a more rapid way, and I'll take examples from our
18 current studies where we use retroviral insertional mutagenesis
19 in transgenic mice carrying oncogenes or in knockout mice in
20 which a tumor suppressor has been deleted.

21 And then finally I'm going to give an example.
22 This is a quite historical example from the '80s where we
23 induced cancer with a nonreplicating retrovirus vector.

24 Retroviruses were first discovered in association
25 with cancer around the turn of the century. So people took

1 extracts from leukemias in the chickens and they made cell-free
2 extracts and transferred the disease to other chickens, and then
3 these extracts, they used them to clone out viruses in cells and
4 then finally molecularly cloned viruses, and similar things were
5 occurring also in the rodents.

6 Now, just for historical background again, many of
7 these cancer causing retrovirus isolates from mice and chickens
8 were composed of two different viruses. The virus on the right
9 is a replication competent genome we've also called many times
10 "helper virus."

11 I don't think this is really working, is it? I
12 can see it over there. Anyway, I'll go on anyway.

13 This replication competent genome is composed of -
14 - has genes that we call the structural proteins, as well as
15 enzymes that are crucial for replication, such as the gag, pol,
16 and env genes.

17 Also, I just want to point out about the long
18 terminal repeats, which we've heard mentioned already here. The
19 long terminal repeats contain an important aspect. They contain
20 transcriptional promoters and enhancers. Of course, the purpose
21 originally is for transcription of the viral genome.

22 Now, the other retrovirus on the left was a
23 typical defective genome that was found in these isolets in
24 which many of the essential replicating genes were replaced by
25 an oncogene. To varying degrees this replacement occurred.

1 This is just simplified. And these also had the long terminal
2 repeats on the end.

3 Now, if these retrovirus complexes, they call
4 them, composed of a couple of different virus isolets on the
5 one, are put into animals, they get a rapid disease mainly
6 because of the oncogenic gene that has been picked up into this
7 defective genome.

8 It is believed that these were transduced from
9 normal cellular sequences. I'm not going to talk about this
10 aspect anymore, these defective genomes, but I'm going to
11 concentrate now on these replication competent genomes because
12 they can cause disease by insertional mutagenesis by themselves.

13 To explain insertional mutagenesis I just briefly
14 want to mention how the virus replicates in its life cycle. The
15 virus has a membrane on the outside, and it binds to receptors
16 on the cell, and then the membrane fuses with the cell membrane
17 so that the virus can be introduced into the cytoplasm.

18 At this point the RNA in the virus is reverse
19 transcribed into DNA, and then that DNA is formed into a double
20 stranded DNA, and this DNA goes into the cell, and the important
21 part I want to point out, of course, as we've already been
22 talking about is that the virus, called the provirus now,
23 becomes integrated into the cellular genome, as John Coffin had
24 mentioned, is essentially random throughout the genome.

25 John himself has done many studies along this line

1 to determine where these integration sites are, but within local
2 regions there may be some specificity, but overall the
3 integration is fairly random, and cell division is required for
4 efficient integration.

5 Now, of course, these proviruses can integrate
6 next to proto-oncogenes. I just want to clarify the definition
7 of a proto-oncogene is in my definition any kind of a gene that
8 can stimulate accumulation of cells during the normal process of
9 cell growth, whereas an oncogene is an activated proto-oncogene
10 having increased capacity to cause continued inappropriate
11 growth.

12 You may see these terms, and I wanted you to
13 understand what they were.

14 Now, there are many, many mechanisms for
15 activation of oncogenes by the viruses, but I've tried to point
16 out here the most common type.

17 First of all, the virus can integrate the five
18 prime end of the gene, and its promoter here can be used to
19 drive transcription. But actually what occurs more commonly, as
20 John mentioned, is that the enhancer elements of the virus LTR
21 can enhance transcription from other promoters so that it may
22 just enhance the transcription downstream of this gene.

23 This is a more versatile way of activating a gene
24 because the provirus can also be at the three prime end, and its
25 enhancers can have an effect on the five prime end. And as I

1 mentioned earlier, these proviruses have been shown in some
2 instances to be integrated as far as 100, 200 kb away,
3 kilobases, away from the gene that they're activating.

4 This is a list of many of the current genes that
5 have been shown to be activated by retroviruses by insertional
6 mutagenesis, and I put it up here not for you to read every
7 detail in it, but just to show you that there are a lot of them,
8 and we're still accumulating more of them as the research goes
9 one.

10 But to simplify this table, I've summarized some
11 information here. Typical types of genes that are activated by
12 insertional mutagenesis include growth factors, growth factor
13 receptors, cytoplasmic kinases which are involved in signal
14 transduction, which can change the program of a cell, and
15 transcription factors themselves that regulate the transcription
16 of genes. This is not all inclusive, but these are the major
17 groups.

18 Now, the viruses that have been shown to be
19 involved in insertional mutagenesis, including the avian system,
20 avian mucosis virus in the rodents, murine leukemia virus, mouse
21 mammary tumor virus, and IAP articles. These IAPs are
22 endogenous retroprosome type of elements that have been
23 implicated in moving within the genome, and in the feline
24 system, the feline leukemia virus.

25 Now, these are not single viruses. There are many

1 in each group of subtypes of these viruses, and they have been
2 shown to cause myeloid leukemia, lymphoid leukemia, erythroid
3 leukemia, and mammary carcinomas.

4 Now, I'd like to give you an idea of how many of
5 us look at the way in which insertional mutagenesis leads to
6 cancer. If you have the normal progenitor cells in the blood
7 and they become infected by a virus eventually you may get an
8 insertional mutagenic event, and during the pre-leukemic phase,
9 you get a progression such that additional oncogenic events may
10 occur.

11 And finally you reach a point where you get a
12 clonal event that has a -- it can rapidly expand its population,
13 and so now you have more of a leukemia which has a malignant
14 transformation.

15 I want to emphasize that this expansion is often
16 more of an accumulation. These cells don't necessarily grow
17 faster, but sometimes they're blocked in differentiation and do
18 not terminally differentiate.

19 So what other types of cooperating events that may
20 enhance cancer when there's already been an insertional
21 mutagenic event? One we found in our studies was inflammation,
22 which is a kind of immunological response. It could also have
23 activation of another oncogene by translocation, mutation,
24 deletion.

25 In transgenic mice this may just be a transgene

1 that's being expressed.

2 Also, you can have inactivation of the tumor
3 suppressor by deletion, mutation, or hypermethylation which
4 causes decreased expression.

5 Now, for those people who are not working on
6 cancer regularly, I wanted to just go over how these events,
7 various oncogenic events may affect the cell biologically. It
8 may cause loss of cell cycle control. They may block terminal
9 differentiation, which is normally associated with growth
10 arrest. This is a very common kind of situation that is found
11 in leukemia.

12 It may inhibit apoptosis. Cells normally undergo
13 apoptosis or programmed cell death as part of the normal process
14 when the cells are not needed anymore, and inhibition of this
15 apoptosis is a very common oncogenic event.

16 Another thing is altered adhesion of the stromal
17 cells allowing metastases.

18 Now, I want to talk some about some previous work
19 of ours in which we had a model in which insertional mutagenesis
20 collaborated with inflammation leading to acute myeloid
21 leukemia. The way this model worked is you give Pristane, which
22 is an oil in the peritoneal cavity that causes a chronic
23 granuloma, and if you give MuLV to these mice intravenously, in
24 an average of three months you get a leukemic outgrowth in the
25 peritonea cavity.

1 So the retrovirus, what we've found over the
2 years, causes mutagenesis in many cases in the c-Myb locus,
3 which is a proto-oncogene involved proliferation and anti-
4 apoptosis, and also in a number of other loci which are still
5 being characterized.

6 And the Pristane allows for this chronic
7 inflammation, which you may think of or could be similar to
8 mimicking a chronic infection.

9 Now, the interesting thing that we found was that,
10 of course, without Pristane, without the inflammation we got no
11 disease at all. This is shown here.

12 We could give Pristane either before we gave virus
13 or after we got virus, and of course, it's a chronic situation.

14 It just continues to precipitate as an inflammation over a long
15 period of time.

16 But interestingly, if we gave the Pristane longer
17 and longer times after we gave virus, we found that the latency
18 after Pristane remained the same. So this suggests that the
19 progressive events leading to the leukemia all were precipitated
20 after the start of the inflammation, and so in a way this
21 insertional mutagenic event was laying rather dormant.

22 I just want to summarize the findings that we
23 learned from this inflammation experiment. The first one is
24 what I just told you. The effects of the provirus at the site
25 of the oncogene can remain dormant until these cells are

1 affected by other cancer promoting events, such as an
2 inflammatory response.

3 Now, the other thing we found, which to us was
4 rather amazing, was that we could find proviruses integrated
5 next to the c-Myb locus as early as three weeks in the bone
6 marrow following virus injection, and we did this using a very
7 sensitive RT-PCR assay.

8 So it doesn't take a long time for the virus to
9 spread to hit the oncogenic site. It may happen right away.

10 Another thing we found is that a minimum of one
11 provirus can be found in many neoplasms. This is just examples
12 where we digested DNA and probed with a viral LTR probe, and you
13 can see these three are just endogenous background bands, but
14 these tumors have just single integrations as I've tried to note
15 by putting a little red dot by them. That's in na Mml locus,
16 and this is in the Myb locus. We had similar kinds of things.

17 In some cases there are two bands, but they
18 represent the two LTRs from one virus.

19 Okay. Now I want to talk a little bit about some
20 of our recent work in which we try to get a collaboration of
21 events to get a more rapid leukemia and understand how thee
22 events collaborate with each other.

23 So we're using insertional mutagenesis in
24 engineered mice. For example, we give retrovirus to transgenic
25 mice that might be carrying an activated oncogene or we inject

1 mice -- I'm sorry -- inject virus into mice that have a deleted
2 tumor suppressor.

3 Now, the reasons that we do this are to provide
4 proof that the genetic alteration in the mouse is, indeed,
5 oncogenic in the case that it has no effect by itself.

6 And the other reason we did it is we can use the
7 cooperating genetic events at the insertional mutagenesis to
8 actually tag the site of integration and determine what the
9 cooperating event is.

10 The first example I'm going to show you here, we
11 had a human oncogene involved in acute myeloid leukemia, and by
12 itself it really didn't have much effect on the mice except
13 after 12 months, and so we gave it retrovirus, and then we could
14 accelerate the disease.

15 Okay. So the gene here is the CBF beta mice and
16 heavy chain gene, fusion gene in codes for an aberrant
17 transcription factor found on inversion 16 in acute myeloid
18 leukemia, and this occurs in 12 percent of acute myeloid
19 leukemia in man.

20 Now, Paul Liu at the NIH, who I'm collaborating
21 with in this study, a while ago, in 1996, he generated a mouse
22 which he put the human MYH11 sequences next to the mouse CBF
23 beta. So you have a potential oncogene here that's expressed
24 from the endogenous mouse promoter.

25 So he didn't really make a lot of alterations,

1 except to change the gene itself.

2 This turned out to be lethal, embryonically lethal
3 because it brought differentiation into the myeloid and lymphoid
4 lineages.

5 However, if you took embryo stem cells that had
6 this potential oncogene and he put it in blastocysts so that
7 they got chimeras, after 12 months, he started to see some
8 leukemia.

9 So we used a virus, 4070A virus, to accelerate
10 this disease. So with virus alone up to 12 months, this
11 particular virus in this system is not very oncogenic. So you
12 would only see the effects of the virus alone at least after a
13 year, and effects of the oncogene alone were not seen until
14 after a year, but the combination, he got about 40 percent
15 incidence, 40 or 50 percent incidence of leukemia in about three
16 to five months.

17 This is another example from our current research.

18 We have used the retrovirus to provide a second hit in
19 validation of a proposed human tumor suppressor p15INK4b in
20 leukemia. The p15INK4b is a cycle independent kinase inhibitor,
21 and it's a tumor suppressor, a proposed tumor suppressor which
22 is hypermethylated in 80 percent of human AML, and in secondary
23 AML I believe it's at the 100 percent.

24 Mariano Barbasett's lab in Spain had made a
25 knockout of the p15, and they didn't see any myeloid leukemia or

1 even lymphoid leukemia, but they did see some extramedullary
2 myelopoiesis and lymphoid hyperplasia.

3 So we got interested in generating our own
4 knockout, which we did in NCI, and see if we could accelerate
5 the disease using retroviruses. So when we injected wild type
6 mice, we didn't see any myeloid disease up to a year in time,
7 but in the mice that had one allele knocked out or two alleles
8 knocked out, we had 15 to 18 percent myeloid leukemia. Most of
9 these leukemias were of the myelomonocytic lineage.

10 Okay, and then the last part, I'd like to talk
11 about some quite old data where we used a nonreplicating virus
12 to induce disease. Because I know that's part of the issues of
13 what we'll talk about today.

14 What we did is we were able to induce erythroid
15 leukemia without the helper virus. So this was a paper in
16 Science in which we were able to get a proliferative disease in
17 erythroid cells, and then later we showed that these were
18 malignant, actually malignant cells, and even after that it was
19 found that they had insertional mutagenesis in a very specific
20 site, and I'm going to show you a little bit more detail about
21 this study.

22 So Friend erythroleukemia traditionally was
23 induced by a combination of viruses, one, a replication
24 competent helper Friend virus. I describe basically what that
25 would be like, and a defective virus in which there had been

1 recombination from endogenous sequences in the mouse cells,
2 deletion, and insertion to produce an aberrant protein called
3 gp52 and often called gp55.

4 This combination of viruses, when it's injected
5 into mice, causes a rapid expansion of the erythroblast in the
6 spleen, but this is due to this gp52, which affected the
7 proliferation of the cells.

8 But within that population, there are malignant --
9 there's malignant transformation that can go on in these
10 erythroblasts, and it blocks the differentiation, and there's
11 further expansion.

12 But the question early on was whether this was due
13 to helper virus or not, and so we did some experiments where we
14 -- let me back up.

15 So we demonstrate this malignant transformation
16 stage of the cells by doing transplantation into other mice, and
17 we could see an outgrowth of these cells, the transformed cells
18 in the omentum, which is a fibrous connective tissue near the
19 spleen, but it shows that they don't have to grow any more in
20 the spleen in a normal environment, but they are autonomous and
21 grow other places.

22 So we wanted to know if the first or second stage
23 disease could be carried out with helper free virus, and these
24 studies were done a long time ago, right after Richard Mulligan
25 and his colleagues made the Psi-2 packaging cell line.

1 And now there are more sophisticated packaging
2 cell lines, of course, but this again, was done quite a while
3 ago. We transfected the SFFV genome, the plasma into these
4 cells. These cells have a murine leukemia virus, which has all
5 of the genes, except that it cannot be packaged because it has a
6 deletion in the packaging sequences.

7 SFFV that was produced from these cells, but not
8 helper virus, and we tested to make sure that there wasn't any
9 helper virus by infecting NIH3T3 cells for five days and then
10 looking for envelope proteins from helper, which is gp85, which
11 is produced by the helper virus, the replication competent
12 virus, and then of course, this defective gene which we wanted
13 to transfer.

14 Now, this shows the positivity of both of these
15 proteins in the Psi-2 cells, and over here after infection of
16 the NIH3T3 cells we had only the gp52 and no helper virus.

17 So this helper free vector was injected into mice
18 and got very large spleens due to erythroblast hyperplasia, and
19 thee were also tested for lack of replicating virus by taking
20 the spleen and making cell-free extracts and injecting them into
21 mice to see if it got disease, which it did not, and put them on
22 NIH3T3 cells to test, again, for whether there was replicating
23 virus.

24 Then in a subsequent study we looked to see if we
25 had evidence of malignant transformed cells within this

1 erythroblast population. This shows the results of such a
2 study. This is the results of the primary disease in NFS and
3 BALB/c mice.

4 We took these spleen cells and passed them on to
5 other mouse recipients and looked for growth in the omentum, and
6 you can see that we had a very high positivity even in the
7 primary spleen, primary transplant.

8 We developed cell lines from these same
9 transformed cells, and again looked to make sure that there
10 wasn't any replicating virus, evidence of the envelope protein
11 that would be produced by the helper virus. And you can see
12 that it was negative.

13 Now, these studies were completed in 1986, and it
14 was a couple of years after that that it was published that
15 erythroleukemia is induced by the Friend virus complex, usually
16 had integrations in the Spi-1 gene, which is also known now as
17 PU-2.

18 And this was done in Pierre Tamboran's lab in
19 France, and they sent us a letter. They looked at our we called
20 them nonproducing cell lines, and this is just a letter showing
21 that, indeed, I think about 50 percent of our nonproducer cell
22 lines had integrations in this locus.

23 This data was confirmed also later by David
24 Cabott, who also developed helper free system and induction of
25 SFFV disease and also had integrations in Spi-1 and PU-1.

1 I'm just going to summarize now what I presented
2 to you today. Retroviruses are capable of activating oncogenes
3 by integrating next to or near these genes and activating them
4 transcriptionally so that they are expressed.

5 These activating events can collaborate with
6 previous or future oncogenic events in the cell to induce
7 lymphoid, myeloid, or erythroid leukemia.

8 Chronic inflammation in a mouse model is shown to
9 promote neoplastic progression in conjunction with retroviral
10 mutagenesis, and evidence I've provided in a mouse model that
11 replication defective viruses can integrate into DNA, activate
12 an oncogene leading to overt leukemia.

13 And I think this last issue will also be addressed
14 by Dr. Baum later.

15 Thank you.

16 (Applause.)

17 CHAIRMAN SALOMON: Okay. So some discussion of
18 this. Dr. Cornetta and then Dr. Coffin.

19 DR. CORNETTA: Dr. Wolff, two points I think are
20 very relevant about the Pristane model that you showed. I
21 remember reading those and being somewhat concerned because it
22 showed two things:

23 One, that you were getting disease in an adult,
24 and in general, for Maloney disease you're injecting into
25 newborn mice because as you showed today, that you don't get

1 disease generally when you put it into an adult mouse.

2 And, two, it seemed to change the tropism of the
3 disease where Maloney type is often caused T cell, and relevant
4 to our discussion today is that when Maloney is put into newborn
5 mice, it's usually the T cells that become malignant. When it's
6 been injected into monkeys, they develop T cell lymphoma. And
7 the case we're talking about today, again, is a malignancy of
8 the T cells.

9 So maybe could you give us your thoughts about,
10 one, how age of this in a sense newborn child who's been
11 affected might be playing into the role here and, two, about the
12 general tropism of LTRs and how that might be affected in regard
13 to the case we're discussing today?

14 DR. WOLFF: I think part of what you're saying in
15 how the viruses -- you get different diseases or either you
16 don't get disease and then you get disease depending on the
17 situation, and it's very, very complex, and I think a lot of it
18 has to do with what the collaborating events are, for example,
19 Maloney giving disease in the adults and causing myeloid disease
20 instead of lymphoid.

21 What was the other question? I'm not addressing
22 it directly.

23 DR. CORNETTA: I think it was just both age and
24 then the tropism of the --

25 DR. WOLFF: Well, I don't know how it plays in

1 human, but in mouse the age factor has to do with the immune
2 response, is my belief. Usually if you -- usually we inject
3 mice as newborns. I do for most of my experiments because the
4 mouse doesn't have a chance to mount as good an immune response
5 against the virus, and I don't know how that would play a role
6 here.

7 DR. CORNETTA: One of those reasons to ask,
8 because probably the discussion later today will not only be on
9 this application to SCID, but we're really talking about all the
10 folks who might be getting retroviral gene transfer experiments,
11 and so I just wondered if --

12 DR. WOLFF: Of course, I think that in the mouse
13 that immune response that the virus first plays more of a role
14 when we have replication competent viruses that are expressing
15 envelope genes.

16 DR. COFFIN: I'm curious about the numerology in
17 your last experiment. A back-of-the-envelope calculation would
18 suggest that you have to infect primarily a few million cells to
19 have a reasonable probability of coming anywhere near the
20 oncogene that you identifies.

21 Was the multiplicity that high or do you think
22 actually there has been, even though you can't see replication
23 competent virus, that there might be some motion of the virus in
24 these cells due to complementation with endogenous envelope
25 genes and things of that sort?

1 DR. WOLFF: Well, let me explain in a little bit
2 more detail about the experiment. That might help answer the
3 question for you. These mice were given phenylhydrazine. I
4 didn't give all of these details, which causes the erythroblasts
5 to proliferate a lot and go out into the blood stream.

6 So what we think we were doing was infecting these
7 cells in the blood stream. You might say that's artificial,
8 but, I mean, to compare that to putting cells in the culture
9 vision (phonetic) and putting virus right on them, then --

10 DR. COFFIN: So what was the actual multiplicity
11 of infection of the animal, of the cells? Do you know?

12 DR. WOLFF: I can't tell you unless --

13 DR. COFFIN: I mean, do you think it was high
14 enough? Do you think it was high enough to have put a provirus
15 down with that frequency next to your Spi-1?

16 DR. WOLFF: I have to say I was amazed by it when
17 it happened, but I think so, and I think if there was
18 replicating virus there, it would have showed up in all of those
19 different tests we did.

20 DR. COFFIN: But there is the possibility of just
21 complementation by endogenous envelopes, not that there's much
22 of that stuff known in this particular mouse, but --

23 DR. WOLFF: Confrontation to spread it, you mean?

24 DR. COFFIN: Well, just if you have a cell which
25 has started to proliferate because it's being driven by gp55, if

1 that cell is then -- also can express in an envelope, I forget.

2 The gag and pol gene is good in Friend or is there another
3 deletion in there? Can they make particles?

4 DR. WOLFF: No.

5 DR. COFFIN: Okay. But you could still have
6 enough scatter genes that could give rise to some
7 complementation and allow that cell to be reinfected basically.

8 DR. WOLFF: Well, in theory, and then you could go
9 back and look at those cells and see if there's any other virus
10 components.

11 DR. COFFIN: That was the question I was leading
12 up to. Well, no, the other virus components. I mean, there are
13 endogenous proviruses of all kinds in those cells, most of which
14 are defective. Some may not be as defective.

15 I mean, the reason I ask that is because this is
16 maybe a little bit different from the human situation where it's
17 much less likely that that endogenous sequences would exist that
18 would allow that kind of an amplification, but it might happen
19 in that mouse model.

20 DR. WOLFF: I think the fact that we got disease
21 that readily would argue against it, but it's just hypothetical
22 at this point. We'd have to look at the cells in more detail to
23 see.

24 CHAIRMAN SALOMON: Well, actually your experiments
25 where you took the spleen and made cell free extracts and

1 adoptively transferred entities that were in your area, but
2 anyway, to mice or to NIH3T3 cells, those would argue against
3 essentially a viral pseudotyping, wouldn't it, John?

4 DR. COFFIN: Well, there you'll give a secretion
5 of replication competent virus very well. That's fine, but I
6 don't think they would argue against sort of intracellular
7 complementation which might allow some local movement.

8 CHAIRMAN SALOMON: Ms. Ballard?

9 MS. BALLARD: Am I correct in assuming then all of
10 these models were done on mice that had fully functional immune
11 systems as opposed to any of the SCID mice models?

12 DR. WOLFF: They were essentially what?

13 MS. BALLARD: Fully functional immune systems in
14 the mice on all of these models.

15 DR. WOLFF: Yes, yes.

16 MS. BALLARD: None of the models were done on a
17 SCID -- the SCID mouse?

18 DR. WOLFF: No.

19 Can I just make another comment that in
20 relationship to information and its role, I got interest in
21 doing those experiments because I worked in a laboratory of
22 Michael Potter, who just by giving Pristane to mice? It caused
23 the translocations of the Myc locus in plasmocytomas. So it's
24 just another example how inflammation can contribute to the
25 disease, cancer.

1 MS. MEYERS: I'm trying to understand this as a
2 layman. So let me tell you what I got out of it and tell me if
3 I'm wrong and correct me in anything that I say.

4 In this series of experiments, you and other
5 investigators have administered viruses to animals, and they
6 have come up with a wide variety of diseases, not just
7 leukemias, because I see there's mammary cancers and so forth in
8 here, but I think it's mostly leukemias.

9 DR. WOLFF: Mostly hematopoietic.

10 MS. MEYERS: So since gene therapy is mostly done
11 by using engineered viruses, is it possible that other people
12 who don't have severe immune deficiency may come down with
13 leukemias or other cancers because of the engineered viruses
14 that were given to them?

15 DR. WOLFF: It's always possible, especially if
16 you're putting them into hematopoietic cells. I think there's a
17 difference, too, there. They're not always used for
18 hematopoietic cells.

19 MS. MEYERS: So there's no reason to believe that
20 what we see in this experiment in France with severe combined
21 immunodeficiency just applies to SCID, right? I mean --

22 DR. WOLFF: Right.

23 MS. MEYERS: -- there are people with all kinds of
24 diseases getting gene therapy.

25 CHAIRMAN SALOMON: Well, okay. So right there we

1 could go off into a very important discussion. So we'll get to
2 this, Abbey, later.

3 MS. MEYERS: Yeah.

4 CHAIRMAN SALOMON: Because the whole question now
5 is what's the target for your gene therapy, and depending on
6 what your target for the gene therapy is what happens to that
7 target in terms of its life cycle in the patient who gets the
8 gene therapy, and that will have everything to do with assessing
9 the risk, and we'll discuss that.

10 MS. MEYERS: Right. I just want to say that I
11 feel that we owe an extra measure of regard to all of the people
12 who are alive who have volunteered for gene therapy throughout
13 the years, and they should all be told about this risk and
14 checked for it because there may be ways to avoid it or there
15 may be ways to treat it if they come down with some kind of
16 cancer.

17 CHAIRMAN SALOMON: That, again, is something that
18 we need to get to, and conceptually, of course, that's
19 absolutely correct. In reality we'll have to discuss how
20 practical something like that -- I mean, how we could really do
21 something like that.

22 MS. MEYERS: Years ago, FDA was supposed to create
23 a registry for all gene therapy patients. That was eight years
24 ago they were told to do it by Congress. They haven't done it.

25 Do you want to say something?

1 DR. NOGUCHI: Specifically, we think there's
2 roughly 150 other trials that use retroviral vectors not for
3 SCID. The initial evaluation was for the trials that most
4 closely resemble the trials in France, and we put the active
5 ones on clinical hold.

6 We have gone back, and we are actively reviewing
7 not just the reports that had come in, but we're re-reviewing
8 all of the reports for the other trials. We are not complete,
9 but we are, I think, pretty complete on the active trials.
10 We're now going back to the inactive trials based on our
11 records, and I'll get to your point that you're going to bring
12 up.

13 So far we have not seen anything yet of a leukemia
14 or a lymphoma that was not already present in the person being
15 treated. That could change obviously as time goes on.

16 We will, in fact, be contacting our sponsors to
17 tell them of this event. We're working on the language for that
18 and having the sponsors contact all of the survivors as well as
19 any family that may have been entered in these trials.

20 So in the short term we are doing that part of it
21 in terms of notifying the community, especially of those who
22 have been treated with the retroviral vectors.

23 The separate question of a registry without regard
24 to the timing of it, we do understand, and I think that this
25 discussion clearly illustrates that the concept of being able to

1 not only address the immediate, but also past recipients of a
2 product that may have consequences not predicted originally is
3 absolutely solid. We don't have it yet.

4 CHAIRMAN SALOMON: I'd just make the additional
5 comment that within a week of this going public, we are having
6 this meeting, and that's another good way of making it very
7 clear the kinds of questions that are being asked at the highest
8 level in the FDA. So that's the point I tried to make earlier
9 this morning.

10 Okay. Dr. Cornetta and then --

11 DR. CORNETTA: Just a quick follow-up, too, since
12 I was in French's lab when their first patients were done with
13 the TIL (phonetic) trial and have been involved with some other
14 trials, too. This is not something that necessarily is
15 unanticipated. This is something which we have known is a
16 concern and have continued to monitor in informed consent of all
17 the trials that I've known and just talking to other
18 investigators around the country. This is included in the
19 informed consent.

20 So as we talk to the patients or subjects about
21 participating in retroviral trials, this concern is something
22 that has been communicated to them. So it's not something that
23 I think is new to them, but obviously, it's something we've
24 always been concerned about. We just didn't know what the
25 frequency might be.

1 CHAIRMAN SALOMON: Dr. Sorrentino.

2 DR. SORRENTINO: Yes. I would just also like to
3 respond to Ms. Meyers' comments by just pointing out that the
4 data that was just shown in the animal models had some very
5 important differences with what we do in patients. Many of the
6 tumors were seen with wall type replicating Maloney viruses. My
7 understanding is that was done in the inflammation model.

8 And secondly, some of the tumors were seen in mice
9 that had been engineered to have pre-oncogenic lesions.

10 So I think, you know, that distinction is
11 important to keep in mind here.

12 MS. LORI KNOWLES: I just want to change the topic
13 just a bit and put on the table something for later, which it
14 seems to me that this experiment and Alain Fischer's question
15 about the importance of pedigree; it seems to me that this
16 experiment brings that again out to the forefront, and we need
17 to think about issues of eligibility and informed consent with
18 respect to the role of family pedigrees.

19 CHAIRMAN SALOMON: That's a good point.

20 Dr. Fischer, are you still on the line with us?

21 (No response.)

22 DR. FISCHER: Well, then what I'd like to do is
23 just, because I feel like we need to keep on going here, to
24 thank you very much and go on to the next talk, which is from
25 Dr. Christopher Baum.

1 Have I got this right? I was going to attribute
2 you to the Hanover Medical School. Yeah?

3 And he's going to talk about his experience with
4 myeloid leukemia following retroviral transfer of the dLNGFR
5 gene into murine hematopoietic cells.

6 So you got one page in Science. I guess we're
7 doing you a favor by letting you have a bunch of slides. That's
8 good.

9 DR. BAUM: I'm going to talk about a case report
10 of leukemia that we observed in mice following a preclinical
11 gene marking study, and on behalf of all the people involved in
12 the work, I would like to thank the committee for being able to
13 share the data with you in more detail than we could publish.

14 And I should say that this is not just another
15 group of German opponents against current U.S. policy, but
16 rather this is a group of people who really have a vivid
17 interest in promoting gene therapy, and that's why I'm quite
18 happy to be able to join all the Cincinnati Children's as an
19 adjunct professor recently, to work with Christof Kalle and
20 David Williams, promoting also the field.

21 Okay. So, however, we have to be aware in
22 promoting gene therapy that there are risks associated with this
23 technology, and we are talking about today about oncogenic
24 progression related to insertion mutagenesis, and I tried to
25 summarize the data briefly, and this just summarizes actually

1 what Linda Wolff has presented to you.

2 The risk of an insertion to promote oncogenic
3 development has been determined to be in the range of about ten
4 to the minus seventh per insertion in human TFl leukemia cells,
5 but note these are already malignant cells.

6 It has also been shown that insertion mutagenesis
7 as just seen from manifestation in numerous animal models, but
8 never ever has a single insertion sufficiently explained
9 malignancy, and accordingly no disease induction was reported
10 using replication defective vectors designed for gene therapy in
11 numerous preclinical and clinical trials probably -- and this
12 is a rough estimate, maybe far higher the number -- involving
13 manipulation of more than ten to the 12th hematopoietic lymphoid
14 cells.

15 That says probably more than 100,000 cells with
16 activated oncogenes have been put into patients and animals
17 without ever giving rise to manifest side effects.

18 And I would simply come up with the hypothesis
19 that either a side effect of the transgene or, of course, active
20 vector replication or maybe a kind of too strong cell
21 replication is required for inducing pathogenesis.

22 So we have an interest in selection marker
23 technologies. So we set up an animal experiment where we looked
24 at effects and side effects of different marker genes proposed
25 for human gene therapy for various purposes.

1 And so what is of interest here is primarily the
2 results obtained with one of those vectors that expressed the
3 truncated lower nerve growth factor receptor gene. I'll explain
4 to you in a minute what that is.

5 We designed a mouse experiment with long-term
6 follow-up where we manipulated only about ten to 30 percent of
7 the input cells to have one integration or two per manipulated
8 cell only. Then we follow up the mice for about seven months,
9 and in order to have a more long-term observation we pooled the
10 marrow of the initial recipients and distributed this to
11 secondary recipients so that we have an amplification step of
12 the cells through secondary bone marrow transplantation.

13 And note that the cells have been pooled. So an
14 event happening in one of those animals is now distributed to
15 all subsequent animals, and there was either, you know, affinity
16 selection involved or not, and then we observed the mice for
17 another five months until final analysis, and by the year after
18 gene transfer, some of the animals in this group, they were
19 obviously sick and -- oops, sorry. I jumped to the end. It's
20 not this short, the talk.

21 (Laughter.)

22 DR. BAUM: And they were obviously sick and came
23 down with large spleens, and there was disturbance of spleen
24 architecture, infiltration of blasts in the peripheral blood.
25 They had what looked like and was confirmed to be a monocytic

1 leukemia.

2 And actually all of the recipients, secondary
3 recipients of this LNGFR marker group came down with leukemia at
4 various stages. Six had an overt disease. Three had
5 dysplastics or pre-leukemic alterations, and one only
6 microscopic blast islands in the spleen.

7 In summary, what has been published, it's
8 important to note that this disease had a very long latency. No
9 disease was observed in the first cohort, which was observed for
10 seven months.

11 All secondary recipients developed in the disease.

12 The leukemia has been shown to be transplantable to a third
13 cohort without lethal radiation, but it ends up in a lethal
14 disease soon, after three to four months.

15 It is monoclonal, as you will see in a minute, in
16 origin, but has a heterogeneous kinetics in secondary
17 recipients, and however, always we get the same identical
18 entity, AML, of the monocytics, subtype and trial.

19 It has been shown that the clone has a single
20 vector insertion. The vector has been intact and continues to
21 express LGNFR. We had insertional activation of an oncogene.
22 You'll see that in V1, and the PCR -- the several data like PCR
23 and functional cell biology data and Southern Plot data excluded
24 the activation of exogenous or endogenous mouse leukemia viruses
25 as far as possible.

1 So the vector integration, EV-1, has been
2 confirmed by the -- found by the labor of Christof Kalle to be
3 in this case in the first noncoating axon. Upstream activation
4 use is translational, start codon, and the clone originated from
5 one of the primary recipients and then was found in all diseased
6 animals.

7 Oh, sorry again.

8 So what is EV-1? It's a conscription factor, a
9 known oncogene which has some endogenous expression of limited
10 stem cells, but when it's ectopically expressed, it blocks
11 granulocytic and erythro differentiation and promotes
12 megakaryocyte, not typically monocytic differentiation.

13 The activation has been implicated in human
14 myelodysplastic syndrome and AML. Usually this is often
15 immature and not often DM5 phenotype, and transgenic mice exist
16 that are at increased risk for leukemia, kind of dysplastic
17 hemopoiesis, but require further events to develop complete
18 leukemia. So this is not sufficient to explain AML M5.

19 Of course, the gene had been really activated.
20 This is a Northern Blot showing the activation by RT-PCR. It
21 was found that several kinds of activations took place either
22 from the three prime LTR or from the five prime LTR involving a
23 spliced event, including the retroviral spliced donor.

24 So EV-1 is not sufficient to explain the leukemia.
25 The M5 subtype is unusual. We did exclude RCR involvement. So

1 what may have been the second test here, and we are still
2 puzzled concerning this story.

3 We thought what about the transgene involved.
4 This is a gene involved in human gene therapy with lymphocytes
5 so far. It's been derived from the neurotrophin, lower
6 continual neurotrophin receptor P75, and to make this an inert
7 cell surface marker people clipped off the intracellular signal
8 transduction domain before its function was known.

9 It turns out later then by the work of other
10 people that this is a pro apoptotic domain, and in fact, the
11 artificially generated LNG of our marker gene looks like human
12 endogenous genes of the same receptor family, the TRAIL family.

13 There are pro apoptotic TNF receptor related genes, and there
14 are some human endogenous genes that look like LNGFR where this
15 cytoplasmic domain is deleted, and they have an anti-apoptotic
16 decoy function.

17 And also it's interesting to note that LNGFR may
18 be shattered because its anchorage in the membrane is not very
19 strong, and there is another member of this same receptor family
20 known as osteoprotegerin, which acts as a soluble decoy receptor
21 for other cytokines.

22 So this may still bind the ligand since the ligand
23 binding domain has not been deleted.

24 What is known about the role of this receptor?
25 This associates at the heterodimer with Trk receptors. Trk

1 receptors are tyrosine kinase receptors for a neurotrophin, such
2 as nerve growth factors and related cytokines, and these as
3 typical tyrosine kinase receptors confer a signal that this
4 provides the survival of proliferation.

5 Now, the association with the wild type form of
6 p75 neurotrophin receptor from which our marker was developed
7 leads to an increased binding of neurotrophin and increased
8 signaling for this pathway here, but in addition, at the same
9 time it promotes a signal that gives more rise to
10 differentiation or even can be pro apoptotic.

11 And this receptor can bind all known neurotrophins
12 and cooperate with the different, more specific high affinity
13 receptors.

14 Now, we would expect balanced troph (phonetic) as
15 the outcome of the subject configuration, and then a paper in
16 1994 has shown that when this LNGFR marker was co-expressed with
17 the wild type drug receptor and found neurotrophins, that in
18 this configuration this gave rise to transformation of
19 fibroblasts.

20 So this data has never been reproduced by anybody
21 so far, but also never challenged by experiments. We're going
22 to try to reproduce the data, but as far as the literature says,
23 this is a partial oncogene we would say and not just an inert
24 marker.

25 How is the configuration now looking at cells?

1 Well, they do express LNGFR, expressed from the retroviral
2 vector. We have evidence by Northern Blot for TrkA expression,
3 and now it's important to know that TrkA is typically expressed
4 in monocytes.

5 Somebody has a monocytic leukemia here. So this
6 may be endogenous expression of TrkA, and now we end up with the
7 same configuration that was shown in pretransformed fibroblasts
8 to give rise to their real transformation then.

9 And what about neurotrophens in hemopoiesis? The
10 growth factors are expressed in the bone marrow
11 microenvironment, also in the lymphatic system. There are many
12 serotypes that can produce them, and here, again, it's to show
13 that TrkA, the receptor is typically found on monocytic cells,
14 and we think this created the bias for developing this unusual
15 monocytic leukemia in conjunction with EV1 activation.

16 Although the data have to be concerned, this is
17 all hypothesis right now, what I tell you. Drug receptors are
18 known to play a positive role in human leukemia also. They can
19 be found in many human leukemic cell lines.

20 Signaling has not been investigated in great
21 detail here, and there are these two papers and one paper that
22 ha an indirect evidence that human leukemia drug receptors can
23 be involved in leukemia progression, one involving a
24 translocation or mutation of TrkA receptor that makes it
25 constitutively (phonetic) active.

1 So these data suggest the possible role of this
2 signaling system and the mutant forms of those receptors in
3 human leukemia development, but I should note that for
4 applications in lymphocyte cells, so far there's no concern
5 because this receptor system has never been shown to be able to
6 transform lymphocytes.

7 So all of these oncogenes, of course, act in a
8 context dependent form.

9 Now, when we put this together, how can we
10 interpret our finding here? The insertion site, I think, has a
11 very highly likely causal role, but of course, it's not
12 sufficient. The role of the transgene, I would suggest that
13 this is really cooperating here in the manifestation of the
14 disease. Others challenge this hypothesis.

15 The role of the vector architecture need to be
16 discussed. This is a retroviral vector that has absolutely got
17 follow and function (phonetic), only the LNG for our marker
18 gene, but we designed it in a way that only the splice donor was
19 present and it was accepted because these records have the
20 highest titers in our hands, and we know that there is a splice
21 event going on from this splice donor to a downstream receptor
22 in EV-1.

23 So when you would have a strong splice acceptor up
24 front here, this may reduce the risk, and this leads me to two
25 general remarks regarding vector design.

1 First of all, there's no integrating vector system
2 known that has a lower risk than retroviral vectors for inducing
3 insertional mutagenesis. This should be emphasized. We don't
4 have any alternative technology in our hands that is as well
5 characterized as retroviral vectors.

6 But they have a certain risk, of course. So how
7 can we improve the retroviral vectors? We could try to alter
8 their configuration. We will discuss this maybe in the
9 afternoon, and the perfect vector would look like this set.
10 Instead of having enhancer and promote elements at the end of
11 the long term and repeats, there should be a strong insulator
12 signal that does not allow any influence on neighboring troma-
13 (phonetic).

14 However, so far nobody has shown that from all the
15 type of insulator sequences that have been described that these
16 are able to plot the effect of an enhancer sitting in a trans-
17 stream (phonetic) on a downstream or upstream sequences has to
18 be tested.

19 So I think currently when we talk about using
20 self-inactivating vectors, this is not the solution for lack of
21 data to show that these are really safer. We always end up with
22 a residual risk of vector insertion that could destroy an
23 endogenous stream irrespective of vector configuration, and it
24 remains to be tested how alternative vector designs would be
25 safer.

1 The safest vector, of course, is one where you can
2 regulate conscription by chemical inducers, but these are
3 currently not available for clinical work.

4 So what about other risk factors? Five-FU
5 exposure of donor cells by retroviral gene transfer has to be
6 considered, and this specific experiment, this is not is not a
7 strong mutagen. It's a common procedure, has never been shown
8 to promote leukemia manifestation, but there may be one or two
9 somatic mutations introduced into our leukemic clone -- who
10 knows? -- by this procedure.

11 And I think important is to discuss first
12 expansion in our case through serial bone marrow
13 transplantation. This is the same within the work that's shown
14 with chronic inflammation. Whatever you do to force expulsion
15 of a cell that has a mutation will increase the risk that this
16 will end up supporting malignant clone.

17 And we have to consider our clinical setting
18 relates to this problem.

19 People say observations from rodent cells have no
20 meaning for human cells because the pathways are a bit more
21 complicated, but lead to consummation of human cells.

22 We would be cautious here because the data that
23 are on basically from one group and others are primarily from
24 epithelial cells and not from transformation experiments with
25 stem cells, and specifically the role of the telomerase system

1 may be different between rodent and human cells when it comes to
2 mature cells, but we don't know whether it's similar in stem
3 cells between these two organisms.

4 Implications for other cell types are another
5 important discussion, of course.

6 So more general views, I think that it remains to
7 be said really that not every insertion mutagenesis is expected
8 to result in tumor formation. This is just a model, what may
9 have been going on in the mouse or also in the patient.

10 Initially a clone is introduced that has two hits,
11 an activation by insertional activation of an inborn oncogene
12 and a second hit that is somehow related to a side effect of the
13 transgene, if it is only to lock a bit apoptosis of the cell in
14 the engraftment period.

15 Because we would expect that in the first two to
16 three months or so we would anyway lose more than 99 percent of
17 the input cells because they have a limited life span, but also
18 that we, with our current technology, we manipulate far too many
19 cells. It would be much better if you would have technologies
20 to really enrich the pure stem cell fraction, come down to
21 manipulate only 10,000 cells per patient, and then the risk
22 would be minute in terms of insertion mutagenesis.

23 Now, if this combination of events allows a cell
24 with a limited proliferation potential to have a more unlimited
25 potential, then the risk increases, and if you then have a

1 selective expansion pressure on the cell, the risk increases to
2 accumulate more mutations, and this will reduce the probability
3 of extinction of this clone, and so on, until you have a
4 manifest problem in the patient.

5 So the implication is try to define the stem cell
6 population better that we manipulate and try to define the
7 minimum dose of cells needed for each patient and try to define
8 safe expansion profiles.

9 Conclusions. Again, to be repeated, insertion
10 mutagenesis is not sufficient to induce malignant
11 transformation, but there is a potential for cooperation with
12 side effects of transgene products.

13 High proliferative activity of the target cells
14 may promote manifestation, and on top of this, conditional
15 endogenous or exogenous oncogenic factors are probably required.

16 So this has some consequences regarding point one.

17 We should keep vector in cell dose as low as
18 possible and as high as required. This is easily said and
19 remains to be worked out in appropriate models.

20 Vector improvements may reduce the risk somewhat,
21 maybe 80 percent or so, but never 100 percent.

22 We need to develop risk classification of
23 transgene. I think this is an important step. To what extent
24 does a transgene cooperate with insertional activation events?
25 There may be low risk transgenes and no risk transgenes, like

1 purely metabolic genes, or others that have a higher risk that
2 are active in different signaling cascades.

3 And we, of course, still have to come down with a
4 risk-benefit evaluation for each application of interest. So
5 that says that this is really asking for multi-center research
6 which takes a few more years, but still, clinical studies are
7 required to date, and we have to define simply the risk-benefit
8 evaluation with the available technologies.

9 Thank you for your attention.

10 (Applause.)

11 CHAIRMAN SALOMON: Thank you.

12 Dr. Coffin?

13 DR. COFFIN: Yes, very nice talk. Actually with
14 regard to your last point, I think there's a substantial amount
15 that can be done in preclinical mouse models to get a much
16 better handle on what kinds of vectors, self-inactivating or
17 whatever, might, in fact, have lower probabilities of doing
18 this.

19 I think sort of taking the lead from your
20 experiments, it should be possible to develop quite attractive
21 mouse models I think that will tell us quite a bit.

22 DR. BAUM: Oh, yes. Also one could combine it
23 with the models that you developed to look on the inference of
24 insertion sites for targeting of retroviruses, activation of
25 transcription, but this is just, as I said, kind of long term

1 research goal.

2 DR. COFFIN: Right, exactly. I have actually a
3 similar question to what I asked Linda Wolff, and that is the
4 numerology. How many hits were actually administered to the
5 original five mice? How many proviruses were there in the cells
6 that were put back in?

7 DR. BAUM: We transplanted the mice with a million
8 cells per mouse, and that's says the first core just got five
9 million cells, and we know that after this manipulation under
10 the culture conditions that we had there were maybe only 50 stem
11 cells.

12 That's why I think that our clone did not arise,
13 in fact, from a mutagenized stem cell. The likelihood then to
14 hit EV-1 is very small here, but rather from a more mature
15 progenitor, and that, in fact, this combination of events that I
16 suggest to happen here at this otherwise short-lived progenitor
17 to become long lived and establish --

18 DR. COFFIN: So your initial multiplicity of
19 infection in those million cells was how much? Do you know?

20 DR. BAUM: Multiplicity was just two, and we ended
21 up with one vector insertion. We --

22 DR. COFFIN: So you put in two million proviruses
23 do you think?

24 DR. BAUM: Yeah.

25 DR. COFFIN: In the total cell population you put

1 in?

2 DR. BAUM: Yeah. This was a mixture of
3 anthiltropic (phonetic) and VSV pseudotypes, and these were the
4 infection conditions that end up in one or two insertions per
5 target cells.

6 I should mention that the vectors were produced
7 from Phoenix human 293T cell based packaging cell. So there is
8 no way that endogenous mouse retroviruses have been transported
9 by the vector system.

10 DR. MACKALL: So you've obviously done a lot of
11 thinking about what the nature of the second hit can be, and
12 assuming you've got a patient with severe combined
13 immunodeficiency, who we know has high circulating levels of
14 Interleukin-7 and now has been given the gamma c gene, which can
15 very efficiently transduce this signal. As best you can tell,
16 would this be sufficient for a second hit, this "on" signal from
17 this growth factor?

18 DR. BAUM: It certainly helps the manipulated cell
19 to survive, of course, but I cannot say whether this could
20 somehow promote translocations or mutations.

21 I think it would be good if you put the final safe
22 away (phonetic) of most low expansion of the cells, like in the
23 ADA SCID patients. You could give an exogenous ADA, limiting
24 levels, and this way somehow prevent a tool and force
25 proliferation of the corrected cells, but this is pure

1 speculation. We need experimental data to see which approach is
2 best.

3 DR. TORBETT: I know you said that in the
4 transformed cells the NGFR receptor was transducing signals.
5 Was that true in cells that weren't transduced? Did you do
6 studies showing that the truncated receptor can transduce
7 signals in primary cells which have not been transduced?

8 DR. BAUM: Where the signals were different in the
9 malignant versus nonmalignant cells?

10 DR. TORBETT: Right. So you had to have a
11 transformation before it was acceptable --

12 DR. BAUM: Right.

13 DR. TORBETT: -- to be able to transduce the
14 signals. So a nonmalignant hit would make the cell
15 nonresponsive to signals via the reporter gene product.

16 DR. BAUM: Well, we are currently investigating
17 this area. We didn't have the reagents, and we tried to address
18 this issue.

19 Also in cell culture based models there is
20 specific cooperation of the oncogene with the signaling pathway.
21 This is completely unknown right now.

22 DR. TORBETT: I see, and the second question is on
23 vector design. Since many times insertions are in active genes
24 that might or might not close later, what is your feeling that
25 putting insulator element or other kinds of elements that will

1 keep a chromatin area open way past when it should have on the
2 bearing of vector design?

3 DR. BAUM: Well, the data, some people are sitting
4 here that are working with those vectors. We don't work with
5 them. The data that are owned are somewhat controversial. They
6 show some potential of the insulator sequences, but it's still
7 insertion site dependent, whether they are really dominant,
8 acting as insulators.

9 And so far people only looked on whether
10 neighboring sequences could modulate vector transcription. It's
11 unclear whether the other way around. Vector transcription is
12 no longer affecting the neighboring sequence, and this is more
13 difficult to investigate.

14 CHAIRMAN SALOMON: The insertion in this
15 particular truncated NGFR construct within this Evi-1, which is
16 ecotropic viral insertion site one --

17 DR. BAUM: Yeah.

18 CHAIRMAN SALOMON: -- I mean, you kind of passed
19 over that. Is that still a random event that depicts an
20 ecotropic viral insertion site or is that not a random event?

21 If it's not a random event, if there's not a
22 homologue of Evi-1 in the human, is that relevant at all then to
23 thinking about human risk?

24 DR. BAUM: So what we are confronted here is a
25 cross-match with the literature, not with experimental data in

1 our hands. It's completely unclear whether this is the locus
2 that has an increased risk of retrovirus vector insertion in the
3 mouse, and if so, whether this would also be true for the human
4 locus.

5 There is a recent very elegant study of the
6 Bushman lab where they studied HIV vector insertion. It's
7 published in August in Cell, and they found that this is also
8 only semi-random and affects certain loci at increased
9 likelihood.

10 Again, it needs to be studied whether these loci
11 are more dangerous or less dangerous loci compared to the
12 average locus. So these data still have to be accumulated.

13 DR. COFFIN: Let me just expand on that a little
14 bit. Once again, in the paper in Cell the effect actually
15 wasn't that great. It was a factor of about two enhancement
16 into transcribed regions as compared to random events, and it
17 wasn't in the specific site. It was occurring in very large
18 regions of the genome.

19 So for all practical purposes it's much safer and
20 more reasonable to consider the effects to be random, and you
21 will be correct to within a factor of two or so as far as that
22 goes.

23 CHAIRMAN SALOMON: So the fact that this is an
24 ecotropic viral insertion site --

25 DR. COFFIN: That's just its name.

1 CHAIRMAN SALOMON: -- a different kind of
2 ecotropic virus? It's not a retro?

3 DR. COFFIN: That's just its name. It was
4 identified in -- I believe I mentioned Jenkins and Neil Copeland
5 originally.

6 DR. BAUM: It might be, as far as I remember,
7 Germali's (phonetic) lab.

8 DR. COFFIN: Well, it might have been a
9 collaboration between the two actually, but that's just the name
10 of it. It's basically just one of a long list of oncogenes that
11 has been identified by virus insertion, and as far as we know in
12 many cases the same gene has been identified in different
13 species in mice and cats and chickens and the same genes in many
14 cases have been found to be activated by chromosomal
15 translocation or other means in humans.

16 So there's no reason not to believe that this
17 particular gene -- there's no reason to believe that this
18 particular gene is a specific target for integration, and
19 there's no reason not to believe that this gene is also present
20 in humans. I'm sure it is, but I just don't know for sure and
21 could not also be an activation target in humans.

22 CHAIRMAN SALOMON: Dr. Kalle.

23 DR. KALLE: I briefly wanted to comment that the
24 original locus that they described picking this up was farther
25 away from the Evi gene than what we have described. So in terms

1 of the DNA, it's actually not the same integration locus at all.

2 CHAIRMAN SALOMON: You didn't find the human
3 homologue to Evi-1 in any of your 60 or 80.

4 Okay. It's my pleasure to introduce Dr. Stuart
5 Orkin from Harvard Medical School and Dana Farber, who is going
6 to talk about the role of LMO2 gene in hematopoiesis and
7 Leukemia.

8 And no pressure, sir, but then we go to lunch.

9 DR. ORKIN: Thank you.

10 It's good to be here, and this for me is another
11 chapter in the gene therapy story. A previous chapter is shown
12 here on the slide.

13 I apologize. It looks better on the computer here
14 than it does on the screen, but this summarizes a comment, a
15 small paragraph in the 1995 report that I co-chaired with Arno
16 Motulsky on the assessment of gene therapy at that time.

17 And for those who can't read it, it says, "Because
18 clinical experience is so limited, it is not possible to exclude
19 long term adverse effects of gene transfer therapy such as might
20 arise from mutations when viral sequences randomly integrate at
21 critical sites in the genome of somatic cells. It must be noted
22 that multiple integration events resulting from repeated
23 administration of large doses of retroviruses theoretically pose
24 a risk for leukemic transformation. Only long-term clinical
25 follow-up of treated patients can provide data on the long-term

1 safety of gene therapy protocols."

2 I don't think we could have guessed seven years
3 ago that we'd end up today in this discussion. At least I
4 didn't think it would come at this point.

5 I want to make one aside and say that what we
6 advocated in this report was careful study and follow-up, and I
7 would applaud the FDA and Dr. Fischer and all of the colleagues
8 for the detailed investigation that's going into the case that's
9 being discussed today. I think it really is precisely what was
10 advocated back even years ago.

11 Next slide. Do I control the slides? Oops. Am I
12 in the right -- ah, good, thank you.

13 So what we're discussing is leukemia, and I want
14 to emphasize that leukemia is a derangement in blood cell
15 development, and this is a standard cartoon of what adult blood
16 cell development looks like, and the critical cell is the
17 hematopoietic stem cell, which self-renews and gives rise to
18 progenitor cells, and then all of the mature blood cells.

19 And over the past decade a tremendous amount has
20 been learned about the basic workings of cells largely in the
21 mouse, but I'll argue that the workings are identical in the
22 human because there has been a question as to differences
23 between mouse and human perhaps, but I'd argue that they're much
24 the same.

25 And there's been a convergence of work on the

1 basic underpinnings of blood cell development and leukemia which
2 will be obvious in my remarks.

3 There are a number of genes which are all
4 transcription factors involved in gene expression, which I've
5 listed here as members of the stem cell class. These are genes
6 that are essential in mouse studies for development or function
7 of hematopoietic stem cells.

8 And the critical point I want to emphasize here is
9 the genes with the asterisks are all genes that were identified
10 through the study of human leukemias, indicating this close
11 convergence between leukemia and normal blood cell development
12 and the mechanics of blood cell development.

13 So these genes were all discovered at chromosomal
14 break points, translocations, and LMO2 is right there in the
15 middle. These genes are either deregulated or expressed as
16 fusion proteins in these translocation events, and curiously,
17 some of these genes are present together or have interactions
18 with one another which you might not have predicted, such as
19 some are present in fusion proteins together. So two of the
20 genes, tel and AML1 OR runx, are found together in fusion
21 protein in childhood B cell leukemia.

22 What would be the chance of that randomly?
23 Probably rather low if it didn't mean something functionally.

24 In addition, some of the proteins interact as
25 proteins themselves physically, and it's very important in terms

1 of today's discussion that one of the T cell leukemia genes, SCL
2 or tal, sometimes called interacts physically with LMO2, and
3 they are very tight partners in the cell.

4 These genes are all required in some way for
5 formation, survival or maintenance of hematopoietic stem cells.

6 I mentioned there are two different ways that
7 translocations can lead to leukemia in humans. One is through
8 deregulated expression, and the paradigm for that is the
9 abnormal expression of c-myc in Burkitt's lymphoma, but we also
10 have the examples of activation of SCL and the LMO2 genes in
11 acute T cell leukemia.

12 In addition, a number of the fusion -- the more
13 common translocations activate expression of fusion proteins,
14 such as the bcr able in chronic biologics leukemia, which is
15 really the hallmark of PML-RAR and APML, and then a number of
16 the genes have many different partners.

17 Now, what is LMO2? And I'll just give you some
18 bullet facts. LMO2 is called a transcription factor. It was
19 formerly called Rbtn2. A number of these genes have multiple
20 names in the literature. It was discovered by Terry Rabbits'
21 lab, but also by Roya Picora (phonetic) more than ten years ago,
22 I believe, or just about ten years ago at breakpoints of 11-14
23 chromosomal translocation in patients with T cell leukemia.

24 The translocation brings the LMO2 gene under the
25 control of the TCR delta locus on chromosome 14, and thus, the

1 regulation of LMO2 is deranged as it's under the expression of
2 other regulatory elements, but it's important to emphasize that
3 the normal protein product is produced in that translocation.

4 LMO2 is a LIM-only protein. A LIM is a form of
5 zinc finger, but this LIM domain mediates protein-protein
6 interaction. Although LMO2 participates in transcription, it
7 does not bind DNA. So it doesn't act on DNA directly by itself.

8 It needs to do that through physical interaction.

9 It participates in gene regulation through
10 physical interaction with other proteins, and one of the most
11 critical proteins or the best studied in that regard is SCL,
12 another leukemia oncoprotein involves in translocations in
13 leukemia.

14 LMO2 is normally expressed in hematopoietic stem
15 cells, red cell, and vascular cells. It's normally extinguished
16 in lymphoid development. So the translocation maintains
17 expression where it ought not to be.

18 The LMO2 gene through gene knockout studies from
19 Terry Rabbits' lab is essential for all hematopoietic formation
20 and for some aspects of angiogenesis.

21 The phenotype of the LMO2 loss of function, that
22 is, the null mouse, is identical to the loss of its partner
23 protein SCL-PAL, and in fact,, studies in my own group suggest
24 that the function of these two proteins is only to interact and
25 to transmit a signal one to another.

1 Transgenic expression of LMO2 and another protein,
2 LMO1, in T cells leads to T cell leukemia in the mouse, but only
3 after a very long latency. Prior to the leukemia, there's an
4 inhibition of differentiation with accumulation of double
5 negative T cells, and leukemia can be enhanced or the latency
6 can be shortened by co-expression of the partner gene SCL/tal,
7 again indicating the cooperation of these two genes.

8 But I think this point emphasizes the expression
9 of LMO2 is not sufficient for leukemia. They have been
10 cooperating in additional genetic events.

11 Finally, LMO2 is often expressed in human T cell
12 leukemia, importantly even in the absence of recognizable
13 chromosomal translocations. Indeed, it's the infrequent
14 situation in which the translocation activates the gene. The
15 gene is activated by other mechanisms presumably through a
16 regulatory network, and one of the forms of leukemia in which
17 it's -- and I'll show a slide later about this -- that where
18 it's frequently activated is in a leukemia that's called lyl
19 positive. Lyl is a gene that resembles SCL. It's a close
20 relative, and the T cell leukemias that are identified as
21 expressing lyl often express LMO2 as well, and those do not have
22 a translocation involving LMO2.

23 So this is where LMO2 is required. It's required
24 actually in the earliest part of blood cell development to form
25 the hematopoietic stem cells, and it acts, again, in a protein

1 complex, and the initial identification of this complex goes to
2 Terry Rabbits as well in Cambridge, England, and this complex
3 curiously involves a number of other players in hematopoiesis or
4 leukemia. It involves the SCL gene, which is essential also for
5 hematopoiesis and which is deranged in T cell leukemia.

6 It involves the LMO2 gene that's being discussed
7 today. It also involves a GATA protein, and in fact, one of
8 these, GATA-1, can be mutated in megakaryocytic leukemias.

9 It involves a partner of SCL which is also mutated
10 in a number of lymphomas. So this is a hematopoietic complex
11 that is central for maintaining the homeostasis in proper
12 regulation during hematopoiesis.

13 Now, leukemogenesis by LMO2 has been studied and
14 seen in two situations. One in the top is that in the
15 transgenic mouse where the gene is expressed under T cell
16 regulatory elements, and one gets a block of development that
17 the double negative cell stage, eventually leading to tumors
18 with presumably second hits of some sort.

19 And then in human patients when there's a
20 chromosomal translocation mediated at the stage that the RAG
21 gene is expressed, one then also gets T cell leukemia.

22 I want to emphasize what I said before, and that
23 is that misexpression of LMO2 is sometimes due to chromosomal
24 translocation involving LMO2 itself, but perhaps more frequently
25 LMO2 and other T cell oncoproteins are misexpressed in the

1 absence of recognizable translocations.

2 This is a recent paper by Ferrando in Cancer Cell,
3 which just shows a number of samples of T cell leukemia, just
4 sort of all comers, and it was from a gene expression profiling
5 study in which they show that a number of the T cell tumors in
6 this case express either LMO1 or LMO2, and they're not expressed
7 in normal thymus.

8 And this brings up another point I made, is that
9 some subsets of T cell leukemia express LMO2 abundantly. This
10 is a gene expression profiling or microarray chip, and this is
11 the lyl-1 positive form if T cell ALL, and one of the genes
12 that's consistently expressed in all sample is LMO2. It's
13 sporadically expressed in some of the other subtypes.

14 Now, this is a less sophisticated version of what
15 we've heard in probably the two previous talks, but I just want
16 to make a couple of points. First is that the insertion of the
17 retrovirus in mouse and presumably as well in human
18 hematopoietic cells leads to its consequences by deranging or
19 abnormal expression of neighboring genes, which can be due to
20 dominant influences of the regulatory sequences contributed by
21 the retrovirus or by interference with the regulatory elements
22 of the gene itself.

23 And I think one could imagine almost any scenario
24 whereby an integration event could alter the expression of the
25 resident gene in which the retrovirus is integrated.

1 Two recent studies that have been published using
2 the susceptible mouse strain so that they're sort of pre-
3 engineered or pre-chosen, to look at genome line susceptibility.

4 Two studies have recently been published, both in Nature and
5 Genetics, and what they indicate is that in one study at least
6 150 different loci were tagged or identified as potentially
7 collaborating to give leukemia in an animal.

8 And in the second study there are many unique
9 sites that were identified, and specifically 17 previously
10 identified common integration sites were seen and 37 at least
11 characterized.

12 I think the only message I want to transmit from
13 this is there are potentially numerous sites within the genome
14 which can contribute or cooperate with some other event to then
15 give leukemia. And I think this kind of cooperation is
16 certainly being dissected in a number of laboratories, and I
17 think we'll see quite a bit more of this.

18 But I think the number of genes that contribute
19 are probably more than we might have guessed a number of years
20 ago, and certainly more than I would have guessed seven years
21 ago when we wrote the gene therapy report.

22 So I just want to make a few concluding comments.

23 LMO2 is a bona fide target for T cell leukemogenesis either
24 through chromosomal translocation or secondary to changes in the
25 regulatory network. So I think relevant to the case today, I

1 think it's the insertion of the retrovirus into that site I
2 would think is highly likely to be related in some way to the
3 outcome in phenotype.

4 The long latency of LMO2 mediated leukemia in the
5 mouse suggests that additional genetic events are required for
6 the onset of leukemia, and in the leukemia field, it's quite
7 clear now that many somatic mutations which are found in
8 leukemia arrest development of cells, the normal maturation, and
9 then second events often lead to a proliferative signal within
10 those cells to cooperate to generate leukemia.

11 So I wonder whether a block to differentiation
12 perhaps by expression of LMO2 might be complemented by a gene
13 that converts proliferative or survival advantage to the cells,
14 and obviously in this case a gene has been introduced into the
15 patient which gives a selective advantage to the rescued cells.

16 And I wonder whether that isn't a double edged
17 sword in this particular instance.

18 Finally, LMO2 is representative of genes that are
19 required for hematopoietic stem cell formation and maybe
20 function, and it is certainly enriched in hematopoietic stem
21 cells, at least their expression.

22 So one wonders whether loci of stem cell expressed
23 genes might be somewhat more accessible than the average gene to
24 retroviral integrations.

25 We've already heard of discussion about how random

1 is integration, but maybe a few fold difference in accessibility
2 might actually be important in thinking about these kinds of
3 events.

4 I'll stop at this point and take any questions. I
5 think people probably want to eat lunch.

6 Thank you.

7 (Applause.)

8 CHAIRMAN SALOMON: Thank you for a very nice
9 presentation.

10 Questions? Dr. Coffin.

11 DR. COFFIN: Let me make a comment about multi-
12 hits. It's very clear in many cases for retroviral activation
13 of oncogenes that a second hit need not be either simultaneous
14 or preexisting; that often the first hit -- what the retrovirus
15 integration at a specific site, for example, an exocemic
16 (phonetic) dose alone, must be sufficient to initiate the
17 process to the extent where second hits and subsequent hits
18 become inevitable.

19 So, in other words, it must be sufficient to if
20 not induce malignant transformation, at least lead to expansion
21 of that cell clone to the point where random mutation events
22 will now inevitably cause some sort of relevant second hit to
23 occur.

24 That may not be true. That's clearly not true in
25 all cases because in the cases of preactivated animals that were

1 shown, for example, where you can have much more rapid
2 oncogenesis, and so clearly there are more sites available and
3 the cell can expand more rapidly if there's a preexisting hit.

4 But the hit need not be preexisting or
5 simultaneous. It could be the cell could probably be completely
6 normal in that respect and still be inevitably subject to
7 activation by hits at least at certain oncogenes.

8 CHAIRMAN SALOMON: Yes. So consistent with that,
9 the LMO2 knockout animals that Terrance Rabbits did, they had a
10 really long latency period, like nine months.

11 DR. ORKIN: The transgenics. Yeah, the
12 transgenics have a very long latency period.

13 CHAIRMAN SALOMON: So that would go along with the
14 idea that there was a discrete point in time where there was a
15 hit, and then there was a long time after that that selective
16 survival of the hit cell allowed another. Now, whether that's
17 inevitable --

18 DR. COFFIN: Exactly. That's certainly the most
19 straightforward way to explain that observation

20 DR. ORKIN: I think there's also another point.
21 At least with respect to the SCL gene, which is for all intents
22 and purposes much the same, people have been trying to generate
23 transgenic models to show T cell leukemia in a mouse, and a
24 number of laboratories failed until one laboratory chose the
25 correct promoter.

1 So it's very sensitive to the time of expression,
2 presumably the cell window in which the gene expression is
3 activated.

4 CHAIRMAN SALOMON: So I guess you guys need to
5 help me through one thing that came up earlier today, and then I
6 think, you know, we will have accomplished almost everything I
7 thought we could possibly have gotten up till this point.

8 And that is what's driving transcription of LMO2
9 in this patient, and I'm not convinced by what I heard, but
10 maybe I'm just being dumb. So help me through why it isn't the
11 LTR of the transgene. That's the only thing that makes sense to
12 me from everything I know about LMO2 and the way this should
13 work.

14 DR. KALLE: Maybe I can comment to this. One
15 simple reason is that the retrovirus is oriented reversely to
16 the structure of the LMO2. So the retroviral transcript is
17 actually going upstream of the original gene locus and would
18 even if there were a fusion transfer of which we haven't seen
19 any evidence yet go basically through XM-2 and then out

20 DR. ORKIN: Yeah, but presumably the retroviral
21 enhancer, the LTR enhancer could act at a distance on endogenous
22 and I guess there's also the possibility that an anti-singe
23 transcript might change the regulation of LMO2 transcription
24 given recent work showing that anti-singe transcripts at least
25 do seem to affect gene regulation.

1 DR. KALLE: It could be enhancer or interruption
2 of regulatory sequences in that interim, of course, could very
3 well.

4 DR. ORKIN: It's consistent with the most common,
5 I think, as Linda said, year or was about to say, is consistent
6 with the most common models.

7 DR. WOLFF: Yeah, the most common models
8 enhancers, and actually in most of the cases where the enhancers
9 are working, the virus is integrated in the opposite direction
10 from the gene, at least especially when it's at the five prime
11 end.

12 So it's not surprising at all to me.

13 CHAIRMAN SALOMON: Well, good. Because, I mean,
14 what was bothering me was this morning I -- again, maybe I got
15 it wrong -- my impression was that it might have been knocking
16 out an endogenous regulatory sequence, but that it wasn't due to
17 the virus in the --

18 DR. WOLFF: That can't be ruled out.

19 CHAIRMAN SALOMON: No, I know you can't rule it
20 out, but I didn't like the idea that it couldn't be due to the
21 virus. That didn't make sense to me.

22 DR. PUCK: I'm wondering if you could help me
23 think about the possibility that varicella infection could have
24 provided another hit in this patient, and I'm wondering -- I
25 realize LMO2 itself is not expressed in lymphocytes except in

1 this one abnormal integration cell clone, but perhaps some of
2 the interacting proteins that act in concert with LMO2 could be
3 induced in a lymphocyte by an infection such as this.

4 DR. ORKIN: I think that is a question, whether
5 the other things have been looked at. I don't know whether Lyl
6 and SCL have been looked at. I mean that would be very
7 important. If they were activated, I think that would be
8 additional evidence that something happened.

9 I don't know enough about varicella infections,
10 you know, myself and immune responses, but I would imagine that
11 the response could lead to the proliferation of a clone, which I
12 imagine would have preexisting hits in it, and that might
13 predispose to another event.

14 But I would be reluctant to pin it on varicella
15 per se because I would imagine that this child would, you know,
16 over his or her lifetime come in contact with other viruses
17 which can stimulate the immune system.

18 So I think I wouldn't rest on that.

19 DR. PUCK: this is one of those perhaps bubbling
20 up issues. Varicella could be treatable, could be preventable,
21 and so if we know that's one bad actor, which we might learn
22 from microarray data in other patients undergoing varicella
23 infection, that could be helpful.

24 DR. ORKIN: It might be helpful, but I would
25 imagine there would be a whole host of viruses that you would

1 not be able to look for a priori.

2 CHAIRMAN SALOMON: And the other point here is
3 that when you've had consistent up regulation of LMO2, we know
4 that you get T cell ALL. So that I don't really follow a direct
5 reasoning that varicella per se created a hit because we know
6 that these other cases of T cell ALL that's been associated with
7 LMO2 expression, this endogenous leukemia is due to the
8 translocation, not associated with viral infections.

9 Okay. Lunch. It's a little after 12:30. It's
10 12:35. At least I did change my watch, right? This isn't
11 California time. Okay.

12 So one o'clock, a few minutes after one o'clock?

13 PARTICIPANTS: That's 25 minutes.

14 CHAIRMAN SALOMON: That's 25 minutes. that's too
15 fast? Well, that's why we have discussions.

16 Thirty minutes? Eat as fast as you can.

17 MS. DAPOLITO: I believe there is space reserved
18 for the panel so that they can get a quick lunch in the
19 restaurant.

20 (Whereupon, at 12:40 p.m., the meeting was
21 recessed for lunch, to reconvene at 1:30 p.m., the same day.)

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

AFTERNOON SESSION

(1:34 p.m.)

CHAIRMAN SALOMON: Okay. Given the fact that usually my double time rule, you know. So I said to be back at one o'clock and it's 1:30. That's about right.

Anyway, I'd like to get into the afternoon session, and obviously my interest, I think the whole point of this is to get to the discussion of the questions, and so I think you guys did a fantastic job this morning and I really appreciate it. It make my job actually very easy.

Today we now have three talks from a group of expert investigators who have direct interest in this whole area of gene therapy trials, and so it's very important to put the design of their trials and choice of patients, et cetera, on the same page now as the stuff we've discussed up till now.

These three talks will go one after another without any question or discussion, and then we'll have a discussion, sort of the general characteristics of these three areas.

After that we'll have the open public hearing. We'll talk about that in a moment, and then go into the committee's questions and discussions.

So the first speaker of this after lunch session is Don Kohn from the USC Children's Hospital, Keck School of Medicine: gene transfer for ADA deficient and X-linked SCIDs at

1 the Children's Hospital of L.A.

2 Don.

3 DR. KOHN: Thank you, Dan.

4 I'm going to tell you about three clinical trials.

5 The first one was performed under Mike Blaze's original gene
6 transfer trial between our group at Children's Hospital and the
7 NIH for ADA deficient SCID, and working with Christof Kalle, we
8 have some new findings that are relevant to the discussion of
9 this morning.

10 Then I'll tell you about the second trial, which
11 is a second collaboration between our group and people at the
12 NIH, Fabio Candotti, Cindy Dunbar, with ADA gene transfer, and
13 that's one of the trials that's in process that's now on hold.

14 And then the third trial I'll just talk about
15 briefly is done by one of my colleagues, Kenneth Weinberg, and
16 that's for X-linked SCID, and that is also on hold with no
17 patients enrolled.

18 So just a little more background in ADA deficient
19 SCID, and we've heard some of this this morning already.

20 SCID is the causae of ADA deficiencies that cause
21 about 20 percent of SCID, and like other forms of SCID, without
22 early treatment, there's mortality, and bone marrow transplant
23 can be curative with a higher success rate with a matched
24 sibling donor than with a T depleted haplo or a match unrelated
25 donor.

1 And I'll talk a couple of comments about enzyme
2 replacement therapy. PEG-ADA is an enzyme replacement therapy
3 that can restore and sustain immunity, and I'll give you some of
4 the data on patients on that.

5 It certainly can sustain immunity, but it's
6 expensive, in the range of 200,000 to \$500,000 per year per
7 patient, and it requires twice weekly intramuscular injections.

8 And just some data. Again, Dr. Buckley really
9 covered this this morning.

10 The outcome for ADA deficient SCID is not as good,
11 I think, as a subset, and this is data that Dr. Buckley provided
12 to us during our discussions with the RAC about the risk to
13 benefit ratios, and this was the data as of about, I think,
14 early 2000 or 1999 at Duke.

15 At that point there were 12 patients that
16 underwent haplo BMT for SCID. Two had died from CMV infection
17 they presented with. Three had been nonengrafted and went on
18 PEG-ADA, and seven were surviving.

19 And so, again, to put it into context, this
20 represents a 16 percent mortality for these patients, although
21 it probably wasn't transplant caused, 25 percent nonengraftment.

22 So of 58, 68 percent cure in this subset. I think the updated
23 numbers that she showed today were in line with this.

24 And then to summarize the PEG-ADA data, Mike
25 Hershfield, who is the guru of this, published a review article

1 of this in 2000, and to that point 95 patients had been treated
2 at some point with PEG-ADA between March or April of '86 and
3 1999.

4 And at the time that this article was written, 59
5 patients were under treatment. Two adults with late onset of
6 ADA deficiency diagnosed sort of late in life had stopped PEG-
7 ADA, and 15 patients taking PEG-ADA had died, again 16 percent
8 mortality.

9 Of these 11 were infants who presented critically
10 ill with SCID, who died shortly after starting PEG-ADA, and
11 again, this makes the point that for many of these patients the
12 infection that leads to their diagnosis may be something that
13 will be fatal before any therapy can get them good immunity.

14 Three patients though on PEG-ADA died some years
15 later. Two had preexisting lung disease from years of immune
16 deficiency, and one from an unrelated cause.

17 And then 19 patients actually stopped PEG-ADA to
18 undergo bone marrow transplants. I know, for example, in
19 Canada, there's been some push to get patients off this very
20 expensive drug and to have them have a bone marrow transplant.

21 So the first trial that we did in 1993 used
22 umbilical cord blood as the source of CD34 cells for three ADA
23 deficient SCID neonates. CD34s were isolated and using the
24 technology of that year, they were cultured for three days with
25 the vector in growth factors that we now know are suboptimal for

1 stimulating stem cells, and there was no support layer like
2 fibronectin like we would now use.

3 And the cells were given IV back to the patients
4 without cytoablation, and the patients were started on PEG-ADA
5 in the infant period.

6 And this is data from one of the patients. This
7 has been published, showing the gene marking. So this is
8 looking over the first four and a half years. We're now over
9 nine and a half years out from this procedure.

10 And what you see is that over time the frequency
11 of PBMCs, the circles containing the gene rose up to around one
12 to ten percent, and if we split those out by FACS sorting from
13 the PBMCs, T cells showed the high marking, whereas monocytes,
14 the Ms that are in here, were not increased similar to the level
15 that we saw in the granulocytes.

16 So we saw a preferential increase in T cells.

17 Over this time, we were slowing weaning them off
18 their enzyme therapy at this point. At four years their PEG-ADA
19 in this child was held for a two month period. And during that
20 time, once we stopped the enzyme, we saw basically that
21 biochemically he became as he was as a neonate. In other words,
22 he was still generally ADA deficient. So his plasma ADA and red
23 blood cell nucleotides rose showing there was not a large
24 effect from the gene transfer.

25 However, during this brief window at least the T

1 cell numbers and proliferative responses to PHA were stable, but
2 the T cell response to tetanus antigen and the numbers of B
3 cells and then K cells declined more than tenfold.

4 So it appeared that PEG-ADA was what was keeping
5 the B cell function going and not the gene transfer.

6 The gene marking the T cells remained at the same
7 level, but then two months afterwards the patient developed an
8 upper respiratory infection and thrush and was placed back of
9 PEG-ADA and has been on PEG-ADA since that time.

10 And so the data that has been referred to a few
11 times, and Christof showed another colored version of this slide
12 earlier, this is data where he has now gone back and looked
13 through this patient as well as the second one in the trial for
14 their clonal integrations. So this the LAM-PCR method that you
15 heard about this morning.

16 What we see in patient one in their PBMCs,
17 although there are multiple integrants, there's a predominant
18 single integrant seen in the PBMCs, as well as in the T cells,
19 and at late times also in the myeloid cells.

20 Patient number two has a few bands, but different
21 ones, and so it's not just that this is a consistent artifact of
22 the method, and in fact, what this suggests is that this patient
23 had just a few gene containing stem cells transduced, and has
24 this almost monoclonal pattern.

25 And to summarize more data analyzing this patient,

1 as I just showed you, the LAM-PCR revealed the stable presence
2 of a predominant vector integrant in T and myeloid cells over
3 the past eight years, with no changes in the patient's
4 lymphocyte counts. There hasn't been any rise in lymphocytes
5 associated with this.

6 And T cell clones were grown from the peripheral
7 blood of the patient eight years after the procedure, and
8 looking at the clones, 13 out of 220, or six percent, contain
9 the vector, which is about the level of marking we had seen on
10 PCR of the bulk cells, and of those 13 gene containing clones,
11 ten had that same predominant integrant.

12 But looking at those clones, there were different
13 T cell receptor rearrangement patterns seen among the clones
14 with the predominant integrant. So all of these data together
15 are different than what you heard this morning about a single
16 mature T cell proliferator or a single immature T cell
17 proliferating.

18 Here it appears that there was a single pre-thymic
19 stem or progenitor cell that accounted for the majority of the
20 gene marking, and so this is another example of a relatively
21 monoclonal pattern that doesn't go along with the
22 lymphoproliferation.

23 And the patients all remain well on full dose PEG-
24 ADA at the present time with gene marking persisting down to
25 eight years, and as I just showed you there's oligo and

1 monoclonal markings seen.

2 And we also observed in this trial that there was
3 no detectable vector expression when we just took PBMCs fresh
4 from the patient, but there was a higher level of expression
5 from the PBMCs after they were stimulated ex vivo with PHA and
6 IL-2, suggesting that the vector was off in the mature
7 circulating T cells.

8 So that work then led to a second trial seven
9 years later done, again, in collaboration with the NIH. Fabio
10 Candotti is the PI on the study for the NIH, and I'm the PI at
11 Children's Hospital.

12 And in this trial that opened last year, it's a
13 Phase 1 study for ten patients, and the way the protocol was
14 written was to be conservative and keep the patients on PEG-ADA.

15 And so an entry criteria is that the patients have to be on
16 PEG-ADA at the time of enrollment, and it's for ADA deficient
17 SCID neonates or children, and we target CD34 cells from either
18 cord blood in neonates that was available or from their bone
19 marrow in children.

20 Our gene transfer methods have evolved as the
21 field has to using a retroviral vector in a GALV pseudotype
22 using growth factors that we think are better for transducing
23 early stem cells on retronectin serum-free.

24 And then written into the protocol is a phased
25 withdrawal of PEG-ADA after one year if gene marking is present,

1 and the protocol calls for a two year active phase follow-up.

2 And the study is using actually two retroviral
3 vectors. They're relatively similar. The nuances are more for
4 the aficionados in the field. They're both basically Maloney
5 based retroviral vectors driving the human ADA gene. Ours has a
6 couple of minor modifications in the LTR that seem to help
7 expression in the mouse, and it's not clear whether those make a
8 difference in the human.

9 And we had developed this vector, and Fabio's
10 group had developed that vector, and so both have been produced
11 at GMP grade, and each patient gets both vectors. So the CD34
12 cells from each patient are split, and half of them get our
13 vector. Half get the other vector to see if either affords a
14 better result in the patients.

15 So the objectives of the trial are, first of all,
16 to assess the safety, the toxicities from the procedures, the
17 bone marrow harvest, the reinfusion of the cells. We look for
18 replication competent retrovirus and for other toxicities.

19 Then we would document progenitor transduction
20 engraftment by DNA PCR, gene expression by ADA enzyme and RT-
21 PCR. As I mentioned, we compare the relative mark of the two
22 different vectors, and then look at immune function as PEG-ADA
23 is withdrawn.

24 This is an outline of the study diagram, and
25 again, I think for most of the trials it's relatively similar.

1 When ADA deficient SCID patients are identified and they're
2 diagnosed as a confirmed, first of all, they would be excluded
3 if they had a medically eligible HLA-matched sibling. Since the
4 results are so good with matched transplants, those patients are
5 excluded from the study.

6 And then the patients would start on PEG-ADA or
7 continue it if they are already on it, and then there would be
8 the informed consent process, screening test to determine
9 whether the patient is eligible. Then because there is a bone
10 or a harvest involved, there's an assessment of the preoperative
11 status.

12 Then there's a bone marrow harvest for the
13 children, cord blood for the newborns, and then isolation of
14 CD34 cells, transducing with the two vectors, half the cells
15 with one, half with the other.

16 Then the cells are mixed together. The final cell
17 product is characterized and infused IV into the patients, after
18 which we then assay peripheral blood samples for safety and
19 toxicity endpoints and for the frequency of transduced cells.

20 So this is the demographics on the patients so
21 far. Four patients have been enrolled and undergone the
22 procedure, the first in September of '01, the last in January of
23 '02. They are significantly older than the patients in the
24 Fischer study, ranging from four years up to 20 years of age.
25 And these are the numbers of cells that we obtained from their

1 bone marrow per kilo, and I'll come back to that.

2 And in the first patient right before we were
3 ready to do the transduction, there was a question of one of the
4 tests, and so the other vectors to that first patient only got
5 one vector.

6 These are the data of the gene marking in the
7 patients that we have analyzed so far so that the patients are
8 displayed. Two, oh, one was done first. C was done at
9 Children's Hospital. N means done at the NIH.

10 And what you can see is that the level of gene
11 marking in all of the subjects is relatively low, in the range
12 of one cell in 10,000 roughly, and in fact, in the patient 201C,
13 who we have now followed out to a year, the blood samples at ten
14 months and 12 months were negative by TaqMan analysis, so below
15 the limit of detection for gene containing vectors.

16 The other patients still have marking at the time
17 points we've analyzed, and the patients are all still on full
18 dose of PEG-ADA which would blunt any selective
19 advantage.

20 And so, you know, at this point we would say that
21 there appears to be some stable level of marking and potentially
22 if PEG-ADA was withdrawn, we would see an increase in the
23 marking frequency in the T cells.

24 And so the findings in the study so far is that
25 four subjects have been enrolled and underwent the procedure

1 without significant adverse event. There have been low levels
2 of ADA gene containing peripheral blood in mononuclear
3 (phonetic) cells present in all the subjects in the first three
4 to eight months, but it has become undetectable in the first
5 subject at ten and 12 months.

6 There have been no significant changes in either
7 direction in lymphocyte numbers or function other than sort of
8 their normal fluctuations.

9 And there's a planned PEG-ADA withdrawal after one
10 year if gene marking persists, and so in the first patient where
11 gene marking is not detected at the present time, she's
12 remaining on her full dosages of PEG-ADA.

13 So then I just want to tell you briefly about a
14 third study that's at Children's Hospital, Los Angeles, although
15 this has not yet been opened, and as I said earlier, the PI for
16 this study is Kenneth Weinberg, and I'm a co-investigator on it.

17 And it's similar in design to our current ADA
18 trial. It's a Phase 1 study, up to 12 patients for X-SCID
19 either neonates or children, targeting either umbilical cord
20 blood for the neonates or bone marrow for children and using the
21 same gene transfer methods that I described earlier with two
22 years of active follow-up and lifelong follow-up.

23 And, again, similar kind of endpoints and
24 objectives. The Phase 1 endpoints obviously to look for
25 toxicities. The efficacy endpoints are to look for engraftment,

1 to look for expression, to evaluate immune function, and Ken
2 also plans after the patients -- if they demonstrate stabilized
3 engraftment, to assess their ability to respond to a neoantigen
4 by immunization with PhiX174, which is a neoantigen that we have
5 used as a test of the ability to make new antibodies.

6 And the vector is a similar kind of retroviral
7 vector with slightly modified LTRs, but just the CDNA in a
8 basically NLV backbone.

9 And the protocol, again, is similar to the one I
10 showed you. X-SCID infants with no matched siblings would be
11 begun on appropriate antimicrobial prophylaxis. Parents would
12 be met with to discuss informed consent. Patients would be
13 screened for eligibility to assess the preoperative status of
14 bone marrow harvest would be done. The cells would be
15 transduced, infused, and the endpoints would be followed.

16 And the exclusion criteria, first of all, is other
17 forms of SCID. So they have to demonstrate a gene defect in the
18 gamma c gene. They would be excluded if they had a medically
19 eligible HLA identical sibling for transplant.

20 Some patients with X-linked SCID can present with
21 maternal graft versus host disease, where they've acquired some
22 maternal lymphocytes at the time of birth, and if that's severe,
23 that would complicate the analysis, and it would probably be an
24 exclusion and in our center would probably be an indication for
25 giving them at least monoclonal antibodies again for T cells or

1 ATG in a transplant. And then other sort of organ function
2 exclusions.

3 And then where the status of this trial is that
4 the vector has been produced and certified at the National Gene
5 Vector Laboratories. The cytokines have been purchased and
6 certified. All of the regulatory oversights and approvals
7 basically are approved and in place, but the trial is not yet
8 open due to the clinical hold.

9 So if I just may have one minute for my
10 philosophical weighing. Why in this one subject in France has
11 this occurred?

12 We have talked about a number of these. Is it
13 just a stochastic integration event and just a bad luck event in
14 this patient at some frequency, either genetic predisposition,
15 predisposing factors? Is it related to the disease of a gene?

16 And the point I really want to make is I think an
17 important consideration is the age of the subject. This is the
18 youngest of the subjects in the current trials. It was one
19 month old, and that correlates with getting a very high CD34
20 dose.

21 And so over here I plotted, and it's probably hard
22 to read the graph in the back. The age of patients in gene
23 therapy studies that have been published recently and our study
24 as a log function, if that's possible, versus the doses of CD34
25 cells that were obtained.

1 And what you can see, for example, in our two
2 older ADA patients, the 16 year old and the 20 year old, we got
3 very low doses of CD34 cells per kilo, whereas the French
4 patient number four and five who were under six months of age, I
5 believe, both of them, the cell doses were a log or more higher.

6 And I think this is a correct finding that younger
7 kids have more CD34s in their marrow. They are also more
8 actively dividing, and therefore, the deficiency of transduction
9 is higher, and then, of course, young children have very active
10 thymopoiesis.

11 And so I think that one of the factors that needs
12 to be considered is that things may be very different for a one
13 month old or six month old than for a five year old or a 15 year
14 old.

15 And I guess the questions with these large cell
16 doses available, would a lower dosage of cells decrease the risk
17 and do we need to think about dose limiting, how many cells we
18 give?

19 And then the other question that's raised by the
20 Milan study for ADA deficiency where they gave chemotherapy
21 beforehand is does marrow cytoreductive conditioning reduce the
22 risk by allowing effective immune recovery with the lower cell
23 dosage or does it increase the risk by increasing the
24 proliferative demands on transduced stem cells?

25 I'll stop there. Thank you.

1 (Applause.)

2 CHAIRMAN SALOMON: Thank you, Don.

3 As I said, we'll give all three talks, and then
4 questions will be address to sort of the whole group.

5 So the next talk is from Dr. Brian Sorrentino and
6 Dr. John Cunningham. This is Dr. John Cunningham, and this is
7 gene transfer for JAK 3 deficient SCID.

8 DR. CUNNINGHAM: Okay. Thank you.

9 I'd like to thank everybody for inviting us. My
10 name is John Cunningham. I'm from St. Jude Children's Research
11 Hospital, and what I'm going to talk to you about today is a
12 joint protocol between ourselves at St. Jude Children's Research
13 Hospital and Rebecca Buckley's group at Duke University Medical
14 Center.

15 And one of the first issues that has come up at
16 this meeting this morning is what is the risk of gene transfer,
17 retroviral gene transfer, when it comes to hematopoietic cells.

18 So as many of you are aware, we at St. Jude have been
19 interested in gene transfer for over ten years now, and I
20 thought I would just briefly summarize in one slide our
21 experience with gene transfer in 133 subjects.

22 Of those, 64 are still alive, and 69 are deceased.

23 In the 133 subjects, 49 received hematopoietic stem cell gene
24 transfer, and of those, 32 are still alive and 17 are deceased,
25 with a follow-up of two to ten years. And we have not observed

1 any retroviral associated adverse events.

2 So although many of these subjects were transduced
3 with retroviruses in an era when retroviral genes transduction
4 wasn't as efficient as perhaps it is today, I think this
5 provides some information about the potential denominator for
6 the risk-benefit ratio for gene transfer in human subjects.

7 CHAIRMAN SALOMON: Can you clarify one thing? I'm
8 guessing these are not all JAK 3 deficient.

9 DR. CUNNINGHAM: No. I'm sorry. These are all
10 our gene transfer studies, and most of them are gene marking
11 studies. Excuse me.

12 Most of these patients had malignant diseases.
13 There were some with genetic disease where marking was used as
14 well.

15 So on to JAK 3 deficiency, and as you heard this
16 morning, the common gamma chain -- I'm not sure if this is
17 working -- but the common gamma chain deficiency represents
18 about 45 percent of children with SCID deficiency.

19 However, another disease which we have become
20 interested in is JAK 3 deficiency where seven percent of
21 children with SCIDs have a deficiency in this gene.

22 This gene is involved in modulating the response
23 to the IL-2, IL-7, and IL-4 receptors as shown in this diagram.

24 So Brian Sorrentino's group at St. Jude has
25 studied a genetic model of JAK 3 deficiency generated by Jim

1 Eiley (phonetic) at St. Jude and has shown three things. The
2 first thing is he's shown that in a paper published in Medicine
3 in 1998 that in a myeloablated host, mouse host, when the JAK 3
4 hematopoietic cells were transduced with the retrovirus, he
5 could correct the immunophenotypic defect.

6 He subsequently showed that this protection was
7 translated into protection against infectious agents,
8 specifically influenza.

9 More recently he's shown that in a non-
10 myeloablated mouse host, he can also show protection. So this
11 stimulated us to generate a clinical protocol, and it's very
12 similar to the one that Dr. Kohn has described for a common
13 gamma chain deficiency in which we plan to enroll approximately
14 six patients, and the follow-up is exactly the same. So I'm not
15 going to go into the details.

16 And we were just about to submit this study when
17 Dr. Buckley at Duke identified a patient with JAK 3 deficiency
18 who had undergone two unsuccessful T cell depleted
19 haploidentical maternal bone marrow transplants, and so it was
20 felt at this stage that since the maternal bone marrow
21 transplant wasn't working that we should consider gene transfer.

22 So let me tell you a little bit about this child.
23 When we became involved at St. Jude with Dr. Buckley on this
24 case, it was a 14 month old male infant who had been admitted to
25 Duke University Medical Center in April 2001. The clinical

1 course was characteristic of SCIDs with recurrent infections,
2 including severe oral moniliasis, and the patient also had a
3 vaccine derived varicella infection.

4 There was no family history of immunodeficiencies.
5 The parents were related. The child had no full matched
6 sibling. So it wasn't possible to use one of them as a
7 transplant donor, and unfortunately only the mother was
8 available as a family donor.

9 So these were the findings at diagnosis: an ALC
10 of 700 per millimeter cubed -- cubic millimeter; serum
11 immunoglobulins which were deficient; and flow cytometry was
12 characteristic of JAK 3 deficient SCIDs.

13 Of specific note, there was functional deficiency
14 in responses to PHA, CON Kaplan A and poke weed mitogen
15 (phonetic). And molecular diagnosis and immunoblotting
16 confirmed that this child had JAK 3 deficient SCID.

17 So the child was commenced on intravenous
18 acyclovir and alpha turacin B. However it had persistent
19 Candida esophagitis and intermittent varicella lesions, and over
20 the next few months, starting in May 2001 and subsequently in
21 October 2001, the child received two haploidentical maternal
22 transplants in exactly the same fashion as Dr. Buckley described
23 this morning, and the dosage of mononuclear cells received in
24 both doses was approximately 1.5 and four times ten to the eight
25 mononuclear cells per kilo.

1 The patient, like all the other patients described
2 by Dr. Buckley this morning, received no conditioning and had no
3 graft versus host disease prophylaxis.

4 So what was the outcome of both of these
5 transplants? Well, unfortunately, the child had no T cell
6 function, did not reconstitute T cell function, and did not
7 reconstitute B cell function, requiring monthly IVIG infusions.

8 And interestingly, just one side point that has
9 come up this morning. In fact, this child did develop an
10 oligoclonal T cell alpha-beta proliferation which was transient
11 in nature, and this has been seen, and Dr. Buckley can address
12 this further, in many children, that they do develop these
13 oligoclonal transient proliferations.

14 So at this stage, because there was no
15 availability of other donors and because we had begun our
16 collaboration related to JAK 3 deficiency, we considered the
17 risk-benefit ratio of going forward with a treatment with
18 hematopoietic stem cell transfer with a certified JAK 3 vector
19 that we had produced in collaboration with Ken Cornetta.

20 So we went to the FDA, the agency, in January
21 2002, and on February 12th, 2002, we infused the first
22 transduction of autologous bone marrow stem cells transduced
23 with the JAK 3 expressing retrovirus.

24 This product was selected on the Isolex device,
25 and unfortunately we got a very poor yield with this device, and

1 we only infused two times ten to the five CD34s per kilo.

2 However, our transduction efficiency was quite
3 highly effective at 55 percent CFU-C.

4 Over the next three months we observed the patient
5 and observed no adverse clinical reactions. However, we were
6 not able to detect the retrovirus in peripheral blood
7 mononuclear cells.

8 So in May of this year, we went back to the agency
9 and suggested that we could go forward with a second procedure
10 where we would do a more extensive harvest and also use the
11 ClinimACS device, which in our hands has been highly effective
12 in selecting large numbers of CD34 cells.

13 I should point out that Dr. Fischer's group has
14 used this device for CD34 selection.

15 The patient received a second infusion in early
16 June of this year of approximately 3.2 times ten to six CD34s
17 per kilo. I should point out that the transaction efficiency in
18 this approach was even higher at 85 percent.

19 The transduction conditions were very similar to
20 those that were described by Dr. Kohn for the common gamma chain
21 and ADA trials.

22 Since that time we've seen no adverse clinical
23 reactions related to the gene transfer event, and we're now
24 approximately 120 days from treatment, and unfortunately at this
25 stage we're still not seeing any T cell function, and we have

1 several speculations about why that is and we can discuss that
2 perhaps at the end of this period.

3 Just to point out before I turn it over to Dr.
4 Sorrentino that this is what the T cell subsets look like in
5 this patient after the first transplant. There was a modest
6 increase in CD3 cells, but nothing very significant. The axis
7 here is only 400, and this is the transient rise that was seen
8 in the alpha-beta cells.

9 Unfortunately, as I say, after the two gene
10 therapy procedures we still haven't seen a response. However, I
11 should point out that 120 days is still within the time frame
12 that has been seen for reconstitution in transplanted patients
13 with allogeneic bone marrow.

14 I'll just turn it over to Brian.

15 DR. SORRENTINO: I just have four slides here, but
16 I want to talk a little about the vector and the marking data.
17 The vector that we use is based on Bob Holey's murine stem cell
18 virus vector, and it's a very simple design where we're driving
19 a human JAK 3 CDNA from the promoter within the LTR.

20 We did this for several reasons really. We have
21 extensive experience with this vector in preclinical models, and
22 it's clear that reporter genes are expressed in mice and in
23 rhesus monkeys, both in stem cells, TMB lymphocytes, and in
24 myeloid cells.

25 And since the gamma chain needs to be expressed

1 both in early cells and pre-thymic cells, as well as in the
2 mature progeny, this seemed like a logical choice.

3 Furthermore, the MSCV based JAK 3 vectors we've
4 shown are clearly therapeutic in the JAK 3 knockout model. So
5 that gave us further rationale for choosing this design.

6 Together with Ken Cornetta at IU National Vector
7 Lab, we derived a PG13 clone that uses the GALV pseudotype,
8 using their standard procedure at the vector laboratory, and
9 this clone had a relatively high titer of five times ten to the
10 sixth particles on HeLa cells, and it also leads to efficient
11 transduction of CFUC derived from human CD34 cells.

12 This is a test of the vector, the clinical vector
13 supernatant that was used in the patient, on the patient's EBV
14 cells, an experiment that we did together with Joe Roberts and
15 Rebecca at Duke. You can see these are the EBV immortalized,
16 and this is a JAK 3 Western Blot. Here are the cells prior to
17 transduction. Here's a mock transduced group. Here are vector
18 transduced EBV cells, and here are normal EBV cells.

19 Now, while it appears that the amount of JAK 3 is
20 significantly less than in control, it's important to know that
21 there's no selectable marker in this vector and really only in
22 about five percent of the EBV pool was transduced; that when you
23 normalize this degree of expression, the transduction based on
24 Southern Blot analysis, it's approximately in the range of
25 normal.

1 This is the gene copy number data in the patient
2 at three points after the second transplant, day 34, day 69, and
3 day 111.

4 This is a PCR reaction that was run on a gel and
5 then blotted with a JAK 3 specific probe using primers that are
6 specific for the vector. One of the primers is in the vector
7 sequence, the other in the three prime part of the JAK 3 CDNA.

8 These are dilutions of the producer cells. So
9 this represents one copy in 100, one in 1,000, one in 10,000,
10 and one in 100,000.

11 For controls, this is normal peripheral blood from
12 a volunteer, normal PG13 HeLa in water.

13 And what we've been seeing is about one in 10,000
14 copies per cell that have been really quite stable since the
15 second gene transfer procedure. It's interesting to note that
16 these are approximately the same copy numbers that Dr. Kohn just
17 showed in his ADA patients, and also this is approximately the
18 copy number that's been seen in the French study in myeloid
19 cells.

20 And this probably represents about how many
21 transduced autologous stem cells are present in an unablated
22 host and is quite consistent, I think, with these other studies.

23 So we are now at about 110 days post transplant,
24 and obviously disappointed that we haven't had immune
25 reconstitution in this patient, and we're considering what are

1 the possible explanations for this.

2 One possibility is simply that not enough time has
3 passed, and if you look at the published gene therapy data, some
4 of these patients do reconstitute at 120, at 130 days post
5 procedure. So there's some possibility we'll yet see
6 reconstitution.

7 The second possibility is that the patient may
8 have a thymic defect that's leading to failure of T cell
9 maturation, and it's important to note that this patient did
10 fail to prior haploallogeneic transplants. So that would
11 potentially be consistent with the allogeneic results as well as
12 the inability to establish immune reconstitution with
13 genetically corrected autologous cells.

14 Potential mechanisms would include the viral
15 infection, the drugs that have been used in this patient, and it
16 has to be considered a possibility.

17 A third possibility would be inadequate expression
18 of the JAK 3 vector due to an unfavorable integration site
19 position. Now, while I showed you were confident that this
20 vector expresses the protein in EBV cells, it will be important
21 to establish whether or not the vector as it is integrated in
22 the dominant clone in this patient is leading to expression.

23 Now, our preliminary results, it's actually been
24 difficult to detect the MRNA in RT-PCR reactions. However, it's
25 not clear if this is due to the sensitivity, which we're now

1 going back and looking at quantitatively, or whether, in fact,
2 there is no expression.

3 So we should be able to resolve this. I would
4 consider it unknown at this point.

5 And one last possibility, I think, that was
6 alluded to in this morning's general discussion of vectors is
7 while we know that the sequence of the JAK 3 CDNA is normal and
8 the plasma used to make the producer clone, is there any
9 possibility that some type of inadvertent inactivating mutation
10 occurred in this specific clone during the reverse transcription
11 phase?

12 So we're doing several experiments to prove that
13 the JAK 3 in this clone is wild type and functional. We should
14 have that information shortly and list that as a possibility.

15 And you know, the questions I'd like to leave
16 open, perhaps during the discussion period if anyone would like
17 to offer some suggestions, we've obviously been discussing in
18 detail amongst ourselves as, you know, what is the options for
19 this patient, which really are quite limited at this point or
20 none.

21 For instance, if the problem is due to a thymic
22 defect, would a thymic transplant be useful? Or conversely, if
23 the problem is due to not enough transduced cells, would another
24 transplant be useful? Should both be done simultaneously in the
25 absence of the known mechanism for failure to reconstitution.

1 And I will note this patient, despite his ongoing
2 viral and fungal infections, is reasonably stable and growing
3 and developing, but has been an in patient for a significant
4 period of time at Duke.

5 CHAIRMAN SALOMON: Thank you, and regardless of
6 how this comes out, I'm sure I speak for everyone here that we
7 hope your patient does well.

8 The third talk today is from Dr. Harry Malech and
9 represents work by he and Jennifer Puck, representing the
10 National Institute of Allergy and Infectious Diseases and the
11 National Human Genome Research Institute and, again, is
12 discussion about gene therapy protocol with patients with XSCID.

13 DR. MALECH: I'd like to thank the committee for
14 the opportunity to speak today.

15 What I'm going to discuss is our protocol, ex vivo
16 retroviral gene transfer for treatment of X-linked severe
17 combined immune deficiency. Jennifer Puck and I are partners in
18 this protocol, and what distinguishes this protocol is that it's
19 for treatment of patients with persistent immune defects despite
20 allogeneic bone marrow transplantation.

21 I'm going to go through this very quickly because
22 this just reminds the group that bone marrow transplantation is
23 the current standard of therapy for SCID. There's 60 to 90
24 percent survival, obviously better than this, the upper end of
25 this with HJA matched sibling donors, which is really available

1 to only 25 percent of patients; less success with haploidentical
2 from a parent or matched unrelated donor; and as Dr. Buckley
3 pointed out, better outcome with diagnosis and transplant by I
4 found it to three months of age, and her data was 3.5 months.

5 Limitations of haploidentical bone marrow
6 transplant patients are sun graft versus host disease incomplete
7 immune reconstitution or graft loss, in some patients poor B
8 cell function with IVIG dependence, immune dysregulation and
9 autoimmunity, recurrent infections, growth retardation,
10 nutritional problems, and lung disease in a subset of these
11 patients.

12 I just would like to very, very briefly outline
13 the design of the NIH XSCID trial, and then I'll return to this
14 after I talk a bit about the potential patient population that
15 we're focused on for this trial.

16 So our design is treatment of up to six XSCID
17 patients who would be two to 20 years old with persistent immune
18 defects despite bone marrow transplantation. This would be an
19 ex vivo retrovirus gene transfer to cytokine immobilized
20 autologous CD34 positive peripheral blood hematopoietic cells.
21 It would be a single infusion of gene corrected CD34 cells with
22 no marrow conditioning to enhance engraftment, where there's no
23 radiation, no chemotherapy, similar to the other trials.

24 And then, of course, this really truncates a lot
25 of things we're going to do with long-term follow-up, with

1 immune reconstitution, vector marking, and changes in clinical
2 status.

3 I'd like to focus a bit on the subjects part of
4 this protocol design. So an important feature of our study is
5 that all subject have already received one or more allogeneic
6 bone marrow transplants, but demonstrate persistent immune
7 defects which result in IVIG dependence, recurrent infections,
8 growth failure, chronic gastrointestinal problems, chronic
9 inflammatory skin conditions, and chronic lung disease.

10 No patients have yet enrolled in this gene therapy
11 protocol, but we have studied eight post bone marrow transplant
12 ex-CgD patients dependent on IVIG who have a variety of
13 persistent clinical problems. So keep in mind as I talk about
14 these patients that these are a highly selected group. They
15 were people who sought out coming to the NIH because they
16 weren't satisfied with the outcome of the standard of care in
17 these patients. So they represent fortunately a small subset of
18 patients, but an important set of patients who we hoped we could
19 do something for in addition to what had already been done.

20 Just a quick outline. Lining them up by age, we
21 find that they've received from one to in one case four attempts
22 at haploidentical bone marrow transplants from either one or
23 both parents in some cases. All of these patients were at least
24 three years, with a range of three to 11 years from the last
25 bone marrow transplant.

1 You can see that as the patients get older, if we
2 take this as a kind of snapshot, the ones who are younger appear
3 to be growing and so on, but as they get older, they lose their
4 growth curve and are in the very low percentile for weight and
5 height.

6 This is a somewhat unreadable slide, but it's real
7 meant to sort of quickly outline the patients have a variety of
8 things. Not all patients have all problems. Many of them have
9 all sorts of skin problems, alopecia, eczema, recurrent
10 infections, sometimes molluscum and warts. Many of them have
11 recurrent ear infections. Some have had hearing loss. Almost
12 all of them have recurrent and chronic sinusitis.

13 Some do and some don't get pneumonias. Many of
14 them get recurrent bronchitis and have bronchiectasis and many
15 of them have decreases which is progressive over time in their
16 pulmonary function.

17 All of them interestingly, except one, have
18 elevated liver enzymes. What's very interesting is that a
19 number of them have required gastric tube feeding to keep up
20 nutrition. They have probably because of the chronic GI
21 problems that they have, and so this has been something that's
22 been done for them at their home institutions to help them out.

23 So have diarrhea. I didn't include it on here.
24 Many have recurrent infections with cryptosporidium and other
25 infections of that sort.

1 Also, we often don't pay attention to the
2 psychosocial factors. Many of them have delayed development
3 probably because of their chronic illness. Many need speech
4 therapy, have delayed speech, require special education.

5 One child troubles even entering school. So we're
6 talking about kids who are getting by. They've certainly
7 survived. We're pointing out that one of them here is 11 and
8 one is 19, but they're not having a great time, and I think
9 that's important to emphasize.

10 The immunologic data from these patients, you can
11 see that they kind of vary from normal to subnormal amounts of T
12 cells. B cells are for the most part in the normal range, very
13 few NK cells. They don't make IgA for the most part.
14 Particularly as they get to the older patients they really don't
15 make IgA, and they continue to have very low IgM.

16 Even though many of them are living either on
17 small numbers of their own T cells or the transplant T cells,
18 they're not functioning that well, and again, there's a
19 gradation from youngest to oldest, indicating what happens to
20 the graft if they have it.

21 We have performed microsatellite PCR chimerism
22 assay of blood cells, and what's very interesting is that of
23 the, again, very select subgroup of patients referred to us at
24 NIH, we find that two of the patients don't even have T cell
25 grafts from the donor, but of the others, the only lineage

1 that's engrafted are T cells.

2 And if we look at B cells and granulocytes,
3 there's no engraftment, and we don't even show in K cells
4 because we can't isolate enough DNA to do that kind of analysis.

5 Now, what's interesting is in the context of
6 another protocol where we've collected mobilized CD34 cells for
7 the development of our studies and analysis of CD34 cells,
8 what's very interesting is that this just sort of shows that
9 they actually mobilize okay. So these were the peak
10 mobilization that we saw in the peripheral blood.

11 But when we collected cells and analyzed them, 100
12 percent of the CD34 cells, meaning the progenitor cells, are of
13 recipient origin. In these patients, we could not find any
14 evidence of CD34 cells any more from the donor.

15 So conclusion about post transplant XSCID
16 patients, of that subset that didn't derive all that many of
17 them do derive from their transplants, we find that some XSCID
18 patients have persistent immune deficiency despite one or more
19 prior haploidentical T depleted bone marrow transplants.

20 These patients have immune defects, poor growth,
21 and chronic medical conditions. Engraftment of donor T cells
22 was detected in six to eight patients, but no patient had any
23 donor B cells, granulocytes or monocytes.

24 Six of six patients in whom we actually collected
25 and analyzed CD34 cells. No patient had any donor CD34 cells.

1 Just to return to our protocol design, I'll just
2 briefly outline and then finish up quickly where we are just to
3 remind you of what our protocol is about.

4 The vector is a GALV pseudotyped MGFS gamma chain
5 vector. If you remember the trial in France, it used MFG. Both
6 MFG and MFGS were developed in Dr. Mulligan's laboratory and
7 have the feature of producing a lot of protein from these
8 constructs, and the MSGS vector really is very similar. Just in
9 our case we've only put in the open reading frame of the gamma
10 c, but MSGS differs from MSG really by only three nucleotides in
11 the truncated gag region, further reducing the potential for
12 production of gag peptide through recombinational events, but
13 basically they're the same exact vector.

14 Replication incompetent vector was packaged by the
15 PG13 cell line and supernatant for clinical use was collected
16 from confluent cultures of the stable, highly characterized
17 producer clone in a GMP facility under contract to BioReliance.

18 Our protocol calls for using one to ten times ten
19 to the sixth autologous mobilized peripheral blood CD34 cells,
20 which will be subjected to four daily transductions ex vivo with
21 our vector. Transductions will occur in the same exact system
22 as has been used in the French study, which is in these gas
23 permeable containers with serum free medium, coated with
24 retronectin.

25 In our case, we're using six rather than five

1 vectors. So it's really the same as the French study, except
2 we've also added IL6 to this, and based on our tests of the
3 clinical vector, we expect transduction efficiency to be 40 to
4 60 percent with actually most times that we've done this at the
5 60 or higher percent.

6 So subjects will receive a single infusion, as I
7 noted, and subjects, of course, will be monitored for G marking
8 and blood cell lineages, changes in numbers of these cells,
9 changes in function, changes in clinical status.

10 And, in particular, we developed a rather
11 extensive sort of quality of life assessment that we're going to
12 be doing because we think those are the things that are
13 important, is whether we find something at the molecular level.

14 The first three subjects will be treated at least
15 one month apart, and the protocol calls for the appearance of
16 gene marked T cells in at least one of these three patients
17 before we would enroll any other patients.

18 Keep in mind that it is quite possible that the
19 patients who fail transplants have something about them that may
20 make them also not as receptive to gene therapy as well, and we
21 feel that if we failed in three patients, we probably should not
22 proceed.

23 Safety studies include monitoring for replication
24 competent virus in blood cells and, of course, evaluation of
25 other safety studies.

1 So how might we modify our current protocol if we
2 were to go forward, and obviously we're extraordinarily
3 interested in the input from what's been learned today and what
4 people advise us, but some of our proposals are that a further
5 limited enrollment to patients with immune defects, growth
6 impairment, recurrent infections who are -- our protocol
7 actually didn't call for them to be post haplo transplant. It
8 could be any post transplant.

9 But I think what's showing up in our clinic are
10 all of the patients who all of the patients have haplo
11 transplants. But I think we would probably limit it to that
12 since the patients who do poorly have no engraftment and BNK
13 cells, myeloid cells and 34 cells. I think we can safely say
14 that that should probably be a criteria of the study.

15 In light of the family history of a tumor, of a
16 medulloblastoma in the French study, we would propose to exclude
17 subjects with a history of leukemia or any childhood cancers in
18 first degree relatives. We don't know what role that played,
19 but why not do that from the start?

20 Of course, informed consent. People haven't
21 addressed it today, but it's so obvious, but it obviously needs
22 to be said and full disclosure in the informed consent document
23 of everything we know about the severe adverse event in the
24 French study needs to be communicated at many levels, both
25 verbally and in language that parents can understand, not in

1 language that we've used here today at the meeting.

2 Well, this is a bit rambling, but I anticipated
3 some of the things that were going to be talked about, and this
4 really is a kind of truncated way of saying we'd like to look at
5 inversion sites. We're obviously going to talk with people who
6 are experts at this and try to line up, sort of get in the same
7 queue as others who are having this done.

8 And I won't read the whole thing because I want to
9 move along, but basically the one thing I would say is one of
10 the questions that was proposed at the committee is should we do
11 some kind of screening of the ex vivo transduced cells before
12 they go in the patient, and I think there's a lot of reasons to
13 believe that this is probably not a reasonable idea, and I'm not
14 proposing it as it's highly unlikely to reveal the predominant
15 clones and the rare cells which actually graft long term and
16 would give rise to the cells that we'd later look at in the
17 patients.

18 We obviously want to analyze T cell subsets. This
19 was already part of the protocol, but I think we would probably
20 modify exactly what we're going to study and how we're going to
21 look at it and the frequency with which we look at it based on
22 the experience in the French study.

23 And finally, one of the things that comes up is we
24 don't know what role the chicken pox infection, varicella
25 infection, played, but at the very least it may have egged

1 things along by pushing cells to stimulate them in some way.

2 And I think that we probably are going to err on
3 the side of early interventional treatment of all infections,
4 particularly virus infections with specific therapies. We're,
5 of course, any approved therapies that are available,
6 antibiotics, anti-viral agents and/or specific immune globulin
7 where appropriate.

8 And with my last slide, I just want to remind
9 everybody that the subset of patients with XSCID who have
10 persistent immune defects and chronic medical problems, despite
11 allogeneic bone marrow transplantation, do not have other
12 reasonable treatment options and may benefit from ex vivo gene
13 therapy.

14 The risk-benefit assessment for gene therapy
15 treatment of these patients should take into account the degree
16 of impairment of their immune function and quality of life and
17 the lack of alternative therapies.

18 And I'll stop here. Thank you.

19 (Applause.)

20 CHAIRMAN SALOMON: Yes. Well, thank you.

21 And this has served as a good reality check on the
22 darker side of this whole thing.

23 John.

24 DR. COFFIN: One thing you didn't suggest which
25 comes to mind as a modification is reducing the number of cells

1 that are treated and introduced. Perhaps in a stage fashion,
2 starting with small numbers and then increasing until one sees
3 some successful engraftment.

4 Is there some reason that drives -- some solid
5 reason for using the number of cells that you do in this
6 protocol?

7 DR. MALECH: I think a lot of us have tried to
8 learn where we can from experience in transplantation, and
9 although there are many people sitting around this table who are
10 more expert at transplant than I am, I'll make some statements.

11 Maybe I'll have some bricks thrown, but basically I think from
12 the transplant setting in the ablative or subablative transplant
13 setting, one probably needs at least the equivalent of one times
14 ten to the sixth per kilo CD34 cells to get reasonable rates of
15 engraftment and reconstitution, and most people aim in the range
16 of five times ten to the sixth.

17 Our protocol, actually it turns out we probably
18 aren't going to get much more than that, and realize that the
19 gene transfer is much fewer of those cells.

20 And while we're very proud of our bulk
21 transduction efficiency rate, the actual rate in true
22 repopulating stem cells may be far lower than that, perhaps even
23 only anywhere from one to 20 percent of that number.

24 So I think if anything, we're still at the margins
25 of what may be necessary to do this job reproducibly, and keep

1 in mind that even in the French study two of their patients
2 didn't get full correction. One of them lost a graft, required
3 a transplant, and they gave a lot more cells overall than we're
4 likely to get from these older patients.

5 It's an argument for saying that I don't think
6 we're anywhere near what I think is the optimum number, let
7 alone saying let's hold back on what we have.

8 DR. COFFIN: It's perhaps unfortunate, of course
9 because if one could reduce the number of cells by a factor of,
10 say, ten, one could almost certainly greatly reduce the risk of
11 insertion, you know, next to some oncogene or another just on a
12 numbers basis.

13 If there was some way to sort of investigate that
14 as you went along, it would certainly be --

15 DR. MALECH: In the case of XSCID, of course, you
16 have this tremendous magnifying effect of the selection for T
17 lymphocytes, and I was very interested to see the data that Dr.
18 Kohn presented showing the great expansion of a very small
19 number of cells and the persistence of them, and yet they did,
20 in fact, have the receptor diversity that one would hope for.

21 So while at the one time arguing for not reducing,
22 one could also take the other side and say if you have enough,
23 you probably don't need more than that enough. I don't know
24 what that enough is.

25 DR. COFFIN: But perhaps there would be some say

1 to do that in the context of these trials, to investigate that
2 by staging or something.

3 CHAIRMAN SALOMON: Yeah, I think, John, you've got
4 a good point, and I don't think that's the end of it either. I
5 think we should come back to that a little bit later and talk
6 about it.

7 David.

8 DR. HARLAN: I wonder, Harry, if in calculating
9 the risk-benefit analysis, which we all want to do at the end of
10 the day, if you could tell us how these patients that you
11 describe, and maybe, Dr. Buckley, you could comment, too -- is
12 this the far end of the bell shaped curve of these
13 haploidentical transplants, or how common is this syndrome that
14 he described?

15 DR. BUCKLEY: Well, I'll tell you at our
16 institution I would say it's the far end of the curve, but you
17 know, again, it depends on how the transplant was done. If it
18 was done with chemotherapy and if there was graft versus host
19 involved and the patient has chronic GVH, you know, I don't
20 really know what the incidence of that is in the other groups,
21 but in our institution I would say it is the far end of the
22 curve.

23 DR. MALECH: And that was the point of the
24 referral here. They were the far end of the group.

25 CHAIRMAN SALOMON: Dr. Orkin.

1 DR. PUCK: Could I just add? The patients who
2 have been referred to NIH have come from multiple centers. So
3 there are at least four different centers that have referred
4 patients, although all the ones we've seen so far have received
5 nonablated transplants.

6 DR. HARLAN: Well, one way to look at the question
7 is what's the denominator. You describe eight patients here.
8 Out of how many nationwide have received these haploidentical
9 transplants?

10 DR. PUCK: Of course no one knows what the
11 incidence of SCID is. We presume that it's on the order of one
12 in 50,000 to one in 100,000 births, but we also recognize it's
13 under diagnosed because babies die of infections before being
14 recognized.

15 CHAIRMAN SALOMON: What Dr. Harlan is asking is
16 how many patients have been transplanted to draw these eight
17 patients, and I think Dr. Buckley can answer that, right?

18 DR. BUCKLEY: I have some figures that I wrote
19 down. I think all together in the whole world there probably
20 are around 800 SCIDs that have been transplanted. About 500 of
21 these are in Europe, and I know of at least 300 in the United
22 States, and if you take 75 percent of that figure, that would
23 tell you how many haplos roughly

24 DR. HARLAN: So then I could conclude this isn't
25 way on the far of the bell shaped curve because then it's eight

1 out of maybe 200 that have this syndrome.

2 DR. BUCKLEY: Or eight out of 250 or something
3 like that, yes.

4 DR. MALECH: And it didn't take forever to
5 accumulate these patients. There are others who actually want
6 to visit us. CHAIRMAN SALOMON: There's a lot of people who want
7 to talk here. So at some point here we're going to truncate
8 this because I want to get on to the discussion.

9 I know, Dr. Orkin, you're waiting to make a
10 comment.

11 DR. KOHN: I have just one comment on this point.

12 CHAIRMAN SALOMON: Okay. So Kohn, Orkin, and Dr.
13 Kurtzberg.

14 DR. KOHN: I think in general this is not seen as
15 commonly with transplants with ablation. However, you do have
16 chemotherapy complications. So there's no free lunch either
17 way.

18 DR. ORKIN: I just want to make one comment.
19 Obviously we'd all like to figure out what is the denominator in
20 terms of risk to individual patients. I think John Cunningham
21 or it was Brian Sorrentino mentioned the data on gene marking,
22 how many patients had been entered into gene marking studies and
23 the fact there were no adverse events.

24 But I think what has plagued the field is the low
25 frequency of transduction. So if you have no transduction, you

1 can erase those cases. So I think the real question is: what
2 is the denominator in terms of transduced cells?

3 And I think one thing that at least concerns me is
4 in the set of experiments which is the most conclusive in terms
5 of demonstrating efficacy, those of Fischer, there is an event
6 in a limited number of patients, but we don't know what the
7 denominator is, but I think we just can't add up numbers of
8 patients who have been enrolled in other trials.

9 CHAIRMAN SALOMON: So that's a good example.
10 That's a key point. I'm glad you made it, and we're not going
11 to discuss that yet, but we've definitely got to go back to
12 that.

13 Dr. Kurtzberg.

14 DR. KURTZBERG: I was going to say two things.
15 One, you know, these are very heterogeneous diseases, and even
16 though you may have the same mutation, we all know that in
17 genetic disease there is variable clinical scenarios with the
18 same mutation. So it's very hard to just automatically assume
19 that every gamma SCID is going to look the same.

20 Second, I wonder in some of these patients if they
21 don't have GVHD. I mean, if somebody just gave me that table
22 with that set of symptoms and didn't tell me what it was about,
23 I would say that's GVH.

24 And I think there's a huge spectrum of immune
25 deficiency all the way to GVH that relates to this mismatched

1 transplant syndrome, and I don't really care to put a name on
2 it, but I think it's related.

3 And so that may be what we're seeing in some of
4 these kids.

5 CHAIRMAN SALOMON: Alex.

6 MS. BALLARD: Yes, the point I wanted to make also
7 in conjunction to the topic on, you know, eight patients out of
8 how many, these are eight patients who have sought out this
9 treatment at this time, and that doesn't mean that's all of the
10 ones who could benefit from it.

11 CHAIRMAN SALOMON: Yeah, but I mean, these kids
12 clearly are not doing well, and that's enough to do the trial.
13 So I think Dr. Malech's point is well taken.

14 Okay. Then what I'd like to do here is what we're
15 doing is getting closer and closer to all of the sensitive
16 issues. So I think that the best way to deal with it is to stop
17 here, go to the public comments, which I think will raise the
18 temperature in the room a bit, and then I can relax, and we can
19 get into it finally.

20 So with that, I would like to request the people
21 who have requested time for public comment to step up at this
22 point.

23 Basically there's five minutes allotted for each
24 of these. No one will be upset if it's shorter, but if you need
25 the five minutes, you've got it.

1 The first one is -- I'm just asking Gail if
2 there's any point in the order here.

3 MS. DAPOLITO: No.

4 CHAIRMAN SALOMON: Okay. So, Mr. Gelsinger,
5 you're welcome to be first.

6 MR. GELSINGER: Hello. I'm Paul Gelsinger. You
7 may know me as the father of Jesse Gelsinger, the boy that died
8 in the gene therapy protocol at the University of Pennsylvania
9 in September 1999.

10 The first thing I'd like to do is commend the FDA
11 on the swiftness with which they brought about this meeting.
12 It's an area I found very lacking when we tried to uncover what
13 happened, why Jesse died, is that adverse event reporting was
14 not being done properly, and if the scientists had gotten
15 together and been openly discussing what was going on, they
16 could have avoided his death. Okay?

17 My observation of this XSCID clinical trial or
18 this clinical work that's going on is that it's the only real
19 positive thing you have going in gene therapy to show that it's
20 working in human beings, and you're in an quandary now because
21 you have an ethical concern that you may be creating a problem
22 in these kids that they didn't have before.

23 But you're also giving them a viable treatment,
24 and I'm supportive of that. And yet I've heard from the other
25 side on the bone marrow transplant side that if we can diagnose

1 these kids early and get them into a bone marrow treatment
2 program, they have an excellent chance of survival also.

3 So you have a lot of things to consider here, and
4 I think you need to go to the ethical guidelines that have been
5 established for reviewing why you even do your work. You have
6 the Declaration of Helsinki. You have the common rule here in
7 the United States, respect for persons, justice.

8 And you need to take into consideration that these
9 are people first. The advancement of science and society is
10 secondary to their welfare.

11 There are a lot of scientists in this room that
12 want to see their work proceed, and it may not be in the best
13 interests of these patients for your science to be used in them
14 at this point, and you may need to do more preclinical work.

15 It's going to be a tough decision for a lot of
16 you. I don't know how many on this panel are involved in gene
17 therapy. I think you have a very broad spectrum. So you'll get
18 a good perspective.

19 There's an industry side to this that wants to see
20 this technology go into the marketplace, and there's a lot of
21 pressure that gets put on our government from that side, and
22 some of that pressure is inappropriate.

23 We uncovered that in what happened to my son, and
24 it was awful to know that.

25 So let the FDA make the right decision. Give them

1 the advice they need. Imagine these children as your own when
2 you're reviewing this and you're discussing it. Put it in that
3 perspective.

4 Would you want your child to be inserted in a gene
5 therapy protocol when there are other viable alternatives to the
6 treatment?

7 And maybe out of this should come some pressure on
8 society to get a screening program for neonates so that we can
9 detect this earlier, and maybe that's the most ethical thing
10 that can come out of this.

11 Thank you.

12 CHAIRMAN SALOMON: Thank you very much for those
13 excellent comments, and we will do our best to guide ourselves
14 that way. I hope you're going to stay here for the discussions
15 that follow and remind us if you think we're deviating from
16 that. That's part of your role.

17 Again, what confused me a little bit is I have
18 this list of people. So no disrespect intended, but Rachel.
19 Yeah, come on up.

20 This is Rachel Salzman, and she's representing the
21 Stop ALD Foundation.

22 DR. SALZMAN: Yeah. Can you hear me?

23 The disease we're actually focused on is
24 adrenoleukodystrophy, but what it has in common with SCID is
25 that it is X-linked. It is often fatal, and one of the current

1 therapies for adrenoleukodystrophy is a bone marrow transplant,
2 but bone marrow transplant is also accompanied by significant
3 mortality.

4 And as a result, one of the main focuses of our
5 foundation is to work on novel therapies, including gene
6 therapy, cellular therapies, et cetera. So we're very
7 interested in this type of work.

8 I think I'm going to echo a little bit of what's
9 been said, but maybe from my perspective and maybe pull together
10 in just two or three minutes.

11 I think everybody in the room, the physicians, the
12 patients, the families, the scientists, everybody is looking for
13 a therapy that's going to be completely efficacious and
14 completely safe. But by definition in reality that's
15 impossible.

16 I mean, I think that there's a very well accepted
17 train of thought in the medical community that in order for an
18 intervention or a therapy to be truly efficacious, by definition
19 it's going to bring with it some inherent side effects or some
20 inherent risks that might be high, might be low, but the
21 potential is there.

22 So I think we sort of have to go into that
23 accepting that just as the reality of the way medicine works.
24 We obviously want to increase the efficacy and decrease the risk
25 or increase the safety.

1 So, therefore, speaking from the patient's
2 perspective, they're going into this -- whether you're going in
3 for a penicillin shot or you're going into gene therapy, you're
4 assuming a certain level of risk automatically, and that's why I
5 think that it's very important, and I also, along with Mr.
6 Gelsinger, applaud what's going on here, this very open
7 discourse about a risk that was encountered and came true.

8 I mean, I'm sure it was listed, and, you know,
9 they've been talking about this theoretical risk for the last 15
10 years. So I think it's really good that it's being explored in
11 such a timely fashion.

12 And I think it's important to continue to explore
13 this adverse event, and what I've realized from the
14 presentations is that ideally gaining this knowledge is going to
15 lead to being able to decrease this risk not to zero, but just
16 maybe to less than what it was before.

17 And ultimately that could involve doing pedigree
18 analysis, microarray screening, modifying the vector, modifying
19 the dose, working on defining what this second hit or epigenetic
20 effect is, and you know, that's really important obviously, but
21 if I just gave that assignment to everybody in the room, you
22 could come back in 20 years and still not have an answer.

23 So it also might turn out that this was random.
24 That's entirely possible. You can't rule that out either.

25 So I think that what happened was a theoretical

1 risk finally did happen, and it needs to be explored. At the
2 same time, I think that should not hinder everyone that's
3 involved in these types of efforts, their enthusiasm towards
4 doing it, or their actual work of doing translational medicine
5 and thinking about clinical trials.

6 I don't think that anyone, based on this meeting
7 or this discussion should then be going home thinking, "I need
8 to pause."

9 We definitely need to think about everything and
10 understand it, but we would really like to see research continue
11 with patients in mind of getting it into patients.

12 So ultimately, as for the 19th time, it is about
13 benefit versus risk ratio, but risk means different things for a
14 lethal disease. So you're dealing with the risk of intervention
15 versus nonintervention, and that's a lot different when your
16 nonintervention is a lethal prognosis that is very, very grave
17 versus a disease that, you know, you're going to be bald
18 forever. You know, there's a big, big difference.

19 So I just want to comment that I think it's very,
20 very important that everyone continue to think about and work on
21 clinical applications, and that when these clinical trials come
22 up for review, that I know it's tedious and timely, but each one
23 has to be considered on an individual basis because this disease
24 has a selective advantage.

25 Adrenoleukodystrophy would not carry with it a

1 selective advantage, and that's an important difference.

2 You also need to consider the different transgene
3 products. You need to consider what is the prognosis of this
4 patient with this disease if it follows its natural course or
5 what's the prognosis of this patient if he or she is able to
6 take advantage of alternative treatment.

7 So that's kind of our perspective. Thank you.

8 CHAIRMAN SALOMON: Thank you.

9 (Applause.)

10 CHAIRMAN SALOMON: I have on my list Mr. Mike
11 Susko of Citizens for Responsible Care in Research.

12 MR. SUSKO: Hello. Yes, I'm from the Citizens for
13 Responsible Care in Research, and we're concerned in a sort of
14 cautionary way about this field of research, and I guess there's
15 a few initial reasons that come to mind.

16 Some of these are the official positions of CRCR,
17 and some are my own philosophic views.

18 But we're in the field of gene research which has
19 really revolutionized in the past 30 or 40 years. Our
20 understanding has gone from like, you know, one protein to one
21 gene effect to almost multiple genetic codes because the same
22 gene sequences can be interpreted in different ways.

23 So the field is revolutionized, and our knowledge
24 is incomplete, that being said. So we're really in a dynamic
25 stage, and we're not quite sure, you know, how our knowledge is

1 going to be complete.

2 But part of the problem here is there is a
3 fragility of the genome that we're recognizing. The genome has
4 a lot of stability, but there's a certain fragility, and if you
5 push it too far, you're going to get a real adverse effect, and
6 this is why we're here today. We're getting the adverse effects
7 of cancer that can possibly lead to death or have lead to death
8 already.

9 So we really need to know how fragile is the
10 genome under this specific stress of putting in an infection and
11 to try to realign some of the DNA sequencing.

12 And so that's really unknown. In fact, this leads
13 us to a point of, you know, looking at the negative data, can we
14 get the accurate information in industry from all of the
15 different animal studies. What's the percentage of mortality?
16 What's the percentage of adverse effects?

17 That's a very important, knowledgeable thing to
18 know. How fragile is the genome under these conditions?

19 And in terms of humans wanting to have the same
20 thing done to them, what is their real risk? So that has
21 important consequences.

22 All of this is very complex. I mean, to the
23 layperson -- and we've talked mostly on the molecular level, and
24 then we talk about some tissue response and inflammation. We go
25 up to systemic responses of cancer. There's all of these

1 biological levels. They're all interwoven, and it's difficult
2 in some ways to sort it all out.

3 But it points to a complexity that we don't fully
4 yet understand. So how does this all translate then into
5 informed consent? How do we translate this heavy science and in
6 talking to an ordinary person, do you want this treatment?

7 It's difficult, but I suggest that our models, the
8 model in an average person's mind is probably something like
9 this. It's like there's a missing piece of my DNA, and I'm
10 going to fix it like a patch on a tire.

11 But perhaps that's an inaccurate way to look at
12 it. It's much more complex than that as you all know. It's
13 almost like a series of clocks within clocks, like seven or
14 eight different clocks, if you will.

15 And even for biological systems, you have to
16 invent new words to describe how really the biology works. Like
17 liquid crystal and holistic responses, tansigretti (phonetic),
18 there's different words to describe the level of complexity.

19 So we need to accurately somehow convey that in
20 informed consent that really things are very complex. We're not
21 quit sure what's going to happen. Perhaps if we're surprised by
22 cancer and adverse effects, our models have been somewhat
23 flawed.

24 So let me then summarize as far as I would see
25 some areas of concern. In informed consent, I think we have to

1 be careful to not give a false sense of security and let people
2 think we know more than what we really do in terms of what would
3 happen, given all of the complexity and all of the unknown
4 fragility.

5 A second critical thing is to make available all
6 of the negative data that's out there. That's good science. As
7 you know, if something good happens or if something bad happens,
8 and I think it's a struggle for the lay society to know just
9 really what's happening. So I would emphasize that point.

10 And my final words would be I think good science
11 and good ethics is compatible. It's like taking care of all the
12 different biological levels. The highest is our ethical
13 feelings, our intuitions, our thoughts on the matter, and then
14 we have molecular interventions, but we need to integrate all of
15 that.

16 And ultimately it would make for good business,
17 too, because you would get better results, but maybe more in the
18 long term.

19 So those are just some of my thoughts. Thank you.

20 CHAIRMAN SALOMON: Thank you very much.

21 I also have Dr. Phillippe Leboulch from Harvard.

22 DR. LEBOULCH: Thank you.

23 I'm also with Genetics Pharmaceuticals for the
24 purpose of disclosure.

25 I just wanted to submit to the committee today our

1 own recommendation for the use of self-inactivating LTRs and
2 chromatin insulators as a novel layer of safety. Both are
3 retroviral vectors derived from Maloney and also from lentiviral
4 vectors.

5 As it has been said many times today, the vast
6 majority of untoward oncogenic events of insertional mutagenesis
7 result, in fact, from enhanced inactivation by the provirus
8 either from the LTI enhancers or from internal enhancer.

9 At the same time, the chromatin can negatively
10 influence the expression of the transfer gene through silencing
11 of position effect variegation. So what it would gain from
12 shielding the provirus from the effect of the chromatin
13 surrounding it and vice versa.

14 Richard Mulligan and Ellie Gabor in the late '80s
15 pioneered the use of deletions of the LTR to inactivate the
16 transcriptional activity of the LTR, and you can do this by
17 removing a portion of it, adding a protease signal and following
18 with the transcription and chromosomal integration you
19 inactivate both LTRs.

20 And we found, and we and colleagues, that even
21 with very long, complex retroviral vectors and anti-viral
22 vectors, this one for the gene therapy of therycemia (phonetic)
23 and sickle cell disease is about eight kilobases long. We get
24 perfect stability and no decrease in biotiters.

25 Now, at the same time, Gary Pheasantfeld

1 (phonetic) in the mid-'90s discovered a new class of elements in
2 mammalian cells which are able to prevent the enhancement of a
3 promoter by an enhancer blocking its activity, such as the CHS4
4 sequence from chicken, which works also in human cells.

5 As you see here, in the presence of that
6 insulator, chromatin insulator, the expression after integration
7 is dramatically reduced because the enhancer no longer works at
8 a distance.

9 So we have been able to provide boundaries even
10 with very complex retroviral and antiviral structures by adding
11 at the same time, but we make the deletions in the LTR to self-
12 inactivate the vector. We also place the chromatin insulator,
13 and after reverse transcription and chromosomal integration,
14 both LTRs contain the chromatin insulators and the boundary is
15 established.

16 And in terms of transfer, what I just wanted to
17 tell you today, it is feasible now to do this for most vectors,
18 including lentiviral vectors even if the vecs. are very complex
19 in structure.

20 This is a Southern Blot analysis with a 7.528 kb
21 structure, and the decrease in titer from a very high, over ten
22 to the ninth per mL titer, we have with the unmodified blood in
23 vector, we are able to have less than fourfold drop in titer
24 with perfect stability.

25 So just to close, I would like to say that perhaps

1 in the debate vis-a-vis the resuming of gene therapy trials for
2 XSCID and extend this also to other gene transfer approaches for
3 gene therapy, perhaps one would benefit from using internal
4 promoters combined with self-inactivating deletion of the LTRs
5 and chromatin insulators.

6 And of course, we have to do some work in parallel
7 to demonstrate the power of those chromatin insulators, but
8 since we know they can do some and they are mutual for the
9 efficacy, why not incorporate them already in most vectors?

10 Thank you.

11 (Applause.)

12 DR. NOGUCHI: Excuse me. I just have one
13 question. You mentioned that you were speaking of your results
14 in sickle cell disease. Now, I believe that is not in humans;
15 is that correct? That's in animal models?

16 DR. LEBOULCH: That's right. I don't know who is
17 speaking, but that's --

18 DR. NOGUCHI: I'm sorry. Right here. Phil
19 Noguchi.

20 DR. LEBOULCH: Oh, yeah. Hi. Exactly. That was
21 preclinical models. It is not at all done in humans. We know
22 that even with a very complex vector we can get high titers and
23 maintenance of stability of transfer in the presence of those
24 two modifications, which might be beneficial for safety
25 purposes.

1 DR. NOGUCHI: I just caution everyone that when we
2 talk about nature, when we say words like "it's perfectly," "we
3 never see this," today is a good example of, well, you see it.

4 DR. LEBOULCH: Absolutely, absolutely right.

5 CHAIRMAN SALOMON: There another person on the
6 list, Ms. Robb of the SCID Alliance. Can I welcome you up?

7 MS. ROBB: I didn't expect to speak today. So
8 this is not a prepared presentation, but I was particularly
9 concerned as I listened to everybody speak.

10 I'm a grandmother of an XSCID patient, one of the
11 patients of Dr. Malech and Dr. Puck and formerly of Dr. Buckley.

12 This is one who has had four failed transplants, and his
13 condition is obviously deteriorating in a general sense. He's
14 ten years old and he's the same size as another grandchild that
15 I have who is six years old, but he looks like he's sick. He's
16 little, but he looks like he's sick.

17 What I would like to make a point of is that this
18 is an XSCID patient. It's not an autosomal patient, and
19 therefore, there is no existing treatment for him at this point.

20 He's failed the transplants four times, two from the mother,
21 two from the father, and he is deteriorating.

22 I certainly want you to consider where you're
23 going with this work, but we have been aware of his problem for
24 nine and a half years. We have been aware of the plans at NIH
25 for three years. These things have been put on hold over and

1 over, trying to be careful.

2 I'd like you to consider that when you consider
3 the risk to the patient of a treatment that you also must
4 consider the risk to the patient of no treatment because that's
5 the other side of this coin.

6 I mean, there isn't, as with an ADA using PEG-ADA,
7 there isn't the potential to put him on this \$300,000 a year
8 treatment, which really isn't feasible in a very practical way,
9 but that doesn't even exist for this boy.

10 And I think that the work that they're doing at
11 NIH has been very, very conservative, very considered. They
12 have been moving at a very slow pace as far as pushing families
13 into things. In fact, we're trying to push them into things at
14 this point.

15 So that's something you must consider. I guess
16 I've hit all the points here that I expected to cover, but I
17 wanted to make sure that you were not being too intellectual
18 about this, but you are also considering the quality of life.

19 Thank you.

20 (Applause.)

21 CHAIRMAN SALOMON: Thank you very much.

22 And again, as I said to Mr. Gelsinger, you, too,
23 should get up if you think that we're not doing that at any
24 point here.

25 Okay. So the last thing we'll do under the public

1 comment is a letter that will be read into the record by Gail
2 Dapolito.

3 MR. BABLOCH: I'd like to make a comment, too, if
4 I could, after.

5 MS. DAPOLITO: This is a written statement from
6 the Council for Responsible Genetics in Cambridge,
7 Massachusetts. They are unable to attend today, but asked for
8 their comments to be relayed to the committee.

9 Who we are: the Council for Responsible Genetics,
10 CRG, is the nation's oldest organization committed to educating
11 the public on issues of biotechnology. Founded in 1983, CRG
12 works to raise public awareness and promote debate on the
13 social, ethical and environmental implications of new genetic
14 technologies.

15 Our board of directors consists of scientists,
16 physicians, lawyers, educators, and public advocates, each of
17 whom have been involved in these issues at the community,
18 national, or international levels.

19 Our central concern is that of public involvement
20 and accountability. The public must have access to clear and
21 understandable information on technological innovations, and it
22 must be able to participate in governing the applications of
23 technological developments.

24 Ever since proposals were first made to use gene
25 modification techniques for potential human therapies, CRG has

1 fought to prevent the premature application of these treatments.
2 Our board members and advisors with experience in their
3 relevant areas were concerned that genetic modification could
4 produce severe and unintended side effects.

5 This has been borne out in recent trials, and
6 therefore, we are renewing our recommendation to adopt a
7 different approach from the one currently in place.

8 As a first step, we propose a moratorium on all
9 human gene modification trials.

10 The present risks. Overall the effects of both
11 viral and nonviral gene therapy vectors continue to be poorly
12 understood. After over a decade of human trial and more than
13 two decades of animal research, gene therapy is still more of a
14 theoretical concept than a sound medical course of treatment.

15 Techniques for making genetic changes in mammalian
16 somatic tissues are still primitive. The death of 18 year old
17 Jesse Gelsinger as a result of a University of Pennsylvania
18 trial on in vivo virtual induction of genes was followed by
19 reports of the resounding lack of success of hundreds of other
20 such attempts.

21 Using ex vivo techniques, the Necker Hospital
22 protocol to treat ADA deficiency hopes to circumvent the
23 potentially fatal complications associated with in vivo methods.

24 Nonetheless, there is insufficient ability to precisely direct
25 gene transfer through the vectors chosen.

1 Retroviruses are difficult to target effectively,
2 and as a result, they often may not reach the intended DNA
3 location. This becomes a safety hazard in two primary ways.

4 First, these viruses integrated randomly into the
5 genome of the host cell. After the transgene is introduced,
6 inappropriate integration could disrupt important gene and cell
7 functioning, precipitating cancer or other forms of biological
8 damage.

9 Second, retroviruses may infect nontargeted cell
10 types. Which of these is responsibility for the leukemia-like
11 disease seen in one of the Necker patients is unclear.

12 Data from model organisms does not justify
13 continuing human gene modification experiments at this time.
14 Indeed, the NIH report on the Gelsinger incident acknowledged
15 that there were no good animal models for the viral vectors used
16 in that in vivo trial.

17 With regard to ex vivo protocols, animal studies
18 provide a strong basis for precaution. For example, in the
19 April 19 issue of Science this year, a team of researchers led
20 by Jim Zong Li (phonetic) showed that the insertion of foreign
21 genes into mouse bone marrow cells using a replication defective
22 retroviral vector caused the animals to develop leukemia.

23 Why, in the face of potential cancer risks which
24 could have been anticipated and were by us and other commenters,
25 were retroviral gene therapy trials allowed to proceed?

1 This is a question that the committee will have to
2 answer for any adequate review of the recent adverse event. At
3 this stage, many of the scientists who are pushing the gene
4 therapy agenda forward have financial stakes in moving the
5 trials forward. Careful attention should be paid to the
6 potential conflicts of interest that could lead to premature
7 applications of these techniques and thereby compromise the
8 safety of research subjects.

9 During the months that followed the Gelsinger
10 incident, under improved reporting procedures, 691 reports of
11 serious adverse events in gene therapy experiments were sent to
12 the NIH. Over 98 percent of these incidents had not been
13 previously disclosed.

14 It should be clear that trials cannot responsibly
15 proceed under conditions of secrecy, commercial, or otherwise.
16 Regulatory mechanisms should be put in place to insure the open
17 distribution of data so that investigators can learn from each
18 other's experience.

19 Furthermore, the case highlighted the lack of
20 adherence by university researchers to principles of informed
21 consent and to existing recombinant DNA Advisory Committee and
22 FDA recommendations. In many cases, patients in gene therapy
23 trials had not been made fully aware of the risk of severe
24 immune system response, cancer and other adverse events.

25 As Abbey Meyers, President of the National

1 Association for Rare Disorders and a past member of the NIH
2 Recombinant Advisory Committee aptly stated, "In the years that
3 I sat on the RAC, I would see these documents time after time.
4 Sometimes eight or ten of them would come in front of us at a
5 meeting, and I saw lies; I saw omissions; I saw exaggerations.
6 Patients were not being told the truth in the informed consent
7 documents."

8 What is also not being clearly communicated to
9 research subjects is that Phase I trials hold out no promise of
10 efficacy. They are solely for safety and toxicity evaluation.

11 One more paragraph.

12 A main purpose of FDA oversight is to maintain
13 controls over research to protect the safety and integrity of
14 human experimental subjects. Genetic modification raises
15 questions qualitatively different from previous drug treatment
16 regimes. As a result, these studies should be reviewed with
17 added care.

18 The implications of gene therapy go far beyond the
19 immediate medical context, potentially changing the relationship
20 of humans to their permanent biological make-up. Such issues
21 make it imperative that the FDA take into account larger ethical
22 questions before permitting gene therapy to move forward a
23 proposal.

24 Based with the new evidence of risk, it is our
25 position that the FDA should establish a moratorium in all

1 future gene therapy trials. Awaiting clear evidence of safety
2 and efficacy, reevaluation should be undertaken of the Phase
3 1/Phase 2 framework for gene modification trials.

4 CHAIRMAN SALOMON: Thank you, Gail.

5 Can you introduce yourself and the group you
6 represent?

7 MR. BABLOCH: Sure. My name is Jason Babloch, and
8 I'm Vice President for Public Policy of the Immune Deficiency
9 Foundation.

10 IDF is the national organization dedicated to
11 improving the lives of primary immune deficient patients through
12 research and education.

13 In an effort to be brief here, I would just like
14 to read an E-mail from the mother of a severe combined immune
15 deficient boy regarding today's topic who was unable to attend
16 herself, and also provide the committee with our policy
17 regarding gene therapy, and I quote.

18 "In regards to the gene therapy trials, I have
19 very strong feelings about this issue. As a mother who has lost
20 a child to this disease and has another son with the same
21 illness, who by the way is alive today and doing very well
22 because of another kind of experimental therapy, I believe the
23 trial should not be stopped. When you sign a consent form for
24 an experimental therapy, you are made well aware of the possible
25 risk factors.

1 "Of course, you hope and pray that none of them
2 happen to your child, and you go forward. What other choice do
3 you have? To let your child die? I don't think so. So you
4 take the risk.

5 "My son's in utero stem cell transplant was very
6 successful. However, there have been subsequent transplants
7 that have not been as successful. Does this mean stop
8 performing in utero BMTs? Our physician would say no. Instead,
9 he has learned something from each one that he and others have
10 performed in hopes of perfecting the procedure.

11 "This is also true for gene therapy. We can only
12 do so much research on animals before we have to finally
13 experiment on human beings. Hopefully we have perfected the
14 procedure enough at this point to provide a cure for an
15 otherwise fatal disease.

16 "This setback has not changed my opinion of gene
17 therapy, and I will still consider it as a treatment option for
18 XSCID."

19 Signed Heather Daley.

20 I would just like to say the IDF strongly supports
21 medical research directed at finding new therapies and potential
22 cures for primary immune deficiency diseases. We agree that
23 experimental treatments need to be well thought out and closely
24 monitored, but in the end these types of trials need to move
25 forward or we will never find treatments or cures for deadly

1 diseases.

2 While the information discussed today shows that
3 gene therapy is not perfected, it holds much promise for the
4 primary immune deficient community, especially for those
5 patients who do not respond to other treatment options.

6 IDF supports continuation of gene therapy trials
7 in the U.S. when adequate safeguards, including patient
8 education on the risks associated with the procedures and
9 follow-up are in place.

10 Thank you.

11 (Applause.)

12 CHAIRMAN SALOMON: Okay. If everybody would on
13 the panel take out their tan folder, and you'll find in there a
14 yellow sheet that's questions to the committee.

15 And the reason I do that is there were some
16 important revisions in the questions from the time it was E-
17 mailed to you. So I want to make sure everybody is on the same
18 -- in this case, the same page literally.

19 Before I pose the question for the committee, I
20 just have a couple of my own introductory comments. The first
21 is we have a very specific charge from the FDA to this
22 committee, and that is to comment specifically at least at the
23 start, specifically on the safety and the feasibility and the
24 appropriateness of going forward with gene therapy trials in
25 patients with different forms of the disease SCID.

1 And we will do that because we are an FDA Advisory
2 Committee, and the FDA gets to set the agenda.

3 It is clear to all of us, as well as to the people
4 who have made public comments, that a number of the issues that
5 we're dealing with then go beyond simply retroviral gene therapy
6 in children with SCID, children or young adults, and there's no
7 problem in us taking that up as a second thing, but we have to
8 get done with the first agenda.

9 As for my own, sitting here saying, "Well, how can
10 I take everything I've heard up till now and put a couple
11 guiding concepts forward that, you know, we later can just shred
12 them?" That's okay with me.

13 So these are just some thoughts I have. One line
14 of reasoning came out that early transplantation, i.e., in the
15 first couple of months of life, is, quote, successful and safe,
16 unquote. And I'm not making this quote thing out to suggest I
17 don't believe that. I'm just saying that that's a key point.

18 You know, we're talking about 90 percent
19 successful rates. These are survival rates. I think one of the
20 things Dr. Malech has made us more aware of is that those
21 patients would survive. They'd be in the 90 percent, but, you
22 know, the quality of life issues, et cetera, longer term follow-
23 up, et cetera.

24 But if we just take this early allotransplantation
25 is successful and safe, one response here would be to exclude

1 all such patients from these trials, and I think in the
2 discussions we heard that's, indeed, being done in many
3 instances, maybe not in Dr. Fischer's trial with this one month
4 old infant, but maybe not.

5 And if we believe that, the idea of suggesting
6 increased efforts to diagnose these early, you know, to me these
7 are very solid, appropriate sorts of public health questions.

8 My feeling, however, though is regardless that
9 still leaves a group that will either not be candidates for
10 early diagnosis, won't get diagnosed early for whatever reason,
11 won't get a perfect result even if it's much better, so there
12 would still be a group of patients that will be potentially
13 appropriate for the kinds of trials that have been outlined
14 today and the kind of trial Dr. Fischer is doing in Europe.

15 So then I think the question -- so I don't think
16 you can just say, "Oh, well, everyone will be done in the first
17 month. So we ought to go home."

18 And so we still are going to have a group of
19 patients that we can't forget, abandon, et cetera, that are
20 potential candidates for the exact same trials that are being
21 outlined by these people.

22 If you follow that reasoning, then I ask the
23 question: what is it that we can do to make this safer? And
24 that should be a major part of our talk. I mean, pieces of the
25 puzzle I've heard today. Reduce the CD34 dose. We need to

1 discuss that.

2 We've heard a good counter argument to that, is
3 you won't get engraftment if you reduce the CD34 dose much below
4 a million CD34 cells per kilo. Maybe we need to discuss that
5 some more.

6 Get better targeting of the progenitor cell. It's
7 likely that probably ten or 20 really good progenitor cells
8 would probably cure everybody. Can we really get down to gene
9 transduction of that real primordial pluripotential stem cells?

10 Did the gene therapy in this case cause the
11 leukemia? Well, I think we're going to have to butt heads on
12 that. I mean, can we answer it or not? Maybe not, but I think
13 the committee needs to get some sense of how far they believe
14 that the gene therapy was involved in this.

15 Are XSCIDs or other forms of SCIDs more dangerous
16 than other kinds of gene therapy? Now, here we get into this
17 transition where we will go out of our agenda, but I think
18 within the agenda we've given here one of the questions is
19 should our attitude, should our advice to the FDA regarding gene
20 therapy trials in XSCIDs or SCIDs due to all the other different
21 kinds, ADA, JAK 3, et cetera; are there good, medical,
22 biological reasons to give a different type of advice to these
23 FDA on gene therapy trials in that group because of the
24 potential that that group or those patients have different risks
25 in the context of these gene therapy trials?

1 Vector design. Enhancer region. The LTR. The
2 payload gene. The possibility of internal promoters. This one
3 didn't have an internal promoter. So it's not an issue, but for
4 those that might -- I don't believe any of them that were
5 presented today had internal promoters, but that's something
6 maybe we discuss near the end of the discussions.

7 What role is played by CD34 cell activation? All
8 of the protocols I've heard require for these supposedly higher
9 transduction efficiencies basically a soup of differentiation
10 factors, flippase (phonetic) ligand, stem cell factor, GM-CSF,
11 IL6. I'm sure I'm leaving one out. TPO was another one I saw
12 up there.

13 That may give you nice numbers. I mean we can be
14 very proud of the fact now we've got 50 percent transduction,
15 but if you get 50 percent transduction of the wrong cell types,
16 then what's the point?

17 Other safety issues, I think, can come down the
18 road. Suicide genes, for example, a lot of complicated issues
19 are related to that.

20 So those are just my thoughts of pieces of the
21 puzzle. I'm not trying to put them in any critical order, and
22 I'm really not trying to buy us any one in one direction. There
23 are probably five other things I'm leaving out, but that's just
24 my best effort to take what I've heard since this morning and
25 highlight it.

1 All right. So let's go to the question. Are
2 there additional data or measures that clinical investigators
3 need to provide before future and present clinical trials, i.e.,
4 the ones that are on hold, in SCID patients should proceed in
5 the U.S.?

6 Please consider in your discussion each of the
7 following as they pertain to the XSCID and, as well, other forms
8 of SCID, such as ADA SCID, et cetera.

9 So then there are six subpoints here: risk-
10 benefit of gene therapy; revisions to informed consent
11 documents; alterations to the cell dose administered;
12 alterations to the vector or the vector dose administered --
13 sorry -- mapping of the insertion sites on all clinical lots of
14 cells prior to release for clinical use; and then alterations in
15 vector design.

16 So I've held you guys back all day.

17 DR. MULLIGAN: I'd like to maybe shift the
18 emphasis to the charge that we have versus the way that you
19 posed it. You posed lots of very interesting things that, you
20 know, those of us who know vectors and transplantation could
21 talk about and talk about, and I'd like to suggest that we talk
22 about what needs to be done as opposed to what is a guide if.
23 That is, the vector types of things that we hear, they are very
24 clever ideas and they make a lot of sense, and good vector
25 people could differ on exactly what's the best feature, but I

1 would rate those as something that everyone is going to have
2 their own chance to argue about what's the best kind of
3 construct, but is that really on our radar screen at this point?

4 And I think the key thing that we maybe ought to
5 initially talk about is, you know, quantitation of the risk. I
6 think Stu made a very good point that I always harp on about the
7 fact that it's obvious that if no gene transfer occurs, gene
8 therapy is safe. That's clear.

9 But, in fact, even at this day when I hear in this
10 session people saying, "Well, you know, I want to show you the
11 safety statistics," I think it sends a very, very bad message.

12 In fact, I'm getting a message here.

13 (Laughter.)

14 DR. MULLIGAN: A very bad message from the point
15 of view of how or, you know, what the consent forms really mean
16 to people, what we're telling people. And I've always thought
17 that that's a big issue, and I think that at the heart of this
18 is that we haven't in the past been able to quantify the risk.

19 We knew there was one, and after all I've heard
20 here, I don't think we're in any better shape to quantify the
21 risk other than to treat some patients and see what happens.

22 And so I think that this is the crux of many of
23 the issues that we'll face because I think from the point of
24 view of asking what's the risk-benefit ratio, you need to know
25 what the risk is. From the point of view of modifying the

1 consent form, you have to know how you're going to represent
2 that risk.

3 So I would like us to focus on, you know, what we
4 need to do and propose that the risk assessment might be a first
5 topic.

6 CHAIRMAN SALOMON: Yeah, well, I certainly agree
7 with that. That's the first thing we're going to do. Yes,
8 let's do it. I agree with that, all of your comments.

9 Dr. Kurtzberg.

10 DR. KURTZBERG: I was just also going to comment
11 on informed consent and say that I don't think that no matter
12 how earnest you are or how detailed you are or how careful you
13 are you can always transmit the information you are trying to
14 transmit, and I think that when people are faced with a child
15 with a fatal illness and they want hope, which you want to give
16 them, that there are things that you hear and things that you
17 filter out or things that you put more priority on because of
18 hope.

19 And whether you have a vested interest in this
20 because your child is sick or you have a company or you're a
21 researcher or you're a scientist, we all have conflicts of
22 interest when we make these decisions, and I'm not sure when you
23 have a child with a fatal illness you can really have, you know,
24 an uncomplicated, unbiased informed consent.

25 CHAIRMAN SALOMON: All right. Let's deal with

1 risk-benefit first.

2 Ken and then Dr. Harlan.

3 DR. CORNETTA: Yeah, I think there are two things
4 that you can get sort of caught up on. One is trying to assess
5 what is the risk for an individual who gets exposed to
6 retroviral gene transfer, and I really don't think anybody here
7 can even approach what that number is.

8 I think for things that are stated, that many
9 times folks who have been treated never really got gene
10 transfer, but I think relevant to some of the comments we had
11 from folks in the audience who had patients affected with this
12 disease is what is the risk to individuals who are facing
13 alternate treatments, and it speaks to number A up there.

14 And what we have to try to do is look at what is
15 the risk associated with gene therapy for this disease versus
16 what are the risks for transplants. Now, I'm an adult bone
17 marrow transplant, and I would love to see survival curves like
18 we've seen for SCIDs. I mean, it really is very impressive.

19 We're also faced now looking at fairly limited
20 numbers for SCID with gene therapy, but actually the numbers
21 there are pretty good, too. It's a terrible thing that's
22 happened to that one individual patient, but if this truly is
23 even a ten percent risk for folks going with that disease, it's
24 comparable or maybe even a little better with folks that are
25 being treated with haploidentical transplantation.

1 So as a risk, we really now need more time to know
2 is this really a ten percent risk or is this a one percent risk
3 or is it a 50 percent risk, and we don't know that, but I think
4 that's a critical question that relates to how we're trying to
5 sort this out today.

6 CHAIRMAN SALOMON: Right. So just to make that
7 point really clear, what we've seen today is for transplants not
8 under three and a half months, and, Dr. Buckley, correct me if I
9 get this wrong; we're looking at about 75 percent success rates,
10 and in this case with the gene therapy done in the XSCIDs, and I
11 didn't believe that it was different in the different disease
12 types.

13 DR. BUCKLEY: Right. That's for the
14 haploidenticals, and again, it depends on which center you're
15 talking about. I mean, there are centers where the survival
16 rate is 48 percent or 47 percent.

17 So I think, you know, it varies from center to
18 center.

19 CHAIRMAN SALOMON: Okay. Ken?

20 DR. CORNETTA: Just one more point. Having been
21 in transplant now for ten, 15 years, it's changed significantly,
22 and I think when you're looking at a large number of patients, I
23 think the standard of care -- we've seen transplant improve over
24 time. So folks, yes, are getting better, but I also think we
25 need to consider that in the context of gene therapy.

1 These are very early studies, and I think some of
2 the questions that we'll address in the subsequent about cell
3 dosing and about vector we'll also see potentially improvement
4 in that over time, and I think that's just another thing to keep
5 into consideration.

6 This is a bit of a moving target where we've seen
7 improvement in transplantation. Hopefully we'll continue to see
8 improvements.

9 CHAIRMAN SALOMON: I think that's a key point
10 you're making. So just trying to stay with the numbers that
11 were presented today, we're talking about 75 percent about -- as
12 Dr. Buckley points out, in some places it might be worse, but in
13 a good center like hers it's 75 percent -- and in this case it's
14 one serious adverse event at three years in 11 patients.

15 DR. CORNETTA: But two patients did improve, too.

16 DR. MACKALL: But let me clarify. It's 75 percent
17 survival. It isn't to say that the 75 percent, none of them had
18 adverse events. The Alain Fischer trial had 100 percent
19 survival.

20 This child hasn't died of this leukemia.

21 CHAIRMAN SALOMON: Great. Yeah, I mean I agree
22 with that.

23 DR. MACKALL: So, I mean, I think we can't equate
24 this adverse event with death. I hope he doesn't die, and he
25 may not.

1 Now, since I have the floor, I'm going to just go
2 ahead and make my point about risk-benefit. I come from a
3 little bit of a different world. I'm a pediatric oncologist,
4 and so for a living I give chemotherapy to patients with bad
5 diseases.

6 And, for instance, Ewing's sarcoma, we have
7 routinely a ten percent incidence of second malignancies after
8 cure of patients with Ewing's sarcoma. The malignancies are
9 treatment induced. They're induced by radiation therapy, and
10 they're induced by the chemotherapy that we administer.

11 Etoposide is one of the most commonly used
12 chemotherapeutic drugs out there, and it, depending on the
13 schedule and regimen, can induce leukemia in up to five percent
14 of patients.

15 So this isn't unique to gene therapy, and if we
16 threw out every therapy in cancer that could cause cancer, we'd
17 get rid of some of our most effective therapies.

18 CHAIRMAN SALOMON: Excellent.

19 Dr. Harlan and then --

20 DR. HARLAN: One general comment and then a
21 specific one. The general one is that in clinical trials that
22 we have entertained, we have advised patients about potential
23 toxicities that then when they occur, they are shocked and say
24 they never learned that that was a potential toxicity.

25 So there's a real -- informed consent is something

1 that's very easy to say and extremely difficult to really
2 achieve. That's just a general comment.

3 The specific one is that it's a point that's been
4 made several times. It's that I call it tortoise versus hare
5 research. Tortoise research is stuff that's plodding along and
6 is constantly improving. So informed consent there is also very
7 difficult to achieve because the baselines in both are always
8 changing.

9 The specific comment though, and it relates to
10 what Crystal just said, is that we know at least what the
11 toxicities are with haploidentical bone marrow transplant in
12 this situation. That's something that we have some data to do.

13 And it would seem to me we heard from Dr. Kohn
14 that an exclusion criteria is if there's not an HLA identical
15 bone marrow donor.

16 The specific proposal I would put forth is that an
17 exclusion criteria should be if there's not a haploidentical
18 donor, in which case there's almost always going to be. At
19 least we know what that profile is. Why shouldn't that be the
20 baseline upon which gene therapy proceeds?

21 CHAIRMAN SALOMON: Okay. So to get that clear,
22 you're taking a very different tact than the others took on
23 risks-benefit. You're saying you're going to make the argument
24 that in haploidentical transplants, we know the set of risks
25 because we've done 300 in the United States and 500 in Europe,

1 and therefore, you don't buy the fact that the gene therapy has
2 anything to add on top of that. So you'd put all of these on
3 hold.

4 DR. HARLAN: I think that the approach that
5 hearing -- I mean, the first law of medicine that I'm always
6 going to fall back on is first do no harm. We don't really know
7 the risks of gene therapy. We know the devil of haploidentical
8 bone marrow transplant. So let's start with that, and patients
9 that fail it, for whatever reason, it seems to me, to be the
10 logical -- and we know it's efficacy, which isn't bad based upon
11 what -- I mean, it's not perfect, but it's not bad based upon
12 what Dr. Buckley told us.

13 And then upon that framework we test the less
14 known therapy.

15 DR. SORRENTINO: Yeah, I just want to bring up one
16 other point that came up today, too, that I think is very
17 relevant to A, which is the 75 percent of the patients that
18 survive haplo transplant, only half of them recover B cell
19 function.

20 And while these patients can be treated with IVIG,
21 it's an expensive inconvenience, at the least.

22 In contrast, the French study, nine out of 11
23 patients had fairly rapid return of B cell function, which is my
24 understanding of the data. So, you know, one has to keep an
25 open mind about this. I think this is potentially a very

1 important issue.

2 The possibility, and the numbers are small, is
3 that gene therapy would be more effective for reconstituting B
4 cell function in haploidentical transplant

5 DR. HIGH: Well, I just have a related point about
6 analyzing risk-benefit, and I guess I can say along with Dr.
7 Mackall that we're all influenced by our background, and I'm a
8 hematologist who's not a bone marrow transplanter.

9 But one of the reasons, for example, that we don't
10 do bone marrow transplantation for sickle cell disease generally
11 is because of the up front mortality, and that's a little bit
12 what we're talking about when we're looking at haploidentical
13 transplants. If you say that something like 78 percent of those
14 people survive, so there's a certain fraction of those people
15 who will never have the opportunity to present to Dr. Malech to
16 use gene therapy as a salvage therapy.

17 So I'm not sure that the right way to think about
18 it is to use gene transfer as a salvage therapy. I mean, if the
19 survival in the gene transfer procedure is 100 percent, then
20 should you think of it the other way around?

21 MS. LORI KNOWLES: I just have sort of two
22 preliminary questions that I need some information on first in
23 order to look at the risk-benefit ratio analysis. The first was
24 the causal question that you brought up and said that, you know,
25 was going to be necessary for us to but heads on.

1 I don't know how we can do a risk-benefit analysis
2 until we actually address whether there is -- how we look at
3 that risk in relation to the causal factors with respect to the
4 introduction of the virus. So my in thinking sort of logically
5 through it, I would need to know really what everybody thinks
6 about that first, then to be able to look at the risk-benefit
7 analysis. That's just the first comment.

8 The second is I would like to know sort of what
9 the quality of life is for the neonate group, the benefit in
10 that case.

11 DR. BUCKLEY: Let me respond to that. The quality
12 of life for the patients who have been transplanted in the
13 newborn period has been excellent. We don't have any that would
14 fall into Dr. Malech's category over here.

15 But I would like to make a comment about the
16 either/or type thing. I think that if you're going to say,
17 well, you have to first have an HLA identical transplant or a
18 haploidentical before you're allowed to do gene therapy, then I
19 think you're not going to have the same situation that Dr.
20 Fischer had in Paris where you had such great success because he
21 had de novo gene therapy, and he's not done any gene therapy in
22 patients who have been previously transplanted.

23 Maybe that's why he's successful, is because he
24 did it in the de novo situation, and so I don't think you can
25 eliminate that as a choice.

1 I would think that, again, when you're weighing
2 the risk-benefit you'd have to say, well, suppose you do a
3 haploidentical and it doesn't work out and the child has chronic
4 disease. Then you do the gene therapy, and maybe the gene
5 therapy won't work either because the child has a damaged thymus
6 or some other problem.

7 But I just think that we should not ignore the
8 possibility of putting in this array of choices here de novo
9 gene therapy.

10 CHAIRMAN SALOMON: The question I have back to you
11 is you're clearly one of the world's experts on doing bone
12 marrow transplants in this group of patients. So if you had
13 unlimited resources to create a center, the World Center for
14 SCID Care, to the extent that you haven't already created it, by
15 the way, at Duke with your own work --

16 (Laughter.)

17 CHAIRMAN SALOMON: -- but if you had the World
18 Center, what would you do? Would you just continue doing your
19 bone marrow or would you bring Dr. Fischer because now you've
20 got all the money in the world and everyone will come to you?

21 So would Dr. Fischer come and will all of these
22 guys come and we'll all be doing stem cell gene therapy there
23 right next to your bone marrow transplants?

24 DR. BUCKLEY: If Dr. Fischer wants to move to
25 Duke, that would be wonderful.

1 No, I just think that the reason that he was able
2 to go forward so well is the fact that he was not confined by
3 having to do this in a previously transplanted patient, and I
4 think that we should not ignore that fact.

5 And I think that, you know, he's had this adverse
6 event, but he's also had 100 percent survival rate, and he has
7 patients who don't take IVIG, and so I think it's very
8 impressive what he's done.

9 CHAIRMAN SALOMON: Ms. Ballard.

10 DR. NOGUCHI: Excuse me.

11 CHAIRMAN SALOMON: Oh, I'm sorry.

12 DR. NOGUCHI: At this point what I'd like to do is
13 just remind people of -- I'll paraphrase some of the FDA
14 regulations concerning clinical trials because it is at the
15 heart of what we're discussing here.

16 As a physician, Dr. Harlan is absolutely right.
17 First do no harm. But the clinical trial involves human
18 subjects in which the outcome is not predicted and it cannot be
19 known, and in fact, it is the mechanism by which we as a society
20 and worldwide have determined that where things cannot be known
21 a priori, there is a set of conditions under which experiments
22 are taking place with human subjects who may also happen to be
23 patients.

24 This is one of the critical internal conflicts
25 that we as physicians and as scientists have in that as

1 physicians we want to do the best and not do any harm, but doing
2 a clinical experiment is to find out what are the risks, what
3 are the potential risks, what are the potential benefits.

4 In that context of a clinical trial in which the
5 patients are patients, but in the context of their trial, they
6 are human subjects participating in an experiment to answer the
7 question: does this therapy work or does it not work? If it
8 does, what are the risks involved with that?

9 And it is really an unfortunate, but a very
10 central issue here. Are we talking about medical treatment?
11 Are we talking about clinical trials?

12 CHAIRMAN SALOMON: Ms. Mallard.

13 MS. BALLARD: When you talk about risk versus
14 benefit, there is no risk free therapy at this time, no matter
15 whether you're talking about a bone marrow transplant, gene
16 therapy, the haploidentical transplant, a chemotherapy
17 transplant. There's risk in all of them.

18 Yes, we need to know the measure of risk. If
19 there's any way possible to give the information to the families
20 at the time they decide on a therapy, if there's a way to give
21 some reasonable measure, but the family needs to decide which
22 risk they wish to take on versus the success rate of the
23 therapies also.

24 CHAIRMAN SALOMON: Dr. Kurtzberg and then Butch
25 and then Dr. Harlan.

1 DR. KURTZBERG: I have two comments. One is that
2 when you talk where the risk of the disease was already one in
3 100,000, when you quote a risk of ten percent, one percent or 99
4 percent, it has a very different meaning to them than the
5 disease that they already acquired, which had a much less likely
6 risk, but here they are with it. So that's the first.

7 Two, I would like to put forward to the FDA that
8 do not harm can also -- you can be doing harm when you withhold
9 promising treatment, and if you don't test in a responsible way
10 promising treatment, you don't make progress, and if you have a
11 disease that is already doing considerable harm, that should
12 weigh into the equation, and I don't think do no harm by itself
13 carries a compelling argument.

14 DR. TSIATIS: As a statistician, I've been trying
15 to think about some of the comparisons that are being made, and
16 I'm trying to decide if we really have evidence that the risk
17 for gene therapy right now is worse than the risk of some of the
18 other methods of treatments, like, you know, the bone
19 transplantation.

20 And I'm not clear. I don't feel like I have the
21 correct numbers in order to make that assessment. For one
22 thing, when one is assessing risk, you don't just look at the
23 number of events over the number of people. Really what's
24 important is how many person-years of exposure has there been on
25 a particular treatment and how many events were seen during

1 those person-years.

2 And certainly there's a lot less of that with the
3 gene therapy than there is with some of the other modalities of
4 treatment, but unless I could actually see those numbers in
5 comparison to each other to make the judgment that it is much
6 worse right now, at least from a statistical point of view I
7 don't feel I see necessarily that it is much worse risk right
8 now than other methods.

9 DR. HARLAN: Do my comment is I don't disagree
10 with anything that's been said, and I think the ideal solution
11 would be to get truly informed consent, but what plagues me is
12 what I described earlier, that patients don't necessarily hear
13 it, but also the statistic that everyone should know is that if
14 a town has one surgeon, there will be 1X gall bladders taken
15 out, and if it gets two surgeons, now there's going to be 2X
16 gall bladders taken out, and the population as a whole does just
17 as well.

18 So the gist of what I'm trying to say is I'll bet
19 you that the patients in France sort of know that bone marrow
20 transplant is an option, but what they hear is this gene therapy
21 is probably better, and so that informed consent process gets
22 very murky.

23 And I agree with what Dr. Kurtzberg said about
24 that we need to take risk to advance therapy, but when we know a
25 therapy and we know something about the risks of a therapy, I

1 think that we should always start with that first.

2 That's the only point I wanted to make.

3 DR. COFFIN: I wanted to get back to the
4 denominator issues. Actually my friends sometimes call me Dr.
5 Denominator because I always ask questions like this.

6 But it was mentioned that we can't know the number
7 or easily know the number of person-years in gene therapy, but I
8 think that's -- what I would like to know if we can know is the
9 number of person years that are engaged in this particular trial
10 just to begin to get a handle on at least the local risks right
11 here.

12 Because I don't think gene therapy overall is at
13 all relevant to the particular case we're discussing for the
14 reasons that have been -- you know, when you don't have genes
15 inserted, they're very safe. We can all agree with that
16 probably.

17 So what is the number of patients and number of
18 years of total experience in this particular trial that we're
19 discussing? Do we have that information?

20 CHAIRMAN SALOMON: Well, just roughly from the
21 data we got that we were presented, there were 11 patients, and
22 probably the mean follow-up on that 11 is a year and a half
23 because the longest is about three-some years.

24 DR. COFFIN: Fifteen years or so.

25 CHAIRMAN SALOMON: Yeah.

1 DR. COFFIN: So we have one adverse event of this
2 sort in basically 15 patient-years.

3 CHAIRMAN SALOMON: Right, and then that would be -
4 -

5 DR. COFFIN: So far.

6 CHAIRMAN SALOMON: Yeah, and there I think we
7 already pointed out one of the things that makes it really hard
8 is that I was showing mortality data that Dr. Mackall pointed
9 out was an error. I was actually not making an error. I was
10 saying it would even be more dramatic if you didn't take it that
11 far, but unfortunately that's kind of where we're at.

12 In terms of years? I have no idea how to do that.
13 Dr. Buckley, you'd have to help me with that. I mean, probably
14 looking at 20 years with maybe a mean follow-up of five to ten
15 years in 300 patients?

16 DR. BUCKLEY: It was first done in 1968, but it
17 really didn't take off until the early 1980s when T cell
18 depletion techniques came out because before 1981, unless you
19 had an HLA identical, they all died.

20 So I think probably the comparison would be with
21 everything from 1981 on.

22 CHAIRMAN SALOMON: And of course, the weakness
23 there, John, is those are mortality data obviously, and the
24 point we've already made is that it's 100 percent survival in
25 the gene therapy trial, but we're talking about a serious

1 adverse event, and we really don't know what the quality of life
2 is for the 300 kids at five years, let's say, that were taking
3 as the mean follow-up. So it's a pretty tough comparison.

4 DR. COFFIN: The other concern here is it's not
5 like a chronic toxicity in an ongoing drug treatment. I mean,
6 this is potentially a very heterogeneous disease. The question
7 is: are there more of these? Are there more sort of smoking
8 guns waiting among these other kids to show up in future years?

9 And so it's a very different thing to come to
10 grips with in many other sort of risk-benefit analyses. We
11 don't know that this is the final story on this particular set
12 of patients, and we won't know that for a great many years
13 really.

14 DR. BUCKLEY: Can I just comment relative to that?

15 You know, I think your point is very well taken
16 because even though we followed patients at my institution for
17 20 years, you saw the graph that the first speaker had showing
18 the decline in Trks. We don't know whether these people are
19 going to have a normal life expectancy or not. I think only
20 time will tell that.

21 And if there's a more effective therapy that will
22 last their lifetime, then certainly we should try to find that.

23 CHAIRMAN SALOMON: Dr. Allan.

24 DR. ALLAN: I think as Dan knows, I'm not a
25 transplanter. So I'll just make that clear in the beginning.

1 I'm not a gene transfer person either.

2 I would sort of reiterate what John said, which
3 is, you know, we were brought in here to sort of like give you
4 some advice, and the data is just getting out there and has just
5 happened, and so it's very difficult to make some sense out of
6 what to do and how to proceed because there's not a lot of data.

7 I mean, there's a tremendous amount of data that
8 was presented this morning. I was overwhelmed by how much data
9 was presented, but still, I mean, you've got one in 11 kids that
10 has what looks like leukemia, and you don't know -- I don't know
11 how effective chemotherapy is on bone marrow transplant
12 patients, and I'm sure other people do, but so you don't know if
13 it's going to be 11 out of 11 down the road. You don't know if
14 it's age related, and that's one of the things we sort of
15 touched on this morning.

16 So you don't know if it's like the young they are,
17 the greater at risk they are for getting some sort of leukemia.

18 You also don't know if doing gene transfer into bone marrow
19 has, let's say, a greater risk than if you're using peripheral T
20 cells because I don't know if there are any peripheral T cell
21 lymphomas or leukemias in the early studies that were done with
22 gene transfer.

23 So, I mean, you've got a bunch of unknowns. I
24 mean, these could potentially be risk factors, but I don't know
25 that they are.

1 I mean, the age thing is certainly important, but
2 you also have to consider, you know, as other people mentioned
3 in X-linked SCID is that that there aren't other treatments if
4 the haploidentical bone marrow transplant fails.

5 And so, I mean, I think it's a very difficult
6 process, but it's not one that you can simply say, "Well, gee,
7 we should just go forward because, you know, it's one out of ten
8 and it looks like that's the way it is."

9 And, on the other hand, it's like, well, are you
10 going to shut down all of the clinical trials because of one
11 leukemic patient.

12 DR. NOGUCHI: Welcome to my world.

13 (Laughter.)

14 DR. ALLAN: I'm basically saying, I mean, we're
15 still in the same boat we were before we showed up today, except
16 we have a lot more data.

17 DR. NOGUCHI: I don't mean to be facetious, but
18 you will notice that we did not talk about any risk-benefit
19 ratio. In fact, for biologics and for rare diseases, each human
20 subject who happens to also be a patient that's entered
21 increases the knowledge base. It is very small. It is very
22 incremental, but it is constantly changing.

23 You have potential benefits. You have potential
24 risk. What we know today is not what we'll know tomorrow, but,
25 yes, that is exactly what we're seeking advice on and to air

1 this in public so that people understand that this is not a
2 trivial issue.

3 CHAIRMAN SALOMON: Okay. Rich, Bruce, and Stuart,
4 were you wanting to? If you can turn yours off, I'll get to
5 you.

6 DR. MULLIGAN: Well, I was going to talk on what
7 Phil just said, and it's interesting that we're very happy that
8 we have some better statistics about bone marrow transplantation
9 as a form of therapy. And I wonder 15 years ago or so when
10 Rebecca was, you know, going through developing those methods
11 why that would be different than it is at this point. That is,
12 you know, we do clinical trials in the way that Phil talks about
13 them to get statistics about whether things are safe or not
14 safe, and I don't know how -- well, I would say I don't think we
15 will be able to quantify the risk in any other way than doing
16 proper clinical trials to get those.

17 And if we all agree that having the marrow
18 transplantation statistics are very valuable because we have
19 something to compare to, then why wouldn't this be a good way to
20 get the statistics to do the comparison?

21 DR. TORBETT: I guess, I feel somewhat the same
22 way. The question here is how high the hurdle will be. How
23 high do we want to set this hurdle before we go on?

24 And hearing all of the data and listening to Dr.
25 Denominator, it's hard to figure out where to set that bar, and

1 I guess that's what's being asked. Looking back over Dr.
2 Buckley's past history, again, if the bar would have been set
3 exceptionally high, we probably wouldn't be having this
4 conversation now and these patients would be dead.

5 So I'm waffling back and forth, and I guess I'm
6 trying to get a sense of where to set that bar, and it's just
7 not altogether clear.

8 DR. BLAZAR: As a bone marrow transplanter, the
9 way I would look at this is if I had an HLA identical sibling
10 donor, I would probably move to that because, just to be
11 concrete about this, the statistics there are quite good, and we
12 can deal with many, but not all, of the problems.

13 For the HLA nonidentical, despite Dr. Buckley's
14 impressive data, most of us proceed with caution under those
15 circumstances.

16 The gene therapy approach is also being pursued
17 with caution, and I think the concern I have is really the long
18 term propensity and likelihood that some of the other clones in
19 these patients may become leukemogenic. We don't know that, but
20 I don't see, just you know looking at this as a parent and as
21 someone who does bone marrow transplant, a very large difference
22 in the risk between gene therapy and haploidentical bone marrow
23 transplant worldwide.

24 I do see a difference with HLA identical
25 transplant, just to put this out there on the floor, and I would

1 feel less enamored with going ahead with that if I had an HLA
2 identical sibling. But I would feel perfectly similar in the
3 risk-benefit ratio of gene therapy at this point versus a
4 haploidentical transplant.

5 And if I had the option and it was a newborn, I
6 would probably try the gene therapy approach with some
7 trepidation, as I would with haploidentical transplant, having
8 some trepidation.

9 DR. ORKIN: I think I've given some thought to
10 what the risk is, and I think I'll be exactly where John is. We
11 don't know the risk. I don't think we're going to be able to
12 figure out the risk no matter how long we sit here today.

13 My gut feeling is the risk is probably higher than
14 we thought it was, but what we thought was is probably different
15 for everybody in the room.

16 But actually as I just scan back to what was said
17 in the report seven years ago, we basically said -- and I think
18 it still holds, and I won't take any credit for writing this
19 because the report was written by a whole slew of people --
20 because the clinical experience is so limited it's impossible to
21 exclude long term adverse effects and only longitudinal clinical
22 studies will actually show that.

23 And so I think the comparison also with
24 chemotherapy is very accurate. Any chemotherapy trial is
25 fraught with many adverse events, probably a higher frequency,

1 and we don't know what the cumulative frequency events will be
2 here, but probably the only prudent thing to do is watch
3 carefully and do what can be done to extract the most
4 information from the single case and any cases that come in the
5 future.

6 DR. KURTZBERG: I agree with that and would go
7 further to say that there shouldn't be any reason that you
8 couldn't come away from this defining stopping rules like you
9 would for any other clinical trial, which would, you know, if so
10 many cases and so much time develop this complication, that's
11 too much and then we stop and we go back to the lab.

12 And I think that's where this should go right now.

13 DR. ORKIN: On to the next case.

14 MR. BLAZAR: I want to put Joanne on the spot and
15 ask you. Joanne, I wanted to ask you what you would do with
16 those different scenarios if you had an HLA identical sibling
17 versus a haploidentical versus gene therapy, just to get
18 another.

19 DR. KURTZBERG: Well, I would go with the HLA
20 identical sibling first. I think that one is the easiest call
21 to make.

22 Between gene therapy and a haplo, I would also
23 throw cord blood into the equation, and I think all of them are
24 unknown and equally either potentially beneficial or risky and
25 should be studied.

1 And I think as long as the rules are well designed
2 and the studies are well designed, that they should all be
3 pursued at this point. I think personally I'd probably go with
4 the cord blood, but that's what I do.

5 I'd probably do gene therapy before I'd do a
6 haplo.

7 DR. COFFIN: I think clearly, despite all of my
8 misgivings about what the future will hold for the other treated
9 patients, there's going to be some class of patients for whom
10 the present vector strategy will probably offer the best balance
11 between these, and I think it's up to the people who have the
12 real clinical experience who probably can make the best
13 judgments on this.

14 But going forward, I think it's going to be very
15 important to start very seriously developing preclinical models
16 rather than relying on the clinical outcomes to tell us that.
17 There's a good possibility for developing preclinical models to
18 tell whether, you know, these self-inactivating vectors which
19 sound so great on paper but really require a test before we can,
20 you know, say this comes through.

21 I can visualize mouse models that will actually
22 not be that difficult probably to develop that would give us a
23 very good handle on what the potential for certain vector
24 strategies is for activating adjacent genes, and I think should
25 be really started to work on posthaste for all gene therapy, but

1 particularly for this particular case, and perhaps for any other
2 successful gene therapy, is going to run into the same kind of
3 issue as least when retroviral vectors are involved.

4 So I think we should start very quickly on getting
5 that kind of thing going. But I think also there will be some
6 class of patients that will be obviously suitable for
7 continuation of gene therapy with the current status.

8 CHAIRMAN SALOMON: We're getting close. There's a
9 group of people who want to talk. Let's do it: Barbara, Butch,
10 Ken, and David.

11 Okay. We can go in that order.

12 MS. BALLARD: First, I'd like to ask him to ask
13 that question to me about choosing what therapy to use because
14 I'm the parent here. And one of the questions, I know Dr.
15 Kurtzberg is about to leave. Actually I'd like to ask her if
16 she'd listen a minute.

17 In your cord blood comment, ADA deficiency SCID,
18 chemotherapy is not a very good option in most cases of ADA
19 deficiency SCID. The statistics have been very poor of them
20 surviving chemotherapy because it tends to do too much damage to
21 the liver.

22 Do you still consider cord blood an option for an
23 ADA deficient SCID?

24 DR. KURTZBERG: Yeah. I think in this ere of
25 transplantation, if you measure B sulfan (phonetic) levels and

1 you do the right supportive care and you get a child early,
2 before they have five infections and are colonized with
3 aspergillus and viruses, that, yes, those children can do well.

4 I think the trick is to do due diligence with
5 supportive care and also to get these kids early, before they
6 have a lot of organ damage from infection.

7 MS. BALLARD: I'm not talking organ damage. I'm
8 talking the ADA deficient SCID specifically without the ADA
9 enzyme leaves the liver unprotected. I only know of one ADA
10 deficient SCID ever to have survived chemotherapy.

11 DR. KURTZBERG: Well, I don't think your
12 statistics are accurate. There are more than one, and it can be
13 done, and there are choices for chemotherapy now, and there are
14 levels that can be followed, and with supportive care, it is
15 definitely possible, and we've done it and so have other
16 centers.

17 MS. BALLARD: Okay. But then back to your
18 question of how to choose. Obviously if there's an HLA
19 identical sibling, that's the optimal situation, but
20 unfortunately in many of these cases that's just not an option.

21 Between the gene therapy and haploidentical, right
22 now the statistics are looking better for gene therapy. That
23 still has to be a very personal decision. You have to decide do
24 you wish to be part of a clinical trial, and as such, as a
25 parent, you become part of that clinical trial yourself, and not

1 everyone is going to opt to do that just because there are ten
2 kids with B cell function who are doing wonderfully.

3 It's a commitment to a long term trial, and the
4 parents do have to understand that, but they do understand that,
5 and that's the thing that you've got to understand and give the
6 parents some credit. They do understand when they go
7 into these trials, and many will choose not to. Many will
8 choose to go with what is the standard therapy, but the option
9 needs to be there.

10 DR. BLAZAR: I think we're actually saying the
11 same thing. I don't disagree with anything you said. I was
12 just trying to put myself in this place, which of course is
13 impossible, but I don't see a major difference in right now what
14 we know about the risk between haploidentical and gene therapy,
15 and then it becomes a very personal decision.

16 MS. BALLARD: Right. I agree. I think there's
17 risk to every therapy available right now.

18 CHAIRMAN SALOMON: I think we're getting close to
19 where we need to be.

20 Butch, Ken, and David.

21 DR. TSIATIS: As I listen to the clinicians, there
22 seems to be real uncertainty between the use of haploidentical
23 transplants and gene therapy, and I wonder if anybody has given
24 any consideration to doing a randomized study, and then we could
25 put up front the risk and benefits.

1 CHAIRMAN SALOMON: Well, I mean, there's always
2 good things to be said about randomized studies, provided that
3 you can coordinate them properly and get large enough numbers,
4 but you'd be the one to tell us that.

5 I mean, I don't know that that's necessarily --
6 we're not in that position at the moment.

7 DR. COFFIN: When we present a study, whether to
8 do a randomized study, I think the issue -- and I'd like to hear
9 what other people say -- is that the number of patients we're
10 talking about per year in the United States would mean that a
11 randomized study would take many, many years before we'd be able
12 to actually make a conclusion.

13 CHAIRMAN SALOMON: Yeah, I think we know the
14 answer to that. I mean, that's what will happen.

15 David and then Ken.

16 DR. HARLAN: Yeah, I just would like -- I made the
17 modest proposal earlier about the haploidentical, and Bruce's
18 question to Joanne, I think, made me change my position.

19 I understood from the presentation that the
20 haploidentical prognosis was better. If the Duke team itself,
21 with the best statistics, feels that it's a fair tradeoff, then
22 I get back to your question. The ideal situation is to bring
23 Dr. Fischer to Duke and let patients decide.

24 Short of that, it sounds fair to me.

25 CHAIRMAN SALOMON: Ken.

1 DR. CORNETTA: Maybe just to talk a little bit as
2 we talked before, Ms. Ballard, I think the hardest thing for a
3 patient perspective and from a family perspective is being faced
4 with a potentially lethal disease and not having any choice, and
5 when you have two modalities that there is not clear what may be
6 better or the other, empowering the families to be able to make
7 that choice is also an important thing, too.

8 So as we deliberate that, we need to keep that
9 consideration.

10 CHAIRMAN SALOMON: So if I -- I mean, I tried to
11 just let everyone go on this because that's very appropriate,
12 and I think just the natural flow of this brings me to what I
13 think we could consider now as a consensus, and here I could get
14 myself into big trouble, but that's why I guess I get paid the
15 big bucks for up here.

16 (Laughter.)

17 CHAIRMAN SALOMON: So the -- just kidding, just
18 kidding. I don't get paid.

19 So under the thing of consideration of risk-
20 benefit of gene therapy versus alternative therapies, I think
21 that really it's obvious to everyone here that what the FDA
22 wanted expert advice on this first question was should they put
23 all of these skid trials on hold and keep them on hold until,
24 you know, such-and-such information that we would uncover in our
25 brilliance today would therefore allow them to come off whole.

1 And I haven't heard that. What I've heard from
2 this group is that this was an absolutely clear serious adverse
3 event, and all of us are scared about it and all of us are aware
4 of the fact that it has implications for the safety of this
5 whole thing.

6 However, one adverse event, serious as it is, in
7 the context of the whole field as we've refined it together in
8 our presentations and our discussions -- I won't try and
9 articulate that again -- is not enough to advise the FDA to put
10 all of these programs on hold.

11 It is enough perhaps, and we'll go there now, to
12 talk about the consents. It's definitely enough to talk about,
13 you know, specifics about whether or not we could refine the
14 safety profiles for these program to go forward.

15 But just for Point A, can we say that there's a
16 consensus here that these trials as proposed and as we modify
17 them in the next hour, it's okay to take them off the hold and
18 go forward?

19 DR. WOLFF: I'd like to make a comment.

20 CHAIRMAN SALOMON: Please.

21 DR. WOLFF: You could say to have them go on, but
22 as someone else had mentioned earlier, how many events have to
23 occur before one feels that it should stop and should we
24 establish something like that now?

25 CHAIRMAN SALOMON: Yeah, I think we should discuss

1 that. I'm just saying I think the first key point is that this
2 serious adverse event doesn't pull a trigger on all of these
3 gene trials. I mean, I don't think it does. I haven't heard
4 anyone, when the final discussions rolled around, that I heard
5 anyone at this table saying they thought it did, and that's the
6 first key thing we're here to do, is to give advice on that
7 point.

8 Alison.

9 MS. LAWTON: I think I agree with you, but I think
10 that in saying that it should be allowed to go forward you have
11 to consider all of the other things that you will do at the same
12 time. For example, the inclusion and exclusion criteria, the
13 informed consent.

14 So with that caveat, then I agree with your
15 statement.

16 CHAIRMAN SALOMON: Then let's agree that that's
17 the advice we're giving right this second and go on to talk
18 about the informed consent and some of the details. And then I
19 think to be fair and actually address Alison's point here, when
20 we're doing, we'll go back and I'll ask the same question again
21 and see whether someone is so unsatisfied with the resolution of
22 that that they would change that.

23 Is that fair enough? Okay.

24 DR. NOGUCHI: Excuse me, Dan. Thank you for
25 getting us to this point. It's remarkable.

1 I would like to follow up. I think it was Dr.
2 Denominator, our good colleague, John Coffin. In doing this I
3 think the statement has been made or implied that part of the
4 reason to focus on these trials is, in fact, this is a first
5 reproducible, demonstrable evidence of gene transfer that has an
6 effect.

7 And comparing that to the other trials is not
8 really even mixing apples and oranges. It's almost like shoes
9 and apples. And if we could get a little bit of a consensus on
10 how people feel about other trials vis-a-vis safety profiles for
11 this particular trial, that is, does the fact that we have 150-
12 odd trials that have had retroviral vectors not in SCID trials -
13 - how much bearing does that have if, in fact, the level of gene
14 transfer is not very high compared to what we see in SCID?

15 CHAIRMAN SALOMON: It's funny because we've been
16 arguing for two weeks about how to put that into this agenda.

17 I mean, personally I don't think that this should
18 -- again, this serious adverse event needs to get integrated
19 into -- this is my opinion now -- needs to get integrated into
20 the informed consents of all retroviral gene therapy trials. Is
21 there anybody at this table after this discussion that would
22 disagree with that?

23 The reality, as we've been told, and certainly I'm
24 personally aware of this, is that we generally do just that. I
25 mean, any retroviral gene therapy trial consent form that I've

1 ever seen or written basically acknowledges the possibility of
2 insertional mutagenesis.

3 So that I think the next step really is probably
4 this is not going to involve any significant rewriting of extant
5 consent forms or ones in the future. I think the real issue is
6 one posed in a more real world, and that is how do we get this
7 out to all investigators to highlight that they need to go back
8 to these people and say, "Hey, there was an adverse event. It
9 was due to insertional mutagenesis. You need to be extra
10 specially aware of that, not just that it's, you know, a line
11 buried in acres of text in a typical consent."

12 Does anybody disagree with that?

13 Richard?

14 DR. BLAZAR: I think that Phil may be trying to
15 weasel out of us whether we want to have an opinion of what all
16 the other clinical trials have to offer us in terms of safety,
17 and I'm happy to, you know, harp on this and say that my opinion
18 is it has not really given us virtually any information other
19 than the other parts, the least important parts of gene therapy,
20 like can you use sterilized bags for your transplantation.

21 That is, you know, obviously those are the things
22 that you have to do in a proper fashion, but I would just say
23 that I think the answer is we might want to come up with some
24 opinion about whether we think now in retrospect how the data
25 that we've had in the past really has helped us and whether

1 we've, in fact, gotten that across even in the consent document.

2 I mean, I've never seen a consent document that
3 actually has said that, well, there's been no reported serious
4 adverse toxic or deaths from gene therapy, but, in fact, many in
5 the field think that there's been so little gene transfer that
6 we would have detected it. That's usually the missing piece.

7 CHAIRMAN SALOMON: That's a good point. Yeah?

8 DR. SORRENTINO: I just want to make two comments.

9 One is about the efficiency of gene transfer. You know, I
10 think it's important to note that in the case of SCIDs what
11 we're really seeing is very high levels of gene transfer due to
12 this tremendous selective advantage that's in the T cell
13 compartment.

14 In fact, the level of stem cell transduction as
15 reflected in the myeloid series is actually very low. So it's
16 not really that gene transfer has gotten so much better and so
17 much more efficient. It's the biological nature of this
18 disease.

19 And to address, you know, the point that Phil
20 brought up, which is what about the other gene therapy
21 applications, you know, we've discussed this extensively at St.
22 Jude, and in many ways you can really think of two major
23 categories: clinical gene transfer trials where the transgene
24 is intended or proven to be therapeutic, and then there's the
25 second class that, you know, we have talked about quite a bit,

1 and it is a very tough issue, and that's marking trials where
2 retroviral vectors are used to follow the biology of a cell
3 population in a patient with the intent to derive a better
4 therapy for that group of patients in which the individual
5 patient cannot derive any benefit.

6 And I would argue that this is a very important
7 issue, and I'd be interested in what others here think about now
8 with this new information from France. How does that impact
9 marking studies?

10 CHAIRMAN SALOMON: Okay. But I think we need to
11 finish with this particular agenda, and I totally agree that
12 some discussion of this is very appropriate and, I think,
13 necessary before we leave here this evening.

14 Kathy.

15 MS. KATHY KNOWLES: Is it appropriate to start
16 talking about informed consents now?

17 CHAIRMAN SALOMON: Yes.

18 MS. KATHY KNOWLES: Okay. Several items. Gail
19 has got an article from, I think, one of our Seattle papers
20 about I believe it was the National Academy of Sciences is now
21 moving forward in terms -- you have a series of articles. I
22 think Rosanna gave them to you. If you could please pass those
23 out.

24 A very large institution, unnamed but many of you
25 know who it is, has been cited by a number of national agencies

1 for a lack of informed consent problems extending over an 18 to
2 20 year period of time.

3 This was a real problem, and in fact, the
4 institution is being sued by its patients, actually the families
5 whose patients actually died.

6 Secondly, in terms of informed consents relevant
7 to this particular issue, these need to be complete. They need
8 to be accurate. They need to be written in an understandable
9 language and give full disclosure to both the potential positive
10 and the potential negative outcomes.

11 And I also agree that other informed consent for
12 other retroviral clinical trials should contain language
13 relevant to cancer as well.

14 And that's it for the moment.

15 CHAIRMAN SALOMON: So I think that what we need to
16 do is come to some sort of a consensus about what we want to
17 recommend to the FDA to do about consent forms in the existing
18 SCID trials that are on hold and also on all -- I think it's
19 fair to talk about this in the context of all retroviral gene
20 therapies trials.

21 I think one of the things that I want to see
22 incorporated in an advice to you is something that on "Front
23 Line" Mr. Gelsinger had a -- one of the key things that they
24 came out with on really a superb "Front Line" show on the whole
25 incident with his son was a point where he went to an NIH

1 conference in the Natcher, where everyone at this table has been
2 at one time or the other, and having gone in there thinking that
3 he was told that there was like a 50 percent response rate, I
4 believe was the line, when the scientists got up and started
5 talking, it suddenly became, well, it's statistically not
6 significant and all of that.

7 And he's going, "Where in the hell is this 50
8 percent response rate?"

9 So I think that to the extent that there's
10 anything in these consent forms, coming back to what Rich
11 Mulligan has been talking about, if there's anything in these
12 consent forms talking about how safe retroviral gene therapy is,
13 that needs to come out. I mean that's my personal opinion, and
14 others on the committee should engage me on that.

15 And that there should be a very specific reporting
16 to the FDA that we have shared this thing, this particular case.

17 There is a cancer that's possibly, probably, however the
18 wording wants to be, and then all of these consent forms be
19 looked at until and everything put on hold until you can at
20 least show that these things have been changed in the consent
21 form, informed consent process.

22 Jon.

23 DR. ALLAN: As a clarification, when you're
24 talking about informed consent and whether to add, you know,
25 the possibility of cancer risk, I mean, to me I don't know how

1 many gene therapy trials are involving bone marrow, gene
2 transfer into bone marrow. Maybe you can tell me, but because I
3 mean, I'm sure it's not just for SCIDs.

4 DR. NOGUCHI: We think at the present time it's
5 roughly 50.

6 DR. ALLAN: So I wouldn't be averse to putting in
7 the very defined wording that says, you know, there's an adverse
8 clinical event or whatever. In the informed consent it says,
9 you know, you have the risk of getting cancer from this.

10 You know, I don't have a problem with that.

11 DR. NOGUCHI: Just to clarify, part of this we
12 already anticipated and part of the reason for waiting until
13 today's discussion is to get some better understanding of
14 exactly what we're talking about here.

15 I think it's very clear that gene transfer in
16 XSCID is associated with an adverse event that is related to the
17 treatment.

18 Now, that's a very powerful statement about what
19 the reality is, and we do feel that's information that all
20 individuals who are participating in retroviral vector trials
21 should know. Again, we focused on first the trials most closely
22 related to Dr. Fischer's trial, and again, with the idea that
23 those who are most closely affected here at first is what Dr.
24 Fischer had requested.

25 The general population, yes, we were planning to

1 do that, but we would certainly appreciate help in how you think
2 we might phrase this to the broader category of retroviral
3 vector clinical trials.

4 DR. ALLAN: Well, that's why I was getting more to
5 the point, which was like how many bone marrow clinical trials
6 are going on that involve gene transfer? Because, you know, we
7 don't know whether it's only in this one SCID patient or whether
8 it has something to do with bone marrow transduction or what.

9 CHAIRMAN SALOMON: Bruce, Rich, and Ken.

10 DR. TORBETT: Having not gone through bone marrow
11 transplantation and perhaps some of my colleagues can share with
12 me, in the consent form for the more serious cases, are all of
13 these kinds of problems, such as graft versus host, all
14 explicitly stated such that an individual knows that there's a
15 tremendous risk for the treatment?

16 And perhaps with gene therapy there should be a
17 similar kind of -- now that there is an adverse event -- a very
18 similar kind of informed consent.

19 DR. BLAZAR: We do a long process of education.
20 There are referring physicians. There are tapes sent. There
21 are nurse coordinators that meet independently of the physicians
22 to make sure that there is as much ability to transmit the
23 information without having the direct care involved.

24 And after all of those are said and done, then we
25 have two sets of conferences, a conference on the out patient, a

1 conference on the in patient, and the forms are typically ten to
2 12 pages long, and we go through each of them in extraordinary
3 detail to the point where people really don't want us to have
4 gone into those kind of statistics, but we do anyway.

5 So it's a very long process of education that
6 begins all the way from the referring physician, and it's not
7 done emergently. It's done several weeks before the patient
8 might be transplanted.

9 DR. MACKALL: I mean, just to add to that, in a
10 form such as this, and it's appropriate, we focus very much on
11 the document. But the document is really just a document, and
12 it amounts to a laundry list of things that can happen.

13 A good physician sits down and looks a patient in
14 the eye and say, "This is what's likely. This is what really
15 concerns us."

16 And so sort of the dictum is informed consent is a
17 process. It's not a piece of paper, but just by necessity we
18 end up focusing on the document in the official realm.

19 DR. BLAZAR: I think earlier maybe Lori asked the
20 question or made the point that in the risk assessment you
21 really do have to have a sense of what was the relationship
22 between the insertion, and I think for the consent document the
23 most powerful thing is to have an opinion from us about that
24 answer.

25 And I would propose that it's something not like

1 may have been associated with, but probably contributed to. And
2 I think that would go a long way in getting the point across.

3 DR. CORNETTA: I guess maybe just to get a
4 consensus here about whether we feel that what's been presented
5 today is definitive evidence that this has caused this T cell
6 outgrowth, or do we feel that the data, while I think it's
7 fairly compelling, is still at the point where it is probably
8 related or some other terminology? Have we really come to some
9 consensus whether this is really cause and effect at this point?

10 CHAIRMAN SALOMON: Well, as I said in my
11 introductory comments, I presumed that we would have to butt
12 heads on this one. So I believe that you can always come up
13 with another explanation. There's a lot of IQ points sitting
14 around in here in this room today, but I believe that until I
15 see some other data, that this was caused by the insertion of
16 the vector. That's my sense. It is causal. That's my sense of
17 it.

18 DR. RAO: Would you at least say it's one hit in a
19 two-hit process?

20 CHAIRMAN SALOMON: Oh, yeah, absolutely, and I
21 think it was very clear from the biology of T cell leukemias and
22 LMO2 in hematopoietic malignancies that it's not a one hit
23 process. So absolutely. We discussed that.

24 DR. COFFIN: As I said before though, don't take
25 any comfort from the fact that it's not a one-hit process. Even

1 if it's not genetically a one-hit process, it can be in practice
2 a one-hit process where that one hit is enough to initiate the
3 process even if more hits have to happen along the way. They
4 inevitably will in many cases. So don't hide behind that.

5 DR. RAO: It's how you write the consent form.
6 You have to be accurate, and that's all I'm trying to say.

7 DR. COFFIN: I would write it in a way that left
8 that issue out. Not lying about it. I just would not bring it
9 up in a consent form.

10 DR. MACKALL: I don't think a patient really
11 understands the two-hit hypothesis. I mean, the point is if
12 they didn't have gene therapy, they wouldn't have gotten the
13 leukemia.

14 PARTICIPANT: I agree.

15 DR. COFFIN: My concern also would be that the
16 physician would misunderstand that and use that to lessen the
17 impact.

18 CHAIRMAN SALOMON: Dr. Kalle, Dr. Baum, do you
19 guys want to opine on this? Did this do it or not? How would
20 you craft your sentence?

21 DR. KALLE: Why, I think the scientific discussion
22 and the discussion what the patient consent form should contain
23 may be erring on two different sides where I would feel for
24 myself it would be perfectly okay to err in the patient consent
25 form to what's saying, yes, the gene transfer caused the

1 leukemia in this case for all we know today.

2 Whereas the scientific accuracy would, of course,
3 demand us to be much more strict. So I think in the patient
4 consent it should be aired on the side that this is very
5 probably one of the contributing causes, but that would be my
6 personal opinion.

7 CHAIRMAN SALOMON: Dr. Baum, do you want to
8 comment on this, please?

9 DR. BAUM: So yesterday I was writing such a
10 comment in a patient informed consent, and it said there is a
11 risk and we cannot say the frequency right now. We cannot
12 predict the frequency, and we have to balance this against the
13 other issues.

14 So it has to be fairly open, and for this specific
15 disease under consideration here, I would say like the other
16 people in the panel that we should go for gene therapy only if
17 there is no HLA matched donor available and if the patient
18 agrees or finds that the risk of gene therapy may be lower than
19 that of a haploidentical transplantation.

20 CHAIRMAN SALOMON: Okay. So, yes, Dr. Malech.

21 DR. MALECH: I would emphasize also the important
22 point between what you tell a patient and what you believe
23 scientifically, and I really feel that what you really can say
24 today is that we can find no other reason than the gene therapy
25 for what caused this.

1 Therefore, what you have to tell the patient is
2 that X number of patients in the world have been treated with
3 this, and one got a leukemia, and right now it could be higher
4 than -- what is it? -- eight percent, but right now we know that
5 at least eight percent of people, meaning one out of X number,
6 got leukemia and that we cannot rule out the gene therapy. And
7 it's got to be as straightforward as that.

8 The other comment that I would make is that one of
9 the things that has been driving me crazy at NIH, but is
10 actually a good thing is that various IRBs -- and we have
11 multiple IRBs at NIH -- are starting to come. It used to be
12 that every institute's IRB would have sort of different ways
13 that it would ask people to say things.

14 And now what's happening is that at least some
15 parts of the document are becoming dictated. Now, they may not
16 be the best language, but at least everybody is saying exactly
17 the same words. It isn't the whole consent, but little
18 portions, paragraphs, things.

19 And I would say that this issue today is so
20 fraught that I would almost like to see some consensus of what's
21 said and that everyone says exactly the same thing, at least,
22 you know, what is said around it.

23 And I think that's a key point here.

24 CHAIRMAN SALOMON: Yeah, I have no problem with
25 what you said except one major issue, and you're welcome to come

1 back at me with it. I liked the way you put it, but I don't
2 like this numbers game. I mean, you know, if it's eight
3 percent. I don't think that that belongs in here.

4 It's a serious adverse event. It happened.
5 That's it, because we have no idea what the numbers are.
6 Because then someone else is going to say, okay, sure, in the 11
7 SCIDs.

8 Then I'm going to say, well, but it wasn't going
9 to happen to my T cell gene therapy patients, or someone else
10 will say, "But you know, we did 300 T cell gene therapy marking
11 studies and never happened."

12 Do you know what I'm saying? I just don't like
13 the numbers part.

14 DR. MALECH: I think there's two issues here about
15 that. Number one is that my experience with patients is that
16 they want to be given two things. They want to be given some
17 kind of real numbers because they don't understand statistics.

18 And then they want to know what would you do, and
19 obviously in the "what would you do" is always a fraught thing,
20 but at least we can take care of the numbers issue.

21 And I'm not dictating a specific way to say it.

22 CHAIRMAN SALOMON: Can I make my personal comment
23 about numbers issues that I do in my own practice of organ
24 transplant patients now is it's 100 percent if it's you and it's
25 zero percent if it isn't? So it's one patient.

1 DR. MALECH: I don't think we're saying different
2 things. I feel that whatever we do, whatever the consensus is,
3 it would be a good idea if we all had exactly the same thing,
4 whatever that consensus.

5 DR. CORNETTA: I guess from the numbers, it is
6 when you tell a patient eight percent, they're trying to figure
7 out that, but describing a study where you say there were 11
8 patients treated and one of them developed a leukemia, that is
9 something that's a fact that they can deal with, and that's
10 probably the most informative thing for them, and that may be
11 something that could be a suggestion to be included.

12 DR. NOGUCHI: I'd just point out that we don't
13 want to be in a position where we as professionals somehow don't
14 see the patients and the patient's representatives. We have
15 them here.

16 What would you like to hear?

17 MS. BALLARD: I agree with what he was saying,
18 mentioning that out of 11 cases there has been the one case that
19 developed leukemia. I think that's the most -- it's the easiest
20 way for a parent to take a grasp of what the reality is.

21 DR. ALLAN: The thing that sort of bothers me a
22 little bit because, I mean, when I hear consent form, you know,
23 my worry is that if you're a clinician, you're trying to recruit
24 patients or human subjects for this clinical trial, and it is a
25 clinical trial. You know, if you say, you know, there's a

1 chance this is going to give you cancer, you know, it's
2 documented. There's an association that's directly related to
3 the use of this vector in leukemia in one out of 11 children.

4 At this point we don't know if it's going to be
5 higher. It may be a lot lower, but we don't know if it's going
6 to be higher, and if you can present it that way to a patient,
7 then they'll have the informed consent, but if you go, "Well,
8 you know, there was one out of 11 that got leukemia. We don't
9 know," you start to minimize the serious nature of the fact that
10 one of these kids has leukemia from this retroviral vector.

11 DR. BLAZAR: I mean, I would echo that, and when
12 Harry -- the way he put it, he said in a way, again, out of all
13 these patients one had it, and I think is what Jon was talking
14 about, about the couching this in terms of the number of hits or
15 whatever. I think it should be as potent and direct a pitch as
16 possible.

17 CHAIRMAN SALOMON: Yeah. I mean, I'm pushing for
18 that as well.

19 I mean, I think the message you're getting from
20 everybody, Phil, is that if -- I know certainly I'm thinking
21 personally now -- if someone is going to come back later and
22 say, "You guys had this meeting. You gave the advice to the FDA
23 it's okay to continue with the SCID trials. It's okay to
24 continue with the other retroviral trials," and then whatever
25 happens down the road -- and I'm in a position where someone

1 from the public, someone's father, mother, brother, whatever,
2 gets up and says, "Well, you know, you didn't do informed
3 consent. You know, you said this. You said" -- I mean there
4 has got to be a way, if anything just to make it really clear,
5 that if we're going to go forward, the deal is that the FDA has
6 to really step up with it and make sure that there is, and I
7 like Dr. Malech's point; you know, just that there's wording in
8 all of these consent forms that is the same, and that we all
9 agree on it, and we're not going to get bitten by it later in a
10 public forum.

11 DR. NOGUCHI: I think that we appreciate that
12 advice, and I think that that's exactly the kind of information
13 we will take back.

14 I still would like to make sure that we understand
15 what the actual patients and patient surrogates would like to
16 hear.

17 What I think we can say, just in a general
18 concept, is if -- and based on the current data -- if you didn't
19 have a gene therapy trial for XSCID it's very unlikely you'd
20 develop this leukemia, or the other way is there is a treatment
21 called gene therapy. There is a leukemia that's developed in
22 one out of 11 patients, and it seems to be related to the
23 treatment or it is related to the treatment.

24 I think it is a fact that it is related to the
25 treatment. Whether it's one, two, or ten hits, if you didn't

1 have the gene therapy, would you get a leukemia? And the
2 available limited worldwide data would suggest really not very
3 likely.

4 So I --

5 CHAIRMAN SALOMON: I mean, I think the way the
6 statement ought to go is what I think was framed by others here,
7 and that is all of the scientific information that we have
8 available at this point says that this did it.

9 DR. NOGUCHI: Kathy, what do you want to hear?

10 MS. KATHY KNOWLES: I'd like to propose that this
11 Institute of Medicine and National Academy of Sciences report be
12 looked at in terms of the integrating that into your work. I
13 know you're working on part of that already. But I think I mean
14 in terms of all research centers should have boards to evaluate
15 scientific merits of an experiment and potential financial
16 conflicts of interest. All experiments should be previewed by
17 an ethics board. Consent documents should be designed to inform
18 participants in research, not protect research centers from
19 liability, et cetera, et cetera.

20 CHAIRMAN SALOMON: Can I ask Mr. Susko and Mr.
21 Gelsinger if they would care to comment also on this discussion
22 of exactly what should be in an informed consent? And certainly
23 anyone else in the audience that would care to comment.

24 I mean just give us a reality check. Have we done
25 what we said we'd do or haven't we?

1 MR. GELSINGER: One of the comments on the IOM
2 report was that they changed the name of the document to a
3 disclosure document, not informed consent.

4 From a patient perspective, you know, I'm not an
5 uneducated man, and when we got involved in gene therapy I had a
6 basic understanding of what was going on, what was going to
7 happen, not at the level you guys understand it. So there's no
8 possibility of me understanding that without going through the
9 whole education process that you have undergone.

10 So the patient can't see that. He's not ever
11 going to see that. What you have to do is disclose to him all
12 the pertinent information that he can absorb, and now you're
13 going to have to deal with their education level. So you're
14 going to have to make it very plain and stated very simply.

15 And you should err on the side of caution,
16 absolutely. You should warn these people that there is a very
17 great possibility that this gene transfer caused this condition
18 in this child.

19 Informed consent is a misnomer. We really need to
20 get away -- we need to get away from that. It doesn't work. It
21 gives people the illusion that what they're signing -- now they
22 know what they need to know, and it's not there. It's not true.

23 CHAIRMAN SALOMON: I mean, to be fair, this form
24 can't do that.

25 MR. GELSINGER: No, I understand.

1 CHAIRMAN SALOMON: But we can advise the FDA what
2 to do with the flawed instrument that we have, and certainly
3 your point doesn't change the value of the points you made. It
4 does need to be made to a different forum. That's all, that
5 limited piece of it.

6 MR. GELSINGER: Right. I understand.

7 The compassionate use aspect of this should be
8 seriously considered. We have a grandmother here. I don't know
9 if she's still here or not, but I'm totally supportive of that
10 aspect of it. When you analyze the risk-benefit of this, I
11 think those people will be willing to take that risk.

12 So you know, when you go into that consideration
13 in these clinical trials where the alternative therapies haven't
14 worked, you know, then the use of this gene therapy is totally
15 appropriate, and I don't have any objection to that, you know.

16 And you know, that should all be in the informed
17 consent process, too, you know, that this information is
18 transferred to them. You know, you've failed on these other
19 therapies. Now you have this as an option.

20 But I'm getting off the subject of the reason I'm
21 standing up here.

22 MR. SUSKO: I think I would emphasize in the
23 informed consent also the unknown quality. We have one out of
24 11 adverse events and a child might die or might not, but we
25 don't really know the real frequency.

1 So you might say, "Well, yes, we have this risk,
2 but you know, it's unknown whether there will be more or less
3 even." So the unknown quality should be fairly admitted, the
4 truly experimental nature that's going on, I think.

5 And also just to reiterate, common language is
6 very important. You don't want to use like insertional
7 mutation. It can cause cancer, you know, something that
8 ordinary folks would understand, and certainly one hit or two
9 hit.

10 I just want to make another comment. Maybe cancer
11 is caused by a series of repeated stresses that add up, you
12 know. So you can't just say one thing is causing it, but the
13 net effect is the same. You're going to be left with cancer.

14 So just a plea for common language that might let
15 ordinary people understand. Admit to what you really don't know
16 because it is truly experimental.

17 Thanks.

18 CHAIRMAN SALOMON: Any other comments about
19 informed consent from the panel or from the audience?

20 MS. LORI KNOWLES: This is just really brief. I
21 actually just really want to second that point about the nature
22 of the scientific uncertainty. I mean, there are a lot of
23 people nodding their heads, but this is a comment that Mr.
24 Gelsinger brought up. This is a comment that our last speaker
25 brought up, and we've been talking about the nature of

1 scientific uncertainty in this area.

2 I do think it needs to be explicitly and clearly
3 articulated in these informed consent documents as well.

4 CHAIRMAN SALOMON: Are you okay with that, Phil?

5 DR. NOGUCHI: Absolutely. Thank you especially
6 for that guidance. We will be working on this.

7 CHAIRMAN SALOMON: Okay. The next issue here, I
8 think Alison introduce it again, but it has come in and out, and
9 we've had a lot of discussion on it, and that is, I guess,
10 should I call it an exclusion criteria? Anyway, the consensus
11 I've heard up until now, again, take this apart if it's not what
12 you think, but the consensus I've heard up till now is that if
13 you have an HLA identical donor, you shouldn't be -- these
14 patients should be excluded from these gene therapy trials as of
15 right now.

16 I mean, if you have an HLA identical, the first
17 thing is that you would be excluded. However, if the choice is
18 that you have a haploidentical donor, or cord blood, trying to
19 pick up a theme that was brought up by Dr. Kurtzberg, that you
20 then could make an informed decision to do either the gene
21 therapy, to do haploidentical transplant or to do cord blood.

22 Are we okay with that or not?

23 MS. LORI KNOWLES: I thought also that it would be
24 important to exclude the neonatal group that responded very well
25 and that had the very high quality of life, which is why I asked

1 Dr. Buckley when she was here.

2 CHAIRMAN SALOMON: Okay, yes. That's right, and
3 in fact, that was my first introductory comment, was the early
4 LOs did well. So okay. We need to discuss that.

5 MS. BALLARD: Even Dr. Buckley brought up the fact
6 that Dr. Fischer's success may be pertinent to the fact that he
7 worked with kids that had not already had bone marrow
8 transplants.

9 And let's face it. Mostly the younger kids are
10 the ones you're going to be transplanting or going to be trying
11 to do therapy on, one of the two.

12 CHAIRMAN SALOMON: Okay. So that's an unknown.
13 Let's not say -- I mean that's what Dr. Buckley said.

14 MS. BALLARD: Right.

15 CHAIRMAN SALOMON: But we don't really know that,
16 but that's okay.

17 MS. BALLARD: But even she brought up that point.

18 CHAIRMAN SALOMON: Yes, she did. No, you're
19 right.

20 Rich and then Bruce.

21 DR. MULLIGAN: You know, I agree technically with
22 this, but I think that as a policy issue, it may haunt the FDA
23 at some point because if we get to it after we finish with this,
24 what about all of the other diseases?

25 In a sense does that mean that this body is going

1 to, you know, do the same sort of thing for each of the many
2 diseases? That risk, is it an appropriate thing to walk through
3 these, have us walk through these as opposed to state basically
4 this is the best we think.

5 But I'm just wondering whether we should be
6 legislating that here.

7 CHAIRMAN SALOMON: That's fair enough. Certainly
8 we're not going to take on all retroviral gene therapies right
9 now in terms of that sort of detail, but I thought that it --
10 and the answer here could be that we don't have a consensus.
11 I'm okay with that. Just to me my job is to reflect the
12 opinions of this group fairly.

13 So if you don't believe that we can say that this
14 or that group should be an exclusion, that's okay. Put that on
15 the record.

16 DR. MULLIGAN: I think that we do have a
17 consensus, but I think I'm just saying technically we have the
18 consensus. I'm questioning whether the policy is the right
19 policy.

20 I mean, we've clearly gotten the message across,
21 and they can certainly take it home as a consensus, but it does
22 raise, I think, the important question of how the diligence is
23 going to be put to each of the many other cases that will
24 surface when we finish with this one here.

25 CHAIRMAN SALOMON: Well, the reason that I think

1 it's important to deal with is in the context of what Alison was
2 saying in that, okay, she's tentatively all right with agreeing
3 that there's a consensus that we should go forward depending on
4 what sort of restrictions we do to mold the existing study.

5 So I don't think we can hide from that and then
6 come back to it.

7 Dr. Puck.

8 DR. PUCK: Even in Dr. Buckley's successful
9 studies in the first three months, those were not successful in
10 terms of B cell reconstitution, only survival. So when you
11 consider that they didn't do any better in their B cell
12 reconstitution than the patients transplanted older. I think we
13 really don't have enough information to exclude those
14 necessarily at this point.

15 DR. CORNETTA: Also, having been in these
16 situations, there are always exceptions. So I think for us to
17 be arguing is it three months or is it four months or three and
18 a half months that you put this line, and then the first patient
19 that will come along there may only have one potential haplo
20 donor and that has hepatitis, you know, and you get into these
21 things.

22 So I think you have to give some credit to the
23 clinicians that are treating them to be able to sort these
24 issues out because it ends up being more exception than rules in
25 these situations.

1 DR. MULLIGAN: I think the safest thing to do
2 rather than trying to define what the alternatives are, say, is
3 that if you have a suitable HLA identical sibling donor, that's
4 the preferred treatment. If you don't, then this is an option
5 rather than trying to make all of the other definitions.

6 CHAIRMAN SALOMON: I actually agree with that.
7 I'm very comfortable. That's what I was saying earlier.

8 Is that okay then with that discussion?

9 DR. KALLE: That was already the case in the
10 French trial also.

11 CHAIRMAN SALOMON: Okay. Alterations -- I'm
12 trying to follow this through. So C is alterations to the cell
13 dose administered.

14 The only thing that hasn't been discussed enough
15 just because Dr. Kurtzberg had to leave, so let me share with
16 you. If you notice, when she was leaving I was kind of talking
17 to her a little bit on the side.

18 So her comment was that with cord blood, matched
19 or unmatched transplants, no T cell depletion, most of you here
20 know, but just for those who don't, the neonatal or fetal T
21 cells contained in cord blood are typically so unreactive
22 immunologically and immature that you don't have to do the T
23 cell depletion typically to avoid the GVH.

24 And in those patients she said she can get success
25 with about one times ten to the fifth CD34 cells per kilo, which

1 would address an issue that, John, you brought up and others
2 picked up on, you know, that one would significantly reduce the
3 number, the theoretical number, of hits to get an insertional
4 mutagenic event.

5 I don't know. I mean, that's cord blood. I don't
6 think then that the point here is that there's any -- I don't
7 think Dr. Kurtzberg implied that there was enough data now to
8 put everything on hold except cord blood, but I mean --

9 DR. CUNNINGHAM: You know, I respect Dr.
10 Kurtzberg's opinions about cord blood, but most of us who
11 transplant have been brought up with the idea that using -- and
12 I'd like to hear what Ken has to say about this -- using at
13 least two times ten to the sixth is important for engraftment.

14 And it may be that cord blood is actually a very
15 special type of stem cell that may have a different potential
16 from the type of stem cell that we're using in a post natal
17 situation.

18 So I think we have to be very careful, but it's
19 like the previous discussion about numbers, limiting us where
20 that's concerned.

21 Sorry. I should also point out that if you
22 remember our patient, we actually used two times ten to the five
23 cells per kilo in the first transplant, and we got no marking.
24 So I don't want to draw too much from one patient, but I think
25 that's an important point.

1 CHAIRMAN SALOMON: I actually was interested in
2 that and wrote it down, except then you gave them 3.2 times ten
3 to the sixth and didn't get any markings. So I kind of --

4 DR. CUNNINGHAM: With the 3.2 times ten to the
5 sixth we do see marking. It's just we haven't seen T cell
6 reconstitution yet.

7 CHAIRMAN SALOMON: Okay. John and then Ken.

8 DR. COFFIN: These arguments are sort of all from
9 precedent, which is all fine, but I'm not convinced that it's
10 absolutely written into stone that these numbers will be
11 necessary. My question is just is it possible to design a study
12 to actually investigate the issue. Can one take patients and
13 start them with a small number and watch what happens for a few
14 weeks, a month, and then step them up? Is that -- I know
15 nothing about this subject. So is that a possible thing to do?

16 DR. CUNNINGHAM: Maybe Dr. Puck would like to talk
17 about this as well, but you know, the issue is with these
18 children we have to wait 90 to 120 days anyway to see if there's
19 a response. So we're putting those children at risk for those
20 120 days by, say, starting at, say, one times ten to the five,
21 which we don't know is an optimal dose.

22 Thirty years of transplants suggests at least in
23 myeloablative situation that you need approximately two times
24 ten to the six CD34s per kilo. Now, that's a very rough number.
25 So, you know, I don't think there's any data there to design

1 that kind of trial, and you may put the children -- you know, we
2 go back to the risk issue. You may put the child at risk for a
3 question that is not possible to be answered in a small group of
4 patients.

5 DR. COFFIN: Well, if there are more cases of
6 malignancy among these children who have been treated so far,
7 one might revisit that risk issue, of course.

8 DR. CORNETTA: I think there are a couple of
9 points. One, we've been talking about CD34 numbers, and
10 generally those have been derived from folks that are getting
11 ablative transplants, and I don't know in regards to the numbers
12 that Dr. Kurtzberg was generating whether those were folks that
13 got ablated, but I suspect they probably were.

14 So it's hard to know how that relates to a disease
15 like X-linked SCID where you're generally not ablating these
16 patients, and the more I've been thinking about this, the more
17 my head is spinning about a variety of issues.

18 One, I think the discussion sort of assumed that
19 CD34 is the stem cell, and it's clearly not. And so if you want
20 to decrease the cell dose, again, should you be actually looking
21 at a more purified population so that you're starting with less
22 ones, especially in this case, because we aren't trying to get
23 myeloid engraftment. We specifically want to get T cell
24 engraftment, which is slow to begin with.

25 The big issue which I think is unsettled for gene

1 therapy is the issue of competition, which is probably related
2 somewhat here, but certainly for other types of bone marrow
3 transplantation, what is the normal pool that you're competing
4 with?

5 And we see a potential here for an outgrowth of T
6 cells, but what is the population we really need to hit? It's a
7 really complicated issue. This may be something where we need
8 to challenge the people that are doing these trials to really
9 think through these issues and be asking questions to try to do
10 that.

11 But I'm not sure we're going to define the science
12 here of these complicated issues.

13 CHAIRMAN SALOMON: But I think the one thing, as I
14 said in my introductory comments is we all realize now that the
15 CD34 population contains a whole lot of cells, and the true stem
16 cell there is somewhere between .5 percent and maybe two percent
17 in a bone marrow, right? Depending on where you get it,
18 mobilized or cord blood.

19 So, I mean, the real question would be are there
20 ways to target the true stem cell that's within the CD34
21 population, and if you could do that, then one would
22 significantly reduce the risk of insertional mutagenesis just by
23 doing the mathematics.

24 DR. CORNETTA: Now, would you also change their
25 engraftment potential? These are really difficult issues.

1 DR. NOGUCHI: Before we go on, I'd like to take
2 off cord blood from the discussion. That's a separate set of
3 investigations that should not be considered any standard of
4 therapy at all. It is under advisement as to how we are going -
5 - we called for data to see if we could create standards for
6 cord blood bank allotransplantation, but we are not finished
7 with that. So if we could take that off the table.

8 CHAIRMAN SALOMON: It's off.

9 (Laughter.)

10 DR. CORNETTA: But, Dan, I think the comments that
11 we've talked about CD34 in these populations relate to any type
12 of transplantation. It's not just cord blood.

13 DR. MULLIGAN: I mean, I think this is definitely
14 a -- we should sensitize people to be thinking about this, but
15 it's definitely a research issue. It's nothing that could be --
16 we could advise to only use such-and-such.

17 And just to kind of move us ahead, I would think
18 the vector dose would be exactly the same sort of thing, you
19 know.

20 CHAIRMAN SALOMON: I mean, right. I think the one
21 thing I've heard is that these vector doses are getting around
22 one copy per cell, you know. So I think there's no reason to
23 talk about vector dose change unless somebody disagrees with
24 that.

25 Mapping. We've got to deal with this, guys,

1 because this could be a real problem for investigators in the
2 field, and that is mapping of vector insertion sites on all
3 clinical lots of cells prior to release for clinical use.

4 I mean, that's a classic FDA move.

5 (Laughter.)

6 CHAIRMAN SALOMON: I'm sorry, but you can tell
7 from the way I put that that I do not -- I haven't heard
8 anything that would scientifically support doing that, and
9 again, that's up for discussion.

10 Bruce.

11 DR. TORBETT: I think it would be like Stu said.
12 Basically you'd use all of your samples and then there is no
13 risk of getting leukemia.

14 DR. MULLIGAN: I think also Stu's point, which is
15 very important is that there is an increasing sense and there's
16 many more targets that would be kind of dangerous targets, and
17 so it's probably a fruitless approach to take.

18 I think Christof talked about whether you might
19 use that approach in the course of looking at the patients to in
20 addition to looking at counts, see if there's any specific
21 clonal outgrowth or something like that.

22 I even wouldn't say we've advised people that you
23 had to do that, but that would be something that would be
24 probably an important part of a good clinical analysis.

25 CHAIRMAN SALOMON: That's actually a good segue to

1 where I was going next. Before we go any further just because
2 this is such a critical thing, I think is there any dissention
3 by anyone? I mean, does anyone think that we ought to be
4 recommending insertional mapping on the clinical lot before
5 release?

6 Okay. Is that clear? Good. Thank God.

7 So the next question though is in the sense of
8 safety, what should be recommended to the FDA with respect to
9 modifications of these existing SCIDs protocols with respect to,
10 you know, should they be looking for fill in the blank, you
11 know, an expansion of a specific T cell alpha-beta clone,
12 expansion of a gamma-delta clone, a specific insertion site that
13 emerges as a single clone.

14 Anyone have any comment on that? I mean, my
15 personal opinion is that we probably should request each of
16 these protocols to come up with some sort of a safety monitoring
17 procedure that includes this new data, but that's my opinion.

18 DR. COFFIN: Yeah, I think close monitoring for
19 outgrowth of single clone, proviral integration clone is the
20 most telling thing to look for, and I think that's actually
21 something that should be incorporated into all retroviral vector
22 trials.

23 Wherever one can access the -- like as with blood,
24 where one can access the population of cells that have been
25 treated, I think one of the things you can really do is look for

1 the clonal outgrowth of specific proviral insertions, which may
2 or may not actually be an oncogenic event. It may reflect other
3 kinds of selection or just a chance event, but I think that's
4 the one thing that can really be looked for with certainty.

5 DR. SORRENTINO: As I think about that point, the
6 problem I have with it is what would you do with the information
7 if you see the emergence of a clone in a patient that has a
8 normal peripheral blood profile?

9 And you know, secondly I'd like to point out that
10 in the early studies of gene transfer in mice, it's actually
11 typical to see a polyclonal hematopoiesis become oligoclonal,
12 and then with time become monoclonal in the absence of any
13 functional abnormalities.

14 So, you know, while the information would be
15 scientifically interesting, I'm not convinced that it would
16 increase the safety margin in any way or provide useful
17 information to the clinician.

18 CHAIRMAN SALOMON: I actually would take an
19 opposite point of view. I mean, from my thinking here now,
20 we've said there's definitely been an event that to the best of
21 our knowledge has produced a cancer, you know, in the context of
22 all the refined language we use.

23 I don't think you can really go forward with these
24 trials and not incorporate some sort of monitoring, you know.

25 If you're showing it has occurred in this kid, at

1 13 months this clone was diagnosable, and we really didn't know
2 what was coming, you know, at 20 months.

3 Yeah, sure. I'm not saying that you would
4 suddenly now treat the kid with chemotherapy at 13 months, but I
5 sure as hell a few years from now want to know how often this is
6 happening. You know, if it turns out that in the first 100
7 patients that really get successful retroviral mediated gene
8 therapy, that 25 percent of them show clones and ten percent of
9 them get leukemias, I want to know that, and the only way I'm
10 going to know that is if you're monitoring it.

11 So that's my point of view.

12 DR. MULLIGAN: I mean, also we did some of the
13 work that Brian is talking about in the early days doing gene
14 transfer infections, and I thought it was very important to give
15 the field a sense of how many cells you're infecting and also
16 looking at therapeutic indices.

17 You know, in our case, as you know, often there is
18 only one or two contributing stem cell clones, and over time a
19 new one would all of a sudden contribute, and it wasn't
20 necessarily a leukemic clone, but it often had different
21 characteristics in terms of transcription and so forth.

22 And so adding that in would give you something
23 very important if like you were seeing some therapeutic effect
24 and then all of a sudden completely disappeared. That may be
25 because another clone is making a contribution. I think it's

1 quite helpful.

2 DR. COFFIN: I think a lot of the times if this is
3 a bad event, it will be at an identifiable integration site. I
4 mean, we saw that today, and we can see it again. As soon as
5 you see a clonal provirus coming up, the very fact that you can
6 see it means you can also sequence the integration site.

7 If that happens to be in the first entrant of c-
8 myc, for example, I would start to get very concerned, and I
9 would consider starting that patient on therapy right away.

10 I mean, we have a lot of knowledge of what are
11 really bad spots in the genome to have a provirus in, and that
12 could give a head start on therapy even before.

13 The other thing is that if you see that, then you
14 could also at least in principle get those cells and ask whether
15 they were becoming monoclonal for T cell receptor rearrangements
16 and other bad signs of overgrowth of clones that shouldn't be
17 there.

18 CHAIRMAN SALOMON: Alison, Linda, Dr. Puck, and
19 then Mahendra.

20 MS. LAWTON: I just wanted to ask a question
21 because I'm not familiar with the methods used about this
22 recommended screening, and so I have a question of if you're
23 going to start doing this how frequently would you need to do
24 it. How easy are the methods to do that?

25 Because that obviously has a big impact on whether

1 this would be an appropriate way forward.

2 DR. KALLE: Of course, we only have the experience
3 from this one case. We had thought about a strategy. Of
4 course, this is more at the scientific level right now because
5 it is not a GLP procedure type of study, at least not at the
6 current point in time. It is more complicated than just a PCR
7 reaction. It's probably something that takes about two days to
8 accomplish for a whole set of samples.

9 What we do say though is with the dynamics of the
10 clone growth that we have seen, probably screening it every
11 three to six months would be sufficient if we would argue from
12 the base of this case, as well as from the mouse leukemia that
13 we have looked at, both of which have taken a significant amount
14 of time of clonal proliferation to develop.

15 DR. WOLFF: I agree with John about trying to find
16 where the clonal integration sites might be because you could
17 end up knowing that it's a specific oncogene that was previously
18 involved in human cancer and that some treatments are already
19 becoming known for that specific type of alteration.

20 For example, if it was in a retinoic acid receptor
21 alteration or something, you might want to give retinoic acid to
22 the patient, but that's just a general idea.

23 DR. PUCK: I just want to make a point that I
24 think this is a research question that is extremely worthwhile,
25 and it's certainly reasonable to ask every clinical trial to

1 include it, but recognize the difference between a research test
2 and the kind of test that would be a GLP or CLIA approvable
3 test, which you know, this has definitely not reached that
4 level, and therefore, the idea of using it to design therapy has
5 to be really questioned at this moment.

6 DR. COFFIN: I'm talking only in the context of
7 research trials right here, but all approved GLP tests started
8 out as research tests at some point or another and then worked
9 up that way when it was seen they were necessary.

10 DR. NOGUCHI: You should not be afraid of doing a
11 test that you can do. I think the point is if it can be done,
12 the next question is of standardization and reproducibility, but
13 if you don't do it and five years from now suddenly some of the
14 previous bone marrow transplants with the retroviral vector in a
15 non-SCID patient developed something, you are not going to be
16 really in a good position to say, well, I just didn't have a GLP
17 test for it.

18 We don't have a GLP test for West Nile virus.
19 We're going to be pushing on that, and we will get it done, but
20 you have to try.

21 CHAIRMAN SALOMON: Mahendra and then Rich.

22 DR. RAO: I guess the point has already been made,
23 but there also seems to be some advantage in doing the test
24 because you saw them become monoclonal or oligoclonal much more
25 rapidly in that one case than you saw in the others, and then

1 you looked at the time course.

2 So just a temporary profile might give you some
3 clue, not just necessarily on research, but may also give you
4 some warning in an early case.

5 DR. MULLIGAN: And I just think we could just
6 recommend that we think this is important and not say you have
7 to or anything, but just that this is something we think is an
8 important test.

9 CHAIRMAN SALOMON: Ken.

10 DR. CORNETTA: Maybe I'll over a different and
11 that is to require archiving of samples because I think you're
12 getting -- having gone through these trials, you're adding a
13 tremendous amount of work onto a variety of trials, and I'm
14 still not clear. I think people are talking whether this should
15 be an X-linked SCID or this should just be all retroviral
16 trials.

17 If you have one percent of the sales that have the
18 vector in it, you still have to go and look. Is that clonal?
19 What does it mean? Is it going to -- you can do all of this
20 work, and six months later that clone may not even be there.

21 We probably need to think through these things,
22 and you may need to set some boundaries of if it's 50 percent of
23 your sales are marked, looking then to see whether that's a
24 clonal population.

25 But right now it's a very loose discussion of, you

1 know, we're looking at what potentially may be a really rare
2 event and not be clinical and require people to do a lot of
3 work.

4 And I think even the LAM PCR, I think, is
5 proprietary still at this point. No?

6 So but I think these are certainly going to
7 complicate especially as trials move into Phase 2 trials or even
8 Phase 3 where you have lots of patients put on, and we may be
9 getting a lot of data that may not be useful.

10 CHAIRMAN SALOMON: I don't disagree with that at
11 all, as long as we are square about the concept that the
12 response to this new data that there was an insertion is
13 something that we need to be very clear can't be forgotten in
14 any retroviral gene trial going on right now.

15 Now, I don't think just sticking stuff in minus 80
16 freezers and forgetting about it is enough for me. At the same
17 time, I absolutely acknowledge the reason of your point, that if
18 it's one percent and a month later it's gone, I'm not interested
19 in, you know, creating this overarching, you know, craziness or
20 running around doing a test like that.

21 So I think you're right. It should be refined. I
22 think that was Rich's point as well, but I also don't agree
23 that, you know, just solve this by archiving.

24 DR. BAUM: I just wanted to make a kind of
25 unpopular comment here. This also has an economic impact, such

1 a recommendation. Already now its monitoring is highly
2 expensive of the stem cell gene therapy and other gene therapy
3 approaches, and I don't know what the costs would be, but I
4 consider these extremely high, especially when it comes to GLP
5 type testing.

6 And this definitely, at least from the European
7 perspective, would draw any attention of the pharmaceutical
8 industry out of this business, out of this area.

9 And many people say -- we hear it in the comments
10 of the ASGT -- we need the support of the industry to develop
11 the field. We cannot go ever beyond Phase 1 trials when we
12 don't have it.

13 And such a recommendation has an enormous
14 economical impact, and we really have to consider whether this
15 applies to specific what I would call intermediate risk or high
16 risk applications and whether it has to be applied to all kinds
17 of retrovirus or other virus manipulations.

18 CHAIRMAN SALOMON: I think that's fair, too. And
19 that comes under the idea that it would be appropriate, as Ken
20 and Richard said and so you as well, is that we refine it and
21 make sure it was applied in appropriate settings.

22 DR. MULLIGAN: It could be a time dependent thing,
23 too. I mean, I think what's important is that we give the sense
24 that we're very concerned based on this patient, and so there's
25 great apprehension that, in fact, it could happen to every

1 patient.

2 And until we maybe have less of that concern, I
3 don't think it's unreasonable to look at these existing patients
4 in a very careful way.

5 CHAIRMAN SALOMON: I would take the position also,
6 and I think the FDA is -- I'm most comfortable in terms of
7 coaching advice in this sense, and that is it becomes something
8 that each sponsor for each trial gets to address.

9 I mean, we don't have to come across as saying
10 this is absolutely a blanket, but I think we should say to the
11 FDA that in any case in which the sponsor creates or, you know,
12 is proposing a trial, whether that sponsor be in an academic
13 institution or in a company or in some hybrid, that they have to
14 account for this issue and how they're going to deal with it.

15 If they can convince the FDA that for this or that
16 reason it's low risk and, you know, they can only do it every
17 six months, which by the way I think would probably be fine,
18 you know, that's fine. That would all be appropriate, and I
19 think we still can stay with a very clear message to the FDA
20 that to the extent that we've learned today that an insertional
21 event caused something that was diagnosed -- well, at 13, 14
22 months it first showed up and the kid had a leukemia at 30
23 months. That's going to reverberate in my mind every single
24 time a retroviral gene therapy trial is stuck in front of me
25 from now on.

1 DR. BAUM: Maybe one last short question here.
2 Would you ask a pharmaceutical company that develops an
3 alkylating agent for chemotherapy to develop a method that
4 allows clonal tracking of mutogenized (phonetic) clones?
5 Because the incidence of secondary leukemias could be ten
6 percent?

7 That is basically what you're asking now for
8 retrovirus methods. So it just needs to be kept in mind.

9 DR. NOGUCHI: Yeah. Can we get back to the
10 specifics here? And then you could perhaps amplify it. I think
11 we take the larger issue of retroviral vector integration both
12 the therapy that has an adverse event in general as a concern,
13 but what about the SCID trials? Are you saying or what is the
14 consensus saying here? That this is a nice research thing and
15 in one case it predicted possibly that X number, 12 months
16 before that leukemic event could be detected clinically? That
17 was a nice thing, but think about in the other XSCID trials, or
18 is it something more than that?

19 DR. ALLAN: Yeah, I'd like to sort of comment on
20 that. One is that the technology that you're talking about is
21 really for mapping. You're actually mapping the integration
22 sites, and we really don't need to know at least initially, and
23 if you're doing clinical patients, you don't need to know what
24 the integration site is. You only need to know whether it's
25 monoclonal, oligoclonal, polyclonal, and you can do that fairly

1 cheaply by PCR and --

2 PARTICIPANT: Restriction.

3 DR. ALLAN: Yeah, you can do a Southern Blot,
4 restriction through Southern Blot if you have to, but, I mean,
5 probably that's not going to be sensitive enough.

6 So I don't know that it's prohibitively expensive
7 or that difficult even, and I think that, you know, if you're
8 going to do it every six months, especially in the case if
9 you've got cancer in one out of 11 kids, I don't think it's
10 unreasonable to expect that.

11 DR. KALLE: Yeah, I just wanted to comment that it
12 is a PCR assay, that of course, we have made it available to a
13 number of research laboratories by also teaching other
14 laboratory members from other labs how to do it.

15 Of course, to do it in many different labs would
16 cause other problems of standardization, but we would, of
17 course, be cooperative either way. = to help out with this.

18 DR. TORBETT: I'm speaking toward the SCID trial.
19 I'm trying to get back to what Phil was bringing up because I
20 think that we already have noticed that there could very well be
21 other or at least there has been one leukemic event, and I think
22 following at least these groups of patients or others that are
23 transplanted similarly, right now it's imperative until we get a
24 little bit more information to make other decisions.

25 We've had an event. There's a way of tracking it,

1 and I think we can go on. Whether or not it's general for all
2 retroviral therapies I think is a bigger question, but from my
3 own, you know, perspective, I think at least for these kinds of
4 trials, it needs to be done.

5 CHAIRMAN SALOMON: I can't leave here without
6 being really clear. It needs to be done. That's my opinion.

7 Again, we've got to be a little careful here in
8 that -- and I'm perfectly happy that the diversity here is a
9 group of people in our second row here who have got, you know,
10 reasons to want or not want to do this, but I think from my
11 point of view and from the point of view of the panel, I think
12 in SCID trials you've got to do this.

13 Now, exactly how you've got to do it I'm willing
14 to allow each of the sponsors to discuss it and come to an
15 agreement with FDA staff, but I don't think that anyone is
16 saying that it shouldn't be done.

17 Am I misreading anything? Ken and Kathy?

18 DR. CORNETTA: I think requiring a monitoring plan
19 be developed in each protocol is key, and again, there may be
20 differences which each protocol in the populations that may
21 change those. So having a blanket statement here today would be
22 inappropriate.

23 But I think requiring that there's a monitoring
24 plan for this and all protocols would be the recommendation.

25 CHAIRMAN SALOMON: Kathy?

1 MS. KATHY KNOWLES: I think monitoring is very
2 important, but also, too, the point that Abbey made, and I'm
3 going to kind of reinforce it, about establishing the gene
4 therapy clinical registry system is really, really important as
5 well.

6 DR. NOGUCHI: Yes, we heard that very clearly.

7 MS. KATHY KNOWLES: I know you did.

8 CHAIRMAN SALOMON: Okay. Yes, Dr. Malech.

9 DR. MALECH: I actually would strongly agree with
10 you, but I think that one of the words that Dr. Cornetta brought
11 up was boundaries and trigger points, and so I think obviously
12 each of us investigators are going to have to go to the FDA and
13 say, "Here's what we propose."

14 And it's almost certainly going to be more than
15 just storage of samples. It's got to be something like
16 assessment of clonality. But then once you get to that point,
17 it's an infinite number of things you can do.

18 You can sequence each of the clones. You can go
19 on, and so there also need to be trigger points. That is,
20 something has happened to the patient in addition to clonality.

21 For example, in Dr. Kohn's studies, he had oligoclonality, but
22 it wasn't a problem. So we have to have other trigger points.

23 I don't want to define them here, but we'll
24 obviously be proposing them to the FDA that will say: okay. We
25 have monoclonality and such-and-such happens to the patient.

1 That triggers a bigger assessment.

2 But I think that's how it has to be thought about.

3 CHAIRMAN SALOMON: That's excellent.

4 So I'm thinking at this point it's a few minutes
5 after five. We didn't do the very last thing, which was
6 alterations in vector design. That's -- Carolyn, you're giving
7 me a significant look.

8 I mean, I'm willing to take it on if the group is
9 willing to take it on. I'm just thinking that I'm not sure what
10 it is we're going to tell you in terms of vector design at this
11 point.

12 MS. WILSON: I think that some of the comments we
13 heard from various scientists earlier made it clear that it's an
14 important research question, but probably not something that
15 would influence the clinical trials that are ongoing at this
16 stage, unless others feel differently.

17 CHAIRMAN SALOMON: Well, let's just make sure we
18 agree with that.

19 I mean, the only thing I'd throw out if somebody -
20 - I mean, if we would say that -- this is getting pretty far out
21 onto a limb -- that it's the enhancer element in the LTR of this
22 particular vector that was the problem.

23 I just can't believe that I'm going to get anyone
24 to agree that, you know, now we should mutate that enhancer
25 element or something like that, right? I mean, no one is going

1 to go with that.

2 DR. MULLIGAN: I would agree that, you know, for
3 someone who likes to meddle with these vectors, there's so many
4 things that appear that they're really fancy work, and then when
5 you really look at them carefully, you have to be very careful.

6 You would never at this point say you have to do this.

7 I do think that the suicide vector feature, which
8 is another one that has really never worked as well as --

9 CHAIRMAN SALOMON: I didn't pay him to do this,
10 Phil.

11 DR. MULLIGAN: But I actually predict that at some
12 point in the future we may sit here and advise you that that may
13 be a critical feature, but not at this point.

14 DR. NOGUCHI: I think in general we like to say we
15 are dealing with an issue right now that has risks and it has
16 benefits, and our preference is let's deal with that before we
17 start changing things, which will ultimately multiply all of the
18 concerns and all of the ambiguities enormously.

19 Here we have an opportunity to try to understand
20 this particular trial, this particular disease, this particular
21 therapy.

22 DR. TORBETT: I'll have to agree with Rich though.

23 I think that some type of suicide system in the long term is
24 going to be really effective in a situation like this, and I
25 think that's something that should be considered.

1 CHAIRMAN SALOMON: I think the other comment that
2 I would have is if we think about everything we've heard today,
3 it isn't anything that we couldn't have figured out a few years
4 ago, but the idea of using powerful internal promoters in these
5 genes is something that I think we just can't resist, but kick
6 to a little higher level of concern as we go forward in other
7 retroviral gene therapies.

8 Rachel and then Linda.

9 DR. SALZMAN: I want to make one comment back on
10 characterizing the patient subject population. Since Dr.
11 Fischer had mentioned that this one patient number four had a
12 family history of medulloblastoma, that there might be some
13 predisposition to cancer. So I just wanted to add the comment
14 that I think it is important to do family pedigrees, maybe to do
15 some -- I don't know about this, but I know there are certain
16 genetic mapping can target certain genes that are related to
17 certain cancers just to further characterize, not to include or
18 exclude them; just to characterize the patient subject
19 population.

20 DR. WOLFF: Well, I believe it's a consensus that
21 there's a decision to continue these trials, but is there any
22 limit to the percentage of patients who would have leukemia that
23 would stop the trials in any way? Should this be discussed?

24 DR. NOGUCHI: I would like to say that we would
25 like to think about that. However, because of the nature of

1 this particular trial, I would say that no matter what happens,
2 the next one may get leukemia or it may never happen again.
3 That still does not negate the necessity, I think to examine
4 what we're dealing with.

5 This is a rare disease. We are not going to
6 really ultimately have the luxury of trying 14 different
7 approaches to it. So I think the notion of stopping rules is a
8 very good one, but I don't think we know enough to be able to
9 define that two is too many. We simply don't know.

10 If two is too many, what if they're different
11 leukemias? What if one is a carcinoma and one's a leukemia, as
12 an example?

13 So I think you've given us all much more than we
14 had even hoped for, and for any future adverse events, don't
15 worry. We'll be right back here talking about it.

16 (Laughter.)

17 CHAIRMAN SALOMON: Okay. So it's always a problem
18 around five, somewhere around five o'clock. People are on
19 different coasts, hit planes around four because that's just the
20 reality of plane travel these days, and the rest of us who are
21 staying don't care how long we stay, but many of you have homes
22 to get to, et cetera.

23 So I think before we get to the last part of the
24 meeting, which is to go on to discussing the recent site visits
25 and things of FDA research, is there anything else, Phil,

1 Cynthia, Carolyn?

2 I mean, have we covered the waterfront here in
3 that?

4 DR. NOGUCHI: Let me just say on behalf of all my
5 colleagues in the government this is extraordinary. We got
6 advice from the community, especially the affected community,
7 and we're very grateful for your help.

8 CHAIRMAN SALOMON: Then to all of the audience
9 who's very much invited to stay for what will be some very
10 interesting presentations of FDA research, but will not any
11 longer deal specifically with issues of gene transfer and in
12 SCIDs, for all of you thank you very much for being here and
13 participating in a very critical process, I think, to all of the
14 experts and everyone on the panel.

15 But everyone on the panel can't leave. Okay? So
16 should we take a five minute break?

17 Let's take a five minute break, but maybe not
18 leave the room.

19 (Whereupon, the foregoing matter went off the
20 record at 5:20 p.m. and went back on the record at
21 5:30 p.m.)

22 CHAIRMAN SALOMON: Okay. One of the important
23 functions of the FDA staff particularly in CBER is, in addition
24 to doing all of the many different kinds of regulatory work, is
25 that they do, many of them at least, take on the additional

1 responsibilities of doing basis research. And one of the
2 responsibilities of the BRMAC, the Biological Response Modifiers
3 Advisory Committee, is to site visit on a periodic basis these
4 laboratory efforts.

5 And as a scientist myself who writes NIH grants
6 and competes with his colleagues to do the best job possible, I
7 have to say that these are a really, really big deal, to have
8 your who laboratory efforts, directions, focus and productivity
9 reviewed periodically.

10 And to be honest, whenever I do these site visits,
11 and I've done my share over the last five years, I, number one,
12 always get an incredible amount out of them because these are
13 very intelligent people, and they're doing very interesting
14 work.

15 Number two, I'm always amazed and reassured that
16 the work that they're doing directly complements the regulatory
17 activities, and that's something that I've never seen any
18 exception to that and continues, therefore, to fuel my
19 enthusiasm for support of research within the FDA.

20 I am always impressed with how much they do with
21 little. Whenever I go to the NIH I am always saying, "Oh, those
22 guys have got it easy," Mahendra, but whenever I go to the FDA I
23 think, "My God, how do they do this?" And yet they maintain
24 their productivity and the quality of their research.

25 And finally, after this last one, I must make a

1 personal comment. After this particular group which you're
2 going to hear about now, I was so impressed that I essentially
3 had an epiphany where I just said, you know -- I came back. I
4 cut two of the five research projects in my lab. I just cut
5 them. I said, "You know, if one of these guys had come and
6 audited my lab, I know when they walked out they'd go, 'Wow, I
7 don't know about this guy's focus.'"

8 You know, I was thinking in terms of the way I
9 was thinking about them, and my epiphany was if I can go and do
10 that with them and that's the process that's doable, then I
11 shouldn't shy away from doing it on my own, given that no one is
12 doing it to me except through my NIH grants, and I just really
13 had this remarkable response to this this time.

14 So anyway, I don't know whether all of my post
15 docs are happy about my trimming some of the projects, but I
16 think it was definitely a good thing for me as well.

17 So with that introduction, what we're going to
18 hear initially, Dr. Amy Rosenberg for the Division of
19 Therapeutic Proteins is going to introduce her people, which in
20 this case is Dr. Michael Norcross and the group under him, and
21 then we'll hear a little bit about the research.

22 DR. ROSENBERG: My name is Amy Rosenberg, and my
23 division is the Division of Therapeutic Proteins located in the
24 Office of Therapeutics, and our division regulates protein
25 therapeutics with the exception of monoclonal antibodies and

1 some recombinant blood products. We have a very wide variety of
2 products. We have nearly 40 licensed products, as well as
3 numerous products under IND under development.

4 Among the products we have under development are
5 chemokines, and to expertly regulate chemokines is no small
6 feat. Particularly difficult are potency assays, which are
7 critically important to assessment of biological activity.

8 Dr. Michael Norcross, who is our principal expert
9 in chemokines, is crucial to the regulation of these products
10 and is working on development. His research directly impacts on
11 our ability to assess potency assays for these chemokines.

12 He was located in the laboratory of gene
13 regulation. Our division has been reorganized for consistency
14 and efficiency, and he is now in the laboratory of immunology.

15 The other part of his talents, that of an
16 immunologist, are utilized in regulating the numerous tumor
17 vaccines and vaccines for autoimmunity that we deal with.

18 So I will let Mike explain his work to you, but
19 please be aware that his research is key to our ability to
20 properly regulate the products I've just told you about.

21 DR. NORCROSS: Okay. Thank you.

22 Can you hear me okay? I guess.

23 All right. As she said, my name is Mike Norcross.

24 I'm in the Division of Therapeutic Proteins.

25 I suggested we change the name to Division of Non-

1 Drug Proteins, but nobody would go for that. That's sort of an
2 inside joke.

3 Anyway, the studies in my laboratory are mainly
4 focusing on chemokine and chemokine receptor, structure, and
5 function, and with a main emphasis on HIV infection and immune
6 function.

7 The current lab staff includes Jin Hai Wang, a
8 staff fellow who presented at the site visit on his work on host
9 factors regulating chemokine receptors.

10 Ennan Guan, a biochemist who did much of the
11 chemokine biochemistry, and Greg Rodriguez and Mike Phalen
12 (phonetic), who was here years ago and now has come back to the
13 FDA.

14 I wanted to just briefly mention that there are a
15 number of biological function of chemokines that now we in the
16 FDA are involved in in regulation. Chemokines are involved in
17 cell migration and a number of systems, for example, leukocyte
18 trafficking, homing, hematopoietic stem cell mobilization,
19 inflammation and immune responses. This is to recruit cells
20 into an inflammatory site.

21 Recently it's been found that cancer metastasis,
22 that cancer cells or tumor cells can have chemokine receptors on
23 their surface, and they may be involved in metastatic seeding of
24 sites, maybe going towards a chemokine gradient.

25 Of course, allograft rejections, atherosclerosis,

1 and angiogenesis and arteriogenesis, and we've had a number of
2 applications actually addressing different biological processes
3 here as a therapeutic target.

4 Chemokines can mobilize hematopoietic stem cells.
5 Chemokines can actually suppress the replication of stem cells
6 and protect the marrow from chemoablation. Angiogenesis,
7 chemokines can both stimulate blood vessels and inhibit blood
8 vessels, and we've seen other applications of trying to
9 stimulate arterial perfusion.

10 And as Amy mentioned, one of the issues is potency
11 assays, and there's been really a problem trying to get
12 reproducible assays. It was mentioned earlier that, you know,
13 usually things start as a research method, and then to try to
14 apply that for using it for product characterization is really
15 the major obstacle that we're seeing now with chemokines. We
16 need basically a Viagra for potency assays, but anyway, we're
17 working on that.

18 So why are we interested in -- I was going to
19 mention the number of biological activities, but the chemokines
20 have revolutionized the HIV research field with the discovery
21 that chemokines or at least a cocktail of chemokines, RANTES,
22 MIP-1 alpha, MIP-1 beta had potent anti-HIV activity, and it was
23 followed up to find out what the mechanism was that receptors
24 for those chemokines were also receptors for HIV.

25 So chemokine receptors are now termed co-receptors

1 with CD4 for HIV binding, entry, and fusion into the cell.

2 CCR5, the chemokine receptor for these RANTES,
3 alpha and beta, mediates macrophage-tropic virus infection.
4 Another chemokine receptor, CXCR4, mediates T cell tropic virus
5 infection, or X4 virus. These are viruses found in late stage
6 HIV, and those ligands for those receptors, STF alpha and beta.

7 So you can see from this simple model that the
8 concentrations of chemokines in a site where virus is
9 replicating and the expression of receptors in those cells that
10 are the target cells are critical to regulating how much virus
11 will grow and actually probably are important for the disease
12 progression.

13 So the areas that I've been interested in mainly
14 are in the regulation of chemokines, both their expression and
15 their structure, and factors and host factors that regulate
16 expression of chemokine receptors.

17 And so I'll just briefly go over the research
18 projects in that we're basically broken up into two areas. One
19 is chemokine protein structure and function, and those started
20 with studies where we discovered that proteinglycans were
21 binding sites for chemokines on cell surfaces, and that they
22 were required or played a role in potentiating the anti-HIV
23 activity of RANTES, one of the antiviral chemokines.

24 We have been interested in a dipeptidase called
25 CD26. It's on cell surfaces, and we found before the chemokine

1 revolution that this enzyme was important for HIV replication in
2 cells, and we discovered that this same enzyme, the dipeptidyl
3 peptidase, cleaves to amino acids off of chemokines. And we
4 think that chemokines are -- believe and have shown -- that
5 chemokines are probably one of the main substrates for this
6 enzyme at least in the immunological system.

7 And just to briefly tell you that the CD26 is on
8 the cell surface of T cells and macrophages, and it's also
9 secreted into peripheral blood, and its unique property is that
10 it cleaves to amino acids off the N terminus of a chemokine. It
11 has either an alanine or a proline, and missing just two amino
12 acids out of 70 totally changes the activity of the chemokine.
13 Both either it loses activity or it changes its receptor
14 specificity, and we believe that this enzyme system regulates
15 the activity of chemokines in a way that I think it's a feedback
16 mechanism in some ways to shut off inflammation, but it's also
17 another mechanism to regulate the kinds of T cell helper cells
18 that you'll get in an immune response.

19 I'm not going to go into the details of the data
20 that I presented at the site visit or that we're working on to
21 support these models.

22 So with our interest in the natural enzymes that
23 process chemokines, we became interested and have studied the
24 structure and function of naturally secreted chemokines, and we
25 have made several important observations, and one was that when

1 we started to look at the chemokines secreted by T cells and
2 macrophages, we found that at least one set, MIP-1 alpha and
3 beta, which have potent anti-HIV activity, were found as a
4 heterodimer.

5 And the field, even as of this year, generally
6 believed that chemokines worked as monomers, and we found the
7 first example of a dimer, and it really raises a lot of
8 interesting questions about how a dimer of a chemokine may work
9 to cross-link receptors or to induce signaling pathways that
10 really weren't considered previously in this field.

11 We've recently published a paper on the MIP-1
12 data, the natural form of MIP-1 data secreted by T cells, and
13 found that this chemokine not only binds to a receptor that
14 mediates HIV entry, but now gains the ability to bind to other
15 receptors.

16 And we think that this chemokine may be important
17 in suppressing immune responses late in T cell response through
18 activation of a cell called a regulatory T cell.

19 The other have of the projects that we're working
20 on that Dr. Wang and his colleagues have been working and
21 defined and we have published on is the regulation of chemokines
22 and receptors by host factors, and host factors, particularly
23 chemokines.

24 And we look at this in two different ways. These
25 are the ligand dependent regulatory pathways, and we've looked

1 at T cells with CCR5 expression, and primarily we've been
2 interested in the role of Interleukin 2 and Interleukin 12 in
3 stimulating production of MIP-1 alpha and beta in T cells. And
4 we think that this might be an interesting combination of
5 chemokines actually in therapy for HIV.

6 The other half of these types of projects or what
7 we call ligand independent regulation, that is, ligand chemokine
8 independent regulation of the receptor expression, and we looked
9 in monocytes and macrophages, and what we found is that
10 chemokines IL-4 and IL-13 and GMCSF had a very potent activity
11 in suppressing the expression of chemokines on these cells.

12 And that correlated with a resistance to virus
13 infection. And we went on to and Dr. Wang did some elegant
14 experiments to look at the mechanism of how this was regulated
15 by looking at tyrosine phosphorylation, phosphorylation of
16 receptors, the recruitment of focal adhesion kinases, and a very
17 eloquent mechanism of how cytokines can actually feed back and
18 turn off chemokine receptor expression, and we think that this
19 mechanism, I think, is important in vivo actually for regulating
20 the sensitivity of virus infection in vivo.

21 And I won't mention really too much about
22 interaction of chemokine receptors.

23 And also with host factors and HIV entry and
24 pathogenesis, we published recently or within the last year on
25 TGF beta and mediating depletion of T cells by inducing

1 apoptosis in normal activated T cells, and we have some ongoing
2 work on really another aspect of pathogenesis in virus entry
3 where we found that an amino acid transporter was involved in
4 the translocation or the signaling through that transporter
5 could modify transcription and nuclear translocation of HIV by
6 disrupting an intermediate filament.

7 And I'll just finally finish up on what we're
8 currently doing in our future directions in these areas, and we
9 are continuing to look at chemokines secreted by normal T cells,
10 particularly interested in antigen presenting cells, dendritic
11 cells, B cells, and CTLs.

12 We've been looking at other chemokine processing
13 mechanisms. We've discovered another enzyme that I think
14 cleaves chemokines that aren't sensitive to the CD26 enzyme, and
15 I think these two pairs of enzymes are the main enzymes that are
16 regulating or metabolizing chemokines.

17 We're following up on our observation about the
18 chemokine dimer. We believe that this is a potent and novel
19 anti-HIV agent, and we are looking at the receptor signaling and
20 the cross-linking kind of potential that you'll get from a dimer
21 of chemokines in contrast to a monomeric form of an agent.

22 And I mentioned about the MIP-1 beta. We think
23 that this is involved in recruitment of a regulatory T cell that
24 will turn off an immune response once it's started.

25 We had proposed to look at, to go into the mouse

1 model. We mainly work on human systems, but we're interested in
2 addressing the CD26 in these new knockout mice to actually
3 address the question about processing and what effect in vivo it
4 will have, and also with T cell helper cell polarization.

5 And then finally, we're continuing studies on the
6 mechanism of this ligand independent pathway, this cytokine
7 pathway, by looking at roles of endocytosis, clathrins, the G
8 coupled receptor kinases, arrestins, and the STAT proteins in
9 the signaling pathways.

10 So briefly, that's a very quick overview of what
11 we've been doing and what we plan on doing in the future.

12 So thank you.

13 (Applause.)

14 CHAIRMAN SALOMON: In the past, we've, when we had
15 more time and it was earlier in the day, I encouraged
16 discussions of these things. I would hope you'll forgive me if
17 we just go on to the next one and then maybe if we have
18 questions.

19 Anyone want to throw anything at me on that one?
20 No? Okay.

21 Michael, no disrespect.

22 DR. NORCROSS: No.

23 CHAIRMAN SALOMON: Absolutely.

24 Okay. Phil, would you like to introduce for the
25 Office of Cellular Tissue and Gene Therapies the programs of Dr.

1 Raj Puri and Dr. David Essayan?

2 DR. NOGUCHI: Yes. Thank you.

3 And I apologize. I wanted to use the restroom in
4 between here.

5 Recently the FDA and CBER has announced a new and
6 launched a new office that will cover cellular therapies, tissue
7 therapies, and gene therapies and other assorted things related
8 to that. As part of that reorganization and as we go along, I
9 think that the programs of the Division of Cell and Gene Therapy
10 continue in their scientific approaches that you have reviewed
11 previously.

12 One new part of the reorganization that has just
13 occurred is Dr. Raj Puri has been named as Acting Director of
14 the Division of Cell and Gene Therapies, and so that I think
15 some of the concerns that were expressed in the draft report are
16 probably very pertinent as you look at what he's doing to date.

17 Dr. Raj Puri, in addition to leading a program for
18 molecular tumor biology, is also co-Director of the NCI-FDA
19 microarray facility and has done a very good job at moving some
20 of the standardization forward on that.

21 I don't believe he'll be talking too much about
22 that. I just have to put in one very nice thing here. He
23 recently went over to NCI to show them some of the chips that
24 FDA has made versus what NCI has made, and it was, I think, a
25 fairly uniform agreement that, well, they'd like to learn how we

1 make it better than they do.

2 And in addition to Dr. Puri's review of his
3 laboratory program, we had another review program of Dr. David
4 Essayan, who is in the transition period moving his research
5 program from the Johns Hopkins University to the Center for
6 Biologics and really is just beginning to establish his own
7 program separate from Hopkins, but within our own research
8 facilities.

9 I'll leave it at that.

10 Raj.

11 DR. PURI: First I'd like to thank Dr. Salomon,
12 Dan Salomon, and the subcommittee, site visit subcommittee, and
13 the Biological Response Modifiers Committee for your time in
14 reviewing the scientific program in the Laboratory of Molecular
15 Tumor Biology.

16 As Dr. Noguchi mentioned, my lab is composed of
17 two principal investigators: one, myself; another is Dr.
18 Essayan. And you are going to hear from him his research
19 program, which we all presented at the site visit.

20 Next slide, please.

21 I think my presentation is in that computer.
22 There is some technical glitch.

23 I will indicate some of the names of my staff who
24 has done all of the work which I will present here today.

25 Our research stems from a serendipitous discovery

1 of the expression of receptor of immune regulatory cytokine in
2 studying the muting tumor cells to study the mechanism of action
3 of tumor biology and cytokine in the context of tumor biology.

4 We found that Interleukin-4, which is a PH-2
5 derived cytokine, the receptors are overexpressed on muting
6 tumor cell, and since then we studies a variety of human solid
7 tumors. We found, as listed here, a large number of human solid
8 tumors expressed receptors for this cytokine.

9 Not only the receptors are overexpressed. We have
10 shown over the number of years, almost more than a decade of
11 this research, that these receptors are also different from that
12 of immune cells and the receptor structure is different in
13 addition to signaling construction to these receptors is
14 different on tumor cells.

15 I have presented some of these data in my site
16 visit was held in 1997, and I will not talk about a whole lot.
17 Ever since then we have taken the advantage of this knowledge of
18 overexpression of IL04 receptors on tumors in producing a fusion
19 protein where the IL-4 is fused to a bacterial toxin made by a
20 bacteria called Pseudomonas aeruginosa, and this molecule called
21 IL-4PE or IL-4 toxin now is in clinical trial for the treatment
22 of recurrent brain tumor, the deadliest form of all cancer.

23 About seven years ago, a new cytokine was cloned
24 called Interleukin 13, which is a cousin of Interleukin 4, and
25 it's also made by the Th2 cells, and we were obviously very

1 interested to see if its receptors are also expressed on tumor
2 cells.

3 And I will show you in this slide ahead that
4 pleasantly, a pleasant surprise that these receptors are also
5 overexpressed on certain number of cancer cells.

6 Next slide, please. The slide before.

7 So we have arbitrarily divided our research
8 program in three major areas. One is to study the biology of
9 these tumors and the discovery of novel surface molecule on
10 solid human tumors.

11 And second, which I will not talk here today a
12 whole lot, looking at the mechanism of action of signal
13 transduction through these two receptors and in the tumor cells
14 and in the immune cells.

15 And thirdly, the third aspect we are studying is
16 the targeting of these receptors for a possible treatment of
17 human cancer.

18 Next slide, please.

19 As I indicated that Interleukin 13 receptors were
20 found to be overexpressed in a variety of different tumors as
21 shown here, some of the cells lines and tumors, glioblastoma
22 multiform cell lines, primary cell culture, tumor specimen, AIDS
23 associated Kaposis tumor, certain percentage of squamous cell
24 carcinoma of head and neck, and some ovarian carcinoma.

25 But in contrast to the tumor cells, normal immune

1 cells on which IL-13 has prominent biological activity express
2 very few or non IL-13 receptors. T cells do not have IL-13
3 receptors, and IL-13 does not mediate a biological response on T
4 cells.

5 But in B cells and monocyte, the receptor numbers
6 are only few, but the tumor cells express a lot, many on the
7 cell surface.

8 Next slide, please.

9 In the numerous studies we have reported
10 vigorously in various journals, we have proposed that IL-13
11 receptor is three different type, which is similar to Type 1
12 cytokine receptor superfamily. In Type 1 IL-13 receptor, the
13 IL-13 binds to two IL-13 binding proteins called alpha 1 and
14 alpha 2 chain.

15 And alpha 1 chain forms a productive complex with
16 the IL-4 receptor alpha chain, and this receptor configuration
17 is present on a variety of solid tumor cells, such as malignant
18 brain tumor, kidney cancer, and squamous cell carcinoma of head
19 and neck.

20 In Type 2 IL-13 receptor, alpha 2 chain is absent.
21 Instead, these two chains are present, and both chains bind
22 Interleukin 4, and this type of receptor not only comprises and
23 forms a Type 2 IL-13 receptor, but it also forms Type 2 IL-4
24 receptor, and these two chains may be a signal transduction
25 introduced by Interleukin-4 and Interleukin-13 in

1 nonhematopoietic cells.

2 The Type 3 IL-13 receptor was another player,
3 which you heard today a lot about the gamma chain. Gamma chain,
4 as you heard, that is a component shared between IL-2, IL-4,
5 IL-7, IL-9, IL-15, and IL-21 receptor system.

6 And because Interleukin-4 has similarity in
7 biological activity to that of Interleukin-13, it was believed
8 that gamma chain is also a component of IL-13 receptor system.

9 However, our studies have demonstrated that gamma
10 chain does not bind Interleukin-13 by itself. It does not
11 participate in the formation of IL-13 as separate complex. But
12 if you do express gamma chain in nonhematopoietic cells, such as
13 renal carcinoma cells, this chain can interfere, binding up
14 Interleukin-13 and signaling transduction through Interleukin-13
15 in those tumor cells.

16 Next slide, please.

17 To confirm this model, we performed reconstitution
18 studies, and we published two papers in Blood in over two or
19 three years, and those studies are summarized here, the two
20 manuscripts I'm rising on this slide, which supports our
21 proposed model that IL-13 receptor is composed of three
22 different types.

23 And to summarize that IL-13 binds to IL-13
24 receptor alpha-2 chain with high affinity and alpha-1 chain with
25 low affinity. One chain is co-transfected in Chinese hermister

1 (phonetic) ovarian cell with IL-4 receptor alpha chain. It
2 forms a high affinity IL-13 receptor, and these studies were
3 performed by Dr. Kosi Kawakami in the lab.

4 In addition, Dr. Kawakami found that IL-13
5 structural institution (phonetic) is internalized after it binds
6 to IL-13 ligand, but it does not mediate signal transduction
7 through JAK or STAT kinase pathway.

8 And as I indicated, IL-13 receptor alpha 1 chain
9 forms a high affinity receptor to alpha 4 receptor chain and
10 does also mediate signal transduction through JAK/STAT pathway.

11 Next slide, please.

12 Dr. Kawakami discovered in the lab and in paper to
13 be reported, published last December in General Experimental
14 Medicine. He found that IL-13 receptor alpha 2 chain has a
15 unique property which we did not expect. The receptor chain
16 gene transferred into the human breast and pancreatic cancer
17 inhibited the tumor growth in the immunodeficient animals.

18 As you can see here, two different clones of
19 breast cancer and pancreatic cancer continue to form large
20 tumor. Needed to sacrifice due to ethical reasons, but in
21 transfactor cells, you can see there is a delay or no occurrence
22 of the tumor at all, and this reoccurrence of the tumor later on
23 was associated with the loss of alpha 2 chain expression.

24 Next slide, please.

25 We have found numerous studies. We reported that

1 in that paper, but to tell you that there's an interesting
2 phenomenon that infiltrates in our inflammatory cells and
3 neutrophils that seem to produce IL-13. As you see here, the
4 core localization studies performed by Dr. Kawakami.

5 And in addition to, we looked at a chemokine
6 production such as Interleukin 8 is made by those cells.

7 Next slide, please.

8 So this program is currently ongoing, and our
9 future plans include to study the incidence of receptor
10 expression in vivo in tumor samples by different techniques. We
11 will continue to study the structure and significance of this
12 receptor expression in tumor cells, particularly with regards to
13 the expression of alpha 2 chain.

14 And Dr. Noguchi mentioned that we are using a
15 microanalysis to see what type of pathways are associated with
16 IL-13 receptor alpha 2 chain, why nature provided so many of
17 these receptors for the immune regulatory cytokine, which is
18 supposed to have biological activity on the immune cells, but
19 not on the cancer cells.

20 In addition to that, we are looking at the
21 regulation of IL-13 receptor alpha 2 chain because it is only
22 overexpressed on the tumor cell, but not on the immune cells.
23 Therefore, if we can figure it out how to regulate this receptor
24 which can be helpful in developing therapeutic modalities for
25 the treatment of cancer.

1 We want to further expand our studies which we
2 reported in JX Med. that what is the mechanism of inhibitional
3 tumor growth with alpha 2 chain, and of course, because this
4 receptor chain is only expressed on tumor cells, we ask the
5 question: is this tumor, this receptor chain, is it novel tumor
6 associated antigen?

7 Next slide, please.

8 But in the meantime, we have taken the advantage
9 of knowledge of this expression of IL-13 receptors on solid
10 tumor cells, and we created a fusion protein. As I indicated in
11 case of Interleukin-4, here in Interleukin-4 we connected IL-13
12 to Pseumonas exotoxin made by a bacteria, Pseudomonas
13 aeruginosa, and it has three domains.

14 The binding domain is replaced here by
15 Interleukin-13 and gives a new characteristic to the molecule.
16 It will only bind to the cell if they express IL-13 receptor,
17 and it will only kill the cell if enough molecules go inside the
18 cell.

19 So Dr. Bharat Joshi in the lab -- next slide,
20 please -- made this molecule in large quantity in the lab by
21 recombinant DNA technology and expressed that in E. coli, and he
22 perfected the technique to produce large quantity of this
23 protein in a very short period of time.

24 And we asked the question of various fellows in
25 the lab: Dr. Rapat Hussein, Dr. Mariko Kawakami, Dr. Bharat

1 Joshi, and Dr. Kogi Kawakami, and Pam Dover studied that, and
2 they found that the tumor cells which express high number of IL-
3 13 receptors were highly effectively killed by IL-13 toxin.

4 Consistent with the lack of expression of IL-13
5 receptor on immune cells, the IL-13 toxin was not active or did
6 not kill those cells in the tissue culture, and the IL-13 toxin
7 made a remarkable anti-tumor effect in three tumor model which
8 we have reported in malignant glioma model, ascaposis model, and
9 head and neck cancer model.

10 Next slide, please.

11 This is just a cartoon which I just said that IL-
12 13 toxin bind to the IL-13 receptor or expressed on the tumor
13 cells, and this toxin internalized process intracellularly and
14 caused the protein synthesis inhibition leading to the apoptotic
15 and necrotic cell death, and the cells dies where the normal
16 cells do not have IL-13 receptor have low number. This molecule
17 does not go inside the cell, does not get processed, and the
18 cell don't die, and providing a good therapeutic window where
19 you can target IL-13 receptor with this molecule.

20 Next slide, please.

21 So based on our preclinical studies, our data has
22 resulted into the initiation of three Phase 1/Phase 2 clinical
23 trials, and by the way, this technology has been licensed by the
24 NIH technology transfer to the biotechnology companies who are
25 undertaking these clinical trials.

1 The first clinical trial, the drug is infused
2 directly into the brain tumor. The study being undertaken by
3 new approaches to brain tumor therapy, a study headed by Skip
4 Grossman at Johns Hopkins University with nine other clinical
5 centers, where they infuse the drug and monitor the safety,
6 tolerability, and clinical responses.

7 In the second trial, which is being taken at the
8 Memorial Sloan Kettering, M.D. Anderson USCF, and Yale Cancer
9 Center, where the drug is infused first. The tumor is resected,
10 followed by infiltration of this drug surrounding the tumor
11 cavity.

12 The third trial just initiated in Israel, as well
13 in Germany and the Cleveland Clinic, where the drug is infused
14 preoperatively and the tumor resected, where the tumor response
15 and the safety is being monitored.

16 Next slide, please.

17 So as I indicated, that IL-13 receptor alpha 2
18 chain binds IL-13 with the high affinity, and Dr. Kawakami has
19 found in the lab that the tumors cells which do not express
20 alpha 2 chain, if you put alpha 2 chain in those cells, you can
21 sensitize them to the cytotoxic effect of IL-13 toxin.

22 And similarly, he has shown that in vivo if you
23 put this alpha 2 chain, sterilely transfected tumor cell, when
24 you form the tumor in the mouse and retrieve with the drug with
25 IL-13 toxin systemically, you can mediate systemic tumor

1 response.

2 Now he is undertaking third generation experiment
3 which is similar to the clinical situation. Form the tumor
4 first, and it will model of human disease, and come back and do
5 a plasmic mediated gene transfer in vivo in the tumor, followed
6 by IL-13 toxin systemic therapy.

7 In addition to DR. Sitteram in the lab is
8 generating antibody to IL-13 receptor IL-2 chain by phage
9 display technology because alpha 2 chain is overexpressed on the
10 tumor cells, and one can use an antibody connected with a toxin
11 molecule and make a recombinant fusion protein for the targeted
12 therapy of cancer.

13 And she's also expressing excessive domain of
14 alpha 2 chain to generate a unique region for some of our
15 studies as I indicated.

16 Next slide, please.

17 So this is my last slide, and I wanted to bring to
18 your attention how this research impacts on our regulatory
19 process. Cancer is a most difficult public health problem, and
20 our research supports informed decision making for accelerated
21 development of novel therapeutics.

22 Our research anticipates public health needs and
23 supports informed decision making in the protection and
24 prevention of public health. It provides insight into the
25 mechanism of action of safety, chemistry manufacturing, and

1 control of growth factors, cytokines, cells, and gene therapy
2 that we regulate.

3 It provides insight into development of standards
4 and new technologies such as indicated, that microarray
5 technology that we have developed.

6 It helps development of identity and potency of
7 tumor therapeutics and vaccine that we regulate in Office of
8 Cell Tissue and Gene Therapy, in the health development and
9 retention of expertise in the cutting edge areas of medical
10 research which we need to evaluate those cutting edge research
11 as we see at CBER.

12 And I'd like to thank you for your kind attention.

13 (Applause.)

14 CHAIRMAN SALOMON: Thank you, Raj.

15 DR. ESSAYAN: David Essayan.

16 Which mic is working here? Can you guys hear me?

17 Okay. I want to thank the committee and the
18 audience for their endurance.

19 My laboratory has two main projects. The first
20 one is regulation of T lymphocyte responses by specific
21 phosphodiesterase, or PDE enzyme isoforms. Our second project
22 is the pharmacology and regulation of a novel IL-17 homologue,
23 which we and our colleagues at Hopkins recently cloned. We call
24 it ML-1, but it has also been dubbed Interleukin-26.

25 Just to remind everyone the steady state

1 intracellular levels of cyclic nucleotide second messengers are
2 regulated at the point of degradation by the cyclic nucleotide
3 phosphodiesterases.

4 Our laboratory was the first to demonstrate that
5 inhibition of PDE-4 with the resultant increase in intracellular
6 cyclic AMP specifically down regulated proliferation and
7 cytokine generation from peripheral blood mononuclear cells and
8 allergin specific T cell clones.

9 We went on to demonstrate that the effects of PDE-
10 4 inhibition were mediated through concurrent activation of Type
11 1 and Type 2 cyclic AK, otherwise known as protein kinase A.

12 These were all memory responses. We have since
13 become interested in looking at the effects of PDE-4 and a more
14 recently described PDE-7 and their inhibition on neoantigen
15 responses and induction of T cell tolerance.

16 Along that line we've used a model whereby mice
17 are sensitized to ovalbumin in the presence or absence of
18 Rolipram, our model Type 4 PDE inhibitor, and then rechallenged
19 at a later date either once or several times with ovalbumin.

20 Using systemic rechallenge we've demonstrated a
21 significant dampening of the proliferative responses to
22 ovalbumin from cultured splenocytes. Our control PDE inhibitor,
23 which is a PDE-3 inhibitor called Milrinone, had no such effect.

24 Looking at bronchial challenge, we've demonstrated
25 that the Rolipram treated mice show a marked decrease in their

1 bronchoalveolar lavage eosinophil counts, as well as abrogation
2 of their bronchial hyper responsiveness. We feel that these
3 findings are consistent with induction of antigen specific
4 tolerance.

5 Future aims for this line of research include
6 comparison of PDE-4 inhibitor effects between Th1 and Th2 type
7 stimuli. Work has been done with Th2 stimuli.

8 We would also like to go on to identify the
9 potential synergy with concomitant signal 2 blockade and would
10 also like to look at the potential synergy or antagonism with
11 concomitant PDE-7 inhibitor administration.

12 Our laboratory, which is actually just a grandiose
13 term for my technician and I, have also been working since 1999
14 on our second project at which point we identified with my long
15 term collaborator, Dr. Shao Ku Wang, an open reading frame on
16 6P12, which showed significant homology to Interleukin 17. We
17 named this ML-1 and went on to demonstrate that ML-1 was
18 constitutively expressed in basophils and Th1 cells and up
19 regulated on activation in T cells and in clinical
20 bronchoalveolar lavage samples.

21 The following year we defined the tissue
22 distribution of ML-1 compared to Interleukin 17, expressed ML-1
23 in a variety of systems, and went on to also generate a murine
24 polyclonal for Western Blotting.

25 Recently we've demonstrated that ML-1 induces

1 secretion of Interleukin 6 and Interleukin 8 from endothelial
2 cells in a manner similar to Interleukin 17, but also up
3 regulates expression of ICAM-1 on bronchial epithelial cells in
4 contrast to Interleukin 17.

5 Even though the ML-1 receptor has not been
6 identified to date, we've gone on to show that ML-1 activates
7 ERK1 and ERK2 kinases, but not p38 or the JNK pathway.

8 And most recently, sine the site visit, we've also
9 gone to show that pulmonary administration of an expression
10 construct for ML-1 induces neutrophil influx in the pulmonary
11 bronchi, and here we just show the dose dependent up regulation
12 of IL-6 and IL-7 and, in contrast, Interleukin 17, increasing
13 the mean channel fluorecence for ICAM-1.

14 And here we show the transient up regulation to
15 phospho-ERK1 and ERK2, but no changes in phospho-p38 or JNK.

16 Future directions for this project include
17 characterization of the expression of ML-1 in specific disease
18 tissues; characterization of the biology of ML-1 on additional
19 immune cell types; investigation of the pharmacologic regulation
20 of ML-1 by immunomodulatory agents.

21 We're in the process of generating ML-1 transgenic
22 mice at the core facility at Hopkins and ultimately would like
23 to generate ML-1 knockout mice and then would also like to look
24 into the cloning sequencing and functional analysis of the ML-1
25 five prime UTR.

1 The mission relevance of this data I will close
2 with. We feel that these projects increase our expertise in T
3 cell biology and immunopharmacology, which enhances our ability
4 to review a variety of products for a variety of indications.

5 We also feel that our research findings are
6 relevant to the pathophysiology of treatment and treatment of
7 allergic and immunologic diseases.

8 I would note that PDE-4 inhibitors are currently
9 in late phase development at our sister center, Center for
10 Drugs.

11 I will close with that. Thank you very, very
12 much.

13 (Applause.)

14 CHAIRMAN SALOMON: Okay. So if I remember the
15 drill, then what we need is to clear the room and go into a
16 closed session where we'll vote on approval of the site visit
17 report.

18 Certainly before everybody leaves, let me thank
19 Michael and David and Raj and everyone else in their groups for
20 a really excellent set of presentations when we visited.

21 (Whereupon, at 6:17 p.m., the meeting in the
22 above-entitled matter was concluded, to reconvene immediately in
23 closed session.)

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17