

1 Dr. Gellin.

2 DR. GELLIN: I have no additional
3 comments on that.

4 CHAIRMAN DAUM: Thank you.

5 Dr. Steinhoff, where are you? Gone, not
6 forgotten.

7 Dr. Myers.

8 DR. MYERS: I think it's an appropriate
9 study.

10 CHAIRMAN DAUM: Dr. Overturf is gone.

11 Dr. Diaz has spoken. Do you want to
12 speak again to this?

13 DR. DIAZ: I haven't really weighed in
14 on this particular issue.

15 CHAIRMAN DAUM: Weigh in, please.

16 DR. DIAZ: I would consider doing
17 essentially what they have described, although I'm
18 not really clear as to what the appropriate study
19 would be. Something along these lines, and perhaps
20 assuring that there is no other adverse events that
21 are not mentioned here that would be considered
22 potential serious adverse events. Obviously it goes
23 without saying.

24 CHAIRMAN DAUM: Thank you.

25 Dr. Markovitz.

1 DR. MARKOVITZ: I have nothing to add.

2 CHAIRMAN DAUM: Dr. Parsonnet?

3 DR. PARSONNET: I have nothing to add,
4 but could I address the next question because I also
5 have to leave?

6 CHAIRMAN DAUM: Yes.

7 DR. PARSONNET: For the next question
8 which is on post marketing trials, I'd like to see
9 more comparative studies with the inactivated
10 vaccine and also more data specifically on efficacy
11 in the age groups that we have been talking about.

12 CHAIRMAN DAUM: Thank you very much, and
13 please travel safely.

14 Ms. Fisher.

15 MS. FISHER: Well, it sounds like we
16 need to know more about the biological mechanisms
17 for vaccine induced immunity and the correlates for
18 immunity, and so I haven't got a clue as to what the
19 endpoints would need to be.

20 CHAIRMAN DAUM: Okay. Thank you, Ms.
21 Fisher.

22 Dr. Goldberg?

23 DR. GOLDBERG: I think what's being
24 proposed is probably fine, assuming that all the
25 other adverse events are recorded. My only question

1 is if you do this shortly before you're going to
2 develop and produce the vaccine for that year, what
3 does this do to the production timetable. I mean if
4 you had a result that you now had to deal with, when
5 do you do this?

6 DR. YOUNG: Dr. Daum, would you like me
7 to comment on that?

8 CHAIRMAN DAUM: I believe I would.

9 DR. YOUNG: Thank you.

10 Basically what we do is once we make the
11 MVS, we expand that one more passage and make a
12 clinical trial lot as we move into production. So
13 the clinical trial lot is made in parallel with
14 production of the commercial material, if you will,
15 and while we're making commercial material, we do
16 the safety study at risk as a release test.

17 DR. GOLDBERG: That was what I was
18 wondering, but it could theoretically impact your
19 production for the year; is that right?

20 DR. YOUNG: We certainly don't wait for
21 the results before we start production.

22 DR. GOLDBERG: Yeah, but supposing
23 you're at risk.

24 DR. YOUNG: Oh, absolutely. If we have
25 a hot strain that has developed, we're -- I don't

1 want to use "dead in the water." That's not a good
2 term to use around you all.

3 CHAIRMAN DAUM: We can't handle it.

4 (Laughter.)

5 CHAIRMAN DAUM: Dr. Steinhoff, did you
6 want to comment on issues related to discussion
7 point three?

8 Nothing to add. So I'm the last person,
9 and I would like to see. I think the FDA proposed
10 plan is sound. I will make my pitch for annual
11 monitoring of efficacy once the vaccine is deployed,
12 and one idea I had about how to do that, which would
13 obviously take a lot more thought than my comment
14 here, is in areas where there's influenza
15 surveillance to perhaps develop a case control
16 technique to assess efficacy of the vaccine each
17 year.

18 I find myself wanting to know that about
19 influenza vaccines of any sort and would love to see
20 data about that.

21 Also, if I hear the drum beats
22 correctly, we're moving more and more toward
23 immunizing children not in the near future, but
24 certainly in the future is my suspicion, and if
25 that's so, the plan to do testing on adults alone

1 that was proposed won't be enough, and there will
2 have to be children included in that annual
3 evaluation as well.

4 And, Michael, before we leave discussion
5 point three, would you like to give us industry
6 perspective?

7 DR. DECKER: Very briefly.

8 CHAIRMAN DAUM: Thank you.

9 DR. DECKER: Concur with the proposal,
10 and with respect to the questions about additional
11 data, I think it has become clear now that this test
12 can only be -- it's the last safety check for a hot
13 lot. If you failed the test, which hopefully no one
14 ever will, you've lost your production. You may not
15 even be able to go back and make any more in time.

16 The thought that you're going to get
17 data out of this then allows you to adjust what
18 you're doing that year is simply not supported. You
19 can't do it.

20 CHAIRMAN DAUM: Thank you very much.

21 We move on to discussion point four, but
22 I'd like to preempt the discussion by at least
23 pointing out that I believe that a great majority of
24 the things that we'd like to see done have been
25 addressed, but we will survey the committee to make

1 sure there's nothing else that people want to say.

2 Of course, discussion point four deals
3 with if the data are adequate to support safety and
4 efficacy, to discuss what additional information, if
5 any, should be requested from post marketing
6 studies.

7 One point to keep in mind as we go
8 around on this is that if you believed that the data
9 were not adequate, then perhaps this question is
10 moot, at least as I understand how it's written.
11 FDA people agree?

12 DR. MINK: Actually, yes, I agree. Most
13 of the deficiencies from the committee members who
14 voted no they stated at the time they voted.

15 CHAIRMAN DAUM: I believe so. So we'll
16 just run around quickly and make sure there's no
17 other comments, and then we can consider
18 adjournment.

19 Dr. Stephens, anything else?

20 DR. STEPHENS: Well, I want to be sure
21 the list is full and long because I think there is a
22 long list of post marketing issues that need to be
23 addressed.

24 The revaccination safety issue, for
25 example, and efficacy issue I think is one that

1 needs to be addressed. The whole issue of asthma in
2 children needs to be better addressed and understood
3 because, you know, it was the clear hope that this
4 vaccine would be used in younger children, and I
5 don't understand the asthma issue all that well, nor
6 do I understand it in influenza in general. It
7 needs to be addressed.

8 The viral shedding and reassortment
9 issue, I think, is still out there and needs post
10 marketing assessment. The transmission high risk
11 individuals, we've discussed that today. It's still
12 an issue that's on the table and needs additional
13 post marketing studies.

14 Dr. Parsonnet mentioned the issue of
15 comparative studies with the inactivated influenza
16 vaccine, and then the age group issues that we've
17 already discussed in depth.

18 I would urge also because I think it
19 would really help for future vaccines of this nature
20 to understand the immune correlate of protection.
21 We simply do not understand that for this vaccine.
22 We have just recently been told that antibody is a
23 worthless guide for this particular vaccine, but we
24 need to understand how this vaccine works in terms
25 of future vaccines.

1 CHAIRMAN DAUM: Thank you.

2 You'll be pleased to know that those of
3 us that were keeping a list of things that were made
4 before had a pretty good cross-reference with your
5 list, but it doesn't hurt to review, and almost all
6 of those things are actually in the record, which is
7 good, and the agency, I think, has heard, but let's
8 make sure.

9 Dr. Katz?

10 DR. KATZ: It seems to me I don't know
11 if it fits under the purview of question four, but
12 what I would like to see is a study of the vaccine
13 used in high risk individuals. We heard a little
14 bit about HIV infected individuals, but I think
15 there are many other groups whom we list as high
16 risk.

17 I don't know if that's something the
18 company is interested in doing, if it's something
19 that FDA would endorse with an IND, but one way or
20 another I think that questions needs to be answered.

21 CHAIRMAN DAUM: For several reasons
22 actually.

23 Dr. Edwards?

24 DR. EDWARDS: I think that as a
25 pediatrician the delivery of inactivated vaccines

1 currently even to our high risk groups is not very
2 good. I think flu vaccine, internists do much
3 better than pediatricians. That's probably the only
4 vaccine, however.

5 But I think that the delivery system is
6 an interesting one and does perhaps open much
7 broader array of opportunities for young children to
8 be immunized. So I would really urge that the
9 additional studies on the mechanism of the reactive
10 airway disease be done so that ultimately this
11 vaccine might be delivered to the children that were
12 supposed to be the target population in the
13 beginning.

14 CHAIRMAN DAUM: Thank you very much
15 Kathy.

16 Dr. Snider.

17 DR. SNIDER: Well, with regard to the
18 reactive airways disease, I mean, I think we need to
19 keep in mind that given the numbers, there may be
20 problems in persons older than 60 months of age, and
21 so we need to be attentive to that.

22 And also, as I mentioned earlier, I
23 think we need to look at this issue of asthma or
24 reactive airways disease in the larger context in
25 terms of what might be induced by FluMist versus

1 what might occur as a result of natural infection
2 and what might be prevented or not prevented by the
3 inactivated vaccine.

4 And so I think those are interesting
5 questions. I think it has probably already been
6 mentioned that we need to continue to look at the
7 transmission issues, both the inadvertent and
8 otherwise. The reassortment issue obviously needs
9 to continue to be monitored.

10 Revaccination has been mentioned. Risk
11 groups, safety and efficacy has been mentioned. The
12 age group is not included, may or may not have been
13 mentioned, but I hope they're on your list of things
14 that should be looked at.

15 And immune correlates have been
16 mentioned. Operational issues also would be of
17 interest, although I'm not sure they're necessarily
18 post marketing studies in terms of things you would
19 lay on the manufacturer, but in the broader context
20 if you interpret post marketing as things that might
21 be done in the context of after licensure by
22 someone, the thing that was mentioned earlier in
23 terms of who will administer this vaccine and can it
24 be self-administered or would it be administered by
25 ancillary medical personnel or pharmacists and so

1 forth; I think those things are interesting topics
2 to look at down the road.

3 CHAIRMAN DAUM: Thank you, Dixie.

4 Dr. Hamilton, please.

5 DR. HAMILTON: I have nothing to add.

6 CHAIRMAN DAUM: Thank you very much.

7 Dr. Eickhoff.

8 DR. EICKHOFF: Nothing further to add.

9 CHAIRMAN DAUM: Dr. Cox.

10 DR. COX: Nor I.

11 CHAIRMAN DAUM: Dr. Gellin.

12 DR. GELLIN: I want to pick up a little
13 bit where Dixie left off because I think the post
14 marketing studies might depend or will depend on how
15 this vaccine is marketed, and because it has the
16 potential to be self-administered, you could
17 envision how this might be something that is given
18 as a prescription and somebody goes and gets this at
19 a pharmacy and then does their own thing with it.

20 And given that, I think there may be
21 implications for both analyses of safety and
22 effectiveness when it's self-administered because
23 it's not necessarily something you can do without
24 some instruction.

25 CHAIRMAN DAUM: Thank you, Bruce.

1 Dr. Steinhoff.

2 DR. STEINHOFF: I just want to underline
3 a point that's been made, to ask for additional data
4 on high risk groups, which would also speak to the
5 issue of transmission to that high risk group.

6 CHAIRMAN DAUM: Dr. Myers.

7 DR. MYERS: All been said.

8 CHAIRMAN DAUM: Dr. Diaz.

9 DR. DIAZ: Nothing to add.

10 CHAIRMAN DAUM: Dr. Markovitz.

11 DR. MARKOVITZ: Nothing to add.

12 CHAIRMAN DAUM: And Ms. Fisher.

13 MS. FISHER: Nothing to add except I
14 can't imagine that we're going to have people
15 administering this to themselves or to other people.
16 That sounds like a nightmare, prescription for a
17 nightmare to me.

18 CHAIRMAN DAUM: Thank you.

19 Dr. Goldberg.

20 DR. GOLDBERG: Nothing to add.

21 CHAIRMAN DAUM: And I have nothing to
22 add. Everything has been said.

23 So with that, two things before we start
24 making noise. One is for committee members.

25 There's a van downstairs at 5:00 p.m. to go to

1 airports.

2 And, two, I want to thank the committee
3 first, the sponsor second, and of course the agency
4 third for a day of respectful and, I think, good
5 scientific exchange.

6 Thank you. We are adjourned.

7 (Whereupon, at 4:42 p.m., the Advisory
8 Committee meeting was concluded.)

9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Vaccines and Related Biological Products
 Advisory Committee

Before: DHHS/FDA/PHS/CBER

Date: December 17, 2002

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


