

1 occurring with the unexpected complication
2 of GBS occurring, there actually were many
3 substantive studies of those vaccines in
4 larger numbers of patients. You would have
5 only detected a rare adverse event like that
6 if you had done pre-licensure studies, let's
7 say, of a million individuals. So the
8 question is what do you get for additional
9 studies and the investment?

10 We're not here in any way to say
11 they shouldn't be done. We do want input
12 about that. But I just think it's important
13 to give the context that this is not -- that
14 while the dose is twice the level of antigen
15 in the single -- total antigen in the single
16 inoculation and it is less immunogenic,
17 essentially this is the same vaccine. So we
18 welcome the comments, but I think it is, as
19 several people have said, an unusual
20 situation, but --

21 In terms of your other question,
22 there are other options for how vaccines

1 could be used. And under licensure, there
2 is a so-called emergency use authorization
3 if the national emergency is declared,
4 etcetera, etcetera. But our goal here isn't
5 to lower standards for licensure. What we
6 look at in licensure is does the potential
7 benefit of the vaccine in this situation
8 outweigh the risk, and what you're hearing
9 here is that an indication is for not going
10 out and immunizing the entire population
11 tomorrow but being prepared if there were a
12 pandemic to immunize people or to immunize
13 people who potentially are at a high risk o
14 exposure to the avian virus or a virus
15 that's transmitting among humans.

16 But again, to go back to it, we
17 do every year with a vaccine that is only
18 minimally different from this under
19 licensure -- the annual vaccine, tens or
20 fifty million people are immunized annually.
21 So there's a context with the existing
22 vaccine that gives us information that's

1 applicable to this. Let's say that it's
2 information that we would consider, and then
3 there's a context with the proposed use and
4 the risk situation that needs to be
5 considered.

6 DR. KARRON: Dr. Webster?

7 DR. WEBSTER: Thank you. I would
8 like to follow-up the comment to Bob Couch
9 and support his attitude regarding
10 acceptance of licensure of this vaccine.
11 It's an interim vaccine. We have to look
12 upon this as an interim vaccine. It does
13 not meet the standards of the seasonal
14 vaccine.

15 But this is an avian vaccine, and
16 we don't know what the correlates of
17 protection are. We will not know what they
18 are until the pandemic comes. And with the
19 numbers that we have, this is a very serious
20 situation. The modelists tell us that this
21 virus, if it does acquire human-to-human
22 transmissability, the first wave will go

1 through in three months. When are we going
2 to prepare the 600 million doses of vaccine?
3 We need this pre-pandemic stockpile, and we
4 need to use it to determine whether we can
5 prime people.

6 There are many additional things
7 to do with this. We're only at the
8 beginning, and it worries me that if we
9 don't like this one, there are more better
10 ones in the pipeline. What are the
11 consequences -- my question is what are the
12 consequences if we don't license this one,
13 acknowledging it's the best we've got and
14 it's not all that great?

15 DR. COUCH: Just a quick comment
16 that I think you should say we'd rather not
17 use this vaccine, but if we have to use it,
18 a vaccine for H5, we'd rather have a better
19 vaccine. But that's all out in front of us.

20 DR. MODLIN: I just wanted to say
21 I concur with both Dr. Couch and Dr. Webster
22 on the issue at hand. And secondly, I want

1 to both acknowledge and express my
2 appreciation to both the agency and the
3 sponsor for bringing this forward in a public
4 way. I think it's -- we all are agonizing a
5 bit over the uncertainties and clearly
6 agonizing over what we would also consider,
7 I think, a disappointing immunogenicity for
8 this vaccine.

9 But nonetheless, I think it's
10 very, very important that the press and the
11 public hear this and this open and
12 transparent way, not only to understand the
13 uncertainties and the anxiety but also to
14 understand the progress has been made and
15 look at the progress we intend to make over
16 the next few years. So again, I want to
17 express my thanks.

18 I -- even though the
19 immunogenicity is disappointing, I'd just
20 like to point out that it's in a range
21 that's not a whole lot different than the
22 efficacy that we already recognize from

1 inactivated vaccines in very young children,
2 even with two doses. And these are vaccines
3 that are already licensed down to age 6 and
4 so that there is precedent for using
5 licensed vaccines in a certain population
6 already.

7 And then finally, I'd just like
8 to say that I think that the consequences of
9 any other action would provide -- would be
10 far worse. I think the last thing that we'd
11 want to do would be to discourage
12 manufacturers from collaborating with public
13 health authorities in all of this. And if
14 we were to set a roadblock at this point in
15 time, I think there would also be a
16 considerable concern that we would
17 complicate distribution of a experimental
18 vaccine should it need to be used. So I
19 would be very supportive.

20 In terms of safety, there may
21 very well be that we can learn a little bit
22 from our experience from just a few years

1 ago with smallpox. Smallpox vaccinia, when
2 we were considering how the vaccine would be
3 used, we were identifying certain groups
4 that would be prioritized to receive vaccine
5 much in the same way that the influenza plan
6 is unrolling. And it was pretty clear that
7 we weren't going to be able to anticipate
8 all of the adverse events that may arise.

9 At the time, there was a group
10 formed by the ACIP. The CDC and the
11 Department of Defense, the Armed Forces
12 Epidemiology Board collaborated on basically
13 a safety monitoring board that was put --
14 that was established at the time that the
15 vaccine program, the vaccinia vaccine
16 program was being rolled. And it actually
17 turned out to be a very effective step in
18 that this was a group that was able to
19 establish thresholds for concern for certain
20 adverse reactions, to set up surveillance
21 mechanisms for monitoring for unanticipated
22 adverse reactions. And indeed, they did

1 come along such as the myocarditis that
2 occurred with vaccinia that was completely
3 unanticipated. And these were dealt with in
4 a rather effective way.

5 And so maybe some sort of a
6 similar mechanism case would be very useful
7 as we're planning to deal with these
8 pandemic influenza vaccines.

9 DR. KARRON: Thank you.

10 Actually, I think that's a good segue also
11 into what I'd like to do now which is to have
12 a larger discussion on if this vaccine were
13 to be licensed, plans for post licensure
14 monitoring of safety, immunogenicity,
15 effectiveness. In listening to the earlier
16 presentations this morning, I was a bit
17 struck with the probably exception of the
18 DoD, there's a bit of a mismatch between our
19 existing monitoring systems and the
20 population most likely to get this vaccine,
21 so that our monitoring systems are largely
22 targeted at children and we're talking about

1 vaccination of first responders. So maybe
2 we could have some discussion of what kind
3 of information we would want to collect and
4 what kind of systems might help us collect
5 that kind of information. Dr. Wharton?

6 DR. WHARTON: Following up on Dr.
7 Modlin's comment about the smallpox
8 vaccination program, I do think there may be
9 some lessons learned from that program in
10 terms of under those kinds of extraordinary
11 circumstances what kind of safety monitoring
12 systems, in fact, work.

13 And I -- at least my impression
14 is that, really, the enhanced passive
15 surveillance system that was implemented as
16 part of that program was effective at
17 identifying the unexpected severe adverse
18 events which occurred as part of that
19 program, because this is not -- this vaccine
20 is not going to be administered, in all
21 likelihood, in anything remotely the same as
22 the seasonal vaccine program. It's likely

1 to be administered in special clinics.
2 There's an opportunity to provide some
3 special guidance information, facts sheets
4 and so forth.

5 So I do think it's possible to do
6 an enhanced passive surveillance system that
7 I expect would be much more effective than
8 our usual approaches to passive surveillance
9 as part of the influenza vaccination -- as
10 part of our seasonal influenza vaccination
11 program.

12 DR. KARRON: I'd actually like to
13 hear from committee members about their
14 thoughts about monitoring immunogenicity of
15 this vaccine in a larger population, if they
16 think that that would be useful post
17 vaccination?

18 DR. SELF: I'll pile in first.
19 Yes. So given the limited immunogenicity
20 data that's available to date, having some
21 program to monitor, at least under random
22 sample, immunogenicity in the roll out just

1 would absolutely be key in my opinion. In
2 terms of effectiveness, I think it's going
3 to be hard, if not impossible, to really
4 define in anything but the most crude way
5 what the effectiveness of this vaccine is.
6 And ordinarily, that would trouble me
7 greatly, but the way this is being
8 characterized as a stopgap and as a vaccine
9 that's not likely to have legs for the
10 future, that actually doesn't worry me so
11 much.

12 The information, though, that I
13 think would be -- that could be obtained
14 that would be really critical would be the
15 relationship between these assays and
16 clinical outcomes. And if there could be a
17 program for -- among the first responders or
18 the high risk of storing a sample so that
19 then one could go back and relate those
20 outcomes and define, at least in some way,
21 correlate protection. That seems to me to
22 be the most critical information around

1 effectiveness that could be obtained from
2 this type of vaccine. I guess I'll stop
3 there.

4 DR. KARRON: Dr. Eickhoff?

5 DR. EICKHOFF: I'm going to what
6 Dr. Self just said. Yes. Immunogenicity
7 data would be useful but not as an end to
8 itself, only as supporting clinical efficacy
9 by HAI titer. That much is, I think, a bare
10 minimum in terms of efficacy.

11 In terms of safety, I don't have
12 a clear idea -- I mean the issues in safety
13 are not so much what we've heard about today
14 in the couple of hundred volunteers because
15 that's a given -- unusual neurologic events
16 and totally unanticipated events such as
17 carditis in smallpox vaccine. I'm not
18 exactly sure how to go about setting it up,
19 and it would require extended conversations
20 with folks from CDC and Sanofi about how to
21 go about this. Because I don't see it
22 clearly right at the moment.

1 But those two issues, I think,
2 would be important in following licensure
3 and use of this vaccine.

4 DR. KARRON: Dr. Goodman?

5 DR. GOODMAN: A couple of
6 comments. I think we totally agree that
7 trying to better define correlates of
8 immunity would be really good, and so I
9 think that's a good suggestion and we should
10 think about if we can practically do some of
11 that. For example, if the vaccine is used
12 for a high risk individual, storing serum to
13 then allow correlate with efficacy.

14 I would say we shouldn't give up
15 on the idea that I think it will be
16 extraordinarily difficult and, as you said,
17 it will be only gross measures, like does a
18 vaccine protect you from hospitalization or
19 death or something like that. But I think
20 we should think about how we can measure
21 that during a pandemic, because I think a
22 couple of things.

1 I think, yes, this vaccine could
2 be less effective than we think. It could
3 also be more effective than we think. You
4 know, essentially, if you prime the immune
5 system, it may be that you'll get
6 substantial protection irrespective of
7 antibody levels. You know? It's a race
8 between the immune system and the -- but we
9 just don't know.

10 It could also be not effective.
11 And I think that could also be true even of
12 next generation vaccines, which -- so we
13 need to be able to evaluate those in a
14 pandemic. I mean -- so I think
15 irrespective, we would welcome, and I'm sure
16 our colleagues, how we'd do that.

17 And then on the safety thing, I
18 think per Dr. Eickhoff, you know, this is a
19 challenge that the entire medical product
20 industry, the FDA, CDC is facing now, which
21 is how do we detect rare events, how do we
22 determine whether they're really due to a

1 product. And again, I think the challenge,
2 and Melinda Wharton has really defined it,
3 is how do we set that up ahead of time for
4 the populations that are likely to get this
5 early in its use.

6 Now again, some of that, if there
7 are people who are going to go out and get
8 exposed to human clusters or in these areas,
9 some of that data may be obtained before a
10 pandemic potentially. But I think, again,
11 in a pandemic, how do we get robust
12 detection signals that are oriented towards
13 the first people likely to get the vaccine.
14 And, you know, the Defense Department's is
15 one very good example of where there may be
16 potential to do that. But I'm not sure
17 there aren't other approaches we could take.

18 DR. SELF: So just to clarify. I
19 wasn't suggesting that the cohort or the
20 case control studies to try to estimate
21 effectiveness shouldn't be done, but just
22 from a practical point of view, if the

1 response rate of this vaccine is 40 or 50
2 percent, and because this is built a priori,
3 if there is a mismatch with the emerging
4 strain, you know, cut that down by another
5 half or two-thirds.

6 You know, the prospects of enough
7 efficacy to distinguish from the sorts of
8 selection biases that those study designs
9 are going to have seems just very low. So,
10 yes, you should them, but in my opinion, I
11 think the real value over the long run is
12 going to be in those correlate studies.

13 DR. KARRON: Dr. Hachey?

14 DR. HACHEY: Just one point of
15 clarification as far as DoD. Our current
16 concept is not to start providing the
17 vaccine to our active duty personnel as soon
18 as it's licensed.

19 What our concept is is when the
20 pandemic appears to be imminent, so we have
21 a robust, let's say, WHO base for -- that's
22 -- the writing is clearly on the wall that

1 we're going to be evolving to Phase V and
2 then to VI to a true pandemic, that's the
3 kind of trigger that we're looking at as far
4 as providing the vaccine to our personnel.

5 So, yes, there would be a window
6 of opportunity to get some of that data, but
7 right now for our active duty members who
8 are, let's say, stationed in high risk areas
9 where there's avian disease but still WHO
10 Phase III, at least at today, our plan is
11 not to provide the vaccine to those folks.
12 I mean that may change as vaccine supplies
13 change.

14 But right now our concept is when
15 the pandemic appears to be quite imminent,
16 that is our trigger. And with the amount of
17 vaccine that is likely to be available to
18 us, clearly we won't be immunizing DoD
19 totally but fairly select groups. With our
20 current vaccine supply, we have probably
21 enough for about -- I think it's about
22 700,000 personnel. So a decent end but

1 we're not talking millions.

2 The other point is as far as
3 looking at efficacy, one thing to keep in
4 mind is that I think a lot of the first
5 responders are probably also going to have
6 access to antivirals. So I don't know how
7 that would kind of cloud the efficacy
8 question.

9 And just one additional advantage
10 to actually using this vaccine is that many
11 of the folks who are likely to receive it
12 are also likely to be in that top tier for
13 the pandemic specific strain vaccine. If it
14 does turn out to be a decent primer, than
15 that does decompress that top tier and
16 allows you to fill that top tier with the
17 pandemic specific strain much quicker.

18 DR. KARRON: Dr. Word, did you
19 have a comment?

20 DR. WORD: It workshop just
21 related to when you were talking about how
22 to gather more immunogenicity as well as

1 safety data and just made me think back of
2 one of the -- the first time that we had a
3 delay in the production of seasonal
4 influenza vaccine. And one of the first
5 things we recognized was that the Government
6 had no control over distribution.

7 And I think we're in a different
8 situation if this is approved whereas the
9 Government will have it. And I guess I'm
10 looking at it in terms of you have a
11 designated administrative cite. You're
12 sending people there. You can collect the
13 data on them. You'll be able to obtain it
14 and store it. And whereas the Government,
15 you have more control to gain that data.

16 So the gap may not be as great as
17 or as challenging as we may see and just say
18 this is the first time we will have
19 something nationalized as opposed to looking
20 at what distributor will provide it for us.

21 DR. KARRON: Dr. Jackson?

22 DR. JACKSON: My recommendation

1 would be that any program of actual use of
2 this vaccine would incorporate a method to
3 obtain at least a post second dose blood
4 sample for storage on vaccine recipients,
5 because I, of course, think we need to know
6 a lot more about the immunogenicity of this
7 vaccine, plus I think we'd really want to
8 know how response to this vaccine would
9 predict subsequent boosting response to a
10 different pandemic-formulated vaccine. And
11 I don't think we'd want to lose that
12 opportunity by failing to collect blood
13 specimens that might prove to be extremely
14 useful later on.

15 DR. KARRON: I think if there are
16 no other comments or questions from the
17 committee, we are probably ready for our
18 vote, and I'd like to ask that the first
19 question be productive.

20 Okay. The first question is are
21 the data sufficient to support these
22 effectiveness of this product for use during

1 a pandemic or in situations of potential
2 high risk exposure. Dr. Modlin, we're going
3 to start with you.

4 DR. MODLIN: I've read this
5 question over a number of times and
6 recognize that it actually is very well-
7 worded. Somebody spend a lot of time
8 working on that I'm certain, Dr. Baylor,
9 Dr. James. Obviously, the data are not
10 sufficient to give us any confidence with a
11 degree of effectiveness, but the data are
12 sufficient to support the effectiveness, so
13 I will vote yes.

14 DR. KARRON: Dr. Couch?

15 DR. COUCH: Despite the fact that
16 Dr. Modlin said he spent a lot of time on
17 the language, I would change it. Are the
18 data sufficient to support a degree of
19 effectiveness for this product? By all
20 means, yes. And I would say yes to that and
21 to the question.

22 DR. KARRON: Okay. Dr. Cox, I

1 know you're not a voting member for these
2 proceedings. I don't know if you would like
3 to comment at all.

4 DR. COX: Sure. As a person who
5 sort of lives and breathes influenza and has
6 been involved in H5 preparedness since 1997
7 and having seen a lot of data over the years
8 and having been in the meeting in Geneva
9 last week or the week before where some
10 additional vaccine data were presented and
11 sort of taking into consideration the real
12 risk that we see for this virus to evolve
13 into a strain that could become
14 transmissible, I would vote yes if I were
15 able to vote.

16 DR. KARRON: Dr. Farley?

17 DR. FARLEY: I will vote yes as
18 well. I think the question, as it's worded,
19 really gives us the sense of the special
20 circumstances that we're dealing with that I
21 am very much in support of.

22 DR. KARRON: Dr. Self?

1 DR. SELF: I would vote yes as
2 well, although I would also say that the
3 clarification about this being a stopgap,
4 that there is a vaccine and the intended use
5 is as that, although it's not perhaps
6 reflected in the nuance of the wording of
7 the question, with that understanding, I
8 would vote yes. I'd also just go back to
9 the slide 31 from Dr. James' presentation
10 which was the litany of unknown efficacy,
11 unknown correlative protection and all that.
12 I suppose that slide is in there just to
13 give me heartburn.

14 (Laughter.)

15 DR. KARRON: Dr. Eickhoff.

16 DR. EICKHOFF: I vote yes without
17 further comment.

18 DR. KARRON: Dr. Wharton?

19 DR. WHARTON: I hope we never
20 have to use it, and I hope if we have to use
21 a vaccine, we have a better one, but this is
22 the vaccine we have now. I vote yes.

1 DR. KARRON: Ms. Krivacic?

2 MS. KRIVACIC: I vote yes. I
3 agree with Dr. Wharton as well.

4 DR. KARRON: Dr. Hetherington, I
5 know you're not a voting member, but would
6 you care to comment?

7 DR. HETHERINGTON: Just highlight
8 a couple of things that have already been
9 mentioned. This is a vaccine with limited
10 immunogenicity and the response itself
11 reflects some limitation on protection and
12 with a clade change that sounds like it's
13 imminent, if not here, you even have a lower
14 likelihood of success.

15 However, let's now forget among human
16 cases to date, we've got a 60 percent
17 mortality, and we don't know what that
18 translates to our society, but clearly it's
19 going to be -- this is not a deliberation on
20 a seasonal vaccine, and I think that it
21 really behooves us to approve this vaccine
22 to have something available. So I agree

1 with all the prior comments.

2 DR. KARRON: Dr. Word?

3 DR. WORD: I think that as
4 struggled with this initially, I kept on
5 thinking about it as a seasonal vaccine.
6 And then as the more I read, I realized this
7 isn't a seasonal vaccine. So based on that,
8 and particularly the way it's worded, during
9 a pandemic, then I would definitely vote
10 yes.

11 DR. KARRON: Dr. Jackson?

12 DR. JACKSON: Well, I don't think
13 the data are sufficient, but given that it's
14 our only alternative, I think it should be
15 made available. And if that requires an
16 answer of yes to question one, then I'll
17 vote that way.

18 DR. KARRON: So that's a yes.
19 Okay. Dr. Gellin, you're also not voting.
20 Would you care to comment?

21 DR. GELLIN: Well, I mean as
22 Nancy has taught me in this, that there's

1 nothing about any of this is easy, and every
2 step forward reveals the next, you know,
3 cascade of complexity, and we've heard some
4 of that this morning. So I think that
5 that's -- you know, so I think this is an
6 important step but recognizing that, as has
7 been discussed here, there's a lot about
8 this that is going to require a lot further
9 work in addition to assessing further future
10 vaccines.

11 But I think, as John Modlin
12 highlighted, I think that the importance of
13 having this meeting can't be under
14 estimated, that if this was just a seasonal
15 vaccine, we wouldn't be here talking about
16 it in this way. But because everybody's got
17 a stake at this, the opportunity to have a
18 public discussion about this, and to have
19 that reported on so other people can
20 consider what we did today is really
21 critically important. So I'm glad that John
22 brought that up, but I think that is

1 probably the most important thing we're
2 doing here today.

3 DR. KARRON: Ms. Province?

4 MS. PROVINCE: I am also going to
5 vote yes. I concur with all the previous
6 comments. I think that it is extremely
7 difficult to make what's been called a
8 static evaluation of a risk-benefit analysis
9 in this atmosphere of extreme uncertainty.
10 But -- and part of which, a huge part of
11 which, of course, is just the extreme
12 limitations of the data. And so I echo the
13 concerns that have been expressed here, but
14 I think as a stopgap measure, we really --
15 you know, the answer to the question must be
16 yes. So I do vote yes.

17 DR. KARRON: Dr. Stapleton?

18 DR. STAPLETON: I concur with the
19 previous comments, and I do think that we
20 can use the data on immunogenicity to say
21 that it does support effectiveness, although
22 it's a limited titer or the amount of

1 immunogenicity is poor, but it's better than
2 nothing. And given the stopgap, we have no
3 choice but to say yes.

4 DR. KARRON: Dr. Hachey, again, I
5 know you're not voting. I didn't know if
6 you'd like to make a comment.

7 DR. HACHEY: I concur with the
8 previous comments. I still think it's an
9 important step towards combating what will
10 be a pandemic sooner or later. And if the
11 animal data is somewhat predictive of what
12 we can expect in human models, then this
13 vaccine may not be quite as bad as we think
14 it is.

15 DR. KARRON: Dr. Webster?

16 DR. WEBSTER: This is like a
17 child taking its first step, very tentative
18 and necessary to do, and I see this as the
19 very first important step, and the vote is
20 yes. There's a long way to go yet, though.

21 DR. KARRON: Dr. McInnes?

22 DR. McINNES: I have confidence

1 in the process whereby the vaccine is made.
2 I think this manufacturing process is tried
3 and tested. I think the NIAID trial showed
4 a dose response curve, so I think the -- it
5 is immunogenic at the 2 to 90 microgram -- 2
6 doses of 90 micrograms. I don't think this
7 is a bad vaccine. And so I pragmatically
8 accept this package as a measure of a degree
9 of effectiveness. So I vote yes.

10 DR. KARRON: Thank you. And I
11 would also echo everyone else's votes and
12 say that I think this is an important first
13 step in the development of pandemic
14 influenza vaccines.

15 We're now going to move to the
16 second question which is are the data
17 sufficient to support the safety of this
18 product for use during a pandemic or in
19 situations of potential high risk exposure.
20 And this time, Dr. McInnes, we're going to
21 start with you.

22 DR. McINNES: The only safety

1 data we have on hand is essentially local
2 and systemic reactogenicity as measured in
3 the particular NIAID trial. If that is all
4 we have on which to base this and given the
5 confining around the circumstances on which
6 this would be used, then I accept this as
7 yes. When that use becomes broader, I
8 become much more uncomfortable about it.

9 DR. KARRON: Dr. Webster?

10 DR. WEBSTER: The data available
11 is extremely limited, but in the face of a
12 pandemic, the answer would be yes at this
13 time.

14 DR. KARRON: Comment, Dr. Hachey?

15 DR. HACHEY: Just that I agree
16 with the previous comments and that because
17 of DoD being the way DoD is, we are fairly
18 well-positioned to monitor the safety of
19 this vaccine.

20 DR. KARRON: Dr. Stapleton?

21 DR. STAPLETON: I concur that the
22 data are very limited and difficult, and

1 from that sense, to draw conclusions from,
2 but based on its relationship to the current
3 seasonal vaccines and the manufacturing
4 process, I am comfortable with saying yes.

5 DR. KARRON: Ms. Province?

6 MS. PROVINCE: Again, in the
7 context in which we find ourselves with the
8 limited data and the scenario that's been
9 presented to us, or one of many possible
10 scenarios, I do vote yes on the question.

11 DR. KARRON: Comment, Dr. Gellin?

12 DR. GELLIN: Thank you. My
13 comment -- again, I won't be voting -- is
14 that the data on this specific vaccine are
15 limited as has been highlighted, but this
16 rests on I don't know how many years of
17 experience with seasonal vaccine for which
18 this is the same exact process. So I think
19 that Lisa's highlighted there are some
20 specific differences here in terms of
21 antigen content that raise some issues, but
22 I think we can't forget the fact that this

1 is built on a large experience of safety
2 information of a vaccine prepared this way.

3 DR. KARRON: Dr. Jackson?

4 DR. JACKSON: Yes. I've
5 expressed my opinions about the safety data
6 before, but I would vote yes on this.

7 DR. KARRON: Dr. Word?

8 DR. WORD: I'm sorry. I would
9 also vote yes on this question.

10 DR. KARRON: Comment, Dr.
11 Hetherington?

12 DR. HETHERINGTON: I have nothing
13 to add.

14 DR. KARRON: Okay. Ms. Krivacic?

15 MS. KRIVACIC: I'm having a real
16 difficult time with this one, and I think,
17 you know, part of it is the issue of safety
18 and the fact that this is going to be going
19 into first responders who are healthcare
20 workers, and those people are not
21 necessarily volunteers as we have been
22 testing them in this 452-volunteer trial.

1 So I don't know. This is a tough one for
2 me, and I think I'm going to abstain.

3 DR. KARRON: Okay. Dr. Wharton?

4 DR. WHARTON: For the question we
5 are asked, I would say yes, but that's with
6 the understanding that we will make
7 provisions when we're actually using the
8 vaccine to collect additional safety data.

9 DR. KARRON: Okay. Dr. Eickhoff?

10 DR. EICKHOFF: Similarly, I vote
11 yes subject to some of the questions that
12 will be addressed under question three.

13 DR. KARRON: Dr. Self?

14 DR. SELF: I vote yes as well on
15 this. The -- you know, the balance of risk
16 for use during a pandemic, I think, are --
17 you know, that's pretty easy to balance out
18 even with the limited data. For the high
19 risk exposure, that application, that causes
20 me a little more of a problem. The case
21 fatality rate is so high, though, that that
22 high risk exposure would have to be awfully

1 low to counterbalance the safety concerns,
2 even given the limited amount of data. So I
3 guess, with that sort of thinking, I would
4 vote yes.

5 DR. KARRON: Dr. Farley?

6 DR. FARLEY: And I would vote yes
7 as well given the question and in the
8 setting of the high risk exposure and
9 pandemic.

10 DR. KARRON: Dr. Cox, an opinion?

11 DR. COX: Nothing to add.

12 DR. KARRON: Okay. Dr. Couch?

13 DR. COUCH: Yes.

14 DR. KARRON: Dr. Modlin?

15 DR. MODLIN: Well, again,
16 focusing on the wording here, the data
17 support safety -- are they sufficient?
18 Obviously, no. I guess like -- Bruce Gellin
19 brought this up and I think it's a concern
20 we have to recognize that we're using a lot
21 more antigen than we currently use with the
22 seasonal vaccine. Therefore, it's at least

1 biologically plausible that this vaccine
2 could be associated with a higher risk of
3 adverse events, even though we don't even
4 recognize occurring with a current vaccine.
5 So I think that does raise the issue, not
6 just for this vaccine but also for its
7 successors, if they require high antigen
8 contents, that we need to keep that in mind
9 in terms of designing studies to ultimately
10 try to assess safety issues that we don't
11 fully understand now.

12 I think it will be critically
13 important to set up an adequate monitoring
14 system, but that's what -- I guess, we'll be
15 talking about that when we discuss the next
16 question.

17 DR. KARRON: Okay. And I would
18 also vote yes. I think there's a -- the
19 safety data are adequate to support
20 licensure for use in first responders during
21 a pandemic, but as for -- I think about to
22 talk about -- it will be important to

1 establish safety monitoring programs for the
2 target population for this particular
3 vaccine.

4 Okay. The third is really just
5 asking for comments, and we've had many of
6 these already, on studies to collect
7 additional information about the
8 effectiveness and safety following this
9 vaccine's use. Just to remind the committee
10 of what we've discussed already, I've heard
11 discussion of an enhanced passive
12 surveillance system. I've heard discussion
13 of a monitoring system similar to what was
14 set up with smallpox vaccine use. I've also
15 heard discussions about a need for
16 collecting immunogenicity data, particularly
17 as it relates perhaps to being able to get
18 some sense of correlative protection. And
19 I'd just like to ask the committee at this
20 point in an open way if there's anything
21 that they'd like to add. Dr. Wharton?

22 DR. WHARTON: I think that the

1 Department of Defense is uniquely situated
2 to provide information in a timely way once
3 the vaccine begins to be used. I understand
4 it won't be used initially, but at the point
5 it is used, it seems that DoD does have some
6 infrastructure and capacity in place that
7 can provide really important information for
8 the whole country. And in this particular
9 circumstance, giving the likely initial
10 vaccinees, I think the DoD population is
11 less different than it sometimes is for
12 other vaccines from the population and the
13 civilian sector that will be a target of the
14 vaccination program. So I think there --
15 DoD has the potential to teach all of us a
16 lot about this vaccine in its early use.

17 DR. KARRON: Okay. Yes, Dr.
18 Webster?

19 DR. WEBSTER: The other topic
20 that was raised was the question of prime-
21 boost. It's, I think, very important to
22 consider additional work on prime-boosting,

1 particularly with the development of clades
2 and new sub-clades, whether the clade 1 will
3 prime sufficiently. I think it's a very
4 important question and to go ahead and boost
5 or prime a substantial number of people to
6 find -- to answer that question.

7 DR. KARRON: We'll have some of
8 that discussion this afternoon. Other
9 comments? Dr. Farley?

10 DR. FARLEY: We didn't spend much
11 time on this, but I -- given the low rate of
12 Guillain Barre Syndrome and other unusual
13 neurologic complications, and the point
14 being made that you couldn't do clinical
15 trials of size to pull out, that is a safety
16 issue.

17 But -- so I would just like to
18 encourage the fine-tuning of use of large
19 population-based data sets, electronic data
20 sets that can pick up signals on, you know,
21 where you have a large denominator and
22 validating the use of those before the onset

1 of the pandemic, so we can say that we are
2 able to pick up trends and changes in low
3 incidence diseases that might be associated,
4 so that we're in a good position to know
5 what the baseline is, and then it could be
6 used in a setting of the onset of a large
7 scale use of these vaccines in the setting
8 of a pandemic.

9 DR. KARRON: Dr. McInnes?

10 DR. MCINNES: It seems like this
11 setting up the surveillance system is going
12 to be intricately linked with actually
13 specificity around who are these high risk -
14 - these populations and these first
15 responders. And so I would urge that that
16 move forward in a very active way and that
17 that be clearly articulated. And then the
18 plans can be more specifically more
19 tailored.

20 And in fact, one might even be
21 able to hierarchically rank where you're
22 going to lead with this from and not have

1 everybody simultaneously -- but I can
2 imagine that if these are not put in place,
3 then you're going to be playing catch up all
4 the time and not have an adequate system in
5 place. But until the people are defined,
6 you can't really design a system.

7 DR. KARRON: Dr. Eickhoff?

8 DR. EICKHOFF: I would simply
9 caution that these kinds of post use
10 studies, desirable though they be, are going
11 to be conducted in the early stages of a
12 pandemic in all likelihood. And whoever
13 plans these trials or these follow-up
14 surveillance studies needs to be very much
15 aware of that, because it could be pretty
16 horrendous, I think, carrying out some of
17 these surveillance studies.

18 DR. KARRON: Dr. Self?

19 DR. SELF: So that point, to the
20 extent that some of the characterization of
21 immunogenicity and safety could be done in
22 studies before we get to that chaotic

1 situation, I think that would be very, very
2 useful. It also might help in defining some
3 strata that could be helpful in looking at
4 measures of effectiveness as well.

5 DR. KARRON: If there are no
6 other comments, I also would like to echo
7 Dr. Gellin and Dr. Modlin's earlier comments
8 and actually thank the FDA for bringing this
9 topic before the committee. I think having
10 an open public discussion of this issue is
11 really important. We're going to adjourn
12 for lunch. We will reconvene at 2 p.m. for
13 the afternoon session. Thank you.

14 (Whereupon, off the record at
15 12:43 and back on the record at 2:07 p.m.)

16 DR. KARRON: I'd like to call the
17 afternoon session to order, if people would
18 please take their seats. Our first speaker
19 this afternoon is going to be Dr. Jesse
20 Goodman from the FDA who will introduce the
21 topic of Clinical Development of Influenza
22 Vaccines for Pre-pandemic Uses.

1 DR. GOODMAN: Okay. Good
2 afternoon. My purpose here is to frame a
3 discussion that is sort of, to some degree,
4 an opposite place of where we were this
5 morning in talking about what would one do
6 in evolving emergency, etcetera, to what are
7 some of the issues involved in potential
8 pre-pandemic use of pandemic vaccines and to
9 get input from the committee on issues like
10 priming and how to do studies, etcetera.
11 And I'll just -- we realize this is a huge
12 issue. It requires much more time than
13 there is here, but the point is to begin to
14 get your input and to begin to have people
15 thinking about it and just to say this -- we
16 are having sponsors, etcetera, now consider
17 some of these issues, so this informs our
18 dialogue with them.

19 Now where are we right now?
20 Well, it's very important to re-emphasize,
21 and I think this is a huge issue for
22 pandemic preparedness, emergency

1 preparedness in general, that we live in a
2 world of uncertainty. And I think it is
3 very important -- you know, I -- we get
4 asked by colleagues, family, reporters,
5 etcetera -- it's very hard to calibrate the
6 message somewhere between the sky is falling
7 and there's no problem. We don't deal with
8 those calibrated messages. But, in fact,
9 here the probability, timing, severity, and
10 identity of a future pandemic are unknown.
11 But the reality is that I think H5N1
12 starting, as Nancy put back in '97, is a bit
13 of a wake-up call. This is out there. It
14 persists. There are more deaths. And there
15 are other sera types out there that could
16 emerge.

17 There has been -- there's the
18 possibility that we would observe evidence
19 of increased human-to-human transmission,
20 perhaps with relevant genetic or antigenic
21 in the virus. There's a possibility that we
22 would observe that before a pandemic, but

1 waiting until such evidence occurs may leave
2 very limited time to have a vaccine produced
3 and available. Okay. And certainly these
4 uncertainties complicate our planning.

5 Now why even consider the
6 possibility of immunization strategies that
7 are prior or early in a pandemic, and I
8 think the prior is a harder one for people
9 to get their arms around, but early is the
10 biological relevance is similar. Well, I
11 think everybody knows that with current
12 vaccine technologies, production times are
13 fairly long. We've done a lot. Dr. Webster
14 mentioned reverse genetics can speed this a
15 little bit. The companies are very
16 efficient at this. But it's still talking
17 tree to six months at the lower end,
18 absolutely everything goes perfectly with
19 current methods. And that's for the first
20 vaccine to come out. And then, of course,
21 capacity to ramp up for the population, that
22 magic, hundreds of millions of doses, is

1 limited. And then when you consider global
2 vaccine needs as has been so poignantly
3 pointed out recently by countries who have
4 no vaccine capacity, this is a huge problem.

5 Now the stockpiling you've heard
6 about has provided at least the potential
7 flexibility to consider early use, and we
8 heard this morning this structured around
9 well, if there started to be evidence of
10 human-to-human transmission, etcetera.

11 Okay. There is evidence, and
12 we're not going to have time to review it
13 today, but there is emerging evidence, and
14 I'll talk a little more about it, that
15 priming and cross-protection can occur just
16 like with annual flu strains among so-called
17 heterologous H5 strains, in other words
18 isolates from Vietnam versus Hong Kong that
19 are H5N1 or even some of the more diverse
20 isolates like among the clades. And then as
21 we discussed a little this morning, modeling
22 suggests benefits to the early use of a

1 vaccine, even one with fairly limited
2 efficacy and potentially even in single
3 doses, and I'll show you a little bit about
4 this.

5 Well, what are the things we
6 should be doing to remediate the situation,
7 and some of this fits in with what Robin
8 Robinson talked about this morning. So
9 we're taking efforts along with out
10 colleagues globally and at CDC and industry
11 to make strains and reagents and testing
12 processes which, really, you know, many of
13 which are very old methodologies. The
14 reverse genetics is one example. But to
15 make this happen faster, we are all
16 exploring dose-sparing strategies which not
17 only might help overcome this rather poor
18 immunogenicity of this antigen but could
19 obviously make more doses be available more
20 quickly.

21 Could there be ways to more
22 rapidly induce immunity? Well, as for that,

1 enhanced cross protective properties, the
2 next line, there is some suggestion that
3 perhaps live vaccines could be helpful in
4 this respect. There's a lot of early
5 studies about conserved genes. So these are
6 all things that can make us get ready
7 faster. Scalable rapid production methods -
8 - there's a lot of U.S. Government and
9 industry investment in cell culture
10 technology that doesn't get it done a lot
11 faster, probably not faster, but it may have
12 certain advantages and scalability as would,
13 of course, recombinant strategies. And then
14 there is a lot of investment which the
15 world, not just the U.S. needs, but the
16 world needs in manufacturing capacity, and
17 in this country, in stockpiling.

18 And I will say I always like to
19 take the opportunity of the Bully Pulpit in
20 a sense to say I think we should not only
21 think about that it's not just H5N1, it
22 could be another strain, could be H7, could

1 be H1, whatever, H2, but we think about it
2 may not be influenza either. So our public
3 health response capacity, we should think
4 about how we leverage this in general.

5 Okay. So what are the approaches
6 to sing a pandemic vaccine? What are the
7 different timings? Well, we talked most
8 about, and I think there's the highest
9 comfort level with during a pandemic so
10 there's a very clear benefit risk. So we
11 heard this in the opinions ventured this
12 morning. But frankly, as was indicated in
13 1976, even with a proven vaccine, a strain
14 could have an uncommon or unforeseen adverse
15 event, and we need to be very transparent
16 with the public about that. You know, you
17 could do the best studies in the world, but
18 if something occurs in 1 in 50,000 people,
19 we have to be prepared to deal with that.
20 And the biggest con here is that it's just
21 simply too little too late, and I'll get to
22 that.

1 Then in an emerging pandemic,
2 this is sort of what we talked about again
3 this morning, vaccination could begin if you
4 had a stockpiled vaccine. You could target
5 individuals such as were discussed or
6 geographic areas such as a country if human-
7 to-human transmission began to emerge
8 somewhere. And these may be effective
9 strategies both in saving lives but
10 potentially in pandemic control. And as I
11 mentioned, even at reduced efficacy, models
12 predict benefit. And this could be a
13 temporizing strategy, again, as discussed
14 today, until a matched vaccine was
15 available.

16 The benefit-risk ratio is clearer
17 than in pre-pandemic use, although, again,
18 as we saw in 1976, sometimes our ability,
19 even as events unfold in front of us, to
20 predict whether a virus will become pandemic
21 is not -- you know, it's not a fine-tuned
22 ability. And of course, ths stockpiling is

1 quite expensive, and there is the potential
2 need, based on either stability or change in
3 the virus, to replace or rotate stockpiles.

4 Now what about pre-pandemic
5 immunization? This could be potentially
6 considered as an option separately from or
7 as part of annual immunization program. And
8 this could either be done to individuals who
9 are perceived as having increased risk
10 either of bad outcomes or potentially,
11 again, as discussed this morning, in an
12 emerging pandemic, of early on exposure.

13 If successful -- if you have what
14 makes a pandemic a pandemic, because you
15 don't have population immunity and
16 individual immunity so it's successful, such
17 strategies could potentially blunt or maybe
18 even prevent a pandemic. And there are
19 obviously human and economic benefits. It
20 requires less search capacity and could
21 reduce the need for a number of emergency
22 measures and stockpiles.

1 But the problems are ones that
2 everybody is familiar with. You could
3 immunize people and have a completely
4 different strain emerge, so if you don't
5 have strong cross-protection, there may be a
6 mismatch and limited efficacy. And of
7 course the biggest one is the uncertainty of
8 whether a pandemic will occur and, if so,
9 what it would occur with, so you're
10 measuring a potentially small risk from a
11 vaccine safety issue against what
12 essentially is a hard to predict benefit or
13 unknown benefit. It's the unknown risk of a
14 pandemic.

15 I'm trying to find -- I had
16 another slide that I thought I had in here -
17 - oh, there it is, but it -- look at that.
18 See, there's a slide that refuses -- I'm
19 going to -- well, I bet you when I put it
20 up, it won't -- yes, I've encountered this
21 once before. There's some kind of control
22 that changes the slide, but I'm just going

1 to show this. I think this is sufficient.

2 But this was the point I had
3 meant to make early on which is that if you
4 look at vaccine production capability and
5 this is preparing the seed, making the
6 monovalent, filling and testing it, and then
7 under this rather aggressive scenario, let's
8 say in four months your vaccine begins to
9 become available, you can see here that in
10 this crude presentation of the first wave of
11 a pandemic, basically that first vaccine is
12 becoming available as the first wave is
13 receding. So this is not a highly effective
14 immunization strategy in dealing with that
15 first wave. And then you consider that, you
16 know, it's probably going to take you a week
17 or two to get meaningful immunity, even
18 limited immunity, from a first dose much
19 less to then come and administer a second
20 dose a month later. So this was the
21 background that I wanted to share before
22 that.

1 And I knew I had that there. I
2 once had that happen to me in front of about
3 a thousand people, and I had about eight
4 slides like that that wouldn't let me show
5 them.

6 So that's the pre-pandemic issue.
7 So the big issue here is the uncertainty of
8 the risk of a pandemic. But the big benefit
9 is if people -- you know, this is a viable
10 strategy to get immunity into the population
11 as opposed to six months after a pandemic
12 starts.

13 Okay. So what is the background
14 in terms of priming and cross-protection?
15 Well, we know that natural infection
16 provides long-term protection against that
17 strain, invariable but sometimes surprising
18 degrees of protection against related
19 strains. We know that inactivated vaccine
20 provides some protection also beyond one flu
21 season, even though we see, as you saw
22 today, the way the antibody levels tend to

1 fall off in the 6 to 12-month period after
2 immunization and also against related
3 strains. And there are some recent
4 randomized controlled studies that, in fact,
5 show protective effects against fairly
6 drifted strains of annual vaccine. And this
7 may be increased with live attenuate
8 vaccines because of the nature of immunity
9 that they induce and probably also because
10 of the presence of additional conserved
11 antigens, etcetera.

12 Now what really is moving this
13 field and I think will inform it
14 considerably is that, for example, as Rob
15 mentioned this morning, there's preliminary
16 animal serologic and clinical studies of H5
17 that do provide evidence of variable degrees
18 of cross-protection between heterologous
19 strains. And there's also evidence that
20 some of the novel adjuvants may boost that
21 cross-protection. We don't know whether
22 that's because they induce a different

1 immune response or just a better, more
2 robust one. But there is some evidence for
3 many of them, for example, that they may
4 prime cellular immunity, etcetera. And
5 there's also a suggestion that priming, and
6 Dr. Treanor, I think is going to present
7 some of his data, may in fact be possible
8 and durable with these H5 antigens.

9 The big caveat, though, here is
10 that the predictive science, again, is not
11 great here. It's not clear how well-matched
12 strains need to be, to what degree serologic
13 studies or molecular studies could predict
14 this. But I think the science is advancing
15 there, again, as we get a lot more sequence
16 data and start to correlate that with
17 serologic data and animal data, and Nancy
18 has done a lot of work in this area.

19 A very important point, and came
20 up this morning, is certainly that the
21 surrogates for protection are also not well
22 defined and the assays are highly variable.

1 So when we go to a meeting hearing about
2 multiple different vaccine candidates but
3 they've all been studied with different
4 assays, you have to really take that with a
5 grain of salt. And at WHO we had a recent
6 discussion. There was general agreement of
7 the desirability for standardizing these
8 antibody assays so we can compare one
9 vaccine to another better. But until then,
10 everybody needs to take this with a grain of
11 salt.

12 Well, there were questions about
13 the modeling, and as I mentioned this
14 morning, to me, a model is just a model.
15 There are many, many assumptions, but it's
16 worth looking at this a little. So this is
17 -- there are two groups that have actually
18 had surprisingly similar results looking at
19 it from slightly different directions. But
20 this is the one group of Ferguson. There's
21 some more things from Longini's group. This
22 is very complicated but what I would say is

1 that over here it shows, for example, the
2 effects of a delay in vaccine availability.

3 Now if you look at that
4 backwards, it shows you what are the effects
5 of having vaccine ready right at the
6 beginning of a pandemic. And here is where
7 vaccination at a certain rate -- I'm not
8 remember it offhand -- I think it might be a
9 million doses a day -- begins within days of
10 the pandemic versus 30 days versus -- no
11 excuse me -- day 30, 60, blue or 90.

12 Okay. So if you begin right
13 away, cumulative attack rate of 1 percent.
14 If you wait even 30 days -- second bar is --
15 beginning on day 30, 1 percent; 60 days, 13
16 percent; 90 days, 31 percent. And this is a
17 high transmissible virus and a medium
18 transmissible virus. But you can see the
19 dramatic increase in disease or conversely
20 the dramatic decrease through immunization
21 becoming rapidly available to the
22 population.

1 Okay. This is a pre-immunization
2 strategy. Again, this is very complex. But
3 this shows if you could pre-vaccinate 20
4 percent of the population with a low
5 efficacy vaccine, in this case 30 percent
6 reduction in susceptibility, so this would
7 be half as effective as we might expect an
8 annual vaccine to conservatively be, and
9 just give one dose here, added to some other
10 measures like household quarantine and some
11 antiviral measures. But the addition of
12 this vaccine policy, pre-immunization of 20
13 percent, you can see results in a fairly
14 dramatic reduction when added to these other
15 strategies and also overall.

16 Now, again, I'd like to stress
17 that this is modeling. It's very dependent
18 on the infectivity of the virus. It's very
19 dependent on multiple other assumptions.
20 But when you think about this, if people --
21 people cannot only potentially benefit from
22 being personally protected, but if they then

1 transmit virus less, this is how you begin
2 to have population impacts of immunization.

3 However, there are many, many
4 unanswered questions, and that's why we're
5 beginning this dialogue. Certainly, how can
6 we better measure and predict both
7 protection and cross-protection. We
8 discussed that this morning, and it's very
9 important to mention that for a pandemic
10 vaccine, hospitalization, death, in fact,
11 infectiousness may be much more relevant and
12 even more immunologically achievable
13 measures than just infection.

14 Animal models were beyond our
15 scope but we heard how we can't tell from
16 these possible correlates, you know, how
17 effective a vaccine -- can we develop animal
18 models that tell us more? Can we use them
19 and correlate them with immunologic
20 correlates? What dose and dose intervals
21 are need for priming or boosting, and Dr.
22 Toerner is going to discuss this some. How

1 durable will priming be, and Dr. Treanor's
2 results may help address this. Are specific
3 levels of antibody needed? Must they be
4 maintained.

5 And then we come down to -- and
6 again, we heard some data suggesting this at
7 WHO recently is people are looking at some
8 cross-serologic cross-reactivity data, that
9 this may be very not just sera type specific
10 or clade specific but even virus specific,
11 so we're seeing some viruses behave
12 differently. In general, the more
13 genetically-related you are the better you
14 cross protect, and I think that's safe to
15 say. But there are some things that may
16 defy prediction, although Nancy may have
17 more to say about this.

18 So what we have here is data
19 needs. We have a possibility for something
20 potentially effective, but we have data
21 needs. And these pertain to either early
22 pandemic use such as the possibility we

1 discussed this morning with the vaccine
2 under discussion, but it also pertains to
3 potential pre-pandemic use. How should we
4 design clinical trials to evaluate
5 immunogenicity? And there's an opportunity
6 here now. I think this is a very important
7 point. Lots of companies are studying lots
8 of vaccines. There's an opportunity to
9 build into these studies cross-protection
10 studies, immunization with one vaccine
11 followed by another. And these are studies
12 that take a long time and cost a lot of
13 money, so getting them right and getting
14 your input and getting FDA's input and
15 companies' input, there's a lot data that
16 could benefit a lot of people.

17 What should our standards be?
18 You saw how you wrestled with that this
19 morning. These are very arbitrary numbers.
20 Beyond such arbitrary numbers, what should
21 we be thinking about? And certainly, these
22 scientific data, public discussion are

1 important in considering any of these types
2 of vaccine use. And certainly a substantial
3 safety database will be needed in
4 considering pre-pandemic approaches. And I
5 think that drove a number of the questions
6 today, even about a vaccine using a very
7 well-characterized manufacturing method.
8 And certainly when you consider novel
9 vaccines, this is something we're not here
10 really to discuss in detail today, because
11 it's a whole discussion, but we wanted to
12 bring up.

13 And so we both want to further
14 the data-gathering process and begin these
15 kinds of discussions, because I think the
16 successes in vaccine development , actually,
17 the good news is that they're going to bring
18 these questions to us. And the sooner we
19 start trying to effectively get the data,
20 the less likely we'll be to be scratching
21 our heads quite as much as we did this
22 morning.

1 So thank you very much. And now
2 I guess we'll leave discussion for later,
3 but Dr. Toerner's going to follow-up with
4 this.

5 DR. TOERNER: My name is Joe
6 Toerner. I'm a Medical Officer in the
7 Vaccine Clinical Trials branch at the
8 Division of Vaccines and Related Product
9 Applications. My goal today is to enhance
10 what Dr. Goodman had just mentioned to you
11 and provide you with a bit more summary data
12 on the rational for why we might consider an
13 influenza vaccine to be used in the pre-
14 pandemic setting, also provide for you
15 hypothetical clinical development scenarios
16 that would help your discussion this
17 afternoon on a determination of efficacy and
18 safety.

19 We're all familiar with the
20 current situation with influenza H5N1. The
21 host has expanded outside the avian species
22 and to date, there 278 cases that have been

1 reported to the World Health Organization of
2 human infection, and the case fatality rate
3 is greater than 60 percent which highlight
4 the need for urgent vaccine development.

5 Dr. Baylor this morning had
6 mentioned that the U.S. Government is
7 involved in influenza pandemic preparedness,
8 and as our part of helping develop a plan
9 for use of an influenza vaccine during a
10 pandemic, we issue draft guidance industry
11 on the clinical development of an influenza
12 vaccine to be used during a pandemic or in
13 situations of potential high risk exposure.

14 And in the guidance document, we
15 had outlined immune response criteria that
16 are reasonably likely to predict clinical
17 benefit, and that is the hemagglutination
18 inhibition antibody assay in different
19 proportions, a fourfold increase versus the
20 proportion greater than or equal to 1 to 40.
21 We're in the process of revising that to
22 further clarify that it would be either or

1 to demonstrate an immune response reasonably
2 likely to predict clinical benefit.

3 As well, our guidance documents
4 outline safety database requirements, and
5 those differ based upon whether or not a
6 sponsor has a long-term manufacturing
7 experience with a seasonal influenza
8 vaccine.

9 However, the discussion this
10 afternoon, again, as Dr. Goodman pointed
11 out, there are many limitations to the
12 production and ultimate availability of a
13 pandemic vaccine in a pandemic situation.
14 So what we're interested in is your feedback
15 on a different strategy of use of a vaccine
16 prior to a pandemic or the so-called prime-
17 boost or cross-protection that might be
18 demonstrated in adequate and well-controlled
19 studies.

20 And so for discussion today, we'd
21 like some feedback on the adequate and well-
22 controlled studies of clinical trial design

1 endpoints and the duration of those clinical
2 trials as well as some discussion of what we
3 might require as a size of the safety
4 database.

5 So the issue of priming is
6 illustrated in the pediatric population
7 where children are felt to be naive to the
8 seasonal influenza antigens in circulation.
9 And it's for this reason that children below
10 nine years of age who are receiving
11 influenza vaccine for the first time, two
12 administrations of vaccine approximately one
13 month apart are recommended for adequate
14 immune response.

15 Two recent studies had evaluated
16 the prime and the boost dose that were given
17 approximately six months apart and whether -
18 - and these were seasonal influenza vaccines
19 --and whether the seasonal influenza vaccine
20 remain the same or whether the seasonal
21 influenza vaccine had differed in the
22 antigen content, there appeared to be

1 similar immune responses when these children
2 had vaccine administration more widely
3 separated in time.

4 And following my talk, Dr.
5 Treanor's going to provide an overview of
6 immune responses that were observed among
7 study participants who had the remote
8 administration of an H5 antigen that also
9 illustrate this concept of priming.

10 Data from observational studies
11 indicate that prior antigenic experiences
12 protect or ameliorate influenza illness, and
13 this appears to be true even in individuals
14 who have had antigenic experience with the
15 same influenza virus in circulation or
16 whether the influenza virus in circulation
17 represents an antigenic drift.

18 And as well, seasonal influenza
19 vaccines appear to offer cross protection
20 against antigenically drifted influenza
21 strains, and this has been demonstrated in
22 two culture confirmed studies, one more

1 recently published in the New England
2 Journal of Medicine where vaccine efficacy
3 appeared to be greater than 70 percent for -
4 - even when influenza virus in circulation
5 differed from the vaccine strain.

6 When considering pre-pandemic
7 vaccination, it's been mentioned earlier in
8 today's session that we'll have to consider
9 the risks associated with administration of
10 a vaccine that may or may not have potential
11 benefit. The risks and benefits for a
12 seasonal influenza vaccine are known. For
13 example, the Institute of Medicine performed
14 an exhaustive review looking at neurological
15 adverse events following administration of
16 contemporary seasonal influenza vaccines and
17 found that data do not support an
18 association between administration of
19 seasonal influenza vaccine and the
20 development of Guillain Barre Syndrome with
21 the exception of one observational study in
22 one year in the early 1990's where it was

1 estimated that the risk of Guillain Barre
2 Syndrome appeared to be 1 additional case
3 per 1 million person vaccinated.

4 So currently, the Advisory
5 Committee on Immunization Practice, in their
6 publications, indicate that if an
7 association exists, it's estimated to be a
8 risk of 1 additional case of Guillain Barre
9 Syndrome per 1 million persons vaccinated,
10 and therefore the risks versus benefits of a
11 seasonal influenza vaccine are well
12 balanced.

13 However, we have the historical
14 experience with the swine flu vaccine in
15 1976 where the Institute of Medicine found
16 that the data did support an association
17 with administration of that particular
18 vaccine and Guillain Barre Syndrome where
19 the risk of Guillain Barre Syndrome was 1
20 case per 100,000 persons vaccinated.

21 And so for consideration of rare
22 serious adverse events, they become

1 highlighted when there is the unknown
2 potential benefit.

3 And now I'd like to move on to a
4 hypothetical clinical development scenario,
5 and this is a straightforward slide that
6 demonstrates clinical development that might
7 occur for demonstration of adequate immune
8 responses to be used during a pandemic or a
9 high risk situation. However, for pre-
10 pandemic use, in order to demonstrate this
11 issue of priming, administration of the
12 vaccine more widely separated in time might
13 provide adequate data that would begin to
14 support the concept of homologous immune
15 protection over time. And similarly, in
16 order to gather data on the issue of cross
17 protection, we outlined a clinical
18 development scenario here where individual
19 cohorts would receive a monovalent influenza
20 vaccine that represented a different clade.
21 And immune responses following the
22 administration of subsequent different

1 vaccine would be evaluated for evidence of
2 cross protection.

3 And so for discussion this
4 afternoon, we would like for you to comment
5 on the use of immune responses in order to
6 determine efficacy of a vaccine to be used
7 for pre-pandemic use or for priming and is
8 the immune response assay following the
9 prime alone adequate to support
10 demonstration of pre-pandemic use. Should
11 we require that immune response assays be
12 obtained following a boost at future time
13 points, or in particular, for administration
14 of a heterologous antigen in order to gather
15 information on cross-protection? Or can we
16 rely on immune response measurements
17 following the prime using assays that
18 involve the heterologous antigen? And if
19 there's time, to comment on the use of
20 hemagglutination inhibition antibody as an
21 immune response endpoint versus other immune
22 response assays such as microneutralization.

1 And related to this is clinical
2 trial design, if you could discuss the
3 feasibility of requiring longer term
4 clinical studies of the prime and the boost
5 in pre-pandemic vaccine development and what
6 would the duration of such a study be -- six
7 months out to one year or perhaps longer
8 than one year. And we recognize that this
9 might require collaboration among different
10 sponsors who may or may not be developing
11 monovalent influenza vaccine strains with a
12 different clade.

13 And for a safety database discussion,
14 we wanted you to keep in mind that for a
15 pre-licensure safety database, it is not
16 likely that we will be able to detect rare
17 or serious adverse events, for example, at a
18 rate of 1 per 100,000. So keep that in mind
19 in your discussions when we ask you to
20 comment on the safety considerations for
21 licensure.

22 As well, we believe that safety

1 database requirements may differ for a
2 sponsor who is seeking licensure of a
3 vaccine with a novel manufacturing process
4 or the use of a novel adjuvant. And so that
5 will conclude my talk, and I'd like to turn
6 the podium over to Dr. Treanor.

7 DR. TREANOR: Okay. Thanks.

8 What I'm going to present is really the same
9 presentation that one of our infectious
10 disease made at IDSA in October, and it's
11 basically an analysis of the immune
12 responses of healthy subjects who received a
13 single dose of the Vietnam virus after they
14 had previously received vaccination with an
15 H5 vaccine for the A Hong Kong/156/97. And
16 this was presented at IDSA by one of our ID
17 fellows, Nega Gogi.

18 So as we heard this morning, the
19 non-adjuvanted, inactivated, subvirion
20 vaccine required two 90 microgram doses to
21 elicit a neutralizing antibody, and we knew
22 that in a previous study that was done by

1 Iain Stevenson and Karl Nicholson, they
2 looked at a vaccine for H5N1 based
3 A/Duck/Singapore, which is a low-
4 pathogenicity avian virus that is
5 antigenically similar to A/Hong Kong/97 that
6 was used to make a subvirion vaccine back in
7 1997 and which was then evaluated either
8 with or without MF-59 in a study that was
9 published in early 2000-2001. That study
10 showed, as you know, that adding MF-59 had a
11 very significant dose-sparing effect on that
12 vaccine, but that responses to the
13 unadjuvanted vaccine were relatively low.

14 They took a subset of those same
15 subjects and revaccinated them 16 months
16 later with those who had received
17 unadjuvanted getting unadjuvanted vaccine
18 and those who had received vaccine with MF-
19 59 getting MF-59 adjuvanted vaccine. And
20 what they found was that in both groups,
21 after a third dose administered 16 months
22 later, there was a significant enhancement

1 of the antibody response so that individuals
2 after that third dose achieved levels of
3 neutralizing antibody that were higher than
4 they had achieved after the first two doses.

5 So pre-priming is a strategy that
6 might generate better immunity. It would
7 potentially allow a single dose in the face
8 of an emerging pandemic which would be
9 logistically, I would think, more feasible,
10 but in reality, using that strategy for an
11 emerging pandemic would probably represent
12 boosting people with an antigenic variant
13 because of continued antigenic evolution of
14 H5 and other avian viruses.

15 Now we had done a study back in
16 1997 using a baculovirus expressed
17 recombinant H5 of the A/Hong Kong/156/97.
18 Now despite the chronology of these viruses,
19 the 1997 viruses are referred to as clade 3,
20 the 2004 viruses are referred to as clade 1,
21 2005 being clade 2. So we took advantage of
22 the fact that there were still many people

1 around who had been in this study evaluating
2 the recombinant H5 clade 3 virus vaccine to
3 bring them back and give them a single dose
4 of the vaccine we were evaluating, the
5 Vietnam/1203/04 (clade 1), to see whether or
6 not there was, in fact, evidence that that
7 previous vaccination with the baculovirus-
8 derived Hong Kong vaccine had primed them to
9 respond to the Vietnam vaccine.

10 Now just to refresh your memory,
11 when we had done the study with the
12 baculovirus-derived vaccine, we looked a
13 neutralizing antibody which was measured by
14 Jackie Katz at CDC, and we looked a two-dose
15 schedule. We did several time points to
16 look at the kinetics of antibody, but the
17 two doses were separated basically by 28
18 days. And we found results which actually
19 were very, very similar to the ones that we
20 just presented with the subvirion vaccine in
21 that there was a very strong dose-dependent
22 effect and the best responses were seen when

1 subjects received two doses of 90 micrograms
2 of baculovirus-expressed recombinant
3 hemagglutinin where they generated antibody
4 with a neutralizing GMT on the order of
5 about a 160.

6 So the objectives of this study
7 were to determine the ability of the clade 3
8 H5 recombinant vaccine to prime for immune
9 responses to a subsequent clade 1 H5
10 subvirion vaccine in health adults. Now to
11 do this comparison, it's important to
12 understand that we did not randomize people
13 to be primed or unprimed. People were
14 primed because they had previously been in
15 the study. We simply gave a dose of the
16 subvirion H5 vaccine and compared their
17 responses to the ones that we had seen in
18 unprimed subjects in the 063 study. We also
19 wanted to determine the safety of
20 revaccination.

21 The subjects then were
22 participants in the previous study which was

1 conducted in late 1997 and early 1998 who
2 had received a clade 3 recombinant H5
3 baculovirus-derived vaccine at any dose.
4 And these subjects were administered in open
5 label fashion a single 90 microgram dose of
6 the subvirion recombinant A/Vietnam (clade
7 1) vaccine. They completed the same kind of
8 diary card that was used in study 063.
9 Adverse events were recorded over 56 days,
10 and we tested both serum HAI and
11 microneutralizing antibody against the
12 Vietnam virus on days 0, 28 and 56.

13 Now the primary analysis here was
14 to compare the results of a single dose in
15 the primed population versus the results of
16 a single dose in an unprimed population.
17 That was our primary evidence of whether
18 these individuals had been primed. If they
19 were primed, they should respond to a single
20 dose with significantly better responses
21 than seen in an unprimed population. As a
22 secondary analysis, we also compared the

1 responses to that seen after two doses of 90
2 micrograms in 90 subjects.

3 So this lays the study out sort
4 of diametrically. Here's the original study
5 in 1998. Individuals in that study received
6 the recombinant clade 3 vaccine at a variety
7 of different doses. Any individual who had
8 received vaccine at any does, not the
9 placebo recipients but vaccine recipients,
10 were eligible to participate in the open
11 label study. All of these individuals, and
12 there were 37 of them, received 90
13 micrograms as a single dose in open label
14 fashion in study 0043 conducted in 2005. So
15 approximately a seven year interval between
16 these two studies. Their results are
17 compared to those which have already been
18 presented in H5 naive subjects who received
19 two doses of the same clade 1 vaccine at 90
20 micrograms.

21 Here are the demographics of the
22 two population. The results are compared

1 to, again, that same 90 microgram group we
2 talked about before. This is the
3 demographics of the 37 subjects from the
4 previous study who came back to participate
5 in 043. You can see it's a largely
6 Caucasian population. Slightly more than
7 half of them are female, and the age of
8 these people who are correspondingly about
9 seven years older than the volunteers we
10 typically have in our studies is slightly
11 older than the median age of the people who
12 were in the 063 study.

13 These are the rates of solicited
14 adverse events within seven days of
15 receiving the vaccine. And it simply shows
16 that the rate of local pain, tenderness and
17 other side effects in those who had
18 previously been primed and received a single
19 dose of 90 micrograms are not different than
20 those which were seen in naive subjects who
21 received 90 micrograms. The rates of all of
22 these effects after one or two doses in

1 naive subjects or after what amounts to a
2 third dose in primes subjects are all
3 essentially the same.

4 This is the results of the serum
5 hemagglutination inhibition assays, titers
6 following either two doses of H5 vaccine in
7 the naive subjects or one dose in the primed
8 subjects. Now again, these analyses use the
9 1 to 10 definition as the starting dilution,
10 so everything is on the same frame. And you
11 can see this is the same data that I
12 presented earlier in the 063 study that
13 after two doses of 90 micrograms on day 56,
14 the GMT of HAI antibody is 27.7. This is
15 the 95 percent confidence limits. This is
16 the result in the open label study in primed
17 subjects. And you can see a significantly
18 enhanced response to a single dose and that
19 after a single dose, these subjects actually
20 have higher levels of antibody than those
21 individuals in the 063 study after two
22 doses.

1 Similar results are seen with the
2 microneutralizing anybody. Again, this is
3 the 063 study. These are the neutralizing
4 anybody responses. Again, using 1 to 10 as
5 the definition of the starting dilution,
6 after two doses of 90 micrograms, the GMT of
7 neutralizing antibody on day 56 is
8 approximately 23. Individuals who had been
9 primed by previous exposure achieved a GMT
10 of 94 after a single dose of 90 micrograms.
11 This is clearly higher than seen after a
12 single dose in unprimed subjects showing
13 that the subjects are, in fact, primed and
14 is actually higher than we're seeing after
15 two doses in unprimed subjects.

16 And this is a summary of response
17 rates looking at the percent either who
18 responded or the percent who achieved a
19 titer of 1 to 40 by either HAI or
20 neutralizing antibody by day 28 after the
21 second dose in the 063 study or day 28 after
22 a single dose in the 043 study. And again,

1 you can see that the proportion of subjects
2 who respond with a fourfold response is
3 higher after a single dose in the primed
4 subjects than it is after two doses in the
5 unprimed subjects.

6 And similarly, the percentage of
7 individuals who achieve a titer of 1 to 40
8 is higher in those who had previously been
9 primed even after just a single booster dose
10 than were seen after two doses in those who
11 were naive at the beginning.

12 Now one of the things we tried to
13 look at is whether or not it made a
14 difference what regimen a recombinant
15 baculovirus vaccine was received back in
16 1998. This gets into very small numbers,
17 but it did not look like it made a
18 substantial difference whether you received
19 25, 45, 90 or a total of 100 micrograms of
20 baculovirus vaccine back in 1998. Both the
21 GMT of HAI and neutralizing antibody as well
22 as the response rates are fairly similar

1 with the proviso that it's a very small
2 number of subjects in each subgroup.

3 It did look like perhaps it made
4 a difference whether you responded back in
5 1998. If we divided those 37 subjects into
6 those who had a neutralizing antibody
7 response against the Hong Kong virus, you
8 could see that those who had responded
9 against the Hong Kong virus in 1998 were
10 somewhat more likely to respond when boosted
11 with the Vietnam virus with a response to
12 Vietnam. Again, none of these differences
13 are statistically significant because of the
14 very small numbers.

15 Now this is some preliminary data
16 from Dave Topham who's been looking at
17 memory B cell responses. This is an assay
18 that was developed at Emory by Shane Crotty
19 and Rafi Amed and looks at the proportion of
20 all immunoglobulin-secreting cells that are
21 making ELISPOTS specifically against the
22 antigen of interest. And you can see that

1 after vaccination, there is an increase on
2 day seven in the numbers of memory B cells
3 that recognize either the recombinant
4 hemagglutinin of the Vietnam virus or the
5 recombinant hemagglutinin of the A/Hong Kong
6 so that of these go up immediately after
7 vaccination in the 043 vaccinated subjects.

8 Unfortunately, we don't have the
9 comparison data from naive subjects, so all
10 I can say is that these go up, but we don't
11 know what we would have seen in naive
12 individuals.

13 So in conclusion, the antibody
14 responses to a single dose of unadjuvanted
15 vaccine support the hypothesis that previous
16 vaccination with clade 3 primes for a
17 response to a clade 1 H5 vaccine. The
18 antibody responses did exceed those that we
19 saw after two doses in naive subjects and
20 actually were somewhat better than those
21 that were seen in the original study. And
22 the reasons for these vigorous responses are

1 not clear. Whether or not individuals have
2 memory B cells that are sitting around ready
3 to respond or whether there's something
4 else, it's just not clear. Revaccination
5 was well tolerated. The side effect profile
6 is similar to that of vaccination of naive
7 subjects.

8 And clearly, further studies,
9 which I think were nicely outlined earlier,
10 to evaluate different schedules to really
11 verify these results in larger populations
12 are needed. But if you were confident that
13 this would happen, then you could consider
14 pre-pandemic vaccination programs, at least
15 for some populations which we've talked
16 about, potentially healthcare workers, first
17 responders or the military or individuals
18 who would be likely to be exposed and need
19 to stay on the job early in a pandemic.

20 So I'd just like to thank the
21 individuals who collaborated in the study,
22 particularly Tom Rowe who did all the

1 serology; Mark Wolff and Heath Hill who were
2 responsible for the data analysis; and my
3 collaborators at DMID and at the University
4 of Rochester. Thanks.

5 DR. KARRON: Thank you. At this
6 point, we'll take questions for Drs.
7 Treanor, Toerner and Goodman. And actually,
8 I'd like to lead off with one question for
9 you, John, which is I was wondering did you
10 see cross-boosting at all? In other words,
11 if you looked at HA responses back to the
12 '97 strain? Did you look at that?

13 DR. TREANOR: We haven't looked
14 at that and that's partially because we
15 don't have a similarly, at least to my
16 knowledge, have a similarly reverse
17 genetically- engineered low pathogenicity
18 variant of the H5 from 1997 that's easily
19 available to work on. So although that's in
20 the cue of things to do, to my knowledge,
21 that hasn't happened yet.

22 DR. KARRON: Dr. Jackson?

1 DR. JACKSON: I just wondered --
2 I mean it sort of raises the question on how
3 much antigen you need to produce the
4 boosting response, so I was wondering if
5 that was something you're exploring?

6 DR. TREANOR: It's very possible
7 that it requires less of a dose to prime
8 someone than it does to generate antibody,
9 and so some of the lower doses might be
10 effective for boosting, and that's something
11 we're eager to look at.

12 DR. KARRON: Dr. Modlin?

13 DR. MODLIN: John, I know your
14 study didn't look at this, but I think in
15 terms of future studies, it might be real
16 interesting to look ant see what the
17 kinetics of the response is to that third
18 dose. We're talking about trying to prevent
19 a disease that can kill within days after
20 exposure with a short incubation period and
21 so that knowing how quickly you induce
22 protective antibody with that third dose,

1 all the studies that have been done very
2 recently with meningococcal disease and
3 meningococcal conjugate vaccines for that,
4 but the timing of that response to a
5 booster dose appears to be critically
6 important in preventing disease. So in
7 terms of future studies, I think that would
8 be something that would be very interesting
9 to look at.

10 DR. TREANOR: I agree. That
11 would be very important.

12 DR. KARRON: Dr. Webster?

13 DR. WEBSTER: Really the same
14 question that Ruth asked, did you find any
15 evidence of original sin?

16 DR. TREANOR: Well,
17 unfortunately, we have not assessed any type
18 of immune responses to the 1997 Hong Kong
19 except for the memory B cell responses which
20 do appear to recognize the Hong Kong virus.

21 DR. KARRON: Dr. Cox?

22 DR. COX: Yes, John. I think I

1 know the answer to this, but I just wanted to
2 be absolutely sure. Were the serologic
3 tests done at the same time for the two
4 groups --

5 DR. TREANOR: No.

6 DR. COX: -- or were they done at
7 different times?

8 DR. TREANOR: The data that --
9 the comparison in the naive subjects is
10 exactly the same data we talked about this
11 morning. It's the published -- well, not
12 the published data, but it's the data from
13 the 90 microgram group in the original study
14 compared directly to the assays done on the
15 open label study.

16 DR. COX: Right. So
17 theoretically, if you have enough serum
18 left, you could go back, test those two sets
19 of sera at the same time with clade 1, clade
20 2 and clade 3 viruses and really get quite a
21 bit of interesting information about --

22 DR. TREANOR: That absolutely

1 could be done.

2 DR. KARRON: I think if there are
3 no more questions at this point, we'll
4 proceed to the open public hearing.

5 MS. WALSH: As part of the FDA
6 Advisory Committee Meeting Procedure, we are
7 required to hold an open public hearing for
8 those members of the public who are not on
9 the agenda and would like to make a
10 statement concerning matters pending before
11 the committee. Dr. Karron, would you please
12 read the open public hearing statement?

13 DR. KARRON: Both the Food and
14 Drug Administration and the public believe
15 in a transparent process for information
16 gathering and decision making. To ensure
17 such transparency at the open public hearing
18 session of the Advisory Committee meeting,
19 FDA believes that it is important to
20 understand the context of an individual's
21 presentation.

22 For this reason, FDA encourages

1 you, the open public hearing speaker, at the
2 beginning of your written or oral statement,
3 to advise the committee of any financial
4 relationship that you may have with any
5 company or any group that is likely to be
6 impacted by the topic of this meeting, for
7 example, the financial information may
8 include the company's or group's payment of
9 your travel, lodging or other expenses in
10 connection with your attendance at the
11 meeting. Likewise, FDA encourages you, at
12 the beginning of your statement, to advise
13 the committee if you do not have any such
14 financial relationships.

15 If you choose not to address this
16 issue of financial relationships at the
17 beginning of your statement, it will not
18 preclude you from speaking.

19 MS. WALSH: I have received a
20 request form Dr. Bruce Innes representing
21 GlaxoSmithKline. Dr. Innes? You can come
22 up to the podium for your slides.

1 DR. INNIS: Good afternoon. My
2 name is Bruce Innis. I'm an employee of
3 GlaxoSmithKline. We're a manufacturer of
4 licensed vaccines, licensed influenza
5 vaccines in the United States and in many
6 countries around the world. I'd like to
7 make a public statement regarding our
8 ongoing development of a novel influenza
9 vaccine against pandemic strains.

10 This morning we've heard an awful
11 lot about the challenges of using vaccines
12 to reduce the risk of pandemic influenza.
13 GSK has a strategy to confront these
14 challenges, and that's what I want to
15 describe. So we'll skip that slide and go
16 right to GSK's position, which is that
17 advanced production and stockpiling are the
18 foundation, the foundation of pandemic
19 preparedness. And the ideal vaccine to
20 support this approach has three attributes.
21 It should be effective against drift
22 variants and elicit immunological memory

1 against them. It should be antigen-sparing,
2 and if it's going to be stockpiled, it needs
3 to have shelf life.

4 We are developing pandemic
5 vaccines with just these attributes, and I'd
6 like to share a little bit of data with you.
7 Now here you see immune responses from a
8 dose-ranging study in which healthy adults
9 18 to 64 years of age were randomly
10 allocated to 8 formulations of
11 Vietnam/1194/H5N1. They received 2 doses of
12 the vaccine 21 days apart with or without a
13 novel adjuvant system. The doses ranged
14 from 30 micrograms down to 3.8 micrograms.
15 The endpoint that we're showing here is
16 hemagglutination inhibiting antibody
17 response, the proportion of volunteers that
18 achieved a post vaccination titer of greater
19 than or equal to 1 to 40. And I'm showing
20 an orange reference line which indicates the
21 70 percent response threshold that CEBR has
22 articulated in their draft guidance as

1 evidence of an adequate response to
2 reasonably infer clinical benefit.

3 So if you look on the blue, the
4 dark blue bar, that's the 30 microgram dose
5 without adjuvant. That group failed to meet
6 the criterion. But if you look on the other
7 end of the graph, the light yellow bar,
8 that's the 3.8 microgram group with
9 adjuvant, and they exceeded the CEBR
10 criterion. So these results show that the
11 adjuvant system confers a very marked
12 antigen-sparing affect.

13 Next week our colleagues will be
14 presenting new data in an international
15 scientific meeting in Hong Kong regarding
16 the ability of this vaccine to immunize
17 against H5N1 drift variants. That's a
18 second important attribute of an ideal pre-
19 pandemic vaccine. Now you may ask is there
20 a regulatory pathway for pre-pandemic
21 vaccines. And the answer is yes, there is.
22 The EMEA in Europe issued comprehensive

1 guidance on the 24th of January, and I'd
2 like to go over its salient features. Their
3 guidance says that applicants for licensure
4 should evaluate as the primary efficacy
5 surrogate the homologous HI antibody response
6 but that also they should characterize
7 vaccine cross-protection. This is
8 considered important, and three types of
9 evidence are requested: cross-reactive
10 neutralizing antibody responses; cross-
11 protection of ferrets; and the ability of
12 vaccination to support a booster response to
13 a subsequently administered drifted strain.

14 GSK has been and is continuing to
15 generate these data for its pre-pandemic
16 vaccine candidate that's manufactured in its
17 facility in Dresden, Germany. For that
18 vaccine, we have already filed an European
19 Union license application, but currently we
20 are discussing with FDA the evidence that
21 would be required to support a U.S. license
22 application for our pre-pandemic vaccine in

1 our facility in Quebec, Canada.

2 The plan that's under discussion
3 with FDA is divided into three parts: the
4 conduct of pivotal trials of our vaccine
5 under an IND in 2007 and then immediate
6 application for a BLA for the use of the
7 vaccine in the face of an imminent threat;
8 and while the application is under review,
9 and our understanding is that it would be
10 reviewed under the accelerated approval
11 regulations, we would extend the development
12 by evaluating the vaccine in children and
13 generating data regarding the ability of the
14 vaccine to prime subjects for a subsequent
15 heterologous booster response; and lastly,
16 we would propose post licensure to conduct
17 large, large safety trials in adults.

18 Now ultimately GSK is interested
19 in developing a product that's suitable for
20 general use prophylaxis, not only
21 stockpiling, against various forms of
22 pandemic influenza. This type of vaccine

1 conceivably could be used in a routine
2 national immunization program, and this
3 could be the best possible form of risk
4 reduction against any future subsequent
5 pandemic.

6 Let me close by saying that GSK
7 has committed to developing a new generation
8 of influenza vaccines against both seasonal
9 flue and pandemic flu and the use of the
10 adjuvant system that I've briefly mentioned
11 to day is certainly central to that vision.
12 Thank you very much.

13 MS. WALSH: Thank you, Dr. Innis.
14 I have also received a request to speak for
15 Ms. Manon Cox representing Protein Sciences.
16 Ms. Cox?

17 MS. COX: Okay. I would like to
18 take the opportunity to update the committee
19 and the public today on the recombinant
20 hemagglutinin vaccine that was so nicely
21 introduced by John Treanor before, because
22 you may wonder what happened in the last

1 eight years. In principle, it became clear
2 to Protein Sciences that it was important to
3 first develop a vaccine for inter-pandemic
4 use, so we embarked on the development of a
5 trivalent recombinant hemagglutinin-only
6 vaccine which is produced in vitro using
7 insect cell culture technology. Every year
8 we will clone the HA's from the WHO-CDC
9 recommended strains and since this is a
10 recombinant DNA approach in principle, you
11 do not need eggs. It is easier to produce.
12 And you also do not need to produce live
13 viruses prior to inactivation. There's no
14 bio containment required and we also do not
15 plan to use preservatives.

16 The hemagglutinin antigens are
17 then highly purified and they have the
18 correct three dimensional structure as is
19 demonstrated by their biological activity,
20 hemagglutinin activity and by the fact that
21 they produce protective immune responses.

22 I would also like to outline our

1 approach to watch a potential pandemic. As
2 John indicated, in 1998, we very rapidly,
3 after NAID approached us to produce a
4 pandemic vaccine produced vaccine that could
5 be used in the clinical trials that were
6 described earlier. It took us six weeks to
7 get from gene to product and eight weeks to
8 get from genes into humans. We also
9 demonstrated that we could fully protect
10 chickens against a lethal challenge. And in
11 a very short period, this vaccine was given
12 in 1998 already to 200 healthcare workers of
13 which a little over 50 percent reached
14 titers that were greater than 1 to 80,
15 titers that were found in convalescent sera.
16 This was the first pandemic vaccine in
17 clinical trials, and it didn't really help
18 the development of Protein Sciences'
19 vaccine, because the fact that you needed
20 two doses of a relatively high amount of
21 hemagglutinin left people to believe that a
22 recombinant vaccine would not be as