

1 There's a fair number of
2 individuals who, excuse me, there are a fair
3 number of individuals who don't make it to
4 six weeks of therapy because they attained, I
5 guess, greater than 200K in the platelet
6 count. My question is twofold. One is,
7 these individuals were taken off the drug.
8 By the end of six weeks, what was their
9 platelet count? Were they counted as
10 successes and then the value of 200K was used
11 for the rest -- they made it as a success and
12 so they were continued to be successes all
13 the way to week six -- do we actually know
14 what their platelet count was after they were
15 taken off the drug? And these individuals
16 who were sort of rapid responders, do they
17 have a different safety profile than those
18 individuals who may have improved their
19 platelet count but did it at a slower pace?
20 Is my question clear?

21 DR. RIEVES: Yes, it is clear. And
22 we're very interested in that subject. And

1 I'm sure GSK has multiple slides on what is
2 referred to as the observed platelet
3 database. But you're correct. These rapid
4 responders counted as meeting the primary
5 endpoints.

6 You're essentially asking what did
7 the data show on platelet responses after
8 that time.

9 DR. SANDLER: Did they go back
10 down? Because in terms of the chronic
11 question, I mean, would you stop them? And
12 then what happened to them? Would they
13 continue? And then also are they more prone
14 to adverse events if they rapidly climb?

15 DR. RIEVES: They're good
16 questions. Madam Chairman, if I may turn it
17 over to GSK to go through the details -- the
18 anthology of the platelet count responses.

19 DR. HUSSAIN: Sure.

20 DR. ROYCHOWDHURY: We did have
21 patients -- to answer the questions with
22 regards to were they counted as a responder.

1 Yes, they were counted as a responder. Their
2 platelet counts decreased in the same manner
3 as a patient whose platelet counts had not
4 gone up beyond 200,000 during treatment.

5 DR. SANDLER: So by week six --

6 DR. ROYCHOWDHURY: It had the same
7 profile.

8 DR. SANDLER: By week six many of
9 them could have been back to where they
10 started.

11 DR. ROYCHOWDHURY: Absolutely.
12 Their adverse event profile is the same
13 between those patients, as well as the
14 patients that had the platelet elevations of
15 200,000 earlier than six weeks.

16 DR. SANDLER: There's a small
17 number. There's only about 27 or 28 of these
18 individuals.

19 DR. ROYCHOWDHURY: That's correct.

20 DR. SANDLER: In terms of their
21 adverse events, can it be said that we don't
22 have enough subjects to really understand

1 what's going on with them?

2 DR. ROYCHOWDHURY: Of course, the
3 numbers are small, but the adverse event
4 profiles look very similar among those
5 patients versus the other patients. And
6 finally, I think you had one other question
7 with regards to a prolonged response. We did
8 see some patients in our clinical trials who
9 did have a response beyond a two week time
10 period where most of the patients have their
11 platelet counts come down. But they were
12 small numbers. About 8 percent of our
13 patients had platelet counts beyond the two
14 weeks that were still above 50,000. And they
15 lasted about 6 weeks.

16 DR. SANDLER: Just one more
17 question, please. What's the implication for
18 long-term use? There's no long-term use that
19 we can pull out of this. What's the
20 implication or long-term use if they rise
21 quickly to 200K. What would be done with
22 them?

1 DR. ROYCHOWDHURY: Right. In
2 EXTEND study, we were able to -- in Part A
3 and Part B, we, of course, asked the patients
4 to stop the medication when they go above
5 200,000. But in EXTEND, we allowed those
6 patients -- we followed those patients and we
7 made changes to their dosing such that the
8 platelet counts could then be kept in the
9 range between 50,000 and 200,000. And that
10 was quite possible in EXTEND.

11 DR. SANDLER: Thank you.

12 DR. HUSSAIN: Dr. Sandler.

13 DR. SANDLER: I have a question for
14 the sponsor, specifically Dr. Bussel.

15 Dr. Bussel, in your presentation
16 you chose to show us pictures of an
17 intracranial hemorrhage and wet purpura,
18 which would be situations where the
19 short-term indication would be urgent. And
20 the data that we saw showed that it takes 8
21 days to go from 20,000 to 30,000 platelet
22 count, and a median of 15 days to get to

1 70,000. My question is, a lot of us would
2 think of a short-term treatment for something
3 like surgery, dental care, or an intracranial
4 hemorrhage, but I didn't see data that would
5 relate to the urgent indications, which would
6 be most of the indications.

7 So the proposed indication reads
8 that it would be intended for the short-term
9 treatment, etcetera. Do we really mean for
10 the non-urgent short-term treatment? Because
11 I don't think we mean for the urgent
12 treatment.

13 DR. BUSSEL: I think your point is
14 well taken, Dr. Sandler. I may have
15 misspoken in that someone with wet purpura, I
16 don't think their treatment is so urgent that
17 if it took, let's say, a week to increase the
18 platelet count, that that would be
19 insufficient. But certainly for an
20 intracranial hemorrhage or major bleeding
21 that we would count as truly urgent, I'm
22 unaware of data that suggests that this

1 should be the treatment. And I think the way
2 you phrased it was quite fair. Dr.
3 Roychowdhury, do you want to --

4 DR. ROYCHOWDHURY: Dr. Sander, our
5 studies, of course, had our first platelet
6 count check only on Day 8. So we cannot give
7 you the kinetics before Day 8. But you're
8 correct that most patients, we see the
9 elevations starting Day 8 and Day 15. In our
10 highly volunteer studies we did see platelet
11 elevations start even at Day 5. But in our
12 label -- proposed label to the FDA -- we have
13 suggested that if there is an urgency to
14 elevate platelet counts within, you know, one
15 or two days, or three days, or four days,
16 then eltrombopag should not be the drug that
17 should be used.

18 DR. HUSSAIN: Dr. Perry?

19 DR. PERRY: Thank you. I have
20 several questions for the sponsor, and I'm
21 not sure who wants to take them.

22 The first is, how is the 50 mg dose

1 picked? In at least one graph I've seen the
2 75 mg dose had a higher response rate, and I
3 didn't see any data on anything beyond a 75
4 mg dose. Nothing to indicate that a dose
5 limiting toxicity had been achieved.

6 DR. ROYCHOWDHURY: So the dose of
7 50 mg was picked after we completed our Phase
8 II where we had 30, 50, and 75. And what it
9 showed was, yes, 75 mg patients, most of them
10 -- more of them did achieve the endpoint.

11 However, can I have the slide please?

12 However, if you see the number of patients
13 who achieved platelet counts beyond 200,000,
14 you can see that more patients actually had
15 -- during the 6 week period, or before the
16 Day 43 period, had platelet counts beyond
17 200,000. And also, there were patients who
18 had platelet counts beyond 400,000. So we
19 felt that the dose of 50 mg allowed us to
20 have patients -- allowed us to have platelet
21 count elevations greater than 50, but keep
22 more patients beyond the 200,000 mark. So

1 that was -- it was sort of an optimal dose to
2 keep them in that range.

3 DR. PERRY: There was not a
4 toxicity question with a higher dose?

5 DR. ROYCHOWDHURY: There was no --

6 DR. PERRY: Okay. In the repeat
7 study where patients got cycles of therapy,
8 the number of patients dropped by about 50
9 percent from the first course to the second
10 course to the third course. Why did that
11 happen?

12 DR. ROYCHOWDHURY: Actually, at the
13 time of data cutoff, that was the data we
14 had. We have since then completed the study
15 and we did do a final analysis of the REPEAT
16 data. I can share with you this analysis
17 which has not been -- this data has not been
18 shared with the Agency. But just to prove
19 the point that we had patients go through the
20 cycles, can I have the slide?

21 So, here, as you can see, there
22 were 66 patients who were enrolled on REPEAT,

1 of whom 52 had response in Cycle 1. Those
2 are the patients that were moved into Cycle
3 2. And 45 of those patients then
4 subsequently had a response in Cycle 2 or 3.
5 And in Cycle 2 and 3 were 48 patients. So a
6 large majority of the patients who did have a
7 response in Cycle 1 went onto Cycle 2 and 3.

8 DR. PERRY: Okay. And at the risk
9 of revealing my ignorance in a large group,
10 what is Hy's law?

11 DR. ROYCHOWDHURY: I will ask one
12 of the world's leading experts to talk about
13 Hy's law, Dr. Maddrey.

14 DR. PERRY: Dr. Hy is here?

15 (Laughter)

16 DR. ROYCHOWDHURY: He,
17 unfortunately, is not around with us anymore,
18 but Dr. Maddrey had worked with him and can
19 give you that.

20 DR. MADDREY: Over a number of
21 years of studying drugs of a whole variety of
22 types Hy made the observation that those

1 patients who had elevated aminotransferase of
2 some significance, a floor really around
3 three to five times the upper limit of
4 normal, was of interest to us as far as
5 hepatotoxicity. But those patients who in
6 addition developed clinical jaundice, a rise
7 in the serum bilirubin in the initial
8 statement to three times the upper limit of
9 normal, this combination separated out a
10 group of patients who are at a special risk.
11 We studied this in isoniazid. We studied
12 this in methyldopa. We studied this in
13 tienilic acid. So that Hy's rule or Hy's law
14 says that in a non-confounded patient who
15 does not have evidence of a colostatic
16 injury, an elevation of aminotransferases and
17 an elevation of serum bilirubin puts the
18 patient in a special group of risk with a
19 mortality for the several drugs we've studied
20 from somewhere around 7 or 8 percent to about
21 14 percent. So that is a good guideline for
22 us to identify a group of individuals at

1 special risk of hepatotoxicity. But I want
2 to mention that it's for hepatosaliandry in
3 non-confounded cases.

4 DR. PERRY: Thank you. One other
5 question for the sponsor. If someone had an
6 intracranial bleed as exhibited on the slide,
7 what -- whose scale would that bleed be on?
8 Would that be gross? Debilitating? If it's
9 a small bleed, would that be a Grade 2?

10 DR. ROYCHOWDHURY: Can I have the
11 slide on the WHO scale please?

12 DR. PERRY: It's a little confusing
13 to me applying that particular scale to a
14 clinical situation. That seems to deal with
15 the amount of blood loss. To me it's often
16 where the blood is being lost, particularly
17 if it's being lost in the brain.

18 DR. ROYCHOWDHURY: Yes. Of course,
19 as you can see on the WHO bleeding scale,
20 it's great for if you have an intracranial
21 hemorrhage. Minor bleed confirmed by a CT or
22 MRI would be a Grade 3 gross blood loss. And

1 then this also outlines what Grade 1, and
2 Grade 2, and Grade 3, and Grade 4 are with
3 regards to different other types of
4 bleedings. So this was the scale that was
5 utilized in our study. We did go through a
6 training process or an education process with
7 the investigators around the scale as they
8 were using it in our clinical trials.

9 DR. PERRY: Thank you. Then I have
10 one final question for the FDA.

11 It seems to me that if you were a
12 non-responder, or put it this way, if you're
13 on placebo, got no response in your platelet
14 count, ended the study and bled, then that
15 bleed was attributed to the disease. Is that
16 correct?

17 DR. RIEVES: Right, right. The
18 logic.

19 DR. PERRY: And if you got treated
20 with the drug and didn't get a response or
21 had a suboptimal response, a platelet count
22 of 5,000 or 10,000, stopped the drug and then

1 to a bleed, that was attributed to the drug.

2 Is that correct?

3 DR. RIEVES: I think it is
4 impossible to exclude a potential drug effect
5 in terms of worsening of the
6 thrombocytopenia, if you will, compared to
7 baseline. I guess what I would like to
8 emphasize is that following that initial drug
9 exposure, I think we have to be very careful
10 about slicing and dicing the data based on
11 whether you're on or off the drug since the
12 pharmacodynamic effects can actually extend
13 beyond the --

14 DR. PERRY: I understand that but I
15 want to make the point that the risk factor
16 for bleeding is a low platelet count. The
17 drug may also contribute to that, but having
18 a low platelet count of 5,000 is harmful to
19 your health regardless of whether or not you
20 received the drug.

21 DR. RIEVES: Yes, sir. We agree
22 with that. And that mechanism we will

1 follow.

2 DR. PERRY: Okay.

3 DR. RIEVES: Madam Chairman, if I
4 may make two points to follow up on before we
5 get too far along in the discussion. One is
6 to follow up on Dr. D'Agostino's comment --
7 question there -- which we think is a very
8 good one in terms of this long- term
9 exposure. Because here today we're not
10 talking about the drug in terms of yes or no
11 -- is it safe and effective, especially with
12 respect to the long- term usage. We need to
13 know the dosage regimen. In the short-term
14 studies, essentially there was almost no dose
15 adjustment. It's these ongoing clinical
16 studies that actually provide the information
17 for the clinician whether -- on how to adjust
18 the dose.

19 So in terms of risk benefit
20 assessment for the long-term usage, it's not
21 simply the drug, is it safe and effective?
22 The question of the drug regimen comes in

1 there, and that's why we're looking forward
2 to getting those data because the clinician
3 obviously needs to know how to adjust that
4 dosage.

5 And the other point I would like to
6 emphasize with respect to this moniker of
7 Hy's law, which is sort of a misfortune if
8 it's misinterpreted. This is a reviewer's
9 tool. This is a screening tool. It is not a
10 threshold test, if you will, of tolerability.
11 It's not a yes or no. So I think we have to
12 be very careful. It's very useful -- the
13 construct of that nominal law -- is useful
14 for us as regulators and for drug developers
15 because it does help us explore the
16 databases.

17 But on the other hand, we have to
18 place it in the context there. It's open to
19 interpretation.

20 This question of confounding is in
21 the eye of the beholder. So we look at the
22 totality of the data and do our best to not

1 focus on the adornment, if you will, of the
2 cases themselves.

3 Thank you.

4 DR. HUSSAIN: Dr. Rieves, I have a
5 question for you. Just so I understand. I
6 know Dr. D'Agostino and you repeated the
7 issue of long-term use. As I read it, it
8 reads to me that what is requested is a
9 short-term use. Is it the fact that it's
10 going to be in the market and people can just
11 use it willingly?

12 DR. RIEVES: That is among our
13 concerns. And we've also been challenged in
14 particular -- at FDA these days we're talking
15 a lot about risk management plans. And the
16 development of a particular risk management
17 plan, as you can imagine, could be a
18 formidable, formidable challenge in this
19 situation for a drug oral tablet as
20 exemplified by the patients in these studies.
21 These patients were largely refractory
22 patients. And the logic of discontinuing

1 that drug as shown by the data, if one were
2 to place some clinical meaningfulness upon
3 the WHO bleeding scores. For example, at
4 baseline, 60 percent of the patients were
5 nominally bleeding if we believe that score.
6 That proportion seemed to decrease. By the
7 end of the score though, by the time the drug
8 was stopped, patients went back to bleeding.
9 So you catch yourself thinking if there is
10 clinical meaningfulness to this logic, then
11 the appropriateness of drug discontinuation
12 at that time is a challenge, if you will.
13 So, yes, we do have concerns regarding the
14 ability to effectively use the drug,
15 especially in severely ill patients who need
16 a long-term therapy. It presents a
17 formidable challenge for us all.

18 DR. D'AGOSTINO: Can I add -- in
19 terms of my question, can I add something? I
20 was concerned, or also concerned, even within
21 the six weeks if you rise rapidly with your
22 platelet count, then you're taken off, do you

1 fall rapidly? And then how do you manage
2 even within the six weeks? Because it seems
3 like they took them off and then they were
4 considered successes and followed for
5 bleeding, but not followed for what would you
6 actually do in clinical practice. And then
7 from the six weeks to the long-term,
8 obviously the implication gets even more
9 confused.

10 DR. HUSSAIN: Sir, if I may just
11 follow up one more. So how do we balance the
12 interest of the patient who needs it and will
13 benefit from short- term use with the bigger
14 concern, which is real, of potential abuse
15 and not enough known about its safety in the
16 long-term. I mean, there's got to be a way
17 that the language can be worked out, but
18 supposing I have a platelet count of 20 and
19 now I urgently need my gallbladder out, how
20 is my interest served in that situation by
21 not having that drug available? And can the
22 language be worked out so that, you know, I

1 mean, there's got to be other examples
2 potentially out there of oral agents that may
3 be of need only for short-term, but they're
4 certainly available there and the potential
5 for long-term use is there by physicians?

6 DR. RIEVES: Right. I think we all
7 recognize there is potential benefit for
8 certain patients who need the short-term
9 therapy. And your point is well taken.

10 If controlling its use or
11 regulating its use -- regulating its safe use
12 after that period of time, especially in the
13 severely chronic ill patients -- is going to
14 be a real challenge to the development of a
15 risk management plan. And those details have
16 yet to be worked out. As you can tell, we
17 did not present a discussion of a risk
18 management plan, in part because of the
19 complexity that will need to go into that
20 sort of situation. We have also requested
21 that the sponsor develop an expanded access
22 program even as we're sitting here talking

1 about this such that patients under the IND,
2 if need be, can have access to the drug for
3 these specific indications.

4 Your points are well taken though.
5 I think we're all faced with a dilemma here
6 of a subset of relatively refractory patients
7 who actually direly need long-term therapy.
8 It's those patients we would all like to
9 benefit. And the data ultimately may be
10 very, very informative for the use of the
11 drug in that situation. At the present time
12 though, our database is focused upon the
13 short-term.

14 DR. HUSSAIN: And if I may push my
15 luck one more time and then I'll stop asking
16 -- so why couldn't this be given sort of an
17 accelerated-type approval pending definitive
18 trials being completed?

19 DR. RIEVES: That subject is on the
20 table, yes, and it is an option. There's
21 multiple options.

22 That is among the options. Your

1 point is well taken.

2 DR. HUSSAIN: Dr. Harrington:

3 DR. HARRINGTON: So this is I think
4 a follow up to that line of questioning, and
5 it partly came from Dr. Perry's earlier
6 question. So a question first for the
7 sponsor.

8 Two studies which I think will shed
9 light on some of the longer term toxicities,
10 although not over long extended use or REPEAT
11 and RAISE, and so we just found out that
12 REPEAT now has more mature data on people who
13 moved through the study, and we saw the
14 response data. But do you have the safety
15 data for those?

16 DR. ROYCHOWDHURY: Yes, we do have
17 the safety data. There are absolutely no
18 differences in the safety data that was
19 presented by Dr. Arning in the subsequent cut
20 or the initial cut, which is the final cut.
21 With regards to EXTEND, we've given all of
22 the data that was available at the 120 day

1 safety update. With regards to RAISE, we
2 have given nearly all -- I would say nearly
3 three-quarters of the data that we have as
4 blinded safety data, of course, for review in
5 the application. So there is only a small
6 amount of data that remains still -- that is
7 beyond the 120 day safety update that has not
8 been provided with regards to RAISE.

9 The only data piece that is missing
10 from RAISE is the efficacy data which is
11 blinded.

12 DR. HARRINGTON: So blinded safety
13 data means you've given the data to the
14 Agency. That doesn't break the treatment
15 codes? It just gives overall rates?

16 DR. ROYCHOWDHURY: That's correct.

17 DR. HARRINGTON: So let me just
18 press on this for a second though. So maybe
19 this is for the sponsor or for the FDA -- how
20 much longer do we need to wait or does the
21 FDA need to wait before it can do a detailed
22 analysis of the safety analysis by treatment

1 in RAISE? If the data aren't available yet,
2 when would they be available?

3 DR. ROYCHOWDHURY: Well, the study
4 is ongoing and we will most likely have the
5 first analysis of the data by the end of the
6 year. That's the first time we can provide
7 the unblinding of the treatment codes.

8 DR. HARRINGTON: The reason for my
9 question is while there is substantial
10 uncertainty here about the safety data for
11 extended use, I guess, the length of time
12 that we're going to have that uncertainty is
13 important. If it's a matter of weeks it's
14 worth waiting. If it's a matter of many
15 years, then obviously one needs to make a
16 slightly different decision.

17 Question for the FDA. This is a
18 question that comes up in this presentation,
19 but I think it's a more general one as well.
20 So, on slide 20 of the FDA's presentation
21 where the numerically elevated adverse event
22 rate was shown -- it was the NESAE 66 percent

1 versus 52 percent, I guess -- so I understand
2 the reason for being cautious with safety
3 data as opposed to efficacy data, but do you
4 have precision figures on that? Do you have
5 confidence intervals for the difference or
6 confidence intervals for the odds ratio of
7 those?

8 DR. RIEVES: We did not apply
9 statistics other than just the display. No,
10 we did not -- I don't think we developed
11 confidence intervals. Although, I suspect
12 GSK may have that hand.

13 DR. HARRINGTON: So I guess I would
14 urge that it's fairly hard -- I understand
15 the value of the raw numerical summaries, but
16 it's hard to interpret them without knowing
17 the precision. I'm not asking for a formal
18 test, but just to get a sense if, you know,
19 that difference of 14 percent is plus or
20 minus 3 percent, or plus or minus 20 percent,
21 or plus or minus something that would give me
22 a sense of whether that's data that is

1 actionable or not.

2 DR. ROYCHOWDHURY: Dr. Harrington,
3 we can show a slide. I don't know if we have
4 done any specific statistical analysis, but
5 if I can have slide S361, please. No, that's
6 not -- do we have a comparison slide? 852
7 maybe. That might show it. Yes, can you put
8 that up please?

9 So these are the numbers that are
10 available in Part A and Part B which are
11 comparable to placebo. We do not have
12 statistical analysis or testing around 48
13 percent versus 57 percent.

14 DR. HARRINGTON: I don't want to
15 seem obsessed about a test here. I'm not
16 actually looking for a test. I'm just
17 looking for some indication of the precision
18 of that difference so that we have a sense.
19 But, okay, I won't press the point. But I
20 guess I would urge FDA and others when you do
21 numerical tabulations of side effect data you
22 at least give us some sense of the precision

1 of that estimate of the difference.

2 I think that's all for now, thanks.

3 DR. ROYCHOWDHURY: Dr. Hussain, if
4 I may follow up on just one answer.

5 DR. HUSSAIN: Sure. And then Dr.
6 Curt afterwards.

7 DR. ROYCHOWDHURY: I'm sorry.
8 Okay. It's just that I wanted to just follow
9 up on the issue of long-term data. You know,
10 we have, of course, given data in the
11 randomized setting with short-term -- with
12 Part A and Part B. In long-term, yes, the
13 RAISE data is still not available, but we do
14 know that if one gives placebo to patients
15 with chronic ITP, and that was seen in the
16 romiplostim dataset from March ODAC, very
17 few patients actually achieve platelet counts
18 beyond 50,000 for any reasonable period of
19 time. And we have seen an extent that many
20 of these patients are achieving platelet
21 counts, as Dr. Arning has shown, for a fairly
22 lengthy period of time. And so we expect

1 RAISE to have long-term efficacy data that is
2 probably most likely going to support the
3 user -- the activity and the effectiveness of
4 Promacta.

5 So we feel that EXTEND gives a very
6 good idea of the effectiveness of Promacta in
7 the long- term setting. So I just wanted to
8 make that clear in terms of the efficacy data
9 that is pending from RAISE.

10 DR. HARRINGTON: So I understand
11 and it's a point well taken. I think it is
12 the safety data over extended use though that
13 is perhaps the most valuable thing that RAISE
14 will provide.

15 DR. ROYCHOWDHURY: Yes, unblended
16 safety data.

17 DR. HARRINGTON: Unblinded safety
18 data.

19 DR. HUSSAIN: Dr. Curt?

20 DR. CURT: Questions for the
21 sponsor. Two on the drug's pharmacology, and
22 one on its biology.

1 Do the effects of Promacta on
2 platelet count track with the drug's known
3 pharmacology, particularly the attainment of
4 steady state concentrations and half life as
5 the platelet counts decrease when patients
6 are taken of drugs? And is there any
7 relation of individual patient response to
8 achieved systemic drug levels, particularly
9 in responding patients. And the biological
10 question is did you see any effects on
11 bleeding time or other measures of
12 coagulation, particularly in responding
13 patients?

14 DR. ROYCHOWDHURY: So, the answer
15 to the first question is yes, it does track
16 the pharmacology of this drug.

17 With regards to -- I think your
18 second question was around -- I'm sorry,
19 individual differences in PKs. We have seen
20 that in Asian population patients there is an
21 increase in exposure for the same dose. Dose
22 for dose against non-Asian patients. And

1 that's one of the reasons why we had in our
2 briefing document, as well as in the package
3 insert that we proposed to the FDA, have
4 suggested a lower starting dose for Asians.

5 And finally, with regards to the --
6 you had one other question. I'm sorry, Greg?

7 Oh, bleeding, yes. I'm going to
8 ask Julian Jenkins actually to give a brief
9 summary of the studies that we have done to
10 address this.

11 DR. JENKINS: Can I have slide
12 S291, please? Show the slide, please.

13 We didn't measure bleeding time.
14 It was something that we considered when we
15 first designed the studies. However, we did
16 look at platelet function during the program.
17 And in vitro studies and platelets from
18 normal subjects we showed that we had normal
19 aggregation and activation based on simple
20 aggregometry and also factor analysis using P
21 selecting, which is a well known surface
22 marker on platelets for platelet activation.

1 And in both our healthy volunteer
2 studies and in our ITP program, patients
3 administered Promacta, we looked at
4 aggregation and activation. In the healthy
5 subjects we did aggregometry and again we did
6 P selecting, and also Pacl, another marker
7 for activation. And in our ITP programs in
8 collaboration with Dr. Bussel and Dr. Alan
9 Michaelson in UMass, we did facts analysis
10 including platelet leukocyte aggregates, P
11 selected markers, and GP1B. And in all these
12 cases we showed normal aggregation and
13 activation.

14 DR. HUSSAIN: Dr. Link?

15 DR. LINK: I just have one question
16 -- two questions, but one, I guess, for the
17 Agency in terms of if this is going to be
18 approved for chronic use, which is obviously,
19 you know, you're driving at, but this is how
20 it would be used. How much data would you
21 need? In other words, so we're going to have
22 some data for a year of exposure, but that

1 won't tell us what happens if you take it for
2 three years.

3 And these patients have a disease
4 which is likely to be lifelong. So what
5 would -- where would the bar be set and what
6 kind of dataset would you need in order to
7 approve it for chronic use. That's my first
8 question.

9 DR. RIEVES: I wish I had a simple
10 answer. A simple numeric answer, if you
11 will.

12 I think one could say the bottom
13 line is we just have enough data to write a
14 label. The drug usage label.

15 Here in the context, as I've
16 mentioned earlier, one of our challenges in
17 the description of how to use the product is
18 the dose adjustment. Now, EXTEND data will
19 importantly inform that, as well as RAISE.
20 Because EXTEND, in particular, not only has
21 dose adjustment in the eltrombopag, but
22 there's also modulation of the concomitant

1 medication use. So it's really proposed to
2 help inform the actual market use of the drug
3 as it will be used in practice. Those data
4 will be very important. We recognize that.
5 And conceivably, those data may be in,
6 listening to the timeline, within about a
7 year or so. So I don't think we can give a
8 single number of how large a database or how
9 long of an exposure database on this usage.
10 As you know, from aromaplastin we recommended
11 a six month exposure time to give a

12 reasonable approximation, if you will, of
13 acceptable long-term support, along with
14 extension data.

15 Here, though, one of the overriding
16 concerns is the dose adjustment -- how you
17 actually use the drug. So I don't have a
18 simple answer for that. But the bottom line
19 is we need to know how to write a label for
20 the clinician to use the product.

21 DR. LINK: But it would be used in
22 getting sort of in an interim (?) fashion

1 chronic patients that are getting chronically
2 exposed to the drug to sort of get the
3 toxicity profile that you would need in terms
4 of safety. Basically, you have to have the
5 drug out there being used in order to have
6 people -- right?

7 So let me just ask a second
8 question. There seemed to be some -- I don't
9 know, some concern that these patients didn't
10 have any hemostatic challenge, you know, to
11 sort of prove that they could do it. But
12 it's kind of -- you know, the surgeons are
13 already telling you they're not going to do a
14 surgical procedure that's elective on
15 somebody with less than a 50,000 platelet
16 count.

17 So you're not going to get that.
18 You know, the surgeon is going to say, okay,
19 if you can't get the platelet count above
20 50,000, use something else. And they're
21 going to say -- and that's why all the
22 placebo patients got something, because they

1 needed a procedure.

2 So you're not going to get that
3 data as far as I can tell. I mean, I know
4 that there was some sort of beating around
5 of, well, we'd have liked to see that it
6 actually happened, but you know, you're not
7 going to get surgeons to do it because it's
8 too risky. So I don't think you're going to
9 get that data. I mean, you got it in
10 patients who got the drug and had their
11 platelet counts at 50,000.

12 And I think more to the point,
13 people are telling you something here -- that
14 in clinical practice, whether it's got the
15 right kind of supporting data, we know that
16 people above 50,000 don't bleed and can
17 safely undergo invasive procedures. It might
18 be 60,000 or 70,000 for some people, but most
19 of us taking care of patients sort of know
20 that. And if you've got a patient with
21 10,000, they bleed. And so you're not going
22 to get a surgeon to a tonsillectomy on

1 somebody with a 10,000 platelet count even if
2 it's an elective procedure.

3 And obviously, if it's an emergent
4 procedure, you're going to have to have
5 something that raises the platelet count,
6 like stat, if you're going to get the patient
7 to the operating room safely. So I think
8 some of those things -- you know, you want
9 that data, but I don't think it's achievable
10 in patients that are receiving, you know,
11 this drug versus placebo.

12 DR. RIEVES: Your points are well
13 taken. There's a number of design
14 considerations we could get into, but we
15 won't go through. But part of our point was
16 not so much to bemoan the design of the study
17 with respect to hemostatic challenges because
18 we all look at these data and they're
19 clinically useful. My point is with the
20 scale though. We have concerns about use of
21 the WHO scale as a precedent for grading
22 bleeding in this fashion. We're still

1 concerned about its quantitative incremental
2 use. So that's the point.

3 DR. LINK: Let me put it another
4 way. I think most of us sort of take that
5 the platelet count is a sufficient enough
6 surrogate that we're willing to look at the
7 platelet count. You know, those of us who
8 practice and do hematology, I mean, there is
9 differences if it's immune thrombocytopenia
10 versus a production defect and all that kind
11 of stuff. But basically we know when
12 patients start to bleed. And each patient
13 has a different sort of thermostatic bleeding

14 point. But I think that most of us actually
15 would more look at the platelet count as a
16 useful endpoint than actual bleeding. You
17 know, somebody walking around -- as we said,
18 somebody walking around with a 2,000 platelet
19 count, if they get hit in the head they're
20 going to have a serious hemorrhage. If
21 they're lucky enough not to -- I mean, our
22 kids, our toddlers are walking around in

1 bicycle helmets ridiculously enough because
2 we know that if they bump their head they're
3 going to bleed. Whereas, somebody with a
4 50,000 platelet count, they don't have
5 helmets on. So that should tell you
6 something about our own where we assess. We
7 don't look for bleeding scores. And I don't
8 think we ever record it. We just put helmets
9 on patients with low platelet counts.

10 DR. HUSSAIN: So just because we're
11 going to go slightly over time, I'm going to
12 ask -- and we'll go through the list of
13 people that I have right now, but I'm going
14 to ask that you make your comments briefly
15 please. Dr. Gull?

16 DR. GULL: I'm one of those
17 patients with years of ITP.

18 DR. HUSSAIN: Please speak into the
19 microphone.

20 DR. GULL: I'm one of those
21 patients with years of ITP and my focus is on
22 this drug of long-term exposure. Some of the

1 things I see missing in this study is the
2 anecdotal information of the patients that
3 are in the study.

4 One is number of years since ITP
5 diagnosis. I think that's a very important
6 factor, and probably coupled with that is the
7 different treatments that have been
8 administered to the patient, even possible
9 cause. Is there any correlation? Because we
10 know ITP is caused by different sources here.

11 Specifically, steroids, I think, is
12 something that we should be concerned about.
13 And when you start talking about bone
14 degeneration, or cataracts, or that, is it
15 necessary that this drug is the cause or is
16 it just simply that is the effect coming from
17 previous treatments? That needs to be sorted
18 out here, and I think we may have a cleaner
19 picture of what's going on here.

20 I am concerned that there was not
21 information of liver or bone abnormalities at
22 the beginning of the treatment. I understand

1 bone marrow studies are very painful. Very
2 few patients want to go through it.

3 And then a fourth question is body
4 mass. That doesn't seem to be a factor
5 that's being brought into this picture also.

6 Can somebody answer those
7 questions?

8 DR. ROYCHOWDHURY: With regards to
9 the anecdotal information on patients, I
10 might ask Dr. Saleh and Dr. Bussel who have
11 participated as investigators on this trial,
12 to give some idea of the kinds of patients
13 that they put on the trial and maybe that
14 might help a little bit. But you're right.
15 We did not collect extensive anecdotal
16 information that was prepared to present in
17 this forum. But Dr. Saleh or Dr. Bussel?

18 DR. BUSSEL: Relative to the
19 anecdotal information that you mention, many
20 of the patients that I at least entered on
21 the study had had the disease for a long
22 time, and many of them were relatively

1 advanced in years. Not 20, 30, healthy.

2 Some of them certainly, but many of
3 them 60, 70 year olds who might have had more
4 problems with the side effects of other
5 treatments as well. Is there more you want
6 to hear about them?

7 DR. GULL: Well, I mean, are you
8 able --

9 DR. BUSSEL: About the courses?

10 DR. GULL: -- to sort out some of
11 the bone marrow studies? Is that correlated
12 with age or number of years of ITP treatment?
13 Or --

14 DR. BUSSEL: I don't think anybody
15 knows that. I don't think that's been
16 systematically studied. I think there's now,
17 because of the concerns of reticulin
18 Fibrosis, studies are ongoing. It's clearly
19 a part of ITP having nothing to do with
20 therapy. How much, how long, age, etcetera,
21 hasn't been worked out.

22 I can't comment on the body mass

1 issue, but as far as ideologies, there are
2 clearly ideologies of ITP. I think most of
3 the patients -- almost all the patients on
4 this study is idiopathic immune and is -- I
5 think you know, outside of patients who are
6 kids who have an acute viral infection and
7 seem to develop an acute ITP afterwards,
8 we're not really very good at causes in terms
9 of, oh, this patient had their ITP because of
10 this. And this patient because of that. So
11 I don't think it's easy or possible to really
12 answer that part of your question.

13 DR. PERRY: As a clinician, let me
14 just add I put about 10 percent of patients
15 on A & B combined together. And all of these
16 were chronic patients with debilitating side
17 effects from the current therapy, be it
18 steroids, IVIg, rituxan. All of them had a
19 life-threatening and life-debilitating
20 quality of life. And to me on study, at
21 least 70 percent of those who responded did
22 very well, were able to go and play with the

1 kids, did not have bleeding every morning.
2 To me this is a life altering treatment. At
3 least in the patients I treated.

4 DR. ROYCHOWDHURY: We're trying to
5 pull up a slide that can help with the body
6 mass index, but I've just been told that the
7 slide is not available, but the analysis was
8 done and there was no difference in efficacy
9 with body mass index.

10 DR. HUSSAIN: Dr. Vose?

11 DR. VOSE: Yes, I had one question
12 for the sponsor. Specifically on the EXTEND
13 study, you showed data about the reduction
14 and the bleeding with respect to Grades 2 to
15 4, but I think everybody would say that the
16 really extensive bleeding, maybe Grade 3 to
17 4, is the most important. So I just wondered
18 if you had specifically data in the EXTEND
19 study on that since that's probably how it'll
20 be used in the long run.

21 DR. ROYCHOWDHURY: Yes, I believe
22 we do. Do we have one that shows Grade 3 to

1 4? Okay, one of the things -- I think one of
2 the reasons we have not shown much of the 3
3 to 4 -- I'll just go back to the FDA briefing
4 document. There's one slide on the FDA
5 briefing document, and I'm trying to pull out
6 the figure. Michael, do you remember the
7 figure?

8 This is on page 19 of the FDA
9 briefing document. It doesn't have a figure
10 number, but it shows the patients who had
11 Grade 3 bleeding during the study. And you
12 can see that patients on Promacta -- yes,
13 please pull up the slide. I didn't realize
14 that we already had the slide up. So, the
15 bottom plot shows that in pink patients on
16 Promacta 50 mg, none of them had Grade 3
17 bleeding between days 15 and 43, or 57
18 actually. And then on the placebo arm there
19 were some patients -- and these are very
20 small numbers, so they need to be cautioned
21 that they're very small numbers. But on the
22 placebo arm these are Part A and Part B

1 studies, you see that there are patients who
2 did have Grade 3 bleeding during that period
3 of time.

4 DR. VOSE: I guess my question more
5 was to the later time points as a concern for
6 the chronic long-term use. That's the
7 question.

8 DR. ROYCHOWDHURY: Can you go back
9 to that previous slide with EXTEND please?
10 Yes, the bar graph with bleeding. Please
11 show this.

12 We will be -- hopefully after the
13 break we can tell you exactly how many of
14 these patients in the blue bars beyond Week
15 18 had Grade 3 bleeding. But you can see the
16 numbers are very small. And so it's very
17 hard to really tell you how many patients had
18 Grade 3 or 4 in this.

19 DR. VOSE: Yeah, I understand. I
20 guess the question just goes to, again,
21 clinical relevancy. And that's the issue in
22 the long-term use.

1 DR. ROYCHOWDHURY: Michael, do you
2 want to add something more?

3 DR. ARNING: I monitored many of
4 those patients, and the results, Dr. Vose, is
5 exactly what is already proposed. If the
6 platelets are high, patients do not bleed.
7 There is, as we all know, a small group of
8 bleeding occurs regardless of bleeding code.
9 So we had patients who had bleeding about
10 50,000. We had hemorrhoidal bleeding. We
11 had a case of epistaxis. But in principle,
12 we can say patients with Grade 3 or 4
13 bleeding did not occur when the platelets --
14 occurred very rarely when the platelets were
15 up. Strictly an inverse relationship is
16 maintained. When the platelets are up,
17 bleeding is down.

18 DR. VOSE: Certainly in, you know,
19 clinical practice that is in general true,
20 but it's just nice to be able to actually see
21 the data.

22 DR. ROYCHOWDHURY: We will do that.

1 DR. HUSSAIN: Dr. Syzmanski?

2 DR. SYZMANSKI: I had something
3 similar to these questions. And I found it a
4 little bit problematic with the FDA analysis
5 of the bleeding data. Because I think it's
6 difficult to analyze the bleeding, stoppage
7 of bleeding, in absence of platelet count. I
8 think since it has been shown that these
9 platelets generated by Promacta are
10 functioning normally, therefore, when the
11 platelet count is increased the bleeding is
12 fairly, you know, stopped. And it's
13 effective data. So I find this table on page
14 9 is a little bit confusing.

15 DR. RIEVES: One point I would like
16 to make, and I think it's coming out. We are
17 not questioning the usefulness of platelet
18 count data. Our situation here is that the
19 sponsor has made a proposed indication
20 statement that specifically says -- and this
21 has marketing implications as you all can
22 imagine -- it specifically says it not only

1 treats the thrombocytopenia, but the proposal
2 is that it also has been shown to reduce or
3 prevent bleeding.

4 Now, some of this gets into some
5 nuances of regulatory considerations and
6 marketing, that sort of thing. But we
7 respect the utility of increases in
8 functional platelets as we discussed at our
9 advisory committee a few weeks ago from a
10 sponsor who did not propose that specific
11 claim. Here the sponsor has actually made
12 the proposal to make a claim of reduction of
13 bleeding. The example is like the
14 anti-hypertensive drugs. They're marketed and
15 approved to treat hypertension. They don't,
16 in general, have a claim to decrease
17 incidence of heart attack, or stroke, or make
18 you live longer, unless the manufacturers
19 have shown those effects.

20 DR. HUSSAIN: Dr. Pazdur, do you
21 want to say something?

22 DR. PAZDUR: I just wanted to

1 reiterate this. We've had this discussion in
2 the last ODAC about the usefulness of
3 platelet counts and bringing them up from a
4 low level. And that can be an endpoint for
5 approval. And here again, some of the issues
6 of a bleeding claim, as Dwaine has pointed
7 out, has implications for labeling of the
8 drug and what type of data one would actually
9 want to see if one is actually going to say
10 this actually reduces bleeding. And the
11 example is a good one of, you know, you could
12 control hypertension and have a claim for
13 hypertension, but we wouldn't say it controls
14 hypertension and reduces the risk of stroke
15 unless somebody actually demonstrated that
16 convincingly. And that's a higher bar.

17 For example, with the statins. You
18 know, we say it lowers cholesterol or other
19 lipid profiles, but we don't say that it
20 reduces heart attacks unless somebody showed
21 that basically in very well designed trials.

22 DR. HUSSAIN: Dr. Alving?

1 DR. ALVING: I wanted to ask just a
2 couple of quick questions. One, with the
3 finding of increased reticulin, are these
4 participants still continuing on with the
5 drug? Was there any change to their
6 receiving Promacta?

7 DR. HUSSAIN: Sponsor?

8 DR. ALVING: And secondly, while
9 sponsor is coming, can't there be central
10 adjudication with bone marrow findings? I
11 would think that would be a rather simple
12 thing to set up, and that would be certainly
13 very valuable.

14 DR. AVADO: I'm Manuel Avado. No,
15 as of the 120 day safety update, no patient
16 was stopped on the drug because of bone
17 marrow findings.

18 And of note, these bone marrow
19 findings were not associated with clinical
20 findings. No WVC increases, no evidence for
21 organomegaly, no new created red blood cells
22 in the peripheral blood.

1 DR. ALVING: So I guess the FDA has
2 to consider when is increased reticulin
3 important. And maybe you don't want to wait
4 till you find peripheral blood findings.

5 The last question is in talking
6 about the decrease in the platelet count
7 after repeated administration, I know that
8 today we heard the criteria by Dr. Bussel's
9 criteria, and that was 11 percent had less
10 than 10,000 than baseline and a platelet
11 count of less than 10,000. But if you read
12 in the report, 30 percent had less than
13 10,000 from their baseline and a platelet
14 count lower than 20,000. So, what criteria
15 will the FDA use? Or are you thinking about
16 this in terms of labeling and what's going to
17 be most useful for the clinician?

18 DR. RIEVES: Yes, we are thinking
19 along those lines, as well as GSK. You're
20 exactly right.

21 The hypothetical risk and some
22 signals of worsened thrombocytopenia after

1 drug discontinuation, that can tie into
2 labeling considerations. Also, how to
3 monitor patients.

4 DR. ROYCHOWDHURY: Dr. Alving, just
5 to add to what Manuel had said. We do intend
6 to have an independent panel that will
7 adjudicate the bone marrows.

8 DR. ALVING: Have you informed the
9 participants of this finding?

10 DR. ROYCHOWDHURY: No, not yet.

11 DR. ALVING: Do you plan to?

12 DR. ROYCHOWDHURY: Yes, of course.

13 DR. HUSSAIN: Dr. Sandler?

14 DR. SANDLER: Question to the
15 Chair. I have questions that would follow up
16 on your question to Dr. Rieves on what
17 mechanisms are available to make the drug
18 available to those few people who might fit
19 the indication without opening up the flood
20 gates to a lot of thrombocytopenic people who
21 wouldn't fit that narrow indication? Do you
22 want to take that now or would you rather

1 defer it to the further discussion?

2 DR. HUSSAIN: Let me just do this.
3 We are already 15 minutes over. We may have
4 time in the afternoon, and I was going to ask
5 the FDA to perhaps comment on that in the
6 afternoon. I'd like to go through the
7 questions first today if that's possible.

8 Dr. D'Agostino, I think you had the
9 last question. You're done. Okay.

10 I think we have taken all the
11 questions that we have. We will take a 15
12 minute break. I'm going to ask you all to
13 come back here at quarter to so we can resume
14 our discussions. Thank you.

15 (Recess)

16 DR. HUSSAIN: So we'll start with
17 the rest of this morning's session with a
18 statement that Ms. Vesely will read.

19 DR. VESELY: Both the Food and Drug
20 Administration and the public believe in a
21 transparent process for information gathering
22 and decision-making. To ensure such

1 transparency at the open public hearing
2 session of the Advisory Committee meeting,
3 FDA believes that it is important to
4 understand the context of an individual's
5 presentation. For this reason, FDA
6 encourages you, the open public hearing
7 speaker, at the beginning of your written or
8 oral statement, to advise the Committee of
9 any financial relationship that you may have
10 with the sponsor, it's product, and if known,
11 its direct competitors.

12 For example, this financial
13 information may include a sponsor's payment
14 of your travel, lodging, or other expenses in
15 connection with your attendance at the
16 meeting. Likewise, FDA encourages you at the
17 beginning of your statement to advise the
18 Committee if you do not have any such
19 financial relationships. If you choose not
20 to address this issue of financial
21 relationships at the beginning of your
22 statement, it will not preclude you from

1 speaking.

2 The FDA and this Committee place
3 great importance on the open hearing process.
4 The insights and comments provided can help
5 the Agency and this Committee in their
6 consideration of the issues before them.
7 That said, in many instances and for many
8 topics, there will be a variety of opinions.
9 One of our goals today is for this open
10 public hearing to be conducted in a fair and
11 open way where every participant is listened
12 to carefully and treated with dignity,
13 courtesy, and respect. Therefore, please
14 speak only when recognized by the Chair.

15 Thank you for your cooperation.

16 DR. HUSSAIN: Thank you. I have
17 here that we have only one public speaker.
18 I'd like to invite Ms. Joan Young to present.

19 MS. YOUNG: Hello. Is this on?
20 Hello, my name is Joan Young and I'm the
21 founder and the president of the Platelet
22 Disorder Support Association or PDSA.

1 Our organization represents more
2 than 20,000 families with ITP worldwide. I
3 was diagnosed with ITP in 1992, so I'm
4 speaking both as the president of PDSA and
5 from personal experience.

6 It is the policy of PDSA to not
7 endorse the approval or recommend any
8 particular treatment.

9 I will therefore speak to the
10 general approval of thrombopoietin mimetics
11 in treating people with ITP and not the
12 specific approval of Promacta.

13 PDSA receives grants from several
14 companies that hope to market thrombopoietin
15 mimetics, as well as companies that market
16 other treatments for ITP. This far reaching
17 corporate support has enabled us to broaden
18 our scope of service and reach more people
19 afflicted with ITP and other platelet
20 disorders. PDSA has paid my way to this
21 meeting, and I was not reimbursed by any
22 particular pharmaceutical company for my

1 attendance.

2 ITP can be difficult to treat.
3 Often the first line of treatment, a short
4 course of corticosteroids, usually
5 prednisone, offers only a brief reprieve from
6 a dangerously low platelet count. But also,
7 most patients are then left to deal not only
8 of the return of their low platelet count,
9 but also the side effects of the
10 corticosteroids. These side effects can
11 include significant weight gain, cataracts,
12 muscle loss, diabetes, osteoporosis, and
13 steroid psychosis. Five minutes is not
14 enough to describe the havoc this drug
15 creates in a person's life. One person told
16 me, "I'd rather die than take prednisone
17 again."

18 When I was reducing my high dose of
19 prednisone prescribed for my ITP, I
20 experienced a seizure and tachycardia that
21 required additional medication for nine
22 months. This was in addition to a myriad of

1 other health issues exacerbated by the drug,
2 some of which still haunt me.

3 After prednisone, many people with
4 ITP have a succession of other treatments,
5 most not approved to treat ITP. Some have
6 minimal toxicity, except in very rare cases,
7 and provide short-term relief. Others
8 compromise the immune system, sometimes
9 permanently, or are high toxic carrying a
10 black box warning. An often cited research
11 study from just a few years ago concludes
12 that as many people with ITP die from the
13 treatments as the disease.

14 In 1993, when I had reached the
15 bottom of the treatment list in dealing with
16 my ITP, I felt it was a toss up whether the
17 treatments or the disease was going to kill
18 me. For several months my platelet count was
19 below 5,000 with only minimal relief from
20 increasingly devastating treatments. At one
21 point I was bald from vincristine and too
22 weak to walk up stairs. Needless to say, it

1 would have been wonderful to have other
2 options to try.

3 Our organization is very sensitive
4 to the balance between safety and efficacy
5 for all of the treatments for ITP. I believe
6 it is important that the thrombopoietin
7 mimetics are available to those patients who
8 would benefit from that choice. My hope is
9 that they will be approved and become
10 available in a manner that considers the
11 safety of these new treatments while assuring
12 the privacy of patient data. I believe it is
13 important that any program minimize the
14 incremental time and cost for the prescribing
15 physicians, manufacturers, and third party
16 payers, all of which may reduce access for
17 those patients who might be helped by this
18 new treatment approach.

19 I also hope that the safety profile
20 of the thrombopoietin mimetics will be
21 considered in light of the safety aspects of
22 those treatments currently recommended and/or

1 widely used to treat ITP.

2 Thank you.

3 DR. HUSSAIN: Ms. Young, thank you
4 on behalf of the Committee for sharing your
5 thoughts with us.

6 The open public hearing portion of
7 this meeting has now concluded, and we will
8 no longer take comments from the audience.
9 The Committee will now turn its attention to
10 address the task at hand -- the careful
11 consideration of the data before the
12 Committee, as well as the public comments.
13 Dr. Curt.

14 DR. CURT: Question to you and to
15 the Agency. In the questions, would it be
16 possible to start with the second question
17 first? It's more general to specific as
18 opposed to specific to general. It's sort of
19 a meeting policy change, but I'm wondering if
20 that makes sense.

21 DR. PAZDUR: That's fine. Because
22 I wanted to address the issue that you had

1 discussed.

2 The question of long-term use
3 versus short-term use and what would be the
4 regulatory mechanisms that we would have
5 available to try to ensure that people are
6 aware of the existing information and limit
7 the use.

8 There's a variety of mechanisms
9 that would fall under a risk management plan
10 here. They could be simply labeling of the
11 drug. And by labeling I mean specifically in
12 the indication section that this drug is not
13 intended for the use of -- long- term use of
14 the drug and safety and efficacy has not been
15 demonstrated. And that could then be removed
16 when data is presented to the agency which
17 would suffice it.

18 That's one possibility. Another
19 possibility could be some type of even
20 restricted distribution of the drug where
21 prescribers and patients -- the prescriber
22 would have to be registered and the patient

1 would have to be registered. And then every
2 period of time, whatever that period would
3 be, six weeks, two months, whatever the
4 company and the Agency would agree to, that
5 the authorization be renewed for that patient
6 giving pertinent laboratory evaluation of the
7 patient. His platelet count, liver
8 functions, etcetera.

9 So, you know, there are mechanisms
10 here. So it's not an either or. Obviously
11 for labeling, you know, people don't have to
12 adhere to the labeling. Off label use is
13 frequently used. However, I think if
14 something is stated in the indication, that
15 it's not indicated for long-term use, I think
16 that there would be a great deal of
17 trepidation about just kind of a random use
18 of the drug.

19 DR. HUSSAIN: Dr. Perry.

20 DR. PERRY: I'd just like to go on
21 record as saying that while I'm very much in
22 favor of pharmacovigilance, I'd like to see

1 that done not on the backs of the physicians
2 who prescribe the drug.

3 Every time I have to fill out
4 another two or three page questionnaire or
5 have my nurse call to get permission from a
6 drug company or an intermediary pharmacy to
7 get a drug, it uses up a lot of time that
8 nobody's paying for. It does, at least in
9 the immediate term, no significant good.
10 Long-term it may do great good, but if we're
11 going to have a pharmacovigilance system, I'd
12 like to see it not as an unfunded mandate on
13 the backs of physicians.

14 DR. HUSSAIN: So with that I think
15 we could probably, Dr. Curt, proceed as
16 planned and make the vote -- we will first
17 discuss the first question and then move to
18 the second question.

19 I'm now going to read the first
20 question. It's a long statement. If we can
21 have them be put up please. And I'll ask the
22 Committee members to read it.

1 So I'm going to just read the
2 question right now. And we can begin a
3 discussion and then take a vote afterwards.

4 Eltrombopag is proposed for use in
5 patients such as those undergoing a surgical
6 procedure who have a specific need for
7 short-term therapy. The patients in the
8 completed controlled studies did not have the
9 specific need, and some experienced serious
10 hemorrhage when eltrombopag was discontinued.
11 Since ITP is generally a chronic condition,
12 long-term therapy is anticipated. Given
13 these observations, should the FDA delay
14 marketing authorization until it has reviewed
15 final data from the ongoing clinical studies,
16 specifically RAISE and EXTEND.

17 Anyone want to -- I'm sorry, go
18 ahead, Doctor.

19 DR. PAZDUR: And again, people
20 should be cognizant of my previous statement
21 that we would try to have a risk minimization
22 plan in effect, either labeling or some other

1 restricted distribution that needs to be
2 discussed.

3 DR. HUSSAIN: Okay, so -- yes.

4 DR. ECKHARDT: Yes. So my question
5 then is whether it's really delay or modify.
6 Yes, no. Because I think that's the
7 question.

8 DR. PAZDUR: The indication would
9 be for what the company is asking for, and
10 that is the short-term use of the drug. It
11 wouldn't be just a chronic -- I mean, by
12 broad term this drug is used for ITP.

13 The question here is do people feel
14 that these plans would be sufficient to
15 mitigate against the outstanding questions on
16 this drug through some type of risk
17 minimization strategy, such as labeling where
18 it's not indicated or the more kind of
19 conservative approach of actually restricted
20 distribution.

21 DR. HUSSAIN: So anyone from the
22 Committee would like to have a question posed

1 discussion about this specific issue? Dr.
2 Link.

3 DR. LINK: Somebody brought up the
4 issue before about an accelerated -- and you
5 commented that that was on the table. That's
6 really not what you have on the table.

7 DR. PAZDUR: It could be, but the
8 issue here is this is a safety issue and
9 these probably would be more germane of
10 addressing the safety issue. We could do
11 that also.

12 DR. HUSSAIN: Just so we are clear
13 --

14 DR. PAZDUR: You have to
15 demonstrate safety and efficacy for an
16 accelerated approval and, you know, as we
17 stated before, this drug does increase the
18 platelet counts and we've accepted that as an
19 endpoint.

20 DR. HUSSAIN: So if I understood
21 you correctly, Dr. Pazdur, what you said is
22 that the delay of marketing or not delay of

1 marketing, or the vote, ought to take into
2 account what you commented, and that is
3 should the vote be not to delay. That the
4 FDA can indeed write up a package insert that
5 will give directives to the physicians.
6 That's what I heard you say. Correct.

7 DR. PAZDUR: Or other risk
8 minimization program. Will that enable us to
9 proceed forward?

10 DR. HUSSAIN: Okay. Any comments
11 or issues? Dr. D'Agostino.

12 DR. D'AGOSTINO: I'm sure I know
13 the answer, but all of that would focus --
14 was only talking about the short-term here,
15 so all these warnings and what have you --

16 DR. PAZDUR: Correct.

17 DR. D'AGOSTINO: -- would say
18 long-term is not --

19 DR. PAZDUR: Correct.

20 DR. HUSSAIN: Yes.

21 DR. LESAR: Tim Lesar. I just have
22 one concern. It relates to the fact that

1 everybody is talking about indications that
2 may or may not exist to any great extent in
3 terms of how to use this drug. It would be
4 marketed with everybody's idea that this drug
5 will be used longer term and in other
6 patients. And whether or not there isn't the
7 alternative way of changing the indications
8 such as simply an increase in platelets and
9 present the data in such a way as to show the
10 risks and benefits and what the data is, and
11 not avoid short-term, long- term as part of
12 the indication.

13 DR. PAZDUR: Generally, we don't
14 get into the specifics of labeling. I just
15 want to emphasize that what has been -- the
16 indication has to reflect what has been
17 studied and reviewed. And short-term studies
18 have been submitted. So I think as the
19 proposal and not the entire indication we're
20 not talking about here, but the short-term
21 use is something that I think we would
22 consider given the appropriate caveat of some

1 type of risk management program where it's
2 specifically stated that there are not
3 long-term data.

4 DR. HUSSAIN: Dr. Rieves, do you
5 want to say something?

6 DR. RIEVES: I was just going to
7 reiterate again the concern about the dose
8 adjustment, our nominal short-term program,
9 if you will. We have dose adjustment
10 information there. There basically is no
11 dose adjustment. But in the long-term
12 though, those data -- the adjustment data are
13 pending. So developing that label would be a
14 challenge at this point in time. So we're
15 really voting on the short-term, if you
16 will, since that's the type of label we can
17 work with.

18 And tying into this first question
19 also, it's essentially the feasibility. Is
20 it practically and logistically doable to set
21 up a risk management program that may be
22 somewhat challenging, if not burdensome, to

1 ensure safe use of the product.

2 DR. HUSSAIN: Would that require
3 then the sponsor's help, obviously? And is
4 the sponsor -- please.

5 DR. ROYCHOWDHURY: Dr. Hussain, we
6 have submitted a risk management plan that
7 tries to address the potential concerns, both
8 from a safety perspective as well as
9 distribution perspective.

10 In terms of dosing recommendations,
11 I would just like to make one comment with
12 regards to how we dose Promacta in EXTEND.
13 In EXTEND we also dose Promacta where the
14 dose modifications are made based on a very
15 clear PD marker which is platelet counts.
16 And patients do receive -- they get a
17 platelet count and then the physician makes
18 an adjustment to the dose. In RAISE, which
19 is the blinded study, that same paradigm is
20 followed. Essentially, dose adjustments are
21 made based on the platelet counts. So, in a
22 follow up label that we provided the Agency,

1 we did have some dose adjustment
2 recommendations based on what we found in
3 EXTEND or what we did in EXTEND.

4 DR. HUSSAIN: Thank you. Any --
5 Dr. Harrington.

6 DR. ROYCHOWDHURY: The question of
7 Dr. Link.

8 DR. HUSSAIN: Yes, can you please
9 speak in the microphone?

10 DR. LINK: I was just curious if
11 there was a starting dose adjustment for
12 Asian patients based on your pharmacokinetic
13 data.

14 DR. ROYCHOWDHURY: Yes, we
15 recommended an initial starting dose of 25 mg
16 as opposed to 50.

17 DR. HARRINGTON: So this is a
18 question of clarification. I apologize if
19 it's naïve. I guess I'm not sure I know the
20 definition of short-term here because the
21 test was for six weeks. So would the label
22 be as specific as saying that controlled

1 clinical trial data indicate that the drug is
2 safe and effective for six weeks, or will you
3 use the three cycle data that's going to come
4 in relatively soon? The other thing I'm
5 wondering about is the physicians will know
6 that, but patients -- someone who is
7 suffering with chronic ITP lifelong, a year
8 is short-term. Maybe five years is
9 short-term. Six weeks is infinitesimally
10 small.

11 So I don't know what short-term
12 will mean here in practice. I'm a little bit
13 confused whether that can be sorted out in
14 the label.

15 DR. PAZDUR: It should reflect what
16 was studied. Now, here again, part of this
17 risk minimization program, you know, we're
18 not here to deny people therapy that could
19 benefit beyond six weeks. So perhaps there
20 could be a restricted distribution so then
21 after six weeks if the patient needed it, the
22 physician would call and give the appropriate

1 laboratory parameters and have that renewed
2 again. But what is short-term is basically
3 what has been studied in the trial.

4 DR. RIEVES: Six weeks.

5 DR. HUSSAIN: Dr. D'Agostino, then
6 Sandler, and then Lyman.

7 DR. D'AGOSTINO: The studies that
8 are positive haven't really played with the
9 dosing. I mean, you withdraw after you reach
10 200K and so forth. So, I'm not so sure how
11 you can use REACH and RAISE, or excuse me,
12 RAISE and EXTEND in this. But I'll leave it
13 to the FDA to worry about it. It's not where
14 we really have dosing data that we can, you
15 know, fall back on.

16 DR. RIEVES: Your point is well
17 taken, Dr. D'Agostino. From our -- as
18 reviewers, it's presented special challenges
19 because these are ongoing studies. And as
20 you can tell, the data are dynamic. They're
21 changing almost daily, if you will. And so
22 it's difficult.

1 We can get a handle on the
2 completed studies, and that's really where
3 the safety and efficacy should be weighed
4 here. We're cognizant that there are
5 accumulating data, but using those data
6 without a through vetting for labeling has
7 its own challenges.

8 DR. HUSSAIN: Dr. Sandler.

9 DR. SANDLER: Question to the FDA.
10 With regard to what's up your sleeves -- with
11 regard to controlling the use off label. I'm
12 a gatekeeper for drugs similar to this where
13 people on committees like this have spent a
14 lot of time wordsmithing indications, but in
15 real life clinicians come and say I want to
16 use it off label and get out of my way
17 because I've got a sick patient. I don't
18 know of a way to stop that. What do you have
19 up your sleeve to stop it?

20 DR. RIEVES: Well, Dr. Pazdur can
21 elaborate on this because it comes up all the
22 time.

1 We understand there is appropriate
2 use, off label if you will, of many products.
3 And we expect that. And it's not our intent
4 to reign that in, if you will. In this
5 situation there may be patients who do not
6 have chronic ITP who could benefit from this
7 drug. We recognize that. What we're more
8 interested in in the risk management plan is
9 to track. To find out who is using the
10 product and to try to optimize safe use of
11 the product, meaning monitoring liver tests,
12 if you will. Some of the other
13 considerations there. It's not necessarily
14 to reign in off label use.

15 DR. HUSSAIN: Dr. Lyman.

16 DR. LYMAN: Perhaps to the sponsor,
17 although the risk management strategy is
18 obviously a negotiated process between the
19 Agency and the sponsor.

20 I think that I would want some
21 assurance about the details of that strategy,
22 and particularly whether we are going to see

1 out of this as well additional data on some
2 of the unanswered questions that we've all
3 addressed. I'm particularly concerned about
4 the hemostatically challenged population.
5 The data is very sparse. And I think on the
6 efficacy side we are all pretty satisfied
7 that the platelet count goes up. That's
8 protective.

9 But I think on the safety side, it
10 would be nice to have more targeted data in
11 that population in terms of whether they're
12 -- for instance, their thrombosis risk was
13 higher if the platelet count was higher
14 because of the drug as opposed to transfusion
15 of some other mechanism.

16 The data is just very sparse in
17 that category. The dosing question we've
18 talked about. And I think also whether
19 there's going to be some central adjudication
20 of the marrow follow up on these patients.

21 DR. ROYCHOWDHURY: So we are
22 committed to collecting data not only from

1 the patients who will receive this drug off
2 study, but also to conduct further studies to
3 understand many of these potential risk
4 issues. So the risk assessment will not only
5 be just a routine pharmacovigilance, but also
6 active pharmacovigilance. And to be able to
7 do that, as Dr. Pazdur suggested, some way by
8 which we can get patients and physicians to
9 enroll onto this program and then following
10 those patients with questionnaires so that we
11 can follow with what kind of adverse events
12 they had. And then following up on those
13 adverse events literally, and then discussing
14 those with the Agency and analyzing that to
15 see what is the long-term effects or what
16 other effects are seen as this drug is used
17 in patients in a large population. So we are
18 totally committed to doing that.

19 I don't know if I've answered your
20 question.

21 DR. LYMAN: I guess, again, are you
22 anticipating that the usage will be heavily

1 oriented towards those hemostatically
2 challenged patients or patients with
3 particular acute needs as opposed to just the
4 ongoing platelet support?

5 DR. ROYCHOWDHURY: Our hope is that
6 through the labeling, through the target
7 education, as well as the acknowledgement
8 during the enrollment period, or during the
9 enrollment time, we will be educating the
10 physicians to use it in the manner the
11 prescribed -- the prescription -- the
12 prescribed USBIAs. However, there will be
13 some patients who may receive this on the
14 long-term. And, you know, as we have shown
15 you in our dataset, we do have data to
16 suggest that it does benefit patients
17 long-term. And so there is a potential that
18 patients will receive it long-term.

19 DR. HUSSAIN: Dr. Alving.

20 DR. ALVING: Could we maybe ask
21 some of our resident experts who are sitting
22 behind me, maybe Dr. Bussel or Dr. Doug Cines

1 about, you know, let's say we put it out for
2 short-term use but we know there will be
3 other people just breaking down the door to
4 get it. And it's going to be really
5 difficult not to give it to them. So could
6 they kind of envision who these patients are
7 and give us an idea of what they ideally
8 would like to see, and how could we do that
9 surveillance. I mean, let's figure out what
10 is the reality and then how do we capture
11 what we need to capture. And it goes along
12 with a whole idea of post-marketing
13 surveillance. Could maybe one or two of them
14 speak just very honestly, because I know it
15 would be very hard as a physician to deny it
16 to somebody who has had very low platelet
17 counts. That's probably a very small --
18 well, you see all the complicated ITP
19 patients, but I mean, for the general
20 hematologist, most of them can be handled
21 fairly well with a whole lot of reassurance
22 and say you can live with a platelet count of

1 30,000 and you'll need this when you, you
2 know, get your molars removed or something.

3 DR. BUSSEL: I'm not sure if I'll
4 answer this exactly correctly, but I think
5 that many general hematologists do "some
6 amount of therapy" whether that's prednisone
7 or something else, or go to anti-D, IVIg,
8 whatever they go to. And then at some point
9 rituximad, decide on splenectomy. So I think
10 there will be patients who will get their six
11 week course of this and see what happens to
12 their platelet counts and see if their
13 platelet counts remain more elevated
14 afterwards or anything like that, and may be
15 relatively restricted to this in addition to
16 the list of indications that we had, which
17 are pretty specific and individually not that
18 common.

19 I think that people like Doug
20 Menser or myself who are going to see very
21 refractory, chronic patients, who either may
22 have failed splenectomy or may have a good

1 reason not to have splenectomy are, yes,
2 going to need ongoing therapies. And there
3 are people who I've entered on these studies
4 who failed literally everything else and I
5 was forced to leave them at a count of 5,000
6 or 10,000 and hope that nothing bad happened.
7 And some of them have been on romaplastin or
8 eltrombopag for long-term periods and it does
9 seem to be the only thing that will help
10 them. And I think there will be some of
11 those patients. I think, fortunately,
12 there's not that many. And to be honest, I
13 think that sufficient additional data will be
14 forthcoming soon enough that some of this
15 will be less of an issue going forward. But
16 I don't know what Doug would say.

17 DR. CINES: No, I agree with what
18 Dr. Bussel said. I think that the prudent
19 physician looks at the patient and assesses
20 what's the patient's bleeding experience? At
21 what platelet count? What medicines have I
22 used? What toxicities have accrued to this

1 patient? How much data is known right now in
2 the public domain about this drug that I'm
3 making a decision about? And they would then
4 factor that into their decision. Because
5 it's not free not to use the medication in a
6 very old patient. And it's not free to use
7 other medications with their toxicities in
8 some patients.

9 So I think the prudent physician
10 integrates the information that's available.
11 And there will be some patients, undoubtedly,
12 for whom this has been an extraordinary
13 breakthrough in their medical management.

14 DR. ALVING: And we recognize those
15 patients, or those types of patients -- you
16 could probably define them and say these
17 patients can go into a registry so that you
18 can -- it's part of the risk management, but
19 I think it's more like the data capture to
20 ensure that you capture that data. So you
21 identify those right up front. And you could
22 probably come up with who they are and then

1 say wherever they are, they could get it if
2 they go into a registry and you define what
3 you need -- the data you need to get. And
4 that could be most helpful for every other
5 kind of patient. And I think linking the
6 short-term to say to anticipated, you know,
7 hemostatic challenges would be, or like
8 surgery or events could really be very
9 clarifying and really emphasize that we don't
10 know the long-term effects with respect to
11 bone marrow fibrosis reticulum.

12 DR. PERRY: I agree with my
13 esteemed colleagues. I just want to be sure
14 that as a physician I don't have my hands
15 tied behind my back and cannot use it in a
16 clinically managed way whereby it's
17 prescribed in such a tightly mannered way
18 where I, the clinician, have to give up my
19 own independent judgment. And I think that
20 should be important in the discussion.

21 DR. HUSSAIN: Dr. Link.

22 DR. LINK: Can I just ask what the

1 dosing regimen is going to be? It's going to
2 be 42 days and then sort of mandate that it
3 be stopped at that point. Is that how it's
4 sort of going to be recommended?

5 DR. RIEVES: What has been proposed
6 is for the short-term use. And that's what
7 has been proposed to us. Perhaps GSK wants
8 to talk about their construct for ultimate
9 long-term dosing. That information has not
10 been vetted by FDA yet.

11 DR. ROYCHOWDHURY: In the risk
12 management plan, what we proposed is that
13 patients will receive a 42 day course
14 initially. And then if the physician chooses
15 to give the drug for a second course later on
16 or continue the drug following that 42 day
17 period, they have to re-enroll to enter the
18 plan or at least re-register the patient.
19 And then a second dispensation is made of 42
20 days. So it's a very similar manner to what
21 Dr. Pazdur had suggested in his opening
22 remarks with regards to a risk management

1 plan.

2 DR. LINK: So is there a mandated
3 amount of time off between courses?

4 DR. ROYCHOWDHURY: No, it's up to
5 the physician. It's up to the physician to
6 make that judgment. If they feel that there
7 is a need for time off, they will. If they
8 don't, then --

9 DR. HUSSAIN: Can I ask a question
10 about -- Dr. Roychowdhury, if you don't mind
11 -- when the studies were designed, if a
12 patient hits a platelet of 200,000 then they
13 stop pills. Why not if they hit a platelet
14 of 100,000. I'm just curious as to if indeed
15 reaching a level of 50 is what you need, why
16 do you need to keep pushing higher?

17 DR. ROYCHOWDHURY: It is difficult
18 to actually ditrate that platelet count to
19 such a narrow number between 50 and 100.
20 Normal platelet counts can be up to 400,000
21 with no adverse consequences. Since it's
22 normal, all of us have platelet counts up to

1 400,000. So we stopped it at 200 because it
2 is possible that if you stop it at 200 for
3 the next week there is a possibility that it
4 could still rise because the drug is still in
5 the system. And it could go up to 400. That
6 was the reason we chose 200. And that
7 allowed us to have very few patients that
8 went beyond the 400 and million mark.

9 DR. HUSSAIN: Dr. Bukowski.

10 DR. BUKOWSKI: For the sponsors.
11 Do you have in place or do you plan an
12 expanded access program? That was mentioned
13 previously as something that was discussed.

14 DR. ROYCHOWDHURY: Yes, we've been
15 very fortunate actually that the agency has
16 allowed us to have an inpatient program, and
17 we are working towards having treatment
18 protocol under RIND that will allow patients
19 to get the drug. But that's right now being
20 written and discussed with the Agency.

21 DR. HUSSAIN: Dr. Perry.

22 DR. PERRY: I'd like to suggest

1 that the FDA and the company consider using a
2 different term rather than previously
3 treated. Previously treated to me is a
4 pretty expansive term. Maybe somebody had a
5 single dose of prednisone and decided I
6 didn't want to take prednisone and I didn't
7 want to take anything else. Perhaps a better
8 wording, or at least different wording would
9 be refractory or intolerant. Refractory
10 and/or intolerant of other therapies that, to
11 my mind, encompasses better the patient
12 population.

13 And I would not want to see
14 labeling that said somebody had to have a
15 hemostatic challenge anticipated like a
16 surgery in the near future. If your platelet
17 count is 3,000, every day is a hemostatic
18 challenge. Walking down the street is a
19 hemostatic challenge, and I don't think any
20 of us are smart enough to anticipate when bad
21 would suddenly go to worse. And so to my
22 mind a low platelet count is sufficient

1 indication to treat on this if they've
2 already exhausted other sources.

3 DR. HUSSAIN: Dr. Gull.

4 DR. GULL: Just a second question.
5 I just have a question on the monitoring.
6 What is the plan for blood CBC? Is this
7 going to be weekly, continuously, or after
8 six weeks as this gets stretched out? I'm
9 concerned about over response of a patient.

10 DR. HUSSAIN: Sponsor.

11 DR. ROYCHOWDHURY: In our label
12 that we have proposed, the monitoring of
13 platelets, of course, our patients do get
14 platelet monitoring often on a weekly basis,
15 but we proposed liver function monitoring
16 every other week during the time that the
17 patient is getting eltrombopag.

18 DR. HUSSAIN: Will there be any
19 criteria for discontinuation based on LFT
20 abnormalities?

21 DR. ROYCHOWDHURY: Yes, absolutely.
22 There are criteria that we've used in our

1 clinical trials that are actually more
2 concerted than what often is used that is in
3 the label that we proposed on our label.

4 DR. HUSSAIN: And are the LFT
5 abnormalities reversible upon discontinuing
6 the drug?

7 DR. ROYCHOWDHURY: Yes.

8 DR. GULL: But in the long-term you
9 don't really mean weekly monitoring after a
10 year or even six months?

11 DR. ROYCHOWDHURY: For LFTs?

12 DR. GULL: Yes.

13 DR. ROYCHOWDHURY: No, we don't.

14 And in our studies with EXTEND and RAISE
15 we've allowed monitoring to be done on a much
16 less frequent basis up to four to six weeks,
17 yes.

18 DR. HUSSAIN: Okay, if there are no
19 more comments we can go to the vote. Any
20 comments or questions or concerns or issues?
21 Dr. Alving.

22 DR. ALVING: In a way we're not

1 really voting on short-term. You know,
2 because this is immediately stretched into
3 long. We still haven't defined short-term
4 because it could be 43 days, and then another
5 43 days, and then another 43 days. So, we
6 have not -- we're really voting on long-term.
7 Because one just sort of bleeds into the
8 other, if you will.

9 (Laughter)

10 DR. HUSSAIN: No pun intended.

11 DR. ALVING: I mean, does anyone
12 else have this confusion? If I vote for
13 short-term, I don't know really what that
14 means. I know I'll get 43 days worth of, you
15 know, I can treat someone for 43 days. But
16 then the rest of it is just maybe I want to
17 do it another 43 days and another 43 days.

18 DR. HUSSAIN: It is recurrent
19 short-terms. I mean, it's multiple terms
20 with no term limits. I mean, it's just one
21 after the other.

22 DR. ALVING: No term limits. We

1 know where that leads.

2 DR. HUSSAIN: I mean, no, I agree
3 what you're saying is that there is an
4 open-endedness. And I think that's what
5 probably the FDA is struggling with.

6 DR. ALVING: Well, if we do that
7 we're going to have to say -- and then we
8 trust the FDA and the sponsor to fix it. I
9 mean, we have to realize what we're voting
10 on.

11 DR. HUSSAIN: So, the FDA.

12 DR. RIEVES: That is correct. And
13 that's part of our major angst here. In
14 essence, given the practice of medicine,
15 we're probably looking at long-term exposure.
16 So it gets, at this question of
17 realistically, is it feasible to construct a
18 risk management plan that would involve
19 fairly intensive liver test monitoring for
20 these situations where there is long-term
21 use. And that is the question. Is it
22 feasible to develop such a relatively

1 demanding risk management program at this
2 time until the other data mature.

3 DR. HUSSAIN: I mean, to be honest
4 about it, none of the studies I've heard are
5 really, really long-term. I mean, everything
6 that is being done is really slightly
7 longer-shorter term-type thing. And when
8 we're talking about long-term, you're
9 talking, exactly as was pointed out, this is
10 a 10, 15, 20 year event potentially. And
11 there isn't going to be any kind of
12 information there.

13 So, I don't know that there's an
14 ideal way to vote on things. I mean, you
15 know, there are people who need it, as was
16 pointed out. It's a challenge if your
17 platelets are 2,000 and you need it. And you
18 don't worry about six months from now because
19 you may not be alive six months from now. I
20 mean, that's really where the balance -- Dr.
21 Curt.

22 DR. CURT: That's why it may be

1 better to consider voting on the second
2 question first. Because there your judgment
3 is really being directed at the short-term
4 use.

5 DR. PAZDUR: Do you want to go to
6 question number two?

7 DR. PERRY: Please, yes.

8 DR. PAZDUR: Okay. Because I think
9 we've heard your problems with this. And
10 here, again, I think without having basically
11 dug down into the details of a risk
12 management program it's going to probably be
13 impossible to answer question number one.

14 So, let's just go to question
15 number two. And given the studies that have
16 been presented for short-term use, i.e., the
17 six weeks of treatment, does the clinical
18 data demonstrate a favorable risk benefit
19 relationship? So, the indication would be
20 for short-term use, and there would be a risk
21 management program institute that would
22 ensure that patients are aware of the lack of

1 information regarding longer use, such as a
2 patient registry, such as an indication
3 statement. You know, these things have to be
4 worked out. But, the bottom line is for the
5 short-term use of the drug, is there a
6 favorable risk benefit relationship?

7 DR. HUSSAIN: So, may I point out
8 that whichever way the vote goes, if the vote
9 goes in favor, the net effect is going to be
10 the same. Is that people will end up using
11 it. It doesn't matter that we voted on it
12 first as opposed to the first question.
13 Right? I mean, it's going to be out there
14 if, in fact --

15 DR. PAZDUR: That's kind of a
16 practice of medicine situation.

17 DR. HUSSAIN: Yes.

18 DR. PAZDUR: Which is difficult for
19 us to --

20 DR. HUSSAIN: No, I understand.
21 What I'm saying is I think the sequence of
22 the vote -- I mean, I don't mean to -- I

1 don't see the point. The point is if the end
2 result was a yes and somehow the FDA decides
3 to approve for a short-term use, it's going
4 to be recurrent short-term use. It doesn't
5 make a difference.

6 If you noted no. That's --
7 exactly. That's why I think maybe voting on
8 the first question is more important. But --
9 because then things would follow.

10 DR. PERRY: Whatever you wish.
11 You're the Chairman.

12 DR. HUSSAIN: No, that's okay.
13 Yes.

14 DR. HARRINGTON: So, you know, as I
15 hear the discussion then, the vote for
16 short-term use is really -- there's an
17 appendix on that vote for short-term use
18 which says that there would be some sort of
19 risk management program -- really a
20 surveillance program. And so I guess my
21 question to the agency is do you have
22 experience with long-term surveillance and

1 chronic use of a treatment where you really
2 can examine a database to understand
3 long-term adverse effects and act on it
4 fairly quickly? Because this really will
5 require -- as I understand the situation,
6 it's unlikely that there will ever be a
7 control trial over long-term use that will
8 give the kind of data that you would be
9 comfortable with to show adverse effects of
10 long-term use. So your best bet here to get
11 the possible adverse event rate and long-term
12 use is through a risk management plan or
13 surveillance system, whatever it's called.
14 So, have you done that in the past? Do you
15 have confidence you can do that?

16 DR. BERKMAN: We don't have a risk
17 management program at this time that is quite
18 as comprehensive as what we're looking at for
19 Promacta.

20 But we do have experience with a
21 number of risk management programs. There's
22 currently 16 products.

1 I believe there are 16 unique
2 chemical entities that are recognized with
3 risk management programs at this time. And
4 some of those have been on the market for
5 years. Most of those products have risks
6 though that are much more specific than what
7 we're looking at for Promacta considering
8 that there's a number of unknowns.

9 Does that answer your question at
10 least? Most of these programs are extremely
11 comprehensive, even though -- it's just that
12 most of them have a risk that is much more
13 specific. So, for example, I think some of
14 you were on the Committee when I spoke about
15 Tysabri which has one very specific risk,
16 whereas we're looking at multiple risks with
17 Promacta.

18 DR. HUSSAIN: Dr. Vose.

19 DR. VOSE: I don't see any reason
20 that a very comprehensive risk management
21 structure can't be just slightly modified
22 from what is already in place for a number of

1 different drugs. I mean, all of us deal with
2 these drugs every day that we do have to fill
3 out a lot of forms for and do have to do a
4 lot of information. But obviously, it's very
5 important for patients to be able to get
6 these medications who really need them, and
7 yet we need to capture this information. So
8 I think all of us who manage these patients
9 would be very willing to do that sort of a
10 risk management structure.

11 DR. PAZDUR: And in answer to Dr.
12 Perry's queries, you know, here again we're
13 not trying to burden people with additional
14 paperwork. And once additional information
15 would come out, this would be a more flexible
16 program where we would then revise it pending
17 on the comfort level that we have with the
18 drug and the longer term data that comes out
19 with these studies that are ongoing. So,
20 it's not a program that is going to be in
21 place and never changed.

22 DR. VOSE: No, I think given that

1 information that that would be modified as
2 more information comes in, and not wanting to
3 withhold a very important medication from
4 patients who truly need it, that we need to
5 balance those items.

6 DR. HUSSAIN: Dr. Szymanski.

7 DR. SZYMANSKI: Is this vote for
8 adults only that use this drug?

9 DR. HUSSAIN: Sponsor.

10 DR. ROYCHOWDHURY: Yes, at this
11 time we have only evaluated this on adults.
12 We are planning studies in children.

13 DR. HUSSAIN: Dr. D'Agostino.

14 DR. D'AGOSTINO: I just want to be
15 sure I know what I'm voting for here. I
16 would have said no to number one because I
17 think there is positive data. When we move
18 to number two and we talk about the
19 short-term, are we automatically -- are we
20 all agreed that we're talking about multiple
21 short-term?

22 DR. HUSSAIN: I think it would be

1 implicit.

2 DR. D'AGOSTINO: I was going to say
3 because it seems like from the discussion and
4 from reality there's no way out of it. So --

5 DR. PAZDUR: This is the same
6 dilemma have discussed internally. It's like
7 which came first, the chicken or the egg here
8 in the Agency. And this is why we brought
9 this really specific question because it is
10 like going around, and around, and around.
11 And that's why one of the issues that we were
12 thinking of is a risk management program that
13 would have, you know, some type of registry
14 or some type of call in where somebody would
15 have to give if they're going to give
16 multiple courses, you know, the laboratory
17 values of the patient. Make sure that people
18 weren't getting --

19 DR. D'AGOSTINO: So keeping the
20 multiple short-term as implicit, explicitly
21 there's a risk management going to be
22 attached to it if we say yes to this.

1 DR. PAZDUR: Yes.

2 DR. HUSSAIN: Just a clarification,
3 both from the FDA and the sponsor. Supposing
4 you decide to approve it under whatever
5 umbrella. When is it anticipated that the
6 drug will be actually in the market for
7 patients to use? And when would the results
8 from the recurrent use trials become
9 available?

10 DR. ROYCHOWDHURY: The marketed
11 product would be available very shortly after
12 the drug -- after we get an approval.
13 Usually within a few weeks we can make the
14 marketed product available. As I had
15 mentioned, the RAISE study -- the data on
16 that will be available to us probably towards
17 the end of the year. And we can share that
18 data with the Agency very soon thereafter.

19 DR. PAZDUR: And the approval would
20 be contingent upon a successfully negotiated
21 plan of risk management. This is not done
22 after the approval.

1 DR. HUSSAIN: So then we're not
2 talking about years and years before you
3 actually have information. From when the
4 drug hits the market and it actually begins
5 to be used until you get your data, we're
6 talking a few months.

7 DR. ROYCHOWDHURY: Yes, and we will
8 also have, you know, ongoing analysis of
9 EXTEND data, etcetera.

10 DR. HUSSAIN: Okay, thank you. So
11 I guess I'll have to go back to the FDA and
12 say do you really care to hear our vote on
13 number one?

14 (Laughter)

15 DR. PAZDUR: Let's go to question
16 number two.

17 (Laughter)

18 DR. HUSSAIN: Okay. I kind of
19 sensed you made up your minds somehow.

20 Okay, so the question for vote for
21 the Committee is -- before I read the
22 question -- so what's going to happen is

1 this. When we vote I'm going to ask those
2 who are voting yes to raise their hand and
3 then begin from Dr. Perry until the end, all
4 voting members would say their name and say
5 their vote being yes, so that it can be
6 captured. The hand raise I understand is so
7 that people in the audience will count the
8 votes and see who raised their hands.

9 Correct? Yes. Okay.

10 So, the question that we're voting
11 on is do the current clinical data
12 demonstrate a favorable risk benefit profile
13 for the use of eltrombopag -- I have to
14 practice this -- eltrombopag -- in the
15 short-term treatment of patients with ITP?

16 Since we've discussed a lot, I'm
17 not so sure that we have to discuss this one
18 again. So I'm going to request that we go
19 with the vote. And we'll begin again. All
20 of us simultaneously, those who are saying
21 yes, to raise their hand. And Dr. Perry
22 begins with if he raises his hand in yes,

1 then he says his name and begins the vote.

2 DR. PERRY: Perry, yes.

3 DR. HARRINGTON: Harrington, yes.

4 DR. ECKHARDT: Eckhardt, yes.

5 DR. BUKOWSKI: Bukowski, yes.

6 DR. LYMAN: Lyman, yes.

7 DR. HUSSAIN: Hussain, yes.

8 DR. MORTIMER: Mortimer, yes.

9 DR. LINK: Link, yes.

10 MS. MASON: Mason, yes.

11 DR. GULL: Gull, yes.

12 DR. VOSE: Vose, yes.

13 DR. ALVING: Alving, yes.

14 DR. SANDLER: Sandler, yes.

15 DR. SZYMANSKI: Szymanski, yes.

16 DR. D'AGOSTINO: D'Agostino, yes.

17 DR. LESAR: Lesar, yes.

18 DR. HUSSAIN: We have 16 yes. And

19 I believe all voting members voted. Are

20 there any nos that I missed? Okay, so it's

21 16 to 0.

22 Any other comments or issues?

1 DR. PAZDUR: I think we've heard
2 the discussion here as far as, you know, this
3 dilemma of chronic use. I don't necessarily
4 think we have to go back to the first
5 question, unless somebody wants to give
6 additional comments regarding that.

7 DR. HUSSAIN: I think there were
8 plenty of comments, and I think the concerns
9 were unanimous as far as somehow crafting
10 something there to protect the patients and
11 give some guidance to the physicians.

12 DR. PAZDUR: And here again,
13 without the specifics of this I think a vote
14 is kind of meaningless.

15 DR. HUSSAIN: Okay. Dr. Perry.

16 DR. PERRY: Going back to Dr.
17 Vose's comments, I agree wholeheartedly that
18 all practicing physicians who treat these
19 patients want to have this drug available and
20 will want the monitoring to be done. At the
21 same time, the company is going to make a
22 boatload from this, and I think they ought to

1 be the ones to pay for the pharmavigilance
2 rather than the physicians' offices. Does
3 the sponsor hear -- could you hear that? I
4 don't think it's fair to say we're going to
5 make the money but you do the work. I think
6 that it ought to be a shared proposition. If
7 you hire people to come around and look at
8 how we do patients on clinical trials as
9 monitors, you can sure hire people to come
10 around and monitor the people who are on this
11 drug.

12 DR. HUSSAIN: Thank you. Dr.
13 Harrington.

14 DR. HARRINGTON: I just have a
15 suggestion of how the FDA might spend its
16 boatload of money, as well.

17 DR. PAZDUR: Small boat.

18 DR. HARRINGTON: It's a small boat.
19 So we had a couple of instances over the last
20 few meetings where risk management programs
21 are being designed or put in place. And I
22 don't know whether ODAC is the right setting

1 or someplace else, but I certainly would love
2 to hear more six months or a year down the
3 road about how well those are working and how
4 rapidly FDA staff can turn around to treating
5 physicians adverse event profiles that are
6 building up from the data that are coming in
7 in the risk management profiles.

8 DR. PAZDUR: Thank you very much.
9 We have been thinking about this, and we're
10 thinking of doing some type of workshop,
11 specifically in oncology. There obviously is
12 a great deal of concern about drug safety in
13 the Agency. Oncology has unique
14 perspectives. Given all of the comments
15 here, we cannot have all the drugs on risk
16 management programs or restricted
17 distribution, or whatever you want. We have
18 to be prudent on what we use. And if we're
19 not prudent, the practice of oncology would
20 be almost impossible to do.

21 So, we're aware of that, and I
22 think we would like to have perhaps several

1 workshops, perhaps outside of ODAC and then
2 bringing them to an ODAC meeting. But I
3 think this is an interesting time. We've
4 kind of had, you know, in the past risk
5 management programs dealing with pregnancy
6 issues, with thalidomide and other similar
7 drugs -- Accutane, and then these ITP drugs,
8 which would be kind of interesting to look at
9 and view different types of programs. Also,
10 examples outside of oncology.

11 DR. HUSSAIN: Thank you. So, if
12 there are no other comments, I guess, on
13 behalf of the graduating class -- the four of
14 us leaving -- it's been a privilege,
15 excitement, although I'm not sure that I want
16 to live it again. And thank you very much.
17 We will adjourn.

18 (Applause)

19 (Whereupon, at 12:30 p.m., the
20 PROCEEDINGS were adjourned.)

21 * * * * *

22

