

U.S. FOOD AND DRUG ADMINISTRATION

+ + + + +

SCIENCE BOARD TO THE FDA

+ + + + +

ADVISORY COMMITTEE MEETING

+ + + + +

FRIDAY, OCTOBER 31, 2008

+ + + + +

The Advisory Committee convened at 8:00 a.m. at the Hilton Washington, DC North/Gaithersburg, Gaithersburg, Maryland, Barbara McNeil, M.D., Ph.D., Chair, presiding.

PRESENT:

BARBARA J. McNEIL, M.D., Ph.D., Chair  
RHONA APPLEBAUM, Ph.D. (morning only)  
GARRET FITZGERALD, M.D. (via telephone)  
(afternoon only)

ERIK HEWLETT, M.D.

LONNIE KING, D.V.M., M.P.A.

JOHN H. LINEHAN, Ph.D.

DAVID R. PARKINSON, M.D.

MARTIN PHILBERT, Ph.D.

LARRY SASICH, Pharm.D., M.P.H., F.A.S.H.P.

BISPHENOL A SUBCOMMITTEE ADVISORS PRESENT:

ANTONIA M. CALAFAT, Ph.D.

JOHN VANDENBERG, Ph.D.

FDA PARTICIPANTS:

ANDREW VON ESCHENBACH, M.D., Commissioner of  
Food and Drugs  
DAVID W. K. ACHESON, M.D., F.R.C.P., Associate  
Commissioner for Foods  
BERNADETTE DUNHAM, D.V.M., Ph.D., Director,  
Center for Veterinary Medicine  
RANDALL LUTTER, Ph.D., Deputy Commissioner for  
Policy  
STEVEN MUSSER, Ph.D., Director, Office of  
Regulatory Science, Center for Food  
Safety and Applied Nutrition  
CARLOS PEÑA, Ph.D., M.S., Executive Secretary  
WILLIAM SLIKKER, Ph.D., Director, National  
Center for Toxicological Research  
STEPHEN SUNDLOF, D.V.M., Ph.D., Director,  
Center for Food Safety and Applied  
Nutrition  
DOUGLAS THROCKMORTON, M.D., Deputy Director,  
Center for Drug Evaluation and Research  
FRANK M. TORTI, M.D., M.P.H., Principal Deputy  
Commissioner and Chief Scientist

OPEN PUBLIC HEARING:

OLGA NAIDENKO, Environmental Working Group  
STEVEN G. HENTGES, Polycarbonate/BPA Global  
Group  
STEPHEN MURAKAMI, The Law Offices of  
Robert H. Weiss, PLLC  
DIANA ZUCKERMAN, National Research Center for  
Women and Families  
JENNIFER ROGERS, Reproductive Health  
Technologies Project  
MARDI MOUNTFORD, International Formula Council  
JOHN ROST, North American Metal Packaging  
Alliance, Inc.  
URVASHI RANGAN, Consumer Reports  
AARON COLANGELO, Natural Resources Defense  
Council

## TABLE OF CONTENTS

Introductions.....	4
Commissioner's Report.....	12
Q and A and Discussion.....	28
Science at the FDA: Update.....	40
Q and A and Discussion.....	59
Strategy for 2009 Science Board Topics....	88
Q and A and Discussion.....	92
Overview of Current Method for Detection of Contaminants in FDA-Regulated Products: Salmonella Rapid Detection.....	105
Q and A .....	147
Intentional and Economically Motivated Adulteration.....	161
Q and A.....	203
Open Public Hearing.....	220
Report from the Science Board Bisphenol A (BPA) Subcommittee.....	262
Q and A and Discussion.....	281
Comments from the Science Board Chair....	339
Adjourn	

1 P-R-O-C-E-E-D-I-N-G-S

2 INTRODUCTIONS

3 DR. MCNEIL: Good morning. I'd like  
4 to welcome all of you to this meeting of the  
5 Science Board. It's a very important meeting,  
6 as you can tell from the agenda, and I'm  
7 pleased that so many of you have been able to  
8 attend, and that we have many guests in the  
9 audience.

10 Since many of the people are here  
11 for the first time, what I'd like to do is go  
12 around and introduce ourselves to each other,  
13 even though many of us know each other. And  
14 perhaps we could start with Lonnie -- I'm  
15 sorry -- with Martin, and maybe you could say  
16 two sentences, Martin, about who you are. Two  
17 is the most for each person here.

18 DR. PHILBERT: Martin Philbert,  
19 University of Michigan. I'm a professor of  
20 toxicology. My areas of research are  
21 mitochondrial encephalopathies due to  
22 nitroaromatic compounds, and the development

1 of nanotechnologies for the early detection  
2 and treatment of brain tumors.

3 DR. LINEHAN: I'm Jack Linehan from  
4 Northwestern University. I direct the Center  
5 for Translational Innovation. I'm a biomedical  
6 engineer, and my area of interest is medical  
7 devices.

8 DR. SASICH: I'm Larry Sasich. I'm  
9 the consumer representative. I'm the chairman  
10 of the Department of Pharmacy Practice at the  
11 School of Pharmacy at LECOM in Erie,  
12 Pennsylvania.

13 DR. KING: Good morning. I'm Lonnie  
14 King. I'm the director of the National Center  
15 for Zoonotic, Vector-Borne, and Enteric  
16 diseases in Atlanta. It's part of CDC. My  
17 areas of interest and expertise are veterinary  
18 medicine, preventive medicine, epidemiology,  
19 and infectious disease.

20 DR. HEWLETT: Good morning. My name  
21 is Erik Hewlett. I'm a professor of medicine  
22 and pharmacology at the University of Virginia

1 Medical School. I'm the associate dean for  
2 research, and I do research in bacterial  
3 toxins and microbial pathogenesis.

4 DR. PARKINSON: Good morning. I'm  
5 David Parkinson. I'm a medical oncologist. My  
6 background -- I've been involved for a long  
7 time in therapeutics development in oncology.  
8 Right now, I'm CEO of a biotech company in the  
9 San Francisco area.

10 DR. TORTI: I'm Frank Torti. I am  
11 the principle deputy commissioner and chief  
12 scientist at the FDA. I'm an oncologist, as  
13 well, by training.

14 DR. MCNEIL: I'm Barbara McNeil.  
15 I'm currently chairman of the Science Board,  
16 as you know. I'm chairman of the Department  
17 of Health Policy at Harvard Medical School,  
18 and a radiologist at the Brigham and Women's  
19 Hospital.

20 DR. PENA: Carlos Pena, executive  
21 secretary and designated federal official to  
22 the Science Board.

1 DR. LUTTER: Randy Lutter, deputy  
2 commissioner for policy at FDA.

3 DR. ACHESON: David Acheson,  
4 associate commissioner for foods at FDA.

5 DR. SALEM: George Salem, Office of  
6 Regulatory Affairs, representing FDA field  
7 regulatory laboratories.

8 DR. SLIKKER: Bill Slikker,  
9 director of the National Center for  
10 Toxicological Research, and my interest is in  
11 pharmacology and toxicology.

12 DR. DUNHAM: Good morning. I'm  
13 Bernadette Dunham, director for the Center for  
14 Veterinary Medicine.

15 DR. SUNDLOF: Good morning, I'm  
16 Steve Sundlof, director of the Center for Food  
17 Safety and Applied Nutrition.

18 DR. SCHULTZ: Dan Schultz, director  
19 of Center for Devices and Radiological Health.

20 DR. THROCKMORTON: Good morning.  
21 I'm Doug Throckmorton. I'm the deputy director  
22 in the Center for Drug Evaluation and

1 Research.

2 DR. MCNEIL: All right. Thank you  
3 all very much. Before we start on the formal  
4 agenda, I'd like Carlos to read a statement.

5 DR. PENA: Good morning to members  
6 of the Science Board, members of the public,  
7 and FDA staff. Welcome to this meeting.

8 The following announcement  
9 addresses the issue of conflict of interest  
10 with respect to this meeting, and is made part  
11 of the public record.

12 The Science Board will hear about  
13 and discuss a review of the draft assessment  
14 of Bisphenol A for use in food contact  
15 applications by the Science Board BPA Sub-  
16 committee.

17 The Science Board will discuss  
18 2009 agenda topics. The Science Board will  
19 also hear an overview of current methods for  
20 detection of contaminants in FDA-regulated  
21 products.

22 Based on the submitted agenda for



1       this meeting and all financial interests  
2       reported by the committee participants, it has  
3       been determined that committee participants do  
4       not have financial interests that present a  
5       potential for conflict of interest at this  
6       meeting.

7                       We would like to note that Dr.  
8       Larry Sasich is participating as the consumer  
9       representative, who is identified with  
10      consumer interests.

11                      Professor Philbert, chair of the  
12      sub-committee that issued the report to be  
13      discussed by the Science Board later today,  
14      has been asked to present the results of the  
15      Subcommittee report to the Board, answer  
16      questions, but refrain from voting on any  
17      matters for the Board related to BPA.

18                      We would also like to note that Dr. Rhona  
19      Applebaum, who should be here shortly, will be  
20      participating in the morning session only, and  
21      Dr. Garret Fitzgerald will be participating in  
22      the afternoon session only, by telephone.

1           In general, the committee participants  
2           are aware of the need to exclude themselves  
3           from involvement in discussion of topics if  
4           their interests would be affected, and their  
5           exclusion will be noted for the record.

6           With respect to all other participants, we  
7           ask in the interest of fairness that they  
8           address any current or previous financial  
9           involvement with any firm relevant to a topic  
10          on the agenda, or whose product they may wish  
11          to comment upon.

12           We have one open public comment  
13          period scheduled for approximately 1:00 p.m.,  
14          and I would just remind all to turn on your  
15          microphones when you speak so that the  
16          transcriber can pick everything up that you  
17          state, and turn them off when you are not  
18          speaking.

19           I also request all meeting  
20          attendees, including the public, to turn their  
21          cell phones and BlackBerrys to silent mode.

22          Thank you.

1 DR. MCNEIL: Thank you very much,  
2 Carlos. Before I introduce Dr. Von Eschenbach,  
3 I'd like to remind you that the Science Board  
4 has received permission to increase its  
5 membership to 21 members. That was mentioned  
6 as a possibility at our meeting, and that has  
7 been approved. An announcement to this effect  
8 has gone into The Federal Register.

9 The Science Board is hoping to  
10 have the additional members in place by our  
11 meeting in February. If you have any  
12 suggestions for membership on this Board, it  
13 would be, of course, wonderful if you could  
14 submit that information to Carlos with their  
15 names and CVs.

16 In addition to increasing the  
17 membership, the commissioner also mentioned  
18 last year that we will be increasing the  
19 number of meetings per year from two to four.

20 There's a lot of work to be done,  
21 and it will give us a better chance to get  
22 integrated into the activities of the agency,

1 and to contribute to its successes.

2 So with that, I'd like to  
3 introduce Commissioner von Eschenbach, who's  
4 been leading this agency for several years.  
5 Commissioner?

6 COMMISSIONERS'S REPORT

7 DR. VON ESCHENBACH: Thank you very  
8 much, Barbara, and good morning. You know, as  
9 you were going around the room introducing  
10 ourselves, I really was looking forward to the  
11 opportunity of introducing myself to you,  
12 because in addition to Barbara's introduction  
13 of me as commissioner of the FDA, in view of  
14 the outcome of the World Series the other  
15 night, I'd also like to say I'm a kid from  
16 South Philly.

17 I really do appreciate the  
18 opportunity of greeting you this morning. It  
19 is certainly true that this is a much-  
20 anticipated meeting. It's one of the many  
21 meetings that's essential to the Food and Drug  
22 Administration's operations, as we are in an

1 on-going effort to actively solicit outside  
2 expertise to help to inform our work across a  
3 broad spectrum of our portfolio.

4 But this meeting of the Science  
5 Board is, for many reasons, of particular  
6 significance, and especially for me as  
7 commissioner, in part because of my deep  
8 conviction that the crucial role that this  
9 Science Board must play in the transformation  
10 of the Food and Drug Administration, a  
11 transformation that we have all together been  
12 participating in over these past few years.

13 Three years ago, when I arrived at  
14 the FDA with the opportunity to serve this  
15 agency and the American people, as many of you  
16 know, I came from the National Cancer  
17 Institute, and previously from academia at the  
18 University of Texas M.D. Anderson Cancer  
19 Center.

20 And for decades, I had been  
21 immersed in the revolution of science and  
22 technology in healthcare, where new insights

1 and new pathways were being discovered  
2 literally every single day. And from that  
3 experience, I recognized when I arrived that  
4 FDA is an agency that affects the life of  
5 every single person in this country, every  
6 single American, old, young, and yet-to-born.

7 Therefore, we would have to  
8 address this reality of this new science, and  
9 this explosion in science and technology,  
10 because between the world of rapid and radical  
11 advances in discovery and development, and the  
12 delivery of the products of that progress to  
13 millions and millions of lives, there is a  
14 divide, and that divide is bridged by the FDA.

15 The FDA for 100 years has been the  
16 world's gold standard regulatory agency that  
17 assures that those products delivered to those  
18 people would, in fact, promote and protect  
19 their health.

20 To address this new reality, on my  
21 very first day as acting commissioner, when I  
22 addressed the agency, I called upon the FDA to

1           convert from one that had been a science-  
2           based regulatory agency to an agency that  
3           would also be science-led.

4                         It sounded very simple. Science-  
5           based, science-led. And I think perhaps many  
6           assumed that it was just a sound-bite. But  
7           today, and over the past three years, many  
8           inside and outside the agency have been  
9           awakening to the nature of this challenge. And  
10          today, those of you in this room will wrestle  
11          with issues that underscore just how profound  
12          and complex is the requirement for a  
13          regulatory agency to be both science-based and  
14          science-led.

15                        This is the conundrum that, when I  
16          met with Ken Shine, your previous chair, on  
17          our very first meeting, I asked him to bring  
18          to the Board this challenge and this  
19          conundrum. And this is a challenge that must  
20          now also consume you and the new Board that  
21          will follow you under the leadership of  
22          Barbara McNeil. And I'm incredibly grateful to

1 each and every one of you and to her, in  
2 specific, for your willingness to accept and  
3 to be immersed in this incredible and  
4 difficult challenge.

5 Although we are a scientific  
6 organization, regulatory science has its own  
7 nuance. We are not a scientific research  
8 organization, so being science-based and  
9 science-led in FDA's context has unique  
10 meanings.

11 Some have interpreted that being a  
12 science-led organization as being led by any  
13 and all new science that's emerging. That's  
14 actually an interpretation that conflicts with  
15 also being science-based. The conflict emerges  
16 because new cutting-edge discovery science is  
17 by its very nature in flux, and it requires  
18 critical evaluation. Science is always filled  
19 with controversy and evaluation, and we want  
20 it to be, because the doctrine of today may be  
21 refuted tomorrow.

22 The conundrum is that if FDA's



1           being science-led is being interpreted as FDA  
2           changing decisions with the way this paper,  
3           that it's published, then we, in fact, could  
4           rather harm rather than help the American  
5           public.

6                         We are a regulatory agency that  
7           must make public health decisions that are  
8           enforceable, that are based on governing  
9           statutes and regulations. We must make public  
10          health decisions that are science-based, that  
11          must endure, that are based on validated  
12          research -- research that has been subjected  
13          to the crucible in which data becomes ground  
14          into information, and that information results  
15          in knowledge upon which life-altering  
16          regulatory decisions can be made.

17                        And we know that the decisions  
18          that FDA makes must constantly be explored in  
19          a scientific and critical way, and explored  
20          not only by the agency itself, but by others.  
21          Peer review is the cornerstone of scientific  
22          exploration and validation, and we're all

1 raised on our scientific training in this  
2 fundamental principle.

3 So how do we resolve this  
4 conundrum? With a better understanding of what  
5 it means for a regulatory agency to be both  
6 science-based and science-led. Science serves  
7 as the core foundation, but science also  
8 illuminates the future direction of what our  
9 decisions must be.

10 And perhaps those of you who know  
11 me well knew that, within this speech  
12 somewhere, there would be a metaphor. It's  
13 not a sports metaphor, but perhaps I can best  
14 describe my perspective with a metaphor that  
15 looks at FDA in terms of what it aspires to be  
16 - a bridge and not a barrier between that  
17 innovation and that advancement that's  
18 emerging from science and technology to the  
19 delivery of that promise through Americans in  
20 an effort to protect and promote their health.

21 That FDA bridge affects every  
22 American every day, from the moment they brush

1        their teeth, or put in their contact lenses,  
2        through every meal, for them, their family and  
3        their pets, for every single medical  
4        procedure, prescription, or over-the-counter  
5        medication they take. That kind of a bridge  
6        must have a structure and a foundation and  
7        supports that will and always must be a  
8        combination of science, law, and regulation.

9                The laws and regulations provide  
10       the primary frame-work for the bridge, with  
11       science providing the necessary support. The  
12       support has to be constructed with rigor,  
13       precision, discipline, transparency, and  
14       validation. The foundation of the bridge must  
15       be solid. It must be built from a healthy  
16       debate and discussion, and from a  
17       preponderance of robust evidence. Regulatory  
18       decision-making must be a bridge that is  
19       strong and stable and lasting, but that's not  
20       to say that it's immutable.

21               In science-led, a well-constructed  
22       bridge also requires sufficient illumination,

1 if you will, to see the guard rails and the  
2 pathway forward. In being science-led, FDA  
3 must also use science, not just as a  
4 foundation, but also the light the bridge, to  
5 help us learn more about the products we  
6 regulate and the shape, the development and  
7 regulation of products that we will yet see in  
8 the future.

9 We must use science to determine  
10 how to improve the quality of our regulatory  
11 decisions to see the path forward. We must  
12 embrace new science, and stimulate even newer  
13 science. New research is essential to showing  
14 FDA areas that might require closer scrutiny.

15 In shining a light, the first  
16 images are shadows or signals that always  
17 require a closer look, and often require more  
18 light. One need not spend much time  
19 reflecting on the challenges and the  
20 opportunities of post-market surveillance and  
21 the detection of signals of adverse events to  
22 understand the critical nature of scientific

1 methodology to separate signal from noise.

2 And the examples continue  
3 throughout the entire portfolio of FDA. To  
4 acquire, to achieve those ends, FDA conducts  
5 some of that research ourselves, and that must  
6 and always continue as a critical core element  
7 of the identity and nature of the Food and  
8 Drug Administration.

9 Our laboratories, our scientists,  
10 our investigators within the agency are of  
11 critical and essential importance, and they  
12 provide that light and that scrutiny, but in  
13 addition, we also need the scientific  
14 community and its opportunity to shed light  
15 and its opportunity to continue that critical  
16 assessment and scrutiny of data that must be  
17 converted to information and then ultimately,  
18 knowledge. And we must do this in a crucible  
19 that is open and transparent for all to see.

20 Scientific articles with novel  
21 findings are published with the expectation  
22 that other scientists will attempt to validate

1 or to dispute those findings. That evolution  
2 of scientific process by independent  
3 verification is essential.

4 Our decisions must endure, but  
5 that does not mean they can never change.  
6 Just as no bridge can survive for decades  
7 without evaluation and repair, our decisions  
8 need constant exploration with strong  
9 scientific light and illumination.

10 Our decisions must endure until  
11 that constant exploration of science yields  
12 new science that meets the appropriate  
13 criteria of validation to justify a new  
14 regulatory decision or position.

15 When the exploration indicates  
16 that change is needed, then change must be  
17 embraced and change will be embraced by FDA.  
18 When the science that was illuminating our  
19 path is validated and repudiates a previous  
20 position, FDA will change that position and  
21 embrace a new one -- a new regulation, a new  
22 label, a new health advisory, but one that is

1 based on the critical and crucial analysis  
2 that creates a strong scientific foundation  
3 for science-based decision.

4 It's the conundrum of a rock solid  
5 foundation of science that creates a strong  
6 regulatory structure that also has the  
7 flexibility to change based on a critical  
8 assessment of science.

9 And so now you perceive, not only  
10 the complexity of the challenge, but the  
11 critical and essential role of the Scientific  
12 Advisory Board and the commitment of the  
13 agency to that effort and to that process.

14 Every decision to approve a  
15 product creates more work, as we must regulate  
16 that product now through its entire life-  
17 cycle, from production to consumption. We must  
18 commit to explore the science along that  
19 entire continuum to call for more  
20 illumination, to call for the opportunity to  
21 look carefully at those things that come to  
22 light as science emerges and the issues come

1           into focus.     We can see more along that  
2           pathway, and set a much better agenda to serve  
3           the American people.

4                     FDA is striving to be that well-  
5           constructed and well-lit bridge to a healthy  
6           future for all Americans, where things like  
7           our critical path activities help guide us in  
8           innovation of medical products. Where we  
9           prevent food contamination before it occurs,  
10          where we create more nutritious food. But  
11          we'll only meet this goal by engaging experts  
12          to advise our work.

13                    As you know, we recently asked you  
14          for your expertise, and your sub-committee has  
15          raised important questions about draft safety  
16          statement that you'll be discussing later  
17          today.

18                    Let me be clear. There's no shame  
19          in having one's hypothesis or previous tenets  
20          questioned or disproved. That's the purpose of  
21          science - to test hypotheses and theses  
22          appropriately, and have a healthy debate about



1           where the data do and do not lead us in  
2           seeking to validate or disprove a position.

3                     The shame is not having the  
4           curiosity and the courage to generate the  
5           hypothesis in the first place, and to not put  
6           it out there for a community to debate and to  
7           validate. For without thought, without our  
8           ability to create well-framed hypotheses in  
9           the first place, learning is not possible.

10                    For FDA to fail to catalyze  
11           scientific debate on important public issues  
12           by asking for that deliberation would, in  
13           fact, be a failure of our commitment to  
14           protecting and promoting the public health.

15                    Over a year ago, I asked this  
16           Board to evaluate the scientific needs of the  
17           agency. Unfortunately, the agency's lack of  
18           resources and infrastructure eclipsed the core  
19           important work in that effort to critically  
20           assess how to optimally align FDA's research  
21           portfolio of regulatory science in a world of  
22           explosive           progress           in           discovery,

1 translational, and clinical science.

2 The resource and IT  
3 infrastructures were and continue to be  
4 addressed, and much progress has been made,  
5 but much more continues to need to be done,  
6 and that progress must be sustained. But we  
7 also need this Board to focus on the  
8 fundamental questions that we face regarding  
9 the alignment of our regulatory science and  
10 our portfolio with our need to serve the  
11 American people in the context of rapid and  
12 radical changes in the world of science and  
13 technology around us.

14 How we can use science to  
15 illuminate our path and lead us to a future,  
16 not as a research institution, but as a  
17 regulatory agency, is the fundamental core  
18 question that this Science Board and the  
19 community must struggle with, and that the  
20 agency must resolve.

21 The discussion today calls into  
22 sharp focus the need for insight into

1 processes and technology that are on the  
2 horizon, and to direct that technology  
3 development in a way that it creates, in the  
4 future, a strong foundation for regulatory  
5 decisions. As you proceed through today's  
6 agenda, please consider how FDA can gather  
7 more light, and convert that light into  
8 validated research that can reinforce the  
9 foundation of our regulatory bridge.

10 I can't end by not emphasizing the  
11 deep gratitude that not only I, but every  
12 single member of the Food and Drug  
13 Administration has for all of you being  
14 willing to give of your time, your effort,  
15 your talent, and to make the commitment to  
16 take these positions, as visible as they are,  
17 and as subjective as there are, to the same  
18 stresses and pressures that those within the  
19 agency face, to engage in a struggle, and to  
20 do it in an open and transparent forum for all  
21 the world to see in terms of the need to  
22 engage in a quest -- a quest that requires, at

1 times, controversy, difference of opinion, and  
2 self-critical analysis, but a quest that  
3 always is intended to protect and promote the  
4 health of every single American.

5 Thank you for that commitment.  
6 Now I'll take questions.

7 Q AND A AND DISCUSSION

8 DR. MCNEIL: Thank you very much,  
9 Commissioner. Are you able to stay for a few  
10 questions?

11 DR. VON ESCHENBACH: Sure.

12 DR. MCNEIL: Are there questions of  
13 the Commissioner?

14 DR. VON ESCHENBACH: Dave  
15 Parkinson, you've never not had a question.

16 DR. MCNEIL: Alright.

17 DR. VON ESCHENBACH: We go way back  
18 to M.D. Anderson when we were trying to cure  
19 kidney cancer, and you always had questions.

20 DR. PARKINSON: You always had  
21 answers.

22 DR. HEWLETT: I'm very supportive

1 of the idea of continued research by the  
2 investigators and scientists and regulators at  
3 the FDA. I'm interested in your thoughts about  
4 what the objectives are of those individuals  
5 continuing to do research, because I realize  
6 that's been a controversial issue. I've done  
7 some lab reviews in the past. That's always an  
8 item that's greatly discussed.

9 DR. VON ESCHENBACH: Yes. Thank you  
10 for bringing that forward, because I think  
11 that is really one of the exciting  
12 opportunities for the dialogue between the  
13 Board, advisors to the Board, and the agency  
14 itself.

15 And I've asked Frank Torti, as  
16 chief scientist, to really kind of help  
17 catalyze that continued dialogue. We've made  
18 some internal changes within the agency to  
19 align our regulatory research agenda with the  
20 larger agenda that's occurring in the context  
21 of basic all the way through to clinical  
22 research.

1                   Some of the things that we've done  
2                   is, for example, to ask Bill Slikker and NCTR  
3                   to take on a core role, as a core resource,  
4                   within the entire agency so that the effort  
5                   that is going on in research at NCTR is really  
6                   what we would consider to be developmental  
7                   regulatory science that's asking questions to  
8                   focus research initiatives, research projects,  
9                   on specific areas that we are anticipating  
10                  will become the next generation of regulatory  
11                  decisions, for example, in nanotechnology.

12                  And to be able to start that  
13                  research agenda so that we will build that  
14                  foundation, since science has already  
15                  illuminated the fact that this will be an  
16                  important part of the kinds of products that  
17                  will be emanating out of discovery and  
18                  development that's occurring in the world  
19                  around us.

20                  The developmental science that's  
21                  occurring as a core resource at NCTR is then  
22                  complemented by and integrated with what I

1 would describe as the applied regulatory  
2 science that's occurring within the centers,  
3 where they are engaged in specific research  
4 activities that are focused on much more  
5 proximate questions about specific products or  
6 issues, such as the research that perhaps is  
7 going on, as an example, in CDRH under Dan  
8 Schultz, where we're looking at actual  
9 specific devices that are currently now within  
10 our purview, but for which there are important  
11 research questions that have to be asked,  
12 everything from what happens to a product when  
13 you take it from a macrosize and you  
14 miniaturize it in terms of its internal  
15 circuitry and software changes that occur.  
16 Those are important research questions, just  
17 as a device, and it flows through there.

18 And then finally, the third level  
19 is to re-position our entire scientific  
20 portfolio in the field, and in the  
21 laboratories of the field, which is much more  
22 analytical science, but brings into that

1           analytical component more modern technologies  
2           and techniques that have emerged from science  
3           -- everything from high throughput to moving  
4           into more elegant methodologies of the  
5           scientific analysis.

6                         And that gives us the opportunity  
7           to make decisions about actual products in  
8           context of regulation, whether it's  
9           determining the components of baby food or  
10          baby formula, or determining unsuspected  
11          contamination of heparin, and being able to  
12          develop the scientific validated methodologies  
13          that would enable us to address that public  
14          health threat.

15                        And in fact, that real world  
16          example occurred where we were then able to  
17          disseminate that methodology to the rest of  
18          the world, and immediately give them the  
19          opportunity to address a world-wide public  
20          threat from the contaminated heparin.

21                        So there is a body of research  
22          that must continue to occur within the agency



1 across that spectrum. And my constant message  
2 is that, within the agency, that research now  
3 must be much more integrated and collaborative  
4 across the various components, with vertical  
5 as well as horizontal integration. And that is  
6 a major focus of our development of our  
7 scientific infrastructure at White Oak, for  
8 example. And it must also be constantly  
9 immersed and integrated with the science  
10 that's developing around us in terms of what's  
11 occurring in other fields of endeavor.

12 And I think your discussions later  
13 today will truly focus on some of the issues  
14 having to do with that interface between  
15 what's occurring in that space. But all of  
16 that is built on the fact that, one, we move  
17 to regulatory decision-making, the foundation  
18 upon which the decision is made must meet  
19 another level of rigor and criteria for  
20 decision-making because of the very nature of  
21 the infrastructure of it being a regulation --  
22 a regulatory process that must endure and has

1 far-reaching implications and impact.

2 So that's, in a nutshell, kind of  
3 the broad -- the capture of the broad  
4 perspective of what we're trying to  
5 continuously improve and evolve to.

6 Is that helpful? Lonnie?

7 DR. KING: Commissioner, I really  
8 applaud your vision of being science-based and  
9 science-led. You know, part of that is the  
10 culture of FDA, and what's your plan and  
11 vision to be able to retain and especially  
12 recruit, you know, the bright minds in science  
13 and research that are going to not only  
14 maintain this vision of strong science, but  
15 flourish in it?

16 DR. VON ESCHENBACH: Well I think,  
17 Lonnie, one of the greatest -- I would say,  
18 one of the most gratifying moments that I  
19 think I'll reflect on in my tenure at FDA was  
20 just a few days ago, when we welcomed our  
21 first class of FDA fellows.

22 The fellowship program is now

1           underway. It is a curriculum-driven two year  
2           program that incorporates regulatory science,  
3           regulatory policy, regulatory law, and  
4           regulatory practice. It is a mentored program  
5           and curriculum-driven, and it really  
6           encompasses a broad spectrum of disciplines  
7           across scientific disciplines and medical  
8           disciplines, but also is embracing emerging  
9           and new disciplines, including computational  
10          biologists and computational scientists and  
11          engineers, physicists, et cetera.

12                        What I am extremely excited about  
13          is what that creates for FDA, and our ultimate  
14          goal over the next three to five years, as we  
15          hope the Reagan-Udall Foundation is able to be  
16          fully established and creates an opportunity  
17          for additional support of the fellowship, our  
18          expectation and goal is to ramp up to 2,000  
19          fellows. One thousand a year for a two year  
20          program. That brings into the agency the best  
21          and brightest of the newest generation of  
22          investigators and scientists who have grown up

1 in these emerging new disciplines and fields  
2 and opportunities who have been part of that  
3 world of discovery and development.

4 In the process, our expectation is  
5 we will retain the top 20 percent of each  
6 graduating class, which means an infusion of  
7 200 individuals with career paths that have  
8 been defined and outlined within the agency,  
9 so it becomes a source of constant  
10 replenishment of our intellectual capital, and  
11 800 sons and daughters of FDA will go back to  
12 academia and to industry and to other  
13 endeavors with a very in-depth understanding  
14 of the very nature of regulatory science and  
15 regulatory function within the FDA. And I  
16 believe that will catalyze this ability to  
17 bridge between those two emerging realities.  
18 They will, in fact, be the living bridges,  
19 both inside and outside the agency. So one  
20 strategy to address that challenge of where  
21 will we continue to replenish the brilliant  
22 minds that you see sitting on the other side

1 of the table. I believe it's primarily  
2 through the fellowship.

3 In addition to that, we've also  
4 implemented very specific measures towards  
5 career development for those individuals who  
6 are already a part of the agency, because it  
7 is absolutely essential that everyone in the  
8 agency grow in terms of their continued  
9 ability to grow and adapt to the incredible  
10 progress and changes that are occurring around  
11 us.

12 And so Frank also is charged, as  
13 chief scientist, with also looking at our  
14 current staff, and to create enrichment with  
15 regard to their career development, and to  
16 vitalize the intellectual life of the agency  
17 as a learning organization.

18 DR. MCNEIL: David? No?

19 DR. PARKINSON: No, maybe more a  
20 reflection than anything else, because I'm  
21 very sympathetic to the goals you've talked  
22 about.

1                   I've had the opportunity in the  
2                   last few weeks to be both in China and the  
3                   Middle East, and in both places, people were  
4                   talking about the new FDA offices being opened  
5                   up. So everything you've said about what is  
6                   being driven within the United States actually  
7                   carries over to other places, and the  
8                   consequences of a lack of regulation in those  
9                   places is part of what we'll be talking about  
10                  here today.

11                  So it's the right direction. And  
12                  the change you talk about within this agency  
13                  is reflected in the change that's actually  
14                  happening in the industry, all being driven by  
15                  new science, and I think the brilliant minds  
16                  on the other side of the table are seeing that  
17                  also.

18                  It's a time of great change, and  
19                  it's good to see the agency adapting to that.  
20                  So more a reflection than a question.

21                  DR. VON ESCHENBACH: Thanks.

22                  DR. MCNEIL: Questions from this

1 side of the table? No?

2 DR. VON ESCHENBACH: They actually  
3 don't have the questions. They have the  
4 answers.

5 DR. MCNEIL: Well, I knew that. I  
6 was just trying to be polite.

7 DR. VON ESCHENBACH: That's where I  
8 got all this.

9 DR. MCNEIL: Are there any further  
10 questions? All right, if not, I'd like to  
11 thank the commissioner very much for his time,  
12 and his very thoughtful remarks.

13 DR. VON ESCHENBACH: Thanks,  
14 Barbara.

15 DR. MCNEIL: I think they fit in  
16 very well with some of the discussion that we  
17 had at our last meeting.

18 So, let's see. We're going to have  
19 Frank Torti now, who is going to talk somewhat  
20 about science at the FDA, an update. We heard  
21 a very interesting presentation last time in  
22 which he gave his vision in part of what

1 science should be, and at that time,  
2 introduced the concept of the FDA fellows that  
3 the commissioner just talked about. So Frank,  
4 you're on.

5 SCIENCE AT THE FDA: UPDATE

6 DR. TORTI: Thanks, Barbara. Well,  
7 we've got it all solved now, so we can just --  
8 but actually, thanks for this opportunity, and  
9 thanks to Andy for sort of setting the stage  
10 for a lot of the issues which now I can bring  
11 to you, I think, as examples of some of the  
12 themes that were brought up in the first  
13 discussion, but also in the questions.

14 So let me begin, and let me see if  
15 I can figure out how to -- so I hope that this  
16 statement, which I showed you when I first  
17 came here, captures for you how science at the  
18 FDA relates to its regulatory mission. And  
19 it's that top quality regulatory science will  
20 actually improve regulatory decisions,  
21 regulatory consistency, which I think is very  
22 important, and eventually will lead to



1 speeding new products to the marketplace.

2 So I tried to distill in this next  
3 slide the entire Science Board report to the  
4 FDA into three bullets, which is a challenge,  
5 but I wanted to do that so I could begin to  
6 reflect today on some of the issues that were  
7 raised by the Science Board, and I'm not going  
8 to hit every bullet in detail in that Science  
9 Board report today, because I can't, but what  
10 I will tell you is hopefully by the end of the  
11 year, I will deliver to the Science Board a  
12 full response to your suggestions and where  
13 we're going in that regard, so you have  
14 something to look forward to there.

15 The three issues around which the  
16 Science Board report was based were sort of  
17 scientific leadership, scientific investment,  
18 partnerships both within the agency and  
19 partnerships outside the agency, and  
20 priorities and priority settings in science.

21 So that was sort of one group of  
22 themes, and of course you scrape the bottom of

1 the barrel and got yourself a chief scientist,  
2 so you have some aspect of leadership there.  
3 But a lot of this I'm going to talk about and  
4 show you where we've gone.

5 The other issue, and Andy has  
6 addressed this because it's essential, is  
7 scientific recruitment and retention. And I'm  
8 going to show you some of that. And then the  
9 issue of IT infrastructure and its  
10 relationship to science and how it supports  
11 the scientific mission of the agency, and I'm  
12 going to bring you up to date a little bit  
13 about that, as well.

14 I tried to -- in order to get  
15 there, you know, having read that Science  
16 Board report, one of the issues was what are  
17 the principles that would drive my sort of  
18 leadership, my decision-making, in that  
19 regard. And there were three, and I mentioned  
20 them the last time, and I'm going to sort of  
21 start to fill those out now.

22 The first was that the FDA cannot

1 do it alone, that is has to partner more, and  
2 it has to partner smarter if its going to  
3 leverage its assets to the fullest extent. So  
4 that was principle number 1.

5 Principle number 2 was that the  
6 FDA must enhance its own core scientific  
7 expertise, and principle number 3, which I  
8 think drives the rest of this, is that the  
9 scientific strategy of the FDA must, in fact,  
10 be pre-emptive, not reactive, and I'm going to  
11 touch on some of those points, as well.

12 So now in order to develop that,  
13 I'm going to show you a series of tasks that  
14 we've undertaken, some finished and some in  
15 progress, and show you where we are.

16 And the first task, which of  
17 course is the task that the legislation that  
18 created the office of chief scientist gave to  
19 me, was to develop a scientific strategy for  
20 the agency. And we've done this in a very  
21 exciting way with the center directors, and I  
22 just wanted to describe for a minute the

1 process, and then tell you about some of the  
2 implementation of that process.

3 So we've met with the center  
4 directors and asked the question, "What are  
5 the critical priorities in science that will  
6 need to be addressed to solve the regulatory  
7 issues?" Let's start again. Let's start fresh  
8 in thinking about this, and let's lay those  
9 out in detail, but let's only pick the  
10 absolute top priorities in each center.

11 And then let's begin to  
12 instantiate those in a way by saying, what are  
13 the projects that actually need to be  
14 developed and tackled in order to get to  
15 moving these priorities forward in order to  
16 solve regulatory problems?

17 And let's not do this in a  
18 philosophical sense, but let's say, for those  
19 priorities and the those projects, what are  
20 the time-tables? What are the deliverables?  
21 And in fact, this won't be for free. This will  
22 cost something. What is it going to cost each

1 of the centers to do that?

2 And we've made some progress in  
3 this and it's actually been a lot of fun. So  
4 here are some of the absolute top priorities  
5 that the center directors have addressed. Now  
6 I'm not going to go over them in detail, but I  
7 want to give you a flavor of them. And of  
8 course, these are just sort of key words, and  
9 there's a lot around these. But rapid  
10 detection technologies, and you're going to  
11 hear something about that later today. Bio-  
12 markers to predict both safety and efficacy of  
13 products. Adverse event detection, both before  
14 and after a product is marketed. How to use  
15 clinical trial designs to enhance and speed  
16 and improve the ability to get new products to  
17 the marketplace. Personalized therapy and  
18 personalized nutrition. Understanding  
19 microbial contamination in a deep and lucid  
20 sense that will help regulatory decisions. And  
21 technologies and manufacturing science, and  
22 I'm going to come at the end to show you how I

1 think that relates to our mission, as well.

2 So those were what the center  
3 directors who are driving this process have  
4 identified as some of the absolutely top  
5 scientific priorities. So how do you take that  
6 and start to develop that?

7 For each of these priorities,  
8 we've asked the center directors to develop a  
9 number of projects to tackle these. And the  
10 point here is to make is that for some of the  
11 top priorities, there are projects that go  
12 across a number of the centers, and we're not  
13 done with this. A couple of centers are still  
14 giving us their projects.

15 But the point is that there's  
16 going to be enormous opportunity for  
17 integration along some of these issues because  
18 these projects by their very nature -- I mean,  
19 it's remarkable how much consistency there is  
20 in the identification of top priority areas.

21 So that's good. So where are we  
22 going? Assess integration and collaboration

1 among center priorities in order to complete  
2 the plan, complete the identification of  
3 projects that will move forward on these  
4 scientific priorities, and yes, you do have  
5 some more work, Science Board, so one of the  
6 things we're going to ask you today is, as we  
7 develop this plan, to engage with us and  
8 review the scientific plan, just in the spirit  
9 that Andy has developed in his presentation.  
10 So that's task one, and where we are.

11 So task two is to develop a  
12 workforce to implement that strategy. And Andy  
13 stole a lot of my thunder here, but I also  
14 have to say that one of the best moments I've  
15 had in the FDA was meeting our new  
16 commissioner's fellows.

17 And I want to thank many of the  
18 people on the Science Board who have actually  
19 gone out and helped me in many ways recruit  
20 some of these people and have been involved in  
21 helping me think about how to get them here,  
22 because, of course, we did this on a short

1 time frame.

2 But we had over 1,000 applicants  
3 for a small number of slots. They're  
4 incredibly talented people. They're just  
5 highly selective, and this program really is  
6 unique at the FDA, and maybe just unique  
7 period, in that it actually exposes these  
8 fellows, not to the science in one center, not  
9 to the regulatory process in one center, but  
10 regardless of where their mentor is,  
11 regardless of where their project is, they get  
12 exposed to the entire spectrum of FDA science.  
13 So they're going to know a lot when they  
14 leave, and I've given you examples of some of  
15 the kinds of courses that they'll be taking  
16 and be involved with.

17 And here's just some of the kinds  
18 of projects. I just have to go over this, so  
19 you know, anti-cytokine therapeutics, how  
20 cytokine signals affect FDA regulatory  
21 decisions. They actually do. Bioequivalence of  
22 generic and innovator drugs, drug and hormone



1 residues in animals, cardiac electrophysiology  
2 in device regulation, regenerative medicine  
3 issues that relate to the FDA. Aqua-cultured  
4 fish diseases, which is an important issue,  
5 actually, for all of us. MedWatch issues, risk  
6 assessment and communication, ethical issues  
7 in pediatric studies.

8 So you just get a flavor of the  
9 range of projects that these folks are  
10 engaging in.

11 And the other thing that Andy said  
12 is besides the fellowship program, we're  
13 trying to drive an improvement in the  
14 experience for people who are scientists and  
15 regulators at the FDA, and part of that is  
16 just sort of practical little things that need  
17 to be done, but they're important and I want  
18 to show them to you.

19 One, for example, is we've now  
20 developed and have approved quarterly FDA-wide  
21 science symposia. There's one in November on  
22 bioinformatics. I'll have a discussion, and

1 the one in April is actually going to be on  
2 nanotechnology, but actually will involve an  
3 international effort to define regulatory  
4 issues of nanotechnology because, actually,  
5 the regions of the world have gotten together,  
6 talked about regulatory issues and  
7 nanotechnology, and have actually divvied up  
8 some of the science. So EU, Asia, et cetera,  
9 and we're going to bring that together in a  
10 dialogue in the spring.

11 So in order to be scientists,  
12 you've got to have the right tools. We  
13 increased this one subscription from 150  
14 journals to 2,000. Overall, we've gone from  
15 about 2,000 journals to 4,000. We're now at a  
16 level of information that's comparable to the  
17 NIH in terms of its capacity, and I think  
18 that's absolutely essential for a scientist to  
19 have rapid and complete access to the  
20 literature. We're now going to fund a  
21 quarterly symposia driven by the young  
22 scientists at the FDA on distinguished

1 speakers.

2 The only thing I've asked is that  
3 the speakers and the symposia reflect back  
4 directly on the priorities that the center  
5 directors have said, so that this is actually  
6 a self-feeding process where we emphasize, in  
7 everything we do, those priorities of the  
8 centers.

9 We are in the middle of the  
10 challenge, which I think we're going to  
11 succeed this spring or early summer in  
12 establishing a journal of regulatory science  
13 so that regulatory scientists actually have a  
14 forum for this. If any of you are around on  
15 November 10, we will have the first annual  
16 science writers symposia, so that we actually  
17 bring science writers to the FDA to understand  
18 some of the cutting-edge science that's done  
19 here, which often is not exposed. That is  
20 already over-subscribed, and I'm delighted at  
21 the opportunity there.

22 And I've worked specifically,

1           because of my background with the oncology  
2           program, to develop a career development plan  
3           with Rick Padzur and others in the agency. And  
4           that will be announced very shortly, so these  
5           are the kind of things that need to be done to  
6           drive this process forward.

7                         So IT, Sangtae Kim, and Barbara is  
8           going to relate to this in a few minutes, was  
9           the advisor to the Science Board, I believe  
10          was on the Science Board, and dealt with the  
11          infrastructure issues. In the Science Board  
12          report, I asked him to come back to the FDA,  
13          spend a couple of days, review where we were  
14          going in terms of our IT decisions and  
15          investments, and he's come back - he can't be  
16          here today, he's in Asia - but he has sent a  
17          report to Barbara, and he is going to continue  
18          to engage with the FDA to be sure, from the  
19          standpoint of the Science Board and science,  
20          that we're on target in our investments in  
21          informatics and bioinformatics.

22                         Task four. So we've chosen a few

1 problems to drill down and to actually try and  
2 use modern science to help the regulatory  
3 process, and you're going to hear about two of  
4 those in just a few minutes, so I won't go  
5 over them in detail.

6 Both of these you asked for. If  
7 you remember what you asked for at the last  
8 Science Board, you wanted us to address the  
9 issue of rapid detection. Well circumstances  
10 have evolved that these have become not only  
11 theoretical issues but issues of great  
12 currency.

13 So we're going to address issues  
14 of the science of rapid detection, and we're  
15 going to look at economically motivated  
16 adulteration. Again, this all day is about  
17 foods in one sense or another, which I like to  
18 call economic bioterrorism, in a sense, and  
19 how we can use science to think prospectively  
20 about those.

21 And of course, that issue that  
22 Andy brought up, we're talking about BPA today

1           because we have asked the external community,  
2           specifically you, the Science Board, to help  
3           us in this decision. And so we're going to  
4           hear from Dr. Philbert and his sub-committee  
5           in just a few minutes.

6                         So those are some of the agenda  
7           items for today. And I won't go over this  
8           because you'll hear about this, but we hope to  
9           engage the Science Board in an ongoing way for  
10          each of these topics that we're going to lay  
11          out today. So this is not going to be a one-  
12          time gee-whiz kind of session.

13                        Today, in terms of both the rapid  
14          detection issue and economically motivated  
15          adulteration, we're going to present the  
16          problem, and then as we drive toward  
17          solutions, we're going to come back to you and  
18          show you where we are and continue to seek  
19          your advice.

20                        So I want to turn now to -- a lot  
21          of what I've talked about is sort of classic  
22          science in a way, and I've put together, as

1           you must do in this job, a series of talks  
2           about the FDA more generally. And I came to my  
3           own sort of, just sort of private independent  
4           sort of conclusion is that, right around the  
5           turn of the century, right around the turn of  
6           the millennium, you can almost target a change  
7           in the FDA that has changed the FDA  
8           drastically and permanently.

9                        And I think there are three ways  
10          that has occurred, and then I'm going to take  
11          that back and show you how science relates to  
12          those changes.

13                      But first is the overseas  
14          production of drugs, devices, and foods.  
15          Second is the issue of bioterrorism and how  
16          that affects the FDA. I'm not going to talk  
17          about that in detail, but clearly that has, as  
18          of September 11, 2001, has had an impact on  
19          everything we do, and presented new and  
20          different challenges to us in a scientific  
21          sense.

22                      And the third and perhaps most

1 important is the rate of change of science  
2 itself, which is extraordinary increasing  
3 logarithmically or faster, and is a challenge  
4 that we have in this agency, and Andy  
5 addressed that.

6 So I'll give you a few slides that  
7 actually show this, and I apologize for  
8 starting to get into data here. But I think  
9 this is worth just -- and would interest you.

10 So here are the FDA-registered  
11 domestic and foreign manufacturing sites  
12 tracked by calendar year from '91 to 2007, and  
13 the dark blue are the foreign sites, and what  
14 used to be green but is now white are the  
15 domestic sites, and what you can see is that,  
16 in 2007 -- first you can see the shape of  
17 these curves in terms of what's happening, the  
18 foreign sites are increasing dramatically.

19 And I can tell you that, in 2008,  
20 this line is actually crossed. So there are  
21 more FDA-registered foreign manufacturing  
22 sites than there are domestic manufacturing



1 sites. And if you look at 2000, to make my  
2 point, you see that wasn't such an issue back  
3 then, although it was becoming an issue.

4 And this is my favorite slide.

5 This is the actual lines of import that the  
6 FDA deals with, and a line in the customs  
7 regulations can be everything from a box to a  
8 whole ship, but if you look at the numbers  
9 here, you're looking at devices over 4  
10 million. If you're looking at foods, over 6  
11 million events of import.

12 And if you look at where they're  
13 coming in, to 295 active sites in the United  
14 States, without saying anything, that should,  
15 for you, capture the essence of an incredible  
16 challenge.

17 So how does regulatory science  
18 contribute to protecting people in the face of  
19 this increased foreign production of foods and  
20 medical products? So Andy alluded to this as  
21 well, that one must evaluate the entire life-  
22 cycle of the drug, device, or food, thereby

1 identifying the problem at the source, not at  
2 the border.

3 But that relates specifically, as  
4 you recall back to one of the top priorities  
5 that the center directors have identified in  
6 terms of manufacturing science, because  
7 knowing where the fragile points are in  
8 manufacture, or the fragile points are in the  
9 field, in a farm, et cetera, in ecology, are  
10 essential to protecting public health. That's  
11 a science.

12 And you can't inspect everything.  
13 I've pretty well convinced you that that's  
14 true. The algorithms for risk-based inspection  
15 are complicated, biostatistically-based  
16 algorithms that require scientific input.

17 We've already talked about field-  
18 ready enhanced techniques for rapid  
19 identification of contaminants. You're going  
20 to hear about that science, as well.

21 And then, to track all of this, of  
22 course, informatics. Informatics for the

1 supply chain is absolutely essential. The  
2 science of doing that is quite clear and needs  
3 to be enhanced, as well.

4 So science touches on a lot of the  
5 broadly-based, the point I want to make,  
6 mission of the FDA, as well as some of the  
7 things we think about in the more classic  
8 sense of science.

9 So there's a lot to do and there's  
10 a lot, I think, we've already done, and we're  
11 going to need your help and support. We're  
12 going to have to get science in the forefront  
13 of peoples' minds, understand regulatory  
14 science, have a substantial and real budget  
15 for regulatory science, which I know you've  
16 supported on the Science Board, if we're going  
17 to achieve success.

18 Science can't be hidden in the  
19 agency. It has to be very visible and at the  
20 forefront of all of the decisions we make. So  
21 thanks for your attention.

22 Q AND A AND DISCUSSION

1 DR. MCNEIL: Thank you very much,  
2 Frank. That was a very nice presentation.

3 Are there questions for Dr. Torti?  
4 Yes, Jack?

5 DR. LINEHAN: That was a great  
6 presentation, Frank. Thank you.

7 One question about the fellows. I  
8 know it's sort of -- they've just gotten here,  
9 but it would be interesting to know a little  
10 bit about them, where they come from, what  
11 their fields are, and so forth.

12 DR. TORTI: We'll get you some  
13 demographics. They come from all over the  
14 country. There is a slight preponderance, as  
15 you might expect, from the DC regional area,  
16 but not -- certainly not all of them don't  
17 come from there.

18 The fields are just enormous, and  
19 we'll give you that. There are MDs, there are  
20 PhDs, there are PharmDs, there are engineers,  
21 there are people who have worked in policy and  
22 biostatistics, and in other aspects of public

1 health.

2 So that's one of the gratifying  
3 things is the way we designed this on sort of  
4 a preceptor-based sort of selection process  
5 has given us an opportunity, one, to tackle  
6 real problems that the FDA has since the  
7 problems, although will be defined and refined  
8 by the fellow and the preceptor, began with  
9 the preceptor identifying on a web-site what  
10 the FDA problem that needs to be solved was.

11 So broad demographics, wide area  
12 of interest. We can actually get you all that  
13 data, and we have it collected, so we'll bring  
14 it to you.

15 And Jack, thanks for your help  
16 with getting us some engineers in this. So  
17 Appreciate it.

18 DR. MCNEIL: Frank, I had one  
19 question I'd like to ask while others are  
20 thinking. You mentioned understanding better  
21 the life-cycle for devices, in particular, as  
22 a way of understanding how you could identify

1 problems at the source rather than at the  
2 point of use.

3 Can you give an example of -- a  
4 couple of examples of what you were thinking  
5 about in that area?

6 DR. TORTI: Absolutely. So let me  
7 take food first, which is perhaps the least  
8 intuitive, but I think is actually interesting  
9 to me, and Steve and Bernadette can chime in  
10 and amplify what I say.

11 But I, for example, am very  
12 interested in the reasons for contamination of  
13 food supplies, whether it be domestic or  
14 foreign. And we all know that it relates to  
15 sort of ecosystems in and around the farm.  
16 And those can be studied in a scientific way.  
17 I mean, there are aspects of topology, of  
18 geology, et cetera, that actually can help us  
19 predict where the likely sources of problems  
20 will arise there, again so that we can have a  
21 science-based inspection strategy that's based  
22 on high-risk areas, so science can contribute

1 to that.

2 The other area that I know CFSAN  
3 is quite interested in, which I think is  
4 extraordinarily important in this regard, is  
5 we don't actually understand the actual  
6 pathway by which a contaminant, a bacteria or  
7 other contaminant, actually gets and maintains  
8 itself in even the simplest sort of ecosystems  
9 and areas. And Lonnie, you're an expert at  
10 this. But that's a science, and that has to be  
11 driven. So that's the food area.

12 You turn to products, and it=s  
13 absolutely intriguing. I mean, if you accept  
14 the fact, and Andy has made the statement,  
15 that you can't build quality in at the  
16 border, you have to build quality in from its  
17 origin and production, then you need to  
18 understand scientifically where actually the  
19 fragile sites are in the production process so  
20 that those fragile sites can actually be  
21 identified and targeted in the inspection  
22 review process. There's a whole science to

1 sort of what I would call fragile sites,  
2 because I'm interested in fragile sites and  
3 chromosomes, but it may have a different name  
4 in food and product science.

5 But the idea is you can identify,  
6 if you actually study it very carefully, what  
7 that whole process is. And you can identify  
8 where contaminants are likely to be  
9 interjected into that process, and you can  
10 inspect those. And that's an essential part  
11 of manufacturing science. So Barbara, those  
12 are just some examples.

13 DR. MCNEIL: Bernadette?

14 DR. DUNHAM: I'm just going to  
15 reiterate what you just heard from Frank. I  
16 mean, it's very exciting, the opportunity to  
17 participate in all of this, and we really do  
18 need to fill these gaps, because they're very  
19 important as to how we're going to be able to  
20 modulate further our regulatory processes that  
21 are so important to protect public health.

22 So I think these are exciting



1 opportunities, and we look forward to your  
2 comments once you see the whole package that  
3 we're going to pull together for our proposed  
4 studies. Thanks.

5 DR. MCNEIL: Lonnie?

6 DR. KING: Frank, we're really  
7 excited that you're on board and leading this  
8 charge.

9 One of the questions, and it  
10 doesn't probably have a specific answer, but  
11 you talked about a rapid change in  
12 acceleration in science and technology, and  
13 the same thing is happening in the world of  
14 commerce.

15 So I saw the write-up, there's \$12  
16 trillion in global commerce. It's probably  
17 less in the last month, but it's \$12 trillion.  
18 You know, so how do you actually take science  
19 and leverage it to get ahead of this?

20 So somebody pointed out to me  
21 there's no Health Committee in the WTO. It's  
22 about the deal. It's about getting things

1 done. It's about moving product, creating  
2 wealth.

3 So how do you actually try to get  
4 ahead of this curve, because it's increasing  
5 as rapidly as the science, and realizing  
6 that's somewhat philosophical, but it also  
7 talks to the tension between globalization and  
8 what's happening in your agency.

9 DR. TORTI: Yes. That's a great  
10 question, Lonnie, and others may want to chime  
11 in, as well. I'll give you one thought that I  
12 have based again on just sort of food supply,  
13 and that is, you know, how technology and  
14 science enrichment through scientific  
15 advances, including genetic engineering,  
16 actually target and improve human health by  
17 providing food and opportunities where those  
18 might not have existed before. And that  
19 becomes an issue for the FDA because we  
20 regulate that, and we have to do that in a way  
21 that's thorough and careful, but the  
22 opportunity there for human health and human

1 survival, in a sense, is absolutely profound  
2 if it's done right, because there are  
3 opportunities that science brings to the table  
4 to bring food to the table, for example.

5 So I think that's one example of  
6 how commerce and science touch, and how the  
7 FDA regulation, you know, appropriately  
8 applied, will actually facilitate and help  
9 that process.

10 DR. VON ESCHENBACH: Lonnie, can I  
11 pick up on that, as well, because I think you  
12 just put your finger on what is an  
13 unbelievably profound challenge, but also an  
14 unbelievably exciting opportunity for FDA to  
15 play in this global space of world-wide trade  
16 and the world-wide marketplace.

17 It's really an opportunity for  
18 FDA's leadership in the context that there are  
19 certain things about this new reality that we  
20 will directly control, and there are other  
21 things that we have the opportunity to  
22 directly influence.

1           The private sector is stepping  
2           into this space in a very explicit way in  
3           terms of trying to assure the integrity of the  
4           supply chain of their products because of the  
5           downstream risks that are associated, not only  
6           from the point of view of liability, but also,  
7           importantly, from the point of view of brand  
8           equity.

9           When I visit a Wal-Mart, you know,  
10          Wal-Mart says it doesn't matter where the  
11          product comes from. Once we put it on our  
12          shelves, it's ours, we own it. And if there's  
13          an adverse outcome that comes from that, it's  
14          now our problem.

15          So they're moving up in the supply  
16          chain to start to look at establishing  
17          standards for those products. And we have to  
18          play in that space from the perspective of  
19          taking the leadership in establishing those  
20          standards based on science, because if they're  
21          not science-based standards, then we're going  
22          to have chaos with regard to what's occurring

1 in terms of our ability to have harmonization  
2 in this global marketplace.

3 And as I've travelled around the  
4 world, and I'll be in Singapore next month for  
5 a meeting of the heads of global regulatory  
6 agencies coming together for the third time  
7 around this issue of how can we harmonize our  
8 own regulatory processes, because we are  
9 governing these products, and do that in a way  
10 that we have harmonization.

11 And I think FDA's leadership is  
12 going to be critical, working government to  
13 government, so in those regulatory agencies  
14 that need to evolve and mature, that they're  
15 doing it in a way that's profiting from and  
16 benefiting from FDA's experience and FDA's  
17 capacity in those that are mature, that we're  
18 getting greater integration of our approach to  
19 standards upon which we will demand and expect  
20 compliance as it relates to our ability to  
21 accept those products coming into our  
22 marketplace and to our citizens.

1                   And three, to work directly with  
2                   the private sector so that we really can  
3                   affect what will essentially be a systems  
4                   approach to a systems problem. And if we don't  
5                   do it based on science, then there really will  
6                   be downstream consequences of what I just  
7                   described as chaos, because it won't improve  
8                   public health necessarily, predictably, and it  
9                   can create all kinds of problems with regard  
10                  to the interruption of the global flow of  
11                  products across borders, which is now the  
12                  reality of this maturing global marketplace.

13                   Does that help?

14                  DR. PARKINSON: My question follows  
15                  up on some of your comments, Frank. Very nice.

16                   And it relates to scientific  
17                  strategy. Do you expect that the - maybe it's  
18                  for you, Andy - that the agency will have a  
19                  scientific strategy that somehow relates to  
20                  the recommendation, in a document or a working  
21                  plan? How will that relate to the response to  
22                  the Science Board recommendations that you

1           made, and will that come from center up?

2                       I'm closest to ORA because I was  
3           involved in looking at their sort of strategic  
4           plan, prioritization of what they wanted to  
5           do. Will there be an attempt to integrate  
6           those across centers, because your comments  
7           about opportunities for cross-center science I  
8           think are great. I'd be interested in how you  
9           see all this fits together so that it can be  
10          associated with budget time-lines and resource  
11          allocation together with prioritization.

12                     DR. TORTI: Great question. And  
13          let me address it in a couple of ways.

14                     So we have a very explicit idea of  
15          both the process and the product here. So this  
16          will be written down, and this will be a  
17          document, you know, a document that will be  
18          mutable and changeable as science changes.  
19          However, one has to be careful about that, and  
20          sometimes when one writes things down, they  
21          take a permanency that's inappropriate given  
22          the rapid change of science.

1           But I just want to go over in a  
2           little bit more detail, to answer your  
3           question, the process, because I think the  
4           process is very important to the outcome.

5           The first thing we asked the  
6           center directors is -- we didn't ask them what  
7           science is, cross-center science, because I do  
8           believe that there are certain profound  
9           scientific questions, in devices, for example,  
10          that might not be sort of co-held by CFSAN, by  
11          the food agency. So in order to really bubble  
12          up what the key science that we need to  
13          address is, we first have to understand what  
14          the key issues of each of the centers are,  
15          including ORA, which we've done.

16          Now the process by which the  
17          center used to do that, of course, is then  
18          they ask their constituencies and develop this  
19          bottom-up, back-up. But at the end of the day,  
20          it has to be bought in by each of the center  
21          directors, and has been so that there is a  
22          leadership buy-in as to what the priorities



1           are, and not, you know -- not 90 of them, but  
2           just a handful of them so that you have few  
3           enough of them that you can actually tackle.  
4           So that's part one.

5                        As we do that, then we're seeing  
6           these areas where, clearly, we're going to  
7           have opportunities to take mutual and  
8           collaborative approaches to that science. And  
9           that will be round two is to say how can we  
10          integrate, and therefore more efficiently  
11          target those approaches. I think the economy  
12          is there, both economies financially and  
13          opportunities intellectually for cross-  
14          fertilization.

15                      So then when we target those down  
16          to the level of what kinds of projects would  
17          you need to do to be able to solve that  
18          problem or move that priority to the next  
19          level, or maybe knock that priority off our  
20          list, what are you going to do, what are the  
21          specific aims, what's the hypothesis, what are  
22          the deliverables, what's the time-table?

1                   We're already into that, so we've  
2                   gotten this pretty far along, although we're  
3                   not done with that. That's hard, and that  
4                   involves making some choices in terms of how  
5                   to approach these problems.

6                   So that is the process. The  
7                   product, then, will be a written document  
8                   which we'll be able to use, we'll show to you,  
9                   but we also want you to be engaged in a  
10                  discussion about this, not just the review of  
11                  the document.

12                 So that's the process. There are  
13                 issues here related to the finances of this  
14                 and the budget. It has been difficult in the  
15                 past, and Andy addressed this, for the FDA to  
16                 advocate for a regulatory science, sort of  
17                 line-item budget that puts science right up  
18                 there, and not something that the center  
19                 directors sort of have to pull out of the hat  
20                 each year, out of a line-item that sounds like  
21                 it has nothing to do with budget.

22                 I don't think this agency will

1           ever be completely successful in its  
2           scientific mission unless that rises to a  
3           level of visibility in the budgetary process,  
4           and in the overall process of people  
5           understanding about people like the members of  
6           the Science Board who can endorse that.  
7           Otherwise, we're going to have trouble getting  
8           there.

9                         But we do this, we asked them the  
10           question. You know, I proposed last time and  
11           we're still working on these ideas of Centers  
12           of Excellence with academia. How do we then  
13           engage the scientific questions? Part of it  
14           has to be within our own centers, and part of  
15           it has to be to reach out to the best people  
16           to help us answer the problems efficiently.

17                        So that's yet another round of  
18           drilling down once we get those priorities.  
19           But before we reach out, we have to be  
20           absolutely clear, absolutely clear about what  
21           we want to achieve.

22                        DR. VON ESCHENBACH: Let me take

1           your question, David, in a slightly direction,  
2           because I didn't use examples in my  
3           presentation to illuminate this conundrum  
4           between science-based and science-led for lots  
5           of reasons.

6                         But let me pick one based on your  
7           question that I think you and I both can  
8           relate to in terms of our background in  
9           oncology, but that really kind of crystallizes  
10          a lot of the concerns, a lot of the issues,  
11          and a lot of the challenges.

12                        In the beginning, when I talked  
13          about the need to support a strong science  
14          base within the agency, a science portfolio  
15          within the agency, I would often get the  
16          question, what do you need science at FDA for?  
17          You've got all the science at NIH, and all the  
18          science going on all over the place, and why  
19          don't you just use that, so to speak?

20                        And your question really then gets  
21          to focus on the fact that, within the  
22          regulatory processes that are being carried

1 out in the various centers, there is this need  
2 for this foundation of science to be  
3 established within the agency upon which we  
4 can build and base regulatory decisions.

5 And yet, that's illuminated by the  
6 kind of evolution that's occurring in science  
7 around us. So the story that's familiar to the  
8 two of us is, you know, our understanding of  
9 HER2/neu may point us in a therapeutic  
10 direction with regard to the treatment of  
11 breast cancer, but there has to be a science  
12 that brings that observation to a level of  
13 validation that then enables it to become a  
14 part of an enduring regulatory decision about  
15 the product that will be used on that basis to  
16 treat women with breast cancer.

17 You and I both know, from an  
18 exploratory point of view, BCR-ABL has been  
19 around for a long time, and as an interesting,  
20 important part of the pathogenic cascade of  
21 the outcome of cancer. And yet, as we were  
22 talking earlier this week, we haven't moved to

1 the point in our understanding of that where  
2 we have that validated in a way that we have a  
3 way of applying that across an entire terrain  
4 as a standard upon which this regulatory  
5 decisions, or the use of products could be  
6 obtained.

7 So what you're alluding to, what  
8 you're calling to, is this has to be, first of  
9 all, a process that begins with the centers  
10 identifying where those critical issues are  
11 that need scientific rigor and further  
12 understanding.

13 And at Frank's level, across the  
14 entire agency, we recognize that once we move  
15 it to that point, as a biomarker, if you will,  
16 it has implications that can go across the  
17 wide spectrum of the agency. So we're doing  
18 this almost in a bottom-up, top-down  
19 integrated fashion because the end point of  
20 all of this is that it enhances the ability of  
21 FDA to carry out its primary mission of  
22 regulation to protect and promote the public

1 health.

2 And there's a complexity in that  
3 that I have been alluding to which requires us  
4 to struggle with this, to continue to work  
5 with this, to continue to evolve this. It's  
6 not a magic wand simple solution. It's a  
7 constant ongoing effort to create the science  
8 within the agency that fulfills that kind of  
9 expectation, and does it in the context of it  
10 being ongoing and constant in its search for  
11 new knowledge and new information.

12 Does that help you get the sense  
13 of it?

14 DR. PARKINSON: No, it does. I  
15 actually talked on this yesterday in a  
16 different context at a different meeting, and  
17 I listened to Doug a week or so ago talk about  
18 some of the critical path initiatives.

19 And I think a shared  
20 responsibility that regulators have and the  
21 NIH, NCI, from my perspective, but this is  
22 across-disease, and industry and the academic

1 community have are, to pick up on your point,  
2 develop tools. It's what's missing in a lot of  
3 the diseases we're working with. You can call  
4 them biomarkers if you want, the tools to get  
5 further insight into the disease. So we get  
6 the read-outs that are more accurate so  
7 regulators can look at data and understand  
8 what it means, so drug developers or device  
9 developers can more efficiently go about what  
10 it is they're trying to achieve.

11 And it's amazing to me that, as we  
12 watch the concept of human disease evolve in  
13 front of our eyes, as it's happening, we, and  
14 I say we as the community, not just the  
15 agency, have not as a strategic sort of goal  
16 taken on the challenge of developing tools to  
17 more efficiently go about this business.

18 Dan, I see you nodding your head.  
19 You live with this, right?

20 DR. SCHULTZ: Yes, I mean, taking  
21 this from sort of a philosophical to a very  
22 practical level, I mean, we need to develop



1 the science to be able to take an article that  
2 says, this is an interesting clinical finding,  
3 more research is indicated, to a guidance  
4 document that says, we now understand enough  
5 about this for this to go to public, and to be  
6 part of the armamentarium of physicians  
7 treating patients at the bedside.

8 They're two very, very different,  
9 and yet in some ways, integrated and connected  
10 questions. But the first question is the one  
11 that, essentially NIH's task with doing,  
12 developing interesting findings, developing  
13 things that are potentially, you know, but  
14 more research indicated. We have to make  
15 decisions, concrete decisions, every single  
16 day about, you know, there's a product, and is  
17 that product ready to go from, you know,  
18 having been used in 100 patients to being  
19 ready to be used in 100 million patients? And  
20 those are the tough decisions.

21 And if you look at Frank's list,  
22 there are a lot of things up there where I

1 think there are cross-cutting opportunities  
2 for dissenters. Clinical trial design. We all  
3 know, and we're not supposed to look at cost,  
4 but we all know that clinical trials are  
5 becoming more and more expensive, people are  
6 demanding clinical trials for more and more  
7 different kinds of products, even in devices,  
8 which traditionally has not been, in many  
9 cases, clinical-trials based, but we have to  
10 figure out smarter ways to do those trials.

11 I mean, if we're going to try to  
12 upgrade the clinical data, we can't use the  
13 old models and say that that's going to help  
14 us five years from now, ten years from now, to  
15 get these products on the market. We have to  
16 do smarter kinds of clinical trials. We have  
17 to engage statisticians and clinical trials,  
18 both inside and outside the agency, to figure  
19 out how to do this.

20 In modeling, in device modeling --  
21 again, we've used very, very primitive types  
22 of tools in doing our device development. And

1           there are other tools out there that are used  
2           in other industries that we haven't even  
3           scratched the surface. We have to be able to  
4           develop those tools so that we can get these  
5           products and understand how they're going to  
6           work, and be able to take them from a good  
7           idea to a product that can be used.

8                         DR. PARKINSON: I think that's  
9           really well-stated and what I liked in Frank's  
10          answer was, as he worked through the steps,  
11          you got to external interactions because I  
12          really think this is shared responsibility,  
13          and the critical path philosophy incorporates  
14          that.

15                        We on the industry side have to  
16          work actively to develop tools so we and you  
17          can be more accurate as well as efficient. And  
18          I just think that that cannot be emphasized  
19          enough, and as you move to develop your  
20          strategy, I would look for opportunities to  
21          engage -- you've already talked about it --  
22          engage the external environment.

1 DR. MCNEIL: Frank, could I just  
2 follow up on that for a second? I think it was  
3 implied or maybe even explicit in your remarks  
4 about the NIH.

5 To what extent will your tasks  
6 involve discussions with the NIH, following up  
7 on the comments that we just made. It sounds  
8 as if there's a potential gap or way to get a  
9 synergistic response.

10 DR. TORTI: Well, you'll see today  
11 lots of synergies already. I'll give you one  
12 example of a meeting we had just yesterday  
13 with the NIAID and their food and water borne  
14 disease network and Steve and Bernadette were  
15 in that discussion with me to try and engage  
16 the NIAID as the develop their new RFP for  
17 this very successful and very important area,  
18 you know, to touch areas of zoonoses, and to  
19 anti-microbial resistance, etcetera, that  
20 really target issues that are mutual interests  
21 to NIH and to the FDA.

22 And the remarkable meeting we had

1 -- yesterday was a busy day with the senior  
2 representatives, past presidents of the AACR  
3 to bring to us their recommendations on a  
4 number of issues related to biomarkers,  
5 etcetera that will eventually be incorporated  
6 into our guidance as well.

7 So, I mean, we do this every day,  
8 and we need to continue to do that, and we  
9 need to do more of it. That's my thinking.

10 DR. MCNEIL: We have time for one  
11 more question. Rhona, I thought you had your  
12 hand up?

13 DR. APPLEBAUM: Thank you and I  
14 apologize for being late and missing your  
15 commissioner's presentation and just coming in  
16 late, Dr. Torti, on yours.

17 But, going back to the life-cycle  
18 management of food and products, which is very  
19 important right now to the industry, one of  
20 the things -- and I really applaud the way  
21 you're going about it from a global systems  
22 approach, looking at it, if you will, as a

1 global HASSOP system, for the world.

2 Earlier, I think in the summer or  
3 late in the spring, there was mention about  
4 having global field offices for FDA. India  
5 was mentioned. Even the EU was mentioned. I  
6 was wondering if you can just give an update  
7 on where those offices are? Did you say that?  
8 Did you talk about it?

9 DR. TORTI: Yes, Andy. Do you want  
10 to do it? Go ahead, Andy.

11 DR. VON ESCHENBACH: I'll be  
12 leaving in a few days to open our offices in  
13 China -- in Beijing, Shanghai, and in  
14 Guangzhou. Our offices in India  
15 will open in the first part of December, as  
16 will our office in Europe -- in London and in  
17 Brussels with the EU and EC.

18 We will -- Latin America, we'll  
19 open before the end of the calendar year.  
20 Presumably, we'll cut the ribbons in December  
21 as we're trying to work out the schedule  
22 between the Secretary and myself to go down.

1           The principle office there is in  
2           Costa Rica, but we have multiple satellite  
3           offices through many of the countries in  
4           Central, and will ultimately be in South  
5           America, as well as in Mexico.

6           And then, finally, next year, the  
7           plans are underway and the discussions are  
8           taking place for offices in the Middle East.

9           So, FDA beyond our borders is up  
10          and running and being implemented as we speak.  
11          All the groundwork has been laid. Our people  
12          have been selected. We have nationals in all  
13          those areas that are being selected, and the  
14          strategy of course, is multi-layered. There  
15          are a number of components to what the people  
16          will be doing. We've created the IT systems  
17          infrastructure so that there will be seamless  
18          communications and interactions between those  
19          offices and our field and our centers here.  
20          And the centers integration and interaction  
21          with those processes that are occurring over  
22          there is underway and a work in progress.

1                   So, it's no longer a concept or an  
2                   ideal. It's real, and it will be unfolding  
3                   within a matter of days.

4                   DR. MCNEIL: Well, Thank you very  
5                   much, Commissioner and Frank, for your  
6                   remarks.

7                   I think it would be good now to  
8                   take a brief break, 10 minutes, and we'll  
9                   start again at 9:45. And I'd encourage you all  
10                  to be here because we have a really tight  
11                  schedule today, and it would be good to be on  
12                  time. Thank you.

13                  STRATEGY FOR 2009 SCIENCE BOARD TOPICS

14                  DR. MCNEIL: All right. Why don't  
15                  we start. Thank you all. I hope you've had a  
16                  nice break. So, what I want to do for the next  
17                  few minutes only because we really have a lot  
18                  to talk about at the end of the morning, is  
19                  say a little bit about what our strategy  
20                  should be, or might be, for the coming year.

21                  And for those of us in an academic  
22                  setting, this is the beginning of the school



1 year. We plan ahead. Actually, we're a little  
2 bit late, if it's the beginning of the school  
3 year, but we'll take the analogy in that way.

4 And I had four or five thoughts that  
5 I wanted to present to you and ask your  
6 thoughts about them, and I've gone over these  
7 already with Frank and with Carlos, and  
8 others, and would welcome additional points.

9 So, there are several things that  
10 link together with what we talked about at our  
11 meeting this summer as potential follow-on  
12 activities. And Frank, actually mentioned some  
13 of them already. As a matter of fact, while  
14 the commissioner stole some of his thunder,  
15 you did steal some of mine, but I won't hold  
16 it against you.

17 So, there are several things that  
18 we really should plan for February, and  
19 hopefully by February, we will have been able  
20 to recruit some of the additional slots that  
21 have been added to this Board, which would  
22 help us with our work load.

1                   The first one will be the task  
2                   force -- a task force that the agency will be  
3                   implementing for the rapid detection of food  
4                   contaminants, intentional food contaminants,  
5                   like melamine. And the thought there would be  
6                   that -- correct me if I'm wrong here, Frank.  
7                   You will be working on that in the agency --  
8                   you are already working on it and would be  
9                   presenting your findings to us probably in  
10                  February?

11                  DR. TORTI: That's right. At least  
12                  an interim update.

13                  DR. MCNEIL: An interim update, and  
14                  at that point, we could take a look at them  
15                  and decide whether you just move on as you are  
16                  doing at that point, or whether there would be  
17                  further immediate activities for the Science  
18                  Board. Is that right?

19                  DR. TORTI: That's right.

20                  DR.        MCNEIL:        Okay.        Second  
21                  possibility, also mentioned, would be the  
22                  rapid detection of contaminants in food, like

1 the salmonella and the putative tomatoes  
2 turning out to be peppers that we read about.  
3 And you're looking at that as well in the  
4 interim?

5 And I thought that you talked  
6 about presenting some of those findings in  
7 February, but is that going to be a little too  
8 soon?

9 DR. TORTI: No, I think, again, and  
10 Dave Acheson and Lonnie, will both be involved  
11 in that, can help me on the timing. But we'd  
12 like to bring to you at least an update of  
13 where we are there and what we've already  
14 looked at -- the agencies that we've brought  
15 together to help tackle this problem,  
16 etcetera, so I think, again, an interim kind  
17 of update would be appropriate.

18 Lonnie, does that sound  
19 reasonable? And Dave?

20 DR. KING: Yes.

21 DR. PARKINSON: Yes.

22 DR. MCNEIL: Okay, great. So, we'll

1 do that. And then, if you recall -- I guess it  
2 was all of last year, we've taken on the job  
3 of reviewing centers, each of the centers,  
4 periodically. And we reviewed ORA and NCTR  
5 last year. And the question is, do we think  
6 that we would like to go forward and review  
7 another center in the coming year?

8 So I guess we could take thoughts  
9 in that from both the staff and the Science  
10 Board staff, meaning this side of the table.  
11 Any thoughts?

12 Larry?

13 Q AND A AND DISCUSSION:

14 DR. SASICH: How, in previous  
15 reviews -- in previous center reviews -- did  
16 FDA staff find those helpful?

17 DR. MCNEIL: So ORA and NCTR --  
18 Bill?

19 DR. SLIKKER: Well, I think that  
20 indeed it was a great opportunity for us to be  
21 able to describe the research that we're doing  
22 at NCTR in conjunction with the other centers

1 to from a basis for regulatory decision-  
2 making, and it was an opportunity to describe  
3 some of the cutting edge technologies that  
4 we're validating for use and safety assessment  
5 issues.

6 So, I think in that sense it was  
7 very valuable. And also, express the  
8 importance of the holistic approach of  
9 leveraging resources and working with others  
10 within other government agencies, within the  
11 academic community, within the industrial  
12 community to build consensus on areas of  
13 importance to FDA. And this process is  
14 continuing on, and I think something that you  
15 certainly reinforce with our activities.

16 DR. MCNEIL: Steve?

17 DR. SASICH: You were of super  
18 value to us. I think I can speak for myself  
19 and my colleagues, but I think the thing that  
20 we want to know, does this actually help you  
21 in your operations or moving forward with  
22 planning in the future -- those kinds of

1 things?

2 DR. SLIKKER: Well, Larry, I think  
3 that's a very good point. And certainly, as we  
4 go through the planning process, and each year  
5 NCTR develops a strategic plan in conjunction  
6 with the other centers and under the guidance  
7 of Dr. Frank Torti. And certainly you'll see  
8 that with that plan, there are many of the  
9 kinds of issues that your committee brought  
10 up, and so these are reinforced by those kinds  
11 of reviews and issues that you bring up as  
12 something that needs to be looked into and  
13 certainly are, so we do appreciate that input  
14 and it does become part of our plan for the  
15 next year. Actually, we do a five year plan,  
16 and update it annually.

17 DR. MCNEIL: How about Steve, ORA,  
18 because that was one of the ones we did last  
19 year as well.

20 DR. SOLOMON: Sure, Thank you. This  
21 is Steve Solomon, Office of Regulatory  
22 Affairs.

1           The review that was done was very  
2           valuable to us. It was very timely in that we  
3           were in the midst of what we call "ORA  
4           revitalization exercise" and getting the  
5           Science Board's review of that and feedback to  
6           us has helped validate the direction we were  
7           heading and help us prioritize the actions  
8           that we're taking, so that was very valuable  
9           feedback to us. We appreciated that.

10           DR. MCNEIL: Okay. Well, if the  
11           Science Board -- it sounds like the centers  
12           think it's a good idea. So if that's the case,  
13           and if the Science Board concurs, what  
14           probably we should do is have Frank and his  
15           staff think about what would be the  
16           appropriate next center to review, and come  
17           back with suggestions or an actual decision to  
18           us.

19           You don't have to raise you hand  
20           now. You can do that quietly. So, that's  
21           number three.

22           Number four -- we're going to hear

1           about BPA today in terms of the direct food  
2           contact application. And then, of course, as  
3           you all read in the documents and Martin and  
4           his committee's report, as well as in the FDA  
5           report, there's a whole issue of BPA in  
6           technologies, like IV tubing and blood  
7           containers and other such things. And the  
8           question is, should that not be a follow-on  
9           project to complement the exposure risks that  
10          are already known from Martin's well-done  
11          report. So, that would be a fourth.

12                         Probably, rapid -- we want to make  
13          a decision on doing that relatively soon, is  
14          my guess. Is that correct? Frank, do you want  
15          to comment on that?

16                         DR. TORTI: Our plan was when we  
17          looked at the entire complexity of the science  
18          behind BPA, we knew we couldn't do it justice  
19          in one block, and that our plan was to do this  
20          in pieces so that we could appropriately apply  
21          the science to those issues. So we certainly  
22          would endorse and be enthusiastic in our



1 planning to move ahead with this review, and  
2 would like to engage the Science Board.

3 So, Dan will be heavily involved  
4 in that. Do you have anything more to say?

5 DR. ROST: Yes, we're actually -- I  
6 mean, we've contemplated this and we're  
7 actually already moving ahead trying to do  
8 inventory -- our portfolio, in terms of  
9 understanding which products.

10 One of the things about devices is  
11 that we have a large inventory of different  
12 kinds of products and the question is: how do  
13 you try to address this single issue as it  
14 relates to all these products?

15 Can we look for some worst case  
16 scenarios where we can do some experimentation  
17 because there's obviously some missing  
18 information in terms of how much is in the  
19 product, how much comes off the product, how  
20 much exposure there is, who are the target  
21 populations that are affected by these various  
22 different products. So there is a lot of

1 questions that need to be addressed.

2 And then the sort of penultimate  
3 question is, how do you do this as efficiently  
4 as possible in a reasonable period of time to  
5 try to come up with an answer that would be  
6 scientifically credible and allow us to make  
7 some decisions. And that's where -- and I  
8 think we would certainly be very, very happy  
9 to try to get some input into that so that we  
10 don't go off in a direction that people think  
11 is inappropriate.

12 And I'd rather, frankly, I'd  
13 rather see some of that work get done up  
14 front. We'll provide an outline of where we  
15 think we ought to go, but if we can get some  
16 feedback earlier on in the process so that we  
17 all agree that we're moving in the right  
18 direction, I think that would be extremely  
19 helpful.

20 DR. MCNEIL: I think that's a good  
21 point. One of the things I hope we don't have  
22 is a dead four month period between now and

1 February on the part of the activities of the  
2 Science Board itself, so to the extent that  
3 you can help us figure out how we can help you  
4 with specific tasks during this next four  
5 month period, I think it will be more valuable  
6 than our just coming together in February and  
7 hearing all of your hard work. So I'm not sure  
8 how we should do that, but maybe we can either  
9 think about it by the end of the day or think  
10 about it over the next week or so and have  
11 some e-mail communication.

12 And the last thing is really an  
13 FYI, and Frank mentioned this -- part of Gail  
14 Cassell's committee's report on the agency in  
15 general had a section on IT and the  
16 infrastructure needs of the agency for IT.

17 One of the members of that  
18 committee was Sangtae Kim, who Frank mentioned  
19 in his remarks. He's moved on to the  
20 University of Wisconsin, but the agency had a  
21 two day retreat a month or so ago that looked  
22 at what its priorities should be in terms of

1           revitalizing the information technology  
2           components of the agency, and they want to  
3           move forward with implementing those  
4           priorities. So Dr. Kim, who as I say, had been  
5           on the original Science Board review last  
6           year, has moved on, but is willing to  
7           participate in that pro-bono, which is always  
8           good, and we'll figure out exactly how that  
9           will work out and should be hearing from that  
10          group sometime in February as well, in terms  
11          of their progress.

12                        So, the bottom line on this is  
13          there are four things for sure -- that is the  
14          salmonella, the adulterated foods, the center  
15          review, and BPA two, BPA part two, that  
16          potentially have a active role from this  
17          Science Board. We'll have to figure out -- I  
18          think those are "givens,: aren't they? Does  
19          anybody think we want to eliminate any of  
20          those from the list?

21                        So, assume those are givens, and  
22          our job will be to figure out how we implement