

U.S. FOOD AND DRUG ADMINISTRATION

+ + + + +

PEDIATRIC ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

TUESDAY,

MARCH 25, 2008

+ + + + +

The meeting convened at 8:00 a.m. in the Grand Ballroom of the Hilton Washington, D.C./North Gaithersburg, 620 Perry Parkway, Gaithersburg, Maryland, Marsha D. Rappley, MD, Chair, presiding

COMMITTEE MEMBERS PRESENT:

- MARSHA D. RAPPLEY, MD, Chair
- DENNIS BIER, MD, Member
- AVITAL CNAAN, PhD, MS, Member
- AMY J. CELENTO, Patient-Family Representative
- ROBERT S. DAUM, MD, Member
- MICHAEL E. FANT, MD, PhD, Member
- ELIZABETH A. GAROFALO, MD, Industry Representative
- MELISSA MARIA HUDSON, MD, Member
- KEITH KOCIS, MD, MS, Member
- THOMAS NEWMAN, MD, MPH, Member
- ELAINE VINING, Consumer Representative
- ROBERT WARD, MD, Member

ORIGINAL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

CONSULTANTS PRESENT:

CARL D'ANGIO, MD, Consultant
HENRY FARRAR, MD, Consultant, Pediatric Health
Organization Representative
DANIEL NOTTERMAN, MD, Consultant
CRAIG A. SABLE, MD, Consultant
CHRISTY SANDBORG, MD, Consultant

FDA PARTICIPANTS:

CARLOS PENA, PhD, MS, Executive Secretary
FELICIA COLLINS, MD, Medical Officer, Pediatric
and Maternal Health Staff, Office of New
Drugs, CDER
JUDITH COPE, MD, MPH, Medical Officer, Office of
Pediatric Therapeutics
HENRY FRANCIS, MD
ABRAHAM KARKOWSKY
LISA MATHIS, MD, Pediatric and Maternal Health
Staff, Office of New Drugs, CDER
EVELYN MENTARI, MD, MS, Medical Officer,
Division of Neurology Products
DIANNE MURPHY, MD, Director, Office of
Pediatric Therapeutics, OC
HARI CHERYL SACHS, MD, Medical Officer,
Pediatric and Maternal Health Staff,
Office of New Drugs, CDER
LEX SCHULTHEIS
JEFFREY SIEGEL, MD, Medical Officer,
OND/ODEII/Division of Anesthesia,
Analgesia and Rheumatology Products
(DAARP), CDER
KEITH ST. AMAND
AMY TAYLOR, MD, Medical Officer, Pediatric
and Maternal Health Staff, Office of
New Drugs, CDER

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

<u>ITEM</u>	<u>PAGE</u>
Welcome and Introductory Remarks	7
Marsha Rappley, MD, Chair Dean, College of Human Medicine Michigan State University	
Carlos Pena, PhD, MS Executive Secretary Office of Science and Health Coordination, OC, FDA	
Agenda Overview	13
Dianne Murphy, MD Director, Office of Pediatric Therapies, OC, FDA	
Brevibloc (esmolol HCl) Abbreviated Review of Adverse Events	25
Amy Taylor, MD, Medical Officer Pediatric and Maternal Health Staff, Office of New Drugs CDER, FDA	
Toprol XL (metoprolol) Abbreviated Review of Adverse Events	27
Amy Taylor, MD, Medical Officer Pediatric and Maternal Health Staff, Office of New Drugs CDER, FDA	
Lotensin (benazepril) Abbreviated Review of Adverse Events	32
Amy Taylor, MD, Medical Officer Pediatric and Maternal Health Staff, Office of New Drugs CDER, FDA	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

<u>ITEM</u>	<u>PAGE</u>
Coreg (carvedilol) Standard Review of Adverse Events Felicia Collins, MD, Medical Officer, Pediatric and Maternal Health Staff, Office of New Drugs, CDER, FDA	38
Clarification Questions and Question to the Committee	59
Eloxatin (oxaliplatin) Standard Review of Adverse Events Felicia Collins, MD, Medical Officer, Pediatric and Maternal Health Staff, Office of New Drugs, CDER, FDA	96
Clarification Questions and Question to the Committee	108
Colazal (balsalazide) Standard Review of Adverse Events Felicia Collins, MD, Medical Officer, Pediatric and Maternal Health Staff, Office of New Drugs, CDER, FDA	111
Clarification Questions and Question to the Committee	121
Suprane (desflurane) Standard Review of Adverse Events Hari Cheryl Sachs, MD, Medical Officer, Pediatric and Maternal Health Staff, Office of New Drugs, CDER, FDA	158

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

<u>ITEM</u>	<u>PAGE</u>
Clarification Questions and Question to the Committee	172
Celebrex (celecoxib) Overview of Safety from Clinical Trials for JRA	198
<p style="text-align: center;">Jeffrey Siegel, MD, Medical Officer OND/ONDEII/Division of Anesthesia, Analgesia and Rheumatology Products (DAARP), CDER, FDA</p>	
<u>Afternoon Session</u>	
Open Public Hearing	207
Celebrex (celecoxib) Standard Review of Adverse Events	232
<p style="text-align: center;">Hari Cheryl Sachs, MD, Medical Officer, Pediatric and Maternal Health Staff, Office of New Drugs, CDER, FDA</p>	
Clarification Questions	246
Pfizer Comments	249
<p style="text-align: center;">Gail Cawkwell, MD Medical Team Leader for Celebrex Pfizer</p>	
Clarification Questions and Question to the Committee	257

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

TABLE OF CONTENTS

<u>ITEM</u>	<u>PAGE</u>
Updates on Previous PAC Request Trileptal (oxcarbazepine)	278
Evelyn Mentari, MD, MS Medical Officer Division of Neurology Products CDER	
FDAAA 2007 - Pediatric Perspective Update	298
Brief Overview of Legislation Dianne Murphy, MD Director, Office of Pediatric Therapies, FDA	298
Implementation and the Pediatric Expert Review Committee and CDER Changes Lisa Mathis, MD Office of New Drugs Associate Director, Pediatric and Maternal Health Staff, CDER, FDA	312
Safety Update, Biologics and Devices Judith Cope, MD, MPH Medical Officer Office of Pediatric Therapies FDA	341
Adjourn	365
Marsha Rappley, MD, Chair Dean, College of Human Medicine Michigan State University	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 8:01 a.m.

3 Welcome and Introductory Remarks

4 DR. RAPPLEY: Well, welcome to
5 everyone once again. We are convening the
6 Pediatric Advisory Committee and I'm Marsha
7 Rappley, and I'm chair of the committee, and this
8 is Dr. Carlos Pena, who's Executive Secretary at
9 the Office of Science and Health Coordination,
10 FDA.

11 We usually start by going around and
12 introducing ourselves and saying who we are and
13 where we are from and the discipline that we
14 represent.

15 I also want to note for members of
16 the committee who we've worked together for quite
17 some time that actually five people will be
18 rotating off after this meeting today. So, let's
19 have a nice lunch and enjoy each other while we're
20 together.

21 Okay. Dr. Bier, you want to start?

22 DR. BIER: Yes, I'm Dennis Bier. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 general pediatrician and epidemiologist at UCSF.

2 MS. VINING: Good morning. I'm
3 Elaine Vining. I'm the consumer representative
4 for the committee.

5 DR. RAPPLEY: Marsha Rappley from
6 Michigan State University, Developmental and
7 Behavioral Pediatrics.

8 DR. PENA: Carlos Pena, Executive
9 Secretary to the Pediatric Advisory Committee.

10 DR. WARD: I'm Bob Ward, a
11 neonatologist and clinical pharmacologist from the
12 University of Utah.

13 DR. D'ANGIO: Carl D'Angio. I'm from
14 the University of Rochester, and I'm a
15 neonatologist.

16 DR. FARRAR: Hank Farrar. I'm from
17 the University of Arkansas in Clinical
18 Pharmacologist, and I am the representative from
19 the AAP and non-voting member of the committee.

20 DR. NOTTERMAN: I'm Don Notterman.
21 I'm from Princeton University. I'm a molecular
22 biologist and pediatric critical care.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SABLE: I'm Craig Sable. I'm a
2 pediatric cardiologist from Children's National
3 Medical Center in Washington.

4 DR. SANDBORG: I'm Christy Sandborg.
5 I'm a pediatric rheumatologist from Stanford
6 University.

7 DR. MURPHY: Dianne Murphy. I'm the
8 Office Director of the Office of Pediatric
9 Therapeutics at the FDA.

10 DR. MATHIS: I'm Lisa Mathis. I'm
11 Associate Director in the Office of New Drugs for
12 Pediatric and Maternal Health.

13 DR. RAPPLEY: Well, welcome to
14 everyone again.

15 Dianne, do you want to give us the --
16 oh, you have announcements? Yes, okay.

17 DR. PENA: Thank you, and good
18 morning. The following announcement addresses the
19 issue of conflict of interest with regards to
20 today's discussion report by the agency on
21 Adverse Event Reporting as mandated by Section 17
22 of the Best Pharmaceuticals for Children Act.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The Pediatric Advisory Committee will
2 hear and discuss reports by the agency as mandated
3 in Section 17 of the Best Pharmaceuticals for
4 Children Act on Adverse Event Reports for Toprol,
5 Brevibloc, Lotensin, Coreg, Colazal, Eloxatin,
6 Celebrex, and Suprane.

7 The Pediatric Advisory Committee will
8 also hear about an update on trileptal and the
9 Food and Drug Administration Amendments Act of
10 2007.

11 This statement is made part of the
12 record to preclude even the appearance of such at
13 this meeting. Based on the submitted agenda for
14 the meeting and all financial interests reported
15 by the committee participants, it has been
16 determined that all interested firms regulated by
17 the Food and Drug Administration present no
18 potential for an appearance of a conflict of
19 interest at this meeting.

20 In the event that discussions involve
21 any other products or firms not already on the
22 agenda, for which an FDA participant has a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 financial interest, their participants are aware
2 of the need to exclude themselves from such
3 involvement and their exclusion will be noted for
4 the record.

5 We note that Ms. Amy Celento's
6 participating as the Pediatric Health Care
7 Representative, Ms. Elaine Vining is participating
8 as the Consumer Representative, and Drs. Carl
9 D'Angio, Dan Notterman, Craig Sable and Christy
10 Sandborg are participating as temporary voting
11 members.

12 We'd also like to note that Dr.
13 Elizabeth Garofalo is participating as the non-
14 voting Industry Representative, acting on behalf
15 of regulated industry.

16 Dr. Henry Farrar is participating as
17 a temporary non-voting Pediatric Health
18 Organization Representative, acting on behalf of
19 the American Academy of Pediatrics.

20 With respect to all of the
21 participants, we ask that in the interest of
22 fairness, that they address any current or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 previous financial involvement with any firm whose
2 product they may wish to comment upon.

3 We have an open public comment
4 scheduled for 1 p.m. and I would just remind
5 everyone to turn on your microphones when you
6 speak so that the transcriber can pick everything
7 up and turn them off when you're not speaking.

8 I'd also just ask to make sure that
9 all cell phones are turned to silent mode.

10 Thank you.

11 Agenda Overview

12 DR. MURPHY: I'm not sure why this
13 spoon is here but we'll just assume that it's not
14 symbolic for anything, and I wanted to also
15 welcome everybody.

16 I also want to take a few moments
17 this morning because we are in a transition phase.

18 As you saw on the agenda, this afternoon we're
19 going to be providing you an update on what we
20 affectionately call FDAAA, the Food and Drug
21 Administration Amendments Act, which does have
22 great relevance to this committee because you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one of the very few committees that's actually
2 named in law and legislation advisory committees
3 of the FDA and you have been assigned by Congress
4 a number of additional responsibilities and we're
5 going to go over that this afternoon.

6 But I wanted to take a moment because
7 we are losing almost that whole side over there,
8 it looks like, of the committee, five members of
9 the committee, and we will be going into this new
10 phase and today, we hope you will recognize that
11 we have a fairly non-controversial day planned for
12 you.

13 I thought I'd take a moment and go
14 through the process that we have at FDA so that
15 you will know that even though today we don't
16 anticipate any great controversies, that there's
17 been a tremendous amount of effort that has gone
18 into this and we know there's a tremendous amount
19 of effort on your part to look at all this
20 information and to determine whether you agree or
21 disagree with us because that is the reason you
22 are here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Congress has said, you know, when it
2 comes to safety for pediatric therapies, we want
3 an external group of people who are expert in
4 their field to come in and, if you will, provide
5 us your input as to whether you think we have the
6 right assessment or not.

7 At other times, we are asking you
8 questions where we're saying we're not really sure
9 and we really want you to help us work our way
10 through what would be the best thing to do.

11 What I'm telling you today is we
12 don't have a whole lot of that today, but again
13 the point of your being here is to provide us
14 input, if you think that there is something else
15 that we should be doing.

16 We have a process which, when a
17 product in the past we've granted exclusivity,
18 then there must be a year that goes by where
19 adverse events are collected. At that point in
20 the process, we put together a team and the team
21 involves somebody from the Technical Division who
22 usually sits right there at this meeting who may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wanted to make sure that everybody understood that
2 that's really the new product that you'll be
3 looking at for each meeting which is the review of
4 the adverse events that the Office of Surveillance
5 and Epidemiology has put together and the use
6 review, how much of the product is being used.

7 We then have a meeting after they've
8 done that to see if there's something that emerged
9 from that review and sometimes we have, as today,
10 we have a couple of things that have come up
11 during the review and they are things that you
12 could -- as you know, you don't have to have
13 causality to put some information in the labeling,
14 and they are adverse events that we think should
15 go in but we would like to hear what you think
16 about it because it's your background and
17 perspective that we're seeking in this
18 information.

19 Other times, we will have either
20 already involved an epidemiologist or it will
21 become apparent that we need to involve somebody
22 from the Epidemiology staff in the Office of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Safety and Epidemiology, Surveillance and
2 Epidemiology, and in that situation, we will have
3 a much more expanded presentation to you and
4 you've had a number of those.

5 I think our ultimate one was Tamiflu,
6 we had that brought back three times, in which
7 there is a signal and we can't figure out whether
8 it's a signal from the disease, a signal from the
9 product, a signal from the interaction, whatever.

10 We will have a number of activities that go on,
11 anywhere from just an additional look by the Epi
12 staff or there will be a massive review which
13 you're going to hear about today also in your
14 update, looking at meta-analysis, if you will, of
15 trials.

16 So, during that -- after that review
17 is provided by the Safety group, we then have to
18 decide what we're going to present to you. Is it
19 going to be an abbreviated review where we don't
20 think there are any signals, we don't see much
21 happening, and we don't want to torture you by
22 reading to you, as you've told us, you don't want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to hear every case history that has been sent in.

2 We do provide you that material, so
3 that you can make your own assessment, and then we
4 come up with our assessment as to whether we think
5 we need to have an abbreviated review and ask you
6 if we can just return this product to continue
7 monitoring, and you will see that today, we have a
8 number of those products.

9 When we have a standard review, it's
10 when we are going to take the time to go over the
11 controlled clinical trials that occur because the
12 controlled clinical trials will always have a
13 safety component. We want to make sure that
14 everybody's aware of whatever went on during the
15 clinical trials.

16 We will then go ahead and present in
17 more detail the deaths and serious AEs. We do
18 this for diseases where there is products that are
19 used to treat conditions or diseases for which
20 there's a high background rate of serious adverse
21 events because you may have a large number of
22 deaths, you may have a large number of serious

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adverse events.

2 It's really hard to sort out what's
3 going on and we just think it's better to go ahead
4 and have you all have the full presentation, in
5 addition to the full package that we provide you.

6 It doesn't mean we're bringing an issue to you,
7 it just means that we think that this is an area
8 that is hard to make an assessment and we want to
9 make sure that you have a full presentation on
10 that because we send you normally at least six to
11 eight products and I know that that takes a bit of
12 work to get through all of those and we think the
13 presentations, we hope, help focus where we think
14 the issues are and so that's the difference for
15 the standard.

16 You'll notice we really don't have
17 any -- at this meeting, we have only abbreviated
18 and standard. We don't have any of what we call
19 the expanded reviews on which we're spending a
20 whole day.

21 We do have an update for you and we
22 are doing that in response -- the committee often

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 makes recommendations where they want follow-up
2 and I don't want to steal their thunder, but
3 you've seen the slides.

4 Fundamentally, the agency has been in
5 the midst of a massive review of a 199 trials and
6 this committee asked for some follow-up as to what
7 was going on when this product was presented
8 previously, and in this situation, because it's a
9 lot more than pediatrics that's involved, the full
10 review is going to go back to the Neurology
11 Committee and we've asked members of this
12 committee to participate because they're also
13 having members from the Risk Committee and one
14 other committee, I'm sorry, I think there's going
15 to be like four different committees, so there's
16 the full Neurology Committee and then members from
17 other committees, and so that's why we're not
18 bringing the whole thing back to you because it's
19 really -- Pediatrics is a smaller part of this
20 whole big picture.

21 But that brings me to the other
22 activity of this committee which is you have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meeting two to three times a year and as you're
2 going to hear the frequency's going to probably
3 have to go up just on safety issues, up to maybe
4 four times a year, but in addition, we value your
5 expertise tremendously for all these other
6 committee activities that have to do with either
7 safety or scientific trial design issues that we
8 ask you to attend to.

9 So again today, we will be presenting
10 mostly abbreviated and standard reviews to you.

11 The other thing I wanted to say to
12 you all is that your work at this point, at the
13 end of your last meeting, this committee had
14 reviewed over 70 products and Dr. Judith Cope in
15 her presentation is going to go over for you some
16 of that effort because I think you all deserve to
17 know the full extent of your contribution and
18 actually the work has been put together in a paper
19 that was tentatively just accepted by Pediatrics
20 which focuses on what the Pediatric Advisory
21 Committee's recommendations and activities have
22 been for the last 60 some products that you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reviewed.

2 And we're very thankful. I know we
3 say that at the end of every meeting, but I just
4 want to say it again because this is an important
5 committee because you have done such a good job
6 that Congress has now expanded your
7 responsibilities and you'll hear more about that
8 and they usually, I hope, don't do that when they
9 think you've done an awful job.

10 So, I just wanted to tell you that I
11 think that FDAAA reflected upon the contributions
12 this committee has made in an area that's very
13 difficult because the one thing we've gotten very
14 clearly, the message from you all is you sure wish
15 you had a denominator.

16 We don't have a denominator and you
17 all have to struggle with that and we struggle
18 with the systems and the data we do have and we
19 really appreciate it.

20 So, with that, I hope we have -- is
21 Avi here? Well, our Cardiology representative is
22 not here yet, but I think we're going to have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 move on.

2 So, I'd like to turn it over to Amy
3 Taylor. Dr. Taylor is from our Maternal and
4 Pediatric Health Staff.

5 Brevibloc (esmolol HCl)

6 Abbreviated Review of Adverse Events

7 DR. TAYLOR: Hi. Good morning. This
8 morning, I will start with presenting information
9 on follow-up adverse event information on esmolol.

10 Brevibloc or esmolol hydrochloride is
11 a selective beta blocker marketed by Baxter
12 Healthcare Corporation. In adults, it is
13 indicated for the treatment of SVT intra- and
14 postoperative tachycardia and/or hypertension.

15 There is no pediatric indication.

16 Brevibloc was originally approved for
17 marketing on December 31st, 1986, and was granted
18 pediatric exclusivity on August 22nd, 2003.

19 An adverse event review was presented
20 to this committee on February 14th, 2005. This
21 review was a follow-up to the 2005 meeting as
22 requested.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Show of hands.)

2 DR. RAPPLEY: Are there any opposed
3 to this recommendation?

4 (No response.)

5 DR. RAPPLEY: So, the committee
6 unanimately accepts this recommendation.

7 Thank you.

8 Toprol XL (metoprolol)

9 Abbreviated Review of Adverse Events

10 DR. TAYLOR: I will now present
11 information on adverse events for Toprol XL.

12 Toprol XL or metoprolol succinate is
13 a cardio-selective beta blocker marketed by
14 AstraZeneca for the treatment of hypertension,
15 angina pectoris, and heart failure in adults.

16 As a result of exclusivity studies,
17 information for use in pediatric patients six
18 years and older was added to the labeling.
19 Pediatric use has been limited, approximately .1
20 percent of all prescriptions.

21 The drug was originally approved for
22 marketing January 10th, 1992, and was granted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric exclusivity on July 27th, 2006.

2 The exclusivity studies consisted of
3 a randomized placebo-controlled dose-ranging study
4 and a 52-week open-label extension study conducted
5 in pediatric patients age 6 to 16 years. These
6 studies included a pop. PK analysis.

7 The dose-ranging study did not meet
8 its primary endpoint which was a dose response for
9 reduction in systolic blood pressure.

10 An analysis of the high- and mid-
11 level doses against placebo demonstrated
12 significant decrease in blood pressure. These
13 prespecified secondary endpoints demonstrated
14 effectiveness.

15 Dose response for reduction in
16 diastolic blood pressure, 1 milligram per kilogram
17 versus placebo for change in systolic blood
18 pressure and 2.0 milligram per kilogram versus
19 placebo for change in systolic and diastolic blood
20 pressure.

21 As a result of exclusivity studies,
22 the following changes were made to the Toprol XL

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 labeling. A description of the clinical study
2 results and an adverse event profile in the
3 Pediatric Use Section and a dosing recommendation
4 for pediatric patients greater than 6 years in the
5 Dosage and Administration Section if Toprol XL is
6 selected for treatment.

7 In the one-year postexclusivity
8 period, there were three reports of serious
9 adverse events. The first case involved an
10 accidental ingestion by a 2-year-old.

11 The second case involved an in utero
12 exposure of a premature infant delivered at 34
13 weeks gestation with a moderate heart murmur,
14 bradycardia, and severe difficulty breathing. No
15 further information was available.

16 The third case was a literature
17 report of a 12-year-old with a renal
18 transplantation on multiple medications who
19 developed severe anemia. The authors attributed
20 the anemia to irbesartan.

21 There was one reported death which
22 occurred prior to the one-year post-exclusivity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period. This case involved a neonate with an in
2 utero exposure delivered at 36 weeks gestation
3 with limb deformities, pulmonary hypertension with
4 a PDA, abnormal kidney structure and Potter's
5 facies. The patient developed respiratory failure
6 and died on Day 4. The patient's mother was on
7 several anti-hypertensives, including Losartan.

8 We also conducted an adverse event
9 review since market approval. This yielded 12
10 reported cases of adverse events. Three of the
11 cases involved congenital abnormalities: a 3-day-
12 old with a patent foramen ovale, a 10-month-old
13 with a hip skeletal abnormality, and a 1-day-old
14 with multiple ulcers in the esophagus and stomach.

15 There were three reports of
16 accidental or intentional overdoses. There were
17 four reports of pharmacy-dispensing errors.
18 However, since each of these errors involved a
19 different drug, no trend was identified.

20 There were two additional reports.
21 The first is a 16-year-old with epigastric pain
22 and an elevated amylase. She subsequently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 underwent an appendectomy and excision of an
2 ovarian cyst.

3 The second case involved a 15-year-
4 old with a mild retinal vein occlusion.

5 In summary, no safety signals were
6 identified since market approval unique to the
7 pediatric population. The exclusivity studies
8 resulted in labeling with dosing information,
9 adverse event information, and a description of
10 the clinical study results.

11 Pediatric use of the drug was
12 limited, approximately .1 percent of all
13 prescriptions.

14 This completes the one-year post-
15 exclusivity adverse event reporting as mandated by
16 BPCA. FDA recommends routine monitoring of
17 adverse events for Toprol XL in all populations.

18 Does the advisory committee concur?

19 And I wish to thank the people listed
20 here for their help with this review.

21 Thank you.

22 DR. RAPPLEY: Does the committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concur with the recommendation?

2 (Show of hands.)

3 DR. RAPPLEY: Is anyone opposed?

4 (No response.)

5 DR. RAPPLEY: So, unanimous
6 acceptance of this recommendation.

7 Lotensin (benazepril)

8 Abbreviated Review of Adverse Events

9 DR. TAYLOR: I will now present
10 follow-up adverse event information for
11 benazepril.

12 Lotensin or benazepril hydrochloride
13 is an ACE inhibitor marketed by Novartis
14 Pharmaceutical Corporation. It is indicated in
15 the pediatric population for the treatment of
16 hypertension in patients 6 years and older.

17 Lotensin was originally approved on
18 June 25th, 1991, and was granted pediatric
19 exclusivity on July 2nd, 2003.

20 An adverse event review was presented
21 to this advisory committee on February 14th, 2005.

22 This review is a follow-up of the 2005 advisory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 committee meeting as requested.

2 Since market approval, there have
3 been six reports of adverse events. Two cases
4 were presented in February 2005 involving
5 hyperchloremic metabolic acidosis with
6 hypoaldosteronism in a 4-year-old and an
7 accidental ingestion by a 2-year-old.

8 Because of the limited reports during
9 the initial reporting period, the advisory
10 committee requested continued focus review of
11 adverse events.

12 Of the six reported cases since
13 market approval, two were presented in 2005. The
14 remaining four cases will be discussed here. One
15 case was a duplicate. There are three additional
16 cases.

17 The first case involved a 1-year-old
18 male who was hospitalized with increased serum
19 creatinine and potassium after being on benazepril
20 for nine days.

21 There were two cases involving
22 congenital abnormalities. The first involves a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 newborn with a first trimester exposure to
2 benazepril delivered at 36 weeks with multiple
3 cardiac defects and unilateral renal agenesis
4 with a single dysplastic kidney. The patient died
5 at 19 days due to pulmonary hemorrhage and
6 congenital heart disease.

7 The second case involves a premature
8 infant whose gestational age was unknown with a
9 first trimester exposure to benazepril. The
10 patient had hypotrophy, premature jaundice, and
11 surfactant pulmonary troubles. A favorable
12 outcome was reported.

13 It's important to note that Lotensin
14 labeling contains a box warning stating that ACE
15 inhibitors can cause injury and even death to the
16 developing fetus and should be discontinued as
17 soon as possible.

18 In summary, no safety signals have
19 been identified since marketing approval which are
20 unique to the pediatric population. Pediatric use
21 has been limited, less than 1 percent of all
22 prescriptions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This completes the follow-up adverse
2 event review as requested. FDA recommends routine
3 monitoring of adverse events for benazepril in all
4 populations.

5 Does the advisory committee agree?

6 And again, I would like to thank the
7 people listed here for their help with this
8 review.

9 Thank you.

10 DR. RAPPLEY: Does the committee
11 concur with this recommendation?

12 (Show of hands.)

13 DR. RAPPLEY: Any opposed?

14 (No response.)

15 DR. RAPPLEY: So, unanimous
16 acceptance of this recommendation.

17 Thank you.

18 DR. TAYLOR: Thank you.

19 DR. MURPHY: Marsha, before we go on
20 to the next product, I wanted to -- the
21 committee's heard about a number of anti-
22 hypertensive products very quickly this morning.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I wanted you to be aware that there
2 have now been 12 products, I believe it is, I
3 believe that have been studied for hypertension
4 under this program, and I recently heard Dr.
5 Stockbridge present and he's been at the agency
6 for a long time and he's on the Advisory Committee
7 on Pharmacology who was presenting and said that
8 up until this initiative, these legislative
9 initiatives, he was aware of only one product ever
10 having been studied in pediatrics for
11 hypertension.

12 So, I think the fact that we've had
13 12 products studied, you saw a couple of them in a
14 row this morning, is fairly impressive.

15 As you saw in one of these products,
16 one of the ways that you can show effectiveness is
17 to show a dose response, and there was some issues
18 with that in one of these products today.

19 I think it's been of interest and
20 we've been very challenged to try to determine why
21 of the 12 products that were studied, half of them
22 didn't work. We know a lot about anti-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypertensives.

2 So, we have some working agreements
3 with Duke University and a number of their people
4 have been working with us in analyzing the data,
5 and I just wanted to let you know that the March
6 issue of Hypertension took six of these products,
7 put them into a meta analysis and looked at the
8 various endpoints and dosing, et. cetera, to try
9 to determine why -- if we could come up with an
10 answer as to why we thought we were not seeing
11 responses and also design better trials, if that's
12 what we needed to do.

13 And I think that it's worth perusing,
14 if you have an opportunity to do that, because
15 they did find some interesting information about
16 dosing and certainly if one of the ways you
17 determine efficacy is a dose effect and you don't
18 do the dosing correctly, you're not going to be
19 able to demonstrate efficacy.

20 So that the other interesting point
21 with the diastolic endpoint actually appeared to
22 be a bit more useful in pediatrics. So, this was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 just to let you know that you got a very
2 abbreviated review. There's a lot of work that's
3 been going on with anti-hypertensive drugs and I
4 think some interesting information coming out and
5 that was, as I said, in the March issue of
6 Hypertension.

7 Danny Benjamin, Dr. Danny Benjamin
8 was the lead author.

9 So, thank you.

10 DR. PENA: The next speaker is Dr.
11 Felicia Collins, Medical Officer in the Pediatric
12 and Maternal Health Staff, Office of New Drugs.
13 Division Representative is Dr. Abraham Karkowsky.

14 Coreg (carvedilol)

15 Standard Review of Adverse Events

16 DR. COLLINS: Good morning. I'm
17 pleased to be able to present to you the one-year
18 postexclusivity adverse event review for
19 carvedilol.

20 Coreg or carvedilol is a beta
21 adrenergic blocking agent for which
22 GlaxoSmithKline is the drug sponsor.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Original market approval occurred on
2 September 14th, 1995, and pediatric exclusivity
3 was granted on November 8th, 2006.

4 Prior to the pediatric exclusivity
5 study, carvedilol was indicated (1) for the
6 treatment of mild to severe chronic heart failure
7 of ischemic or cardiomyopathic origin to increase
8 survival and to reduce the risk of
9 hospitalization, (2) to reduce cardiovascular
10 mortality in clinically stable patients who have
11 survived the acute phase of a myocardial
12 infarction and have left ventricular ejection
13 fraction of less than or equal to 40 percent, and
14 (3) for the management of essential hypertension.

15 The next two slides provide some
16 information about the use of carvedilol in
17 outpatient settings. 12.4 million carvedilol
18 prescriptions were dispensed for all age groups
19 during the 12-month postexclusivity period. 0.1
20 percent of these prescriptions were for the
21 pediatric population. There was a 20 percent
22 increase in the prescriptions for all age groups

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701