

ORIGINAL

TRANSCRIPT OF PROCEEDINGS

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE

TWENTY-SIXTH MEETING

OPEN SESSION

VOLUME II

*This transcript has not been edited
or corrected, but appears as received
from the commercial transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.*

Pages 1 thru 274

Bethesda, Maryland
March 21, 2000

MILLER REPORTING COMPANY, INC.

507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

at

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE

TWENTY-SIXTH MEETING

OPEN SESSION - VOLUME II

Tuesday, March 21, 2000

8:00 a.m.

1364 00 APR 11 14:05

Holiday Inn Bethesda
8120 Wisconsin Avenue
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

PARTICIPANTS

Daniel R. Salomon, M.D., Acting Chairperson
Gail Dapolito, Executive Secretary

MEMBERS

Hugh Auchincloss, Jr., M.D.
Richard E. Champlin, M.D.
Carole B. Miller, M.D.
Esperanza B. Papadopoulos, M.D.
Edward A. Sausville, M.D., Ph.D.

TEMPORARY VOTING MEMBERS

Jose Francisco Cara, M.D. (I)
Joan Harmon, Ph.D. (I)
Pamela Hartigan, Ph.D.
Lynne L. Levitsky, M.D. (I)
Robert S. Sherwin, M.D. (I)
Alice J. Wolfson, J.D.

GUEST SPEAKERS

Robert Goldstein, M.D. (I)
Bernhard J. Hering, M.D. (I)
Norma Sue Kenyon, Ph.D. (I)
John O'Neil (I)
Camillo Ricordi, M.D. (I)
A.M. James Shapiro, MD, FRCS(C) (I)

GUESTS

Jeffrey A. Bluestone, Ph.D.
Jonathan Lakey, Ph.D. (I)

FDA

Jay P. Siegel, M.D.
Lauren E. Black, Ph.D. (I)
Thomas L. Eggerman, M.D., Ph.D. (I)
Neil D. Goldman, Ph.D. (III)
Philip D. Noguchi, M.D. (III)
Amy Rosenberg, M.D. (III)
Karen Weiss, M.D. (I)
Kathryn C. Zoon, Ph.D. (III)

at

3

C O N T E N T S

BRMAC Administrative Update: Jay P. Siegel, M.D. 4

TOPIC III - UPDATE CBER RESEARCH PROGRAMS

Division of Cellular and Gene Therapies

Philip Noguchi, M.D. 17
Donald Fink, Ph.D. 22

Division of Therapeutic Proteins

Amy Rosenberg, M.D. 31
Gibbes Johnson, Ph.D. 40
Raymond Donnelly, Ph.D. 44
Kathryn Zoon, Ph.D. 50

TOPIC I (CONTINUED)

ISLET TRANSPLANTATION/PRECLINICAL ANIMAL MODELS

Welcome 61

FDA Introduction: Karen Weiss, M.D. 61

Open Public Hearing 62

Animal Models of Islet Therapy: Utilization
for Clinical Trial Design and Safety Assessment:
Lauren Black, Ph.D. 63

Animal Models of Islet Transplantation:
John O'Neil 69

Non-Human Primate Preclinical Models:
Norma Sue Kenyon, Ph.D. 109

FDA Perspective, Clinical Issues:
Thomas L. Eggerman, M.D., Ph.D. 149

Committee Discussion 157

1 P R O C E E D I N G S

2 DR. SALOMON: Good morning, everybody. I guess we
3 are sort into the swing here; right? There is nothing
4 formally I have to do this morning except begin by
5 introducing Jay Siegel for an admin update.

6 B R M A C A D M I N I S T R A T I V E U P D A T E

7 DR. SEIGEL: Thanks. I am not exactly sure what
8 an admin update is, but what I wanted to do was just have a
9 couple of minutes to follow up on a letter that I hope you
10 all received within the last couple of weeks to give you a
11 little bit of background about where we are heading with
12 this committee.

13 We have some, I won't say directions, but a slight
14 shift in focus that I think is going to be really exciting.
15 Based on yesterday, in fact, I am quite sure that it will be
16 extremely beneficial to the agency.

17 About a year ago, as a number of people, members
18 of the committee, rotated off, I was in a quandary about
19 exactly who to suggest to replace vacancies as they filled
20 because the committee has had a rather broad breadth of
21 topics it has been addressing ranging from issues with
22 hematopoietic factors to hematopoietic stem cells,
23 transplantation therapy issues and so forth.

24 I consulted with Dr. Zoon and with the Division
25 Directors, Phil Noguchi and Karen Weiss who are here, and

1 others whom you have met at various meetings, about how
2 would be the best way to utilize the expertise on the
3 committee and to utilize the committee and what sorts of
4 expertise best to emphasize on the committee.

5 Perhaps to understand where we were, if I could
6 take just a moment to mention historically that when this
7 committee was started--when would that be, the late '80's,
8 sometime--its original focus, like virtually all other FDA
9 advisory committees, at least those for drugs and largely in
10 biologics, was on product approvals.

11 It was a reasonably broad spectrum of product
12 applications, interferons for hairy-cell leukemia and
13 Kaposi's sarcoma and hepatitis, interleukin-2 for cancer.
14 Around the early '90's, we began to realize a couple of
15 products. One was that while biological therapeutics had
16 been focused largely in the areas of cancer, we were seeing
17 a great deal of application in sepsis and arthritis and
18 gastrointestinal disease and it seemed next to impossible to
19 try to have that sort of breadth of expertise on a single
20 advisory committee.

21 We were also aware that our sister center, the
22 Center for Drugs, had advisory committees in each of those
23 clinical specialties. Kathy and I and our colleagues
24 decided to move to increase our consultation with those
25 committees in most clinical areas and to retain the focus of

1 this committee in hematology, hematologic malignancies and
2 some other areas of oncology.

3 With that, the numbers of meetings decreased by we
4 also started increasing, at that point in time, around the
5 mid-'90's--we always had some, but we started increasing the
6 number of meetings that were not focused on product approval
7 but were focused on critical scientific issues and product
8 development.

9 We had a number of meetings on
10 xenotransplantation, in utero therapy with hematopoietic
11 cells, extracorporeal liver-assist devices, use of PCR for
12 hepatitis, and points most recently in that category, a
13 meeting that many of you were at that we all benefited
14 greatly from was a first of what I hope will be a series of
15 meetings on immunogenicity of biological therapeutics, what
16 should be studied during their development and how the
17 products should be appropriately labeled.

18 So, with that as a background and with those many
19 very informative and successful meeting, as I talked again
20 with Dr. Zoon, Noguchi, Weiss and others, we realized that
21 those were extremely valuable, those meetings that really
22 got at the heart of critical issues in new areas of
23 development generally early in product development before we
24 were faced with a large database that was pretty hard to
25 modify even if it didn't quite capture the endpoints or the

1 data that we most want to see.

2 I think we have decided that there were a lot of
3 areas where we needed greater focus in product development
4 particularly in the fields of cellular and gene therapy, in
5 the areas of transplantation, tolerance inductions, a lot of
6 immunological areas, microbiology, product purity and safety
7 from contaminating virologic agents, in particular; cell
8 biology--assessing cellular functions and mechanisms.

9 When we started putting together a list of the
10 types of questions that we could bring to this committee, we
11 not only realized that, in these areas, there were a large
12 number of critical questions but also realized that these
13 were not only areas in which we needed advice but in which
14 there was a need for a forum for public discussion because
15 we were developing approaches, scientific and regulatory
16 approaches, to new classes of products and there needed to
17 be public airing and, where appropriate, public input on
18 more of these questions.

19 So, with that in mind, I would say it is not an
20 overhaul but a subtle shift in focus, but an important shift
21 in focus, of the committee. We made a decision to call on
22 your expertise more on the scientific issues critical to
23 product development in such fields. So our having made that
24 decision, we are really pleased to welcome Dr. Bluestone as
25 our first appointment, having made that decision, and to

at

1 have yesterday's meeting as our first meeting with that
2 focus in mind.

3 I think that yesterday's proceedings bear out, as
4 I said at the beginning, that this should be a very exciting
5 direction. The other minor shift that will probably occur
6 in the committee is that, although the committee is
7 chartered to have, what is it, up to thirteen or fourteen
8 members, I think we have realized that, even with this what
9 I am calling a focus, it is hardly really a focus in the
10 sense that there is such a broad spectrum of issues there,
11 we really have benefitted, and I think yesterday was, again,
12 a good example of this, from bringing in significant numbers
13 of experts in the specific area under discussion.

14 I particularly enjoyed, as I hope you did, the
15 interplay between a group of you who have highly relevant
16 expertise in areas of development of cellular therapies,
17 immunology, cell biology, microbiology and the knowledge
18 base of dealing with product development and FDA regulations
19 in advisory committee and interacting and combining and
20 synergizing with people who may not have some of those
21 expertises but, obviously, had tremendous expertise
22 specifically in the area under discussion and I look forward
23 to today's discussion.

24 So I think the other thing that we will probably
25 be doing is keeping the number of the committee maybe two or

at

9

1 three people smaller than it had been, giving us a little
2 more room to still have manageable discussions and invite
3 additional experts to interact.

4 So I am making this announcement both to keep you
5 informed, to find out if you have any questions and, also,
6 importantly to solicit from you, either now or any time you
7 wish, by e-mail or other mechanism, to the committee staff
8 or myself any suggestions you might have for topics you
9 think it would be wise for us to discuss in this forum at
10 future meetings.

11 Thank you.

12 DR. AUCHINCLOSS: Jay, I understand entirely and I
13 agree entirely and then I will give you a "but." The "but"
14 has to do with maintaining the level of interest of your
15 committee members. Part of excitement of serving on this
16 committee is that, at the end of the day, in many case,
17 there is a real-life decision, a vote yes/no.

18 There is a tension associated with that that
19 really kind of focusses both interest and, of course, the
20 specifics of the decision that is being made. I understand
21 your interest in having broader discussions. I just remind
22 you that, from our point of view, to lose the sort of
23 case-law kind of approach to things would be disappointing
24 if you gave it up entirely.

25 MR. SIEGEL: I appreciate that. I don't think we

1 intend to give it up entirely. I think a couple of the
2 meetings of this committee in the last couple of years have
3 focused on anti-IL2-receptor therapies and therapies coming
4 to approval for immunosuppression for transplantation.

5 We had a meeting--well, it was of the xeno
6 advisory committee that focused, as you summarized, in
7 Epicell. I think that there will be a number of specific
8 product issues and product decisions that will come forward
9 as well many of which, as we use the Epicell case, as kind
10 of a case in point to set standards for other areas in
11 development.

12 Hopefully, a lot of fields that we are focusing
13 this emphasis on, in the not too distant future, will be
14 leading toward product approvals.

15 DR. AUCHINCLOSS: The Epicell case, I think, is a
16 case in point with part of what I am saying there where I
17 think that if you look carefully at what the committee
18 recommended with regard to Epicell, I think it is actually
19 in conflict with its general principles under Topic I.
20 There is nothing like having the real thing in front of you
21 to get people to say what they really mean.

22 DR. ZOON: I can make a couple of comments on this
23 because I think it is very important to look in the context
24 of the broader issues of FDA advisory committees, I think
25 especially in biologics, looking at our TSE committees,

1 which is the Transmissible Spongiform Encephalopathy
2 Committees, the Vaccine Committees and our Blood Committees.

3 These committees will deal, like yourselves, with
4 product-specific areas but, in many instances, their major
5 value in public health is actually looking at data early on,
6 identifying show stoppers early on, so when you do get to
7 the point of looking at a product, there are no surprises,
8 not to the committee, not to the FDA, not to the
9 manufacturers, not to the public.

10 Our business here is to make an orderly transition
11 of science from the development of policy through product
12 approval. Now, you don't get rid of all surprises, but I
13 think, in the outside world, a consistency of scientific
14 soundness in framing policies and procedures as we go
15 forward in these complex areas of science, is critically
16 important and this committee plays an enormous role in
17 helping us get there.

18 So I would say, while it may take away some of the
19 flash at the end, I don't think that is necessarily bad
20 because I think it is good public policy to have good
21 consistency and develop scientifically sound frameworks
22 early on in product development.

23 DR. AUCHINCLOSS: I understand entirely your point
24 of view and the other half of what I was talking about is
25 that you will lose some of the enthusiasm of your committee

1 if they come and talk early in the game and in the abstract.
2 It just isn't as--you don't feel as important.

3 We got to NIH-type advisory committees and future
4 task forces and all that kind of stuff and we usually feel
5 like, at the end of the day, we have done absolutely
6 nothing. So it is just a practical matter. To keep your
7 committee as highly talented as you want it to be, involves
8 having them advise you on real-life decisions sometimes.

9 But we are talking very much a matter of degree.
10 There is nothing that you are saying that I disagree with.

11 DR. SALOMON: Just picking up on what Hugh is
12 saying, when you decide, let's say, to do one of these
13 scientific--like islet transplantation, for example, or
14 pluripotential stem cells of gene therapy which I think are
15 two topics, by the way, that ought to be on the list to come
16 now--there are people who have come to you already for
17 pre-IND discussions if not actual IND discussions.

18 Why couldn't they be encouraged to come and
19 present? I do agree with what you are saying in terms of
20 this focus. Even the last meeting, the Epicell really did
21 provide a frame that focused the committee on some very
22 specific sorts of topics.

23 I don't think we need to lose what you are talking
24 about, Kathy, in doing that.

25 DR. ZOON: Usually, the way we do this is by

1 having a product as a case study and then the policy issues
2 are discussed around a case study or a series of cases that
3 reflect the point. It is not usually esoteric and in a
4 vacuum. It is usually having something that is under
5 development of a series of products under development where
6 you would ask the sponsors to come and present.

7 So it is related to real product and real-product
8 issues. I didn't mean to imply that that was not the case.
9 So most of these are linked to products, very specific
10 products and then those are used to discuss the more generic
11 issues surrounding the policy.

12 DR. SALOMON: But I guess what you and I are
13 saying is that when you actually confront the people, the
14 sponsors of these specific projects, they know they are on
15 the line. They know that they have had to think it through.
16 If you grab any one of us on any day and say, "Let's talk
17 about gene therapy. Let's talk about islet
18 transplantation," yes; we have our opinions. We have an
19 experience that we draw upon.

20 But we all know, and we would be the first to
21 admit, that we haven't nailed it. We only nail it when we
22 put ourselves on the line and put a protocol forward or
23 something like that. So if you bring a group of sponsors
24 in, they really are going to say, "Okay; we have thought
25 exactly on how we are going to purify islets, exactly what

1 the room is going to be, exactly this, exactly that."

2 I don't think you want to lose that.

3 DR. MILLER: Do you intend to continue having
4 members of this committee, then, when the products actually
5 get to the other committees, be part of that committee? I
6 was on a few ODAC. There is not a whole lot of, unless they
7 have changed, hematologic or bone-marrow transplant
8 expertise on that.

9 I just think that if they are going to be, then,
10 doing all those, that either they need to pick up additional
11 hematopoietic-malignancy people or biologic people.

12 MR. SIEGEL: Absolutely. I am not sure that we
13 would want to take the hematopoietic replacement or
14 stem-cell therapies over there. I think yesterday was an
15 example, in fact, of how experience in that field, which is
16 a little bit more developed, was, actually, an interesting
17 background and informative in terms of dealing with
18 pancreatic islet-cell therapy.

19 So that specific decision has not been made, in
20 fact, but the answer would be, nonetheless, in general, yes.
21 I think, whether it is an Arthritis or an ODAC or a
22 Gastrointestinal or Cardiorenal Committee--those committees
23 have the clinical expertise, although I agree that the ODAC
24 does not have a great deal in hematologic stem-cell therapy
25 but, often, not the kind of fundamental background in many

1 of the issues that we are facing in product-approval time
2 such as, say, the immunogenicity issue. So we would intend
3 to continue.

4 DR. ZOON: Just to highlight, we can supplement
5 this committee with experts from the CDER committees, or
6 vice versa, committees depending on where we think the
7 expertise is mainly situated.

8 So that we look at as a combination and we look at
9 consistency of findings. But we have the flexibility in
10 this to look at the situation and decide that the
11 committee--we also can invite--I was telling Dan earlier,
12 once you are on an FDA advisory committee, you are there for
13 life as either a consultant or an invited guest.

14 We would very much look forward, as issues go
15 forward, even as things may cycle over the years, to invite
16 people back that have been involved to look at consistencies
17 in these areas. So we do have flexibilities in our
18 approach, depending on the nature of the condition and the
19 expertise.

20 DR. NOGUCHI: I would just add, too, that one
21 thing Jay didn't mention but, with the current gene issues
22 evolving, it is quite clear that the BRMAC committee can't
23 handle all the issues. So we will be also bringing specific
24 gene therapy protocols and issues here as well.

25 One question, as an example, is for adenoviral

1 vectors. We see that they have fairly high levels of
2 toxicity that seems to be well tolerated in oncology
3 patients. But in other patients with metabolic diseases, it
4 may or may not be the most appropriate choice.

5 We can bring questions such as, really, that
6 question, are adenoviral vectors, at any level, really
7 appropriate for other than cancer. So I think we can,
8 certainly, both bring specific cases as well as general
9 topics that will, in fact, shape and help to direct the
10 field in a safer and more efficacious manner.

11 DR. ZOON: If I could just add one thing to that;
12 I think we look forward to this committee getting very much
13 more involved in gene therapy. As part of our proposal with
14 the department and NIH to address some of the recent
15 gene-therapy issues, we have also proposed that some of the
16 gene-therapy safety conferences be linked to the BRMAC.

17 So we would be involved maybe having, one day, a
18 safety conference on an issue that we thought was important
19 in the safety of gene therapy and link that to the BRMAC's
20 normal committee schedule so that we can have those issues
21 discussed in a forum where we bring scientists in to discuss
22 the issues and then, the next day, have our committee to
23 deal with specifics on issues.

24 So I think there is going to be a lot more
25 excitement and a lot more interest in some of these evolving

1 areas and we are looking forward to having the committee
2 contribute to this.

3 DR. SALOMON: My only other comment is that I hope
4 that we don't lose the component of hematopoietic stem-cell
5 transplantation in the committee as it evolves because I
6 think that some of the future directions we are going in,
7 whether it be stem-cell therapy or gene therapy, and cell
8 therapy, after we get into the arguments of what is a tissue
9 and what is a cell, which I got into with Camillo yesterday,
10 I think it is going to be very important.

11 MR. SIEGEL: There is no question a significant
12 amount of the gene-therapy work we are seeing is using
13 hematopoietic stem cells, manipulated, expanded or not, as a
14 vehicle for gene therapy. There is also no question that
15 newer cell-device, expansion devices, culturing pancreatic
16 cells, culturing neonatal cord blood cells is really part
17 and parcel of the same class of issues. I agree.

18 DR. SALOMON: Okay, guys. Good. Thank you very
19 much, Jay.

20 Phil?

21 **TOPIC III - UPDATE CBER RESEARCH PROGRAMS**

22 **Division of Cellular and Gene Therapies**

23 DR. NOGUCHI: Good morning.

24 [Slide.]

25 One of the very important extra duties that BRMAC

1 serves is a review of our scientific programs. I would just
2 like to, very briefly, go over some of the reorganization of
3 the Division of Cell and Gene Therapy and show you how our
4 newer programs in stem-cell biology fits in.

5 [Slide.]

6 This committee has, in various aspects, reviewed
7 the Laboratory of Cellular Immunology. At the present time,
8 we have Dr. Ida Bloom, who has focused on some of the immune
9 processes involved in xenotransplantation and Carolyn
10 Wilson, who has been working on porcine endogenous
11 retrovirus.

12 To augment this area, including that to focus on
13 gene therapy, Paris Byrd left recently and we are now
14 recruiting for a tenure-track person with expertise in
15 adenoviral vectors.

16 The Laboratory of Molecular and Developmental
17 Biology, in a way, splits some of its work between areas of
18 immunity of plasmid vectors, both where you wanted immune
19 response and where you don't want immune response, some
20 developmental programs of Malcolm Moos. Deborah Hirsh is a
21 new tenure-track person. Steve Bauer has been looking at
22 the interaction of stromal cells with hematopoietic cells
23 and their development.

24 [Slide.]

25 Dr. Raj Puri directs a program of tumor-cell

1 biology and is responsible for the cellular-tumor vaccines
2 that we regulate. Tom Eggerman has been working on some
3 very clever approaches to delivery of antisense compounds
4 through liposomes that is finally starting to emerge as a
5 major field.

6 We have also non-lab-based reviewers. Dr. Joyce
7 Frey heads up this particular endeavor and also serves as my
8 deputy for cell and gene therapy.

9 [Slide.]

10 The newest and last area is in the area of
11 stem-cell biology. Dr. Liana Harvath has recently joined
12 our group moving from the Office of Blood. But, as she has
13 moved over, she is also bringing over all the issues and the
14 work that she has done in hematopoietic stem cells.

15 Here is where we hope to extend some of the types
16 of approaches being used in hematopoietic stem cells to
17 things like islet cell transplants, umbrella INDs,
18 development of external standards, heavy and very
19 interactive industry and academic involvement with the
20 process.

21 Dr. Gerry Marti has had decades of flow cytometry
22 standardization and experience and a research program in
23 chronic lymphocytic leukemia. Don Fink is our newest
24 addition to this who came from the old Division of Cytokine
25 Biology.

1 While the focus of the researchers that we
2 currently have in stem cell biology has been mainly on
3 hematopoietic cells, we know that there is going to be a
4 very large and emerging area of nonhematopoietic stem cell
5 biology. In fact, the next planned BRMAC meeting will be on
6 the area of neural stem cells and whether or not they may
7 prove to be useful therapeutic modalities.

8 I think you will find that particular next meeting
9 to be quite interesting

10 I think, at this point, I would like to have,
11 then, Don Fink just briefly review some of his recent
12 efforts.

13 DR. CHAMPLIN: While you are fixing the projector,
14 Phil, I was just going to ask, with Liana Harvath coming
15 over, changing areas, does that change the regulatory
16 framework for hematopoietic transplantation in any way?

17 DR. NOGUCHI: No. The approach that has been
18 outlined and that Liana has been presenting and working with
19 you and others will remain the same. It is our intent to
20 continue that.

21 MR. SIEGEL: Liana is now integrated into the
22 group and the team, including Phil and myself and Kathy. As
23 of a few months ago, we were hoping to renew an effort to
24 really finish, or at least advance, the regulatory framework
25 in a way that made sense and provided further clarity to the

1 field.

2 I must say, many of us have been somewhat
3 distracted by events in the gene-therapy field and has that
4 the work back a little bit, but we are meeting later this
5 month to continue that effort and her transfer into this
6 division was significantly motivated by a desire to be able
7 to coordinate development of regulatory policy and strategy.

8 DR. CHAMPLIN: Does that mean that will be sort of
9 in your office as opposed to the Blood Products group? Or
10 is that an oversimplification?

11 MR. SIEGEL: Most stem-cell products have been in
12 the Office of Therapeutics--I shouldn't say that; not most,
13 necessarily that are in use, but many that have involved use
14 of growth factors, use of devices to select against tumor
15 cells, select for CD34 cells and whatever.

16 It is likely that--perhaps Kathy can speak to this
17 more directly, but it is likely that more of the stem-cell
18 issues will be dealt with in my office; yes. I don't
19 remember exactly where the rules are, but more of the less
20 manipulated stem cells are moving into my office with Liana.

21 DR. ZOON: I think if you are interested in that,
22 that is something maybe we can give you an update on at one
23 of the future meetings of its status and how that is
24 organized because I think it is an important question with
25 some personnel switches.

1 If the committee would like an update on how the
2 center is managing stem cells, we would be happy to do that.

3 DR. FINK: Good morning, committee members.
4 8 o'clock is a nice time. I am glad to see you all awake.

5 [Slide.]

6 I am going to give you a Reader's Digest synopsis,
7 really, of what I presented at my site visit back in
8 September of which Dr. Hugh Auchincloss was the chair. Up
9 front, I would say, and he asked me this morning, I,
10 personally, have sent a letter to each of you, I believe,
11 who are committee members which had information that I felt
12 was not in the briefing package that might be useful in your
13 deliberations.

14 Dr. Noguchi is aware of that. Dr. Seigel has seen
15 that comment. So you are free to talk about it. It is not
16 out of bounds. Those issues are quite fine. So I have no
17 trouble with that. I will come back to that at the end to
18 tell you that some of those actions have actually been
19 implemented which was a positive outcome of all of this.

20 What I presented--at that time, I had spent most
21 of my tenure here in the Division of Cytokine Biology and,
22 as you know, cytokines are therapeutic proteins and
23 recombinant proteins. My area of expertise and regulatory
24 oversight was in neurotrophic factors in the treatment of
25 neurodegenerative diseases.

1 That basis was how my research program had been
2 developing. So what I am presenting to you in terms of that
3 is what had been done in the lab up to that point.

4 The model that we are using in the laboratory is a
5 cell-culture model to look at neuronal differentiation. The
6 cell line is a PC12 cell line, which is derived from a
7 fetochromocytoma. I would consider this to be something
8 akin to a neuroprogenitor. It works nicely in that you can
9 add a trophic factor such as nerve-growth factor and it
10 differentiates and becomes a functional neuron.

11 Also used were various variants, mutant cell
12 lines, which have dominant negative expressions of
13 signal-transduction molecules to verify certain observations
14 that were made pharmacologically.

15 For the purpose of comparison, I will simply state
16 that my expertise had been in nerve-growth factor for much
17 of my training and then I have become interested in
18 pituitary adenylate cyclase-activating polypeptide. So I
19 run these things in parallel to find similarities and
20 differences. They work in quite different fashions.

21 So what I am going to do--I am just going to do
22 overheads that I have to show you just to summarize the
23 bullet points for you so you will be familiar with the
24 findings. Then I will tell you a little bit about what I
25 have done to progress the research in the last couple of

1 months orally and then any questions that you may have, in
2 particular, I will entertain at the end.

3 Basically, in terms of the research findings,
4 using this cell model to look at neural differentiation, we
5 are measuring neuritic outgrowth. We found in the
6 laboratory that the PACAP, which works through a
7 seven-trans-membrane protein through g-coupling mechanisms,
8 was able to elicit a neuritic outgrowth response similar to
9 what you would see if you added the neurotrophin
10 nerve-growth factor.

11 We were able to deduce that these are independent
12 phenomena and that the PACAP does not activate in crosstalk
13 into systems that somehow activate Trk A, thus resulting in
14 a borrowing of pathways in that manner.

15 In contrast to what we know about nerve-growth
16 factor, if we use dominant negative expression systems and,
17 number 2 at the bottom src and ras, which we know are
18 involved in the signalling of nerve-growth factor, when we
19 use our dominant negative cell models, we find that PACAP is
20 able to work independent of those and is able to drive
21 significant signalling through the cell in the absence of
22 activation of those particular molecules, which makes it
23 clearly distinct from nerve-growth factor in that regard.

24 At this point, we decided to use varieties of
25 pharmacological antagonists to probe this in greater detail

1 and, in particular, looking at protein kinases A and protein
2 kinases C which are known to be activated by PACAP and to
3 see whether or not we could inhibit, in any way, the
4 responses that we had been observing.

5 [Slide.]

6 On the top bullet point, you can see that, by
7 using various pharmacologic inhibitors selective for the
8 various pathways, we found that, in particular, if we use
9 protein-kinase-C antagonism that we were able to inhibit a
10 downstream signalling molecule called Erk which is, we know,
11 to be very important to nerve-growth-factor activity in
12 terms of inducing the neuritic response.

13 Similarly, when we antagonized protein kinase C
14 and inhibited Erk, we also found that PACA was blunted. So
15 now we have kind of found a focus where we can coalesce and
16 we are converging on a point in this cascade where NGF and
17 PACAP might be coalescing their efforts to result in a final
18 outcome which was neuritic outgrowth.

19 Continuing those studies, we were able to observe
20 that, in addition to the Erk and PKC dependence that protein
21 kinase A was not involved, thus eliminating one arm, at
22 least, of potential signalling for PACAP as being involved
23 in this morphologic neurodifferentiative response that we
24 had observed.

25 Finally, the area that we are continuing our most

1 active study is really in the upregulation of a Trk A
2 receptor. Trk A is important for neuronal differentiation
3 and survival of various nerve-type cells. In the model,
4 PC12-6.24 cells, we have a system where we have
5 overexpressed human Trk A.

6 What we have found has been very striking and very
7 entertaining, actually, is that we have maybe a potential
8 area to look at regulation of this neurotrophin receptor
9 that has not been discovered or discussed extensively in the
10 literature. We have found that PACAP treatment will
11 remarkably upregulate the expression of the Trk A at the
12 protein level as well as its phosphorylation status, which
13 is indicative of its activation.

14 Now, 624 may be something akin to using sort of an
15 induced expression system because we find that with PACAP,
16 we from a basal level to over 200-fold, 300-fold, increase
17 in this protein level. So it is quite robust, but it also
18 has some interesting features.

19 It appears that receptor has been sequestered. It
20 is not available to surface because the increase in overall
21 binding of NGF, for example, to surface is only about
22 three-fold to four-fold. So while there is probably some
23 endogenous separation there, it is quite interesting to use
24 as a marker.

25 We have extended those studies. We did a time a

1 course and we find that--we started cutting the time to
2 exposure. If you only expose for 15 minutes to PACAP, do a
3 wash-out and come back 24 hours later, you still have the
4 robust signal. So it is almost like there is an on-switch
5 and it is very intriguing.

6 So that really summarizes the gist of what I had
7 presented at that time. Since then, I can say that, on the
8 basis of the site-visit report, that we have been able to
9 actually do a little novel kind of experiment within the
10 purviews of research. I am now associated with the
11 laboratory of Dr. Kathy Carbone who is in another office but
12 works with neurotrophic viruses and has a laboratory that is
13 quite extensively focused on, fortunately, the cerebellum.

14 The cerebellum, it turns out, is where you have
15 the neurons, the cerebellar granule neurons which are
16 PACAP-dependent early on in development. Her model is using
17 a bornavirus which, interestingly, affects the cerebellum
18 resulting in the death of cerebellar neurons following
19 neonatal treatment injection of the virus into the CNS.

20 So we are developing--this is a new evolution, but
21 we are developing a strategy to look at what is actually
22 happening in terms of expression of PACAP--they have some
23 suggestion that it is modulated by the virus and that may be
24 representing a compensatory mechanism to try to protect
25 these neurons--and then look for expression of various

1 neurotropic-factor receptors such as Trk B.

2 I also have a collaboration going with a colleague
3 in Israel where we have an extensive program looking at in
4 vitro modeling with the PC12 cell line at hypoxia and
5 glucose deprivation representing a stroke model. We have
6 some very interesting data which suggests that PACAP, by
7 itself, is able to protect PC12 cells in this deprivation
8 model from apoptosis by about 20 percent.

9 NGF is only about 35 percent protected, but the
10 two of them together, it is almost complete protection
11 against hypoxia and the glucose deprivation. So there is
12 some nice signalling crosstalk that we can look at in that
13 model.

14 Finally, I have a collaboration now with Dr. Ann
15 Marini. She is at Walter Reed, very close by. She does
16 work with primary cerebellar granule neurons and is very
17 intrigued by the possibility that, A, in glutamate toxicity,
18 she can use PACAP as a protectant and, B, that I am in
19 interested in that because we can use PACAP in her model
20 which is primary neurons to look now at upregulation but
21 second neurotrophic receptor, which would be Trk B.

22 Finally, let me just bring this all to a focus in
23 terms of stem-cell biology and the recent presentation at
24 CBER by Dr. Catherine Verfaillie at the University of
25 Minnesota who is developing adult stem cells.

1 One of the important features of that model for
2 getting these cells to replicate in culture prior to
3 differentiation is to use forskolin. If you recognize
4 forskolin as a cyclic-A-and-P activator, you can begin to
5 see how PACAP could be a physiologic modulator if, in fact,
6 these cells express receptors for it and are coupled through
7 adenylate cyclase.

8 We anticipate the possibility of obtaining some of
9 these cells from her in order to investigate this particular
10 approach. Within the laboratory of stem-cell biology, along
11 with Dr. Liana Harvath, we are currently working together to
12 try to look at the issue of cellular migration which she is
13 an expert in and which is a very important feature for what
14 will happen following the implantation of stem cells,
15 particularly neural stem cells because they will, in fact,
16 need to migrate to their proper location in order to
17 establish connections.

18 So this could be a very fruitful and productive
19 interaction. With that, I will conclude. If anyone has any
20 particular questions they would like to ask, I would--yes?

21 DR. SAUSVILLE: In relation to that last point,
22 your PC12 cells--you say PACAP is PKA independent? And yet,
23 in these stem cells that you just alluded to, you state that
24 they are stimulated by forskolin and you implicate it in the
25 potential role of PACAP.

1 So, does that imply that in different cellular
2 contexts, PACAP could either be protein kinase-A or cyclic-A
3 and-P-directed and, in another cellular context, independent
4 of that?

5 DR. FINK: That is absolutely correct.

6 DR. SAUSVILLE: How do you see that coming
7 together from a unitary mechanism of PACAP?

8 DR. FINK: Let me just try to confuse you a little
9 further on that regard because we have tried to probe this
10 specifically using our upregulation of the receptor model as
11 indices. There are aspects where, in certain cellular--let
12 me backtrack--cellular contexts where the PACAP is mitogenic
13 and, with cyclic-A-and-P activation, does, in fact, drive
14 mitogenesis.

15 However, in those cellular contexts, it is not
16 coupled efficiently, if at all, through protein kinase C.
17 So, it may be that you have a preponderance of activation of
18 one system that is, in the absence of another input from a
19 secondary cascade such as protein kinase C, allows it to
20 over--not overexpress but to predominantly express that
21 particular phenomenon.

22 In PC12 cells, and this is also the case with NGF
23 versus EGF, you have molecules that seem to activate similar
24 cascades and yet lead to, really, diametrically opposed or
25 different phenomenon.

1 All the players in that are not identified but it
2 is clear that there are now capacities to activate distinct
3 molecules in different discrete cascades that have crosstalk
4 inhibitory effects. So, in that context of cyclic A and P
5 that you suggested, in this case, it appears that, while the
6 cyclic-A-and-P event is there, in terms of the neuritic
7 outgrowth response, that is being driven more predominantly
8 by other players, presumably protein kinase C and Erk
9 activation at this time.

10 DR. SALOMON: Thank you, Donald.

11 **Division of Therapeutic Proteins**

12 DR. ROSENBERG: Good morning, everybody.

13 I would like to start out by showing you the
14 organization of our division and where the people who have
15 been site-visited fit into the division. I would like to
16 then tell you about some of their regulatory activities so
17 that you can understand how their scientific endeavors
18 benefit the regulatory mission of the agency.

19 [Slide.]

20 We are the Division of Therapeutic Proteins. This
21 division was constructed or generated last year, in October.
22 It is composed of the former Division of Hematologic
23 Products and some personnel from the Division of Cytokine
24 Biology.

25 I am the Deputy Director who is the Acting

1 Director. There are four laboratories within our division.
2 First there is the Laboratory of Immunology. Drs. Donnelly
3 and Petricoin who were reviewed are in this laboratory; the
4 Laboratory of Gene Regulation lead by Dr. Ed Max and Dr.
5 Gibbes Johnson is located within the laboratory. Dr. Kathy
6 Zoon is located in the Laboratory of Chemistry that is led
7 by Blair Frazier.

8 [Slide.]

9 What products do we have and what scientific
10 programs support the regulation of these products? We have
11 an amazingly wide variety of products. Starting with the
12 cytokines, Dr. Donnelly has been responsible for regulating
13 IL1. Recently, he has taken on regulation of IL2 as well as
14 IL2 fusion toxins. He has had long-term responsibility for
15 regulation of IL4, IL10 and IL12.

16 Regarding the interferons, there is a wide variety
17 of interferons and many groups are involved in their
18 regulation. So we have Dr. Zoon's group and, primarily,
19 within that group, Mr. Joe Bekicz has been responsible for
20 some of the interferon alphas. Dr. Chip Petricoin, as well,
21 has been responsible for the regulation of interferons and
22 it is Dr. Zoon's abiding interest in interferons that
23 qualify her laboratory as regulators of these products.

24 Dr. Petricoin has had a long-standing interest in
25 interferon signalling although his direction has changed

1 recently. The interferon gamma is also regulated by Ray
2 Donnelly who has primary responsibility for it.

3 Interferon beta is handled by Dr. Gibbes Johnson
4 who has a long-standing interest in signalling by growth
5 factors in general. Dr. Chip Petricoin also has regulation
6 of interferon omega and interferon tau.

7 [Slide.]

8 In terms of receptor antagonists, of which we are
9 seeing increasing numbers, Dr. Petricoin is responsible for
10 antagonist to TNF. Ray Donnelly is responsible for receptor
11 antagonists to IL1 and IL4. Regarding the lists of enzymes
12 that you see here, which we recently acquired since the
13 reorganization of the divisions, Dr. Johnson is responsible
14 for the uricase.

15 There is a group of miscellaneous products which
16 are difficult to classify. Dr. Petricoin is responsible for
17 lactoferrin and relaxin, Dr. Johnson for ICAM-1.

18 [Slide.]

19 Regarding tissue growth factors, Dr. Gibbes
20 Johnson has primarily responsibility for platelet-derived
21 growth factors, vascular endothelial growth factor and
22 hepatocyte growth factor. There are others in the division
23 who are responsible for the remaining factors.

24 Regarding the growth inhibitors, Dr. Donnelly is
25 responsible for mammastatin.

1 [Slide.]

2 So, having shown you what their regulatory aegises
3 are, I think that I would just like to briefly touch on the
4 nature of their laboratory programs so that you can see, in
5 a nutshell, how their laboratory programs address regulatory
6 issues. So we will start with the laboratory program of Dr.
7 Donnelly.

8 [Slide.]

9 The scope of his research really focuses on the
10 mechanisms by which cytokines cross-regulate signalling by
11 other cytokines. He uses a monocyte model in this regard.
12 He has most recently focused on the role of the SOCS
13 proteins. These are suppressors of cytokine signalling. He
14 is endeavoring, in his future studies, to try and define the
15 mechanism by which these SOCS protein inhibit
16 cytokine-induced signalling.

17 [Slide.]

18 In terms of the laboratory program of Dr. Johnson,
19 the primary interest here is in signalling through EGF
20 receptors and the role of the SHP-2 phosphatase in this
21 signal transduction pathways. So his studies mostly focus
22 on mutational analyses of the erbB receptor, tyrosine
23 kinase--

24 [Slide.]

25 --as well as looking at the role of the SHP-2

1 phosphatase by generating mutants and characterizing the
2 activity of these mutants in vitro and in vivo.

3 [Slide.]

4 The laboratory program of Dr. Zoon is focused on
5 the structure/function relationships of interferon alpha.
6 It involves extensive characterization of newly generated
7 hybrids in terms of what domains are essential for antiviral
8 activity, antiproliferative activity, competitive binding
9 activity and signal transduction pathways.

10 [Slide.]

11 The future goals include examining the interferon
12 binding characteristics, characterizing the signal
13 transduction pathways and really delving into the
14 structure/function relationships as they apply to
15 antiproliferative activity.

16 [Slide.]

17 Lastly, the laboratory program of Dr. Petricoin,
18 who I think may be a victim of the bad traffic this morning,
19 so I will just go over these a little more slowly, although,
20 in his former years, he was focused primarily on interferon
21 signalling, he has recently gone off in a very exciting new
22 direction involving the use of proteomic technologies to
23 identify new proteins.

24 The power of this technique is enormous with
25 regard to many different areas. So his goals are really to

1 use this technique in many settings. One is to identify new
2 proteins that track with normal and diseased cancer cells in
3 following human solid tumors, cancers of the prostate,
4 breast, ovary and esophagus.

5 He wants to use the proteomic technologies to
6 identify signal transduction pathways and to potentially
7 identify new phosphoproteins in the setting of solid
8 malignancies, the erbB2 positive and negative breast
9 cancers, normal versus premalignant versus tumor breast
10 epithelium, normal versus premalignant versus invasive
11 prostate epithelium with low metastatic potential versus
12 invasive, so, really, to try and get a correlate of what
13 proteins are involved, perhaps in malignant transformation
14 or in transformation to a more highly malignant form.

15 [Slide.]

16 He wants to use the proteomic technologies to
17 identify biomarker profiles from body fluids for early
18 disease detection. This would clearly be of enormous
19 benefit in a variety of malignancies, many of which are not
20 detected until late stages of disease. So he wants to
21 examine the nipple-fluid aspirates from volunteers in
22 breast-cancer patients to see if there is something that can
23 be picked up there as a precursor or malignancy.

24 He wants to examine serum from normal volunteers
25 and prostate-cancer patients and also the ascitic and cystic

1 fluid from borderline non-invasive and invasive
2 ovarian-cancer patients and he further wants to use these
3 proteomic technologies in a way that I think is very
4 exciting for the agency in general which is to identify
5 biomarker profiles for early toxicity screening using,
6 hopefully, serum, which is clearly one of the easiest fluids
7 to get.

8 So, for instance, looking at whether one can
9 detect the early toxicity of adriamycin-treated patients,
10 the cardiac toxicity; also to look at pre- and
11 post-treatment of vasculitis and cardiotoxicity-inducing
12 agents in rat models.

13 That covers the presentation.

14 DR. SALOMON: Thank you, Amy.

15 DR. BLUESTONE: Amy, for the proteomic work, is
16 that being done using a mass-spec approach, at 2D-gel
17 approach? Is it in collaboration with a company or how is
18 that being--

19 DR. ROSENBERG: He has gone to using the SELDI
20 which is a very high-powered technique for identifying
21 proteins. It is not terribly quantitative at this point but
22 it is qualitative and very sensitive in terms of picking up
23 all protein forms.

24 There are a variety of chips that can be used with
25 this to really detect proteins of different molecular

1 weights. If he were here, he could tell you better.

2 DR. GOLDMAN: If I may add, SELDI is, in fact,
3 laser desorption so it is like MALDI. It is mass
4 spectrometry.

5 DR. CHAMPLIN: Can I ask a question? What are you
6 envisioning for this Office of Protein?

7 MR. SIEGEL: The Division of Therapeutic Proteins?

8 DR. CHAMPLIN: Yes.

9 MR. SIEGEL: Basically, we reorganized in October,
10 which was the start of this fiscal year. The reorganization
11 can be described, I think, as having two major
12 characteristics. We went from laboratory-based product
13 divisions to three. That, among other things, largely
14 reflected the fact that our program has significantly
15 downsized, particularly its research aspects, over the last
16 four or five years.

17 But also what was really the critical guiding
18 feature, and this is really to the point of your question of
19 this reorganization, was to reorganize the product-review
20 divisions more along the lines of product classes which
21 shared common concerns regarding methods of production,
22 methods of product testing and manufacturing control.

23 So, whereas in the past, we had cellular therapies
24 in all of our product divisions and protein therapies in all
25 of our product divisions, and antibody therapies in at least

1 two of the four product divisions, we kind of, by
2 refocussing them, have created a situation where it is
3 easier to insure consistency in manufacturing and standards
4 as well as to develop policy and guidance in a concerted
5 way.

6 So that is how the products, some of which were in
7 cytokine biology and some of which were in hematologic
8 products, kind of got merged into the Therapeutic Proteins
9 Division.

10 DR. CHAMPLIN: I was struck by how
11 broad-based--everything from growth regulatory molecules to
12 l-asparaginase to biomarkers. All of biology comes down to
13 proteins in the end and so it really is everything.

14 MR. SIEGEL: That's true and that is true of all
15 of our divisions now. The clinical diversity, of course, as
16 you all are probably aware, we have both a preclinical
17 animal models group as well as a clinical group that is
18 together in Karen Weiss's division that is basically
19 organized by clinical specialty that works with all of these
20 product classes.

21 But it is true from the basic pharmacology and
22 basic science that, just as in the Division of Monoclonal
23 Antibodies and in the Division of Cell and Gene Therapy, the
24 Division of Therapeutic Proteins has a diverse group of
25 products.

1 We have been aware that, in reorganizing, you can
2 organize along clinical disciplines. You can organize
3 around product class. You can organize along scientific
4 disciplines or mechanisms of action. Whatever you do has
5 advantages but requires that you pay careful attention to a
6 large number of cross-cutting issues and interactions. That
7 is where we are.

8 DR. SALOMON: I am getting a little concerned
9 about time here, so if we can keep on going. Dr. Johnson?

10 DR. JOHNSON: Thank you.

11 [Slide.]

12 I thought, today, I would spend my five minutes
13 just bringing the committee up to date on some recent
14 progress that we have had since the site visit. My
15 laboratory is interested in signalling by the erbB family of
16 receptors. Ligands are comprised of four receptor tyrosine
17 kinases which interact through process of a ligamerization
18 when the receptors engage a very large family of growth
19 factors which are structurally related to epidermal growth
20 factor.

21 [Slide.]

22 ErbB1 is actually the epidermal growth-factor
23 receptor, EGF receptor, and there is erbB2, erbB3 and erbB4.
24 This is an old slide, but actually, all of the erbs have
25 been shown to interact in a ligand-dependent manner.

1 [Slide.]

2 There are actually two projects which are ongoing
3 in the laboratory. One is trying to understand the function
4 of the EGF receptor tyrosine kinase activity and signalling
5 by the EGF receptor.

6 [Slide.]

7 As you might guess, the way we have addressed that
8 is by mutating the EGF receptor kinase activity into an EGF
9 receptor kinase-inactive mutant form. Just to kind of
10 describe some recent progress in this area and put it in a
11 nutshell, what we found is that, actually, the EGF receptor
12 kinase activity is not essential to EGF-induced signalling
13 in many cell types.

14 This appears to be due to the fact that there is
15 an erbB2-EGF receptor heterodimer which signals in the
16 EGF-dependent manner. The signalling is not dependent upon
17 the EGF receptor kinase activity. This heterodimer can
18 actually activate two pathways which are essential to growth
19 and differentiation of cells--that is, the mitogen-activated
20 protein-kinase pathway or MAPk and also the Akt kinase
21 pathway which is thought to be involved in cell survival.

22 The heterodimer is incapable of activating signal
23 transducers and activators of STATs--signal transducers and
24 activators of transcription, also known as STATs 1, 3 and 5.
25 We have actually set up two model systems to study the

1 signalling by the heterodimer. One is in NR6 fibroblasts
2 and the other is in 32D myeloid cells.

3 The biological response to EGF in these two cell
4 lines is actually different even though biochemically
5 signalling appears to be identical, in the fibroblast, EGF
6 can elicit proliferation. In the 32D cells, all we see,
7 really, is a weak survival and a delay in the onset of
8 apoptosis.

9 [Slide.]

10 A second project in the laboratory is trying to
11 understand the molecular basis for the role of the protein
12 tyrosine phosphatase SHP-2 in signalling by the erbB
13 receptors. Several years ago, we were able to show that
14 SHP-2 plays a positive and a central role in
15 mitogen-activated protein kinase activation by the entire
16 erbB family of receptors.

17 We are trying to identify how SHP-2 functions in
18 that regard and what are the targets for SHP-2.

19 [Slide.]

20 One of the ways that we have been addressing this
21 question is to generate a constitutively active form of
22 SHP-2, express it in cells and see what pathways and
23 transcription factors we can turn on in the absence of
24 receptor activation.

25 We have generated several constitutively active

1 forms of SHP-2 that, in vitro, show at least a ten-fold
2 greater activity relative to the wild type and preliminary
3 experiments in intact cells show that we are able to turn on
4 a number of specific transcription factors in the absence of
5 receptor activation.

6 With that, I will end and answer any questions if
7 there are any.

8 DR. SALOMON: Is there a SHP-2 knockout?

9 DR. JOHNSON: It is lethal. There actually is a
10 SHP-2 knockout where a defective form of SHP-2 still appears
11 to be made and so it is missing one of its SH-2 domains, the
12 protein that is made. It has been useful even though there
13 is death in utero. They have been able to establish some
14 cells and use them in some studies.

15 Whether that mutant form of SHP-2 has any
16 signalling capacity is not exactly clear. So there is not a
17 knockout where there is no protein, that I am aware of.

18 DR. SALOMON: I had a follow-up question. We are
19 interested in SHP-2's association with the CXCR-4 receptor.
20 Have you done anything with putting it into a T-cell or any
21 other cell and looked at migration?

22 DR. JOHNSON: Putting in the constituitively
23 active forms?

24 DR. SALOMON: Putting in the ten-fold
25 constituitvely active--

1 DR. JOHNSON: No; not in those cells. We are
2 mostly interested in fibroblasts and epithelial cells. But
3 SHP-2 appears to be doing a lot of different things in
4 different settings.

5 DR. SAUSVILLE: So, in the model system that you
6 described of the EGFR independent activation, the kinase
7 independent activation of the MAP kinase, does that require,
8 though, an active erbB2 tyrosine kinase domain or is it
9 actually independent of both?

10 DR. JOHNSON: It appears to require erbB2 kinase
11 activity although, at times, we have seen a very weak signal
12 generated by just heterodimerization where the EGFR is
13 kinase inactive and erbB2 is kinase inactive. But is very
14 weak relative to the erbB2 kinase active heterodimer.

15 DR. SALOMON: Thanks very much. Very interesting
16 work.

17 Next is Dr. Donnelly.

18 DR. DONNELLY: Well, I am pleased to say that I
19 was not a victim of this morning's inclement weather,
20 although I was worried about this about thirty minutes ago
21 sitting out on the interstate. I am pleased that I was able
22 to make it here.

23 [Slide.]

24 As Dr. Rosenberg mentioned previously, the
25 research in my laboratory is primarily focused on exploring

1 the mechanisms by which certain cytokines and how cytokines
2 cross-regulate the actions of one another using principally
3 monocytes and, to some extent, murine macrophages as targets
4 for the actions of these cytokines.

5 In particular, we are focussing on how interferons
6 can inhibit IL4-induced signalling, conversely how IL4 can
7 inhibit the activation of monocytes and macrophages in
8 response to interferon gamma stimulation

9 Just very quickly, I would like to show you a
10 couple of slides that illustrate this second point--that is,
11 now IL4 can inhibit interferon-gamma-induced responses.

12 There are a number of genes that are
13 interferon-gamma-inducible in both monocytes and
14 macrophages. They include genes such as the high-affinity
15 FC receptor of IgG, the B7 isoforms CD80 and CD86, ICAM1,
16 IP10 and iNOS.

17 [Slide.]

18 This is simply to illustrate that when one looks
19 at the effects of interleukin 4 on induction of certain
20 genes that are interferon-gamma-inducible, in this case the
21 FCgammaR1 gene. This is an RNA analysis of RNA from
22 monocytes that were stimulated either with interferon gamma
23 alone, which gives a significant level of FCgammaR1 gene
24 expression.

25 However, when cells are preincubated with

1 interleukin for, there is virtually a complete inhibition of
2 the ability of interferon gamma to induce expression of this
3 gene.

4 [Slide.]

5 This inhibitory effect of interleukin 4 on
6 interferon-gamma-inducible gene expression in human
7 monocytes correlates with an inhibition of activation of the
8 transcription factor, Stat 1, which is the principle
9 interferon-gamma-inducible transcription factor. The
10 inhibitory effect is not immediately apparent, so here this
11 suppression of activation of STAT 1 requires that the cells
12 are preincubated for at least 60 minutes or so before this
13 effect becomes apparent and, thereafter, is fairly complete.

14 More importantly, we had found that this induction
15 of inhibition by IL4 of interferon gamma's ability to
16 activate STAT 1 and to activate expression of
17 interferon-gamma-responsive genes correlates with the
18 ability of IL4 to induce expression of a novel gene known as
19 SOCS1, or suppressor of cytokine signalling 1, which is one
20 member of a family of genes which now numbers seven or
21 eight.

22 IL4 activates expression of SOCS1 in human
23 monocytes. The induction of SOCS1 mRNA which, it is quite
24 apparent, at 60 minutes correlates with the inhibition of
25 STAT 1 activation.

1 [Slide.]

2 If you over-express SOCS1 in a myeloid cell line,
3 in this case M1, this is, again, an RNA analysis of total
4 RNA, a panel of M1 cells, either the parental line or M1
5 transformance that over-expressed SOCS1 or SOCS2. When
6 these cells are stimulated with interferon gamma, the
7 parental cells, you can see that there is strong induction
8 of FCgammaR1 and mRNA.

9 Forced expression of SOCS2 did not inhibit the
10 ability of interferon gamma to upregulate FCgammaR1 gene
11 expression. However, forced expression of SOCS1 markedly
12 inhibited the ability of interferon gamma to upregulate
13 FCgammaR1 gene expression.

14 [Slide.]

15 The SOCS proteins encoded by the SOCS genes act by
16 two principle mechanisms. In future experiments, we are
17 focussing in terms of how IL4 can inhibit
18 interferon-gamma-inducible gene expression specifically as
19 to the mechanism by which SOCS1 inhibits expression of
20 interferon-gamma-inducible genes.

21 I am not going to go through this in any detail in
22 the interest of time, but, suffice it to say, when
23 expressed, the SOCS1 protein interacts specifically with the
24 receptor-associated JAKs which thereby blocks the ability of
25 the kinase to phosphorylate the intercellular domain of the

1 cytokine receptor.

2 For example, in our studies, we are interested in
3 the interferon gamma receptor complex. Alternatively, other
4 members of the SOCS family, in this case Cis1, act by
5 binding directly to the phosphotyrosine motif on the
6 intercellular domain of the cytokine receptor and, again,
7 block the ability of the latent STAT from docking and, in
8 turn, becoming activated by the receptor-associated JAKs.

9 [Slide.]

10 On a more general level, the importance, I think,
11 of understanding how cytokines can cross-regulate the
12 actions of one another, and the role of the SOCS genes and
13 the SOCS proteins in mediating this inhibition is very
14 important. In terms of understanding how the balance of
15 cytokines produced by either Th1- or Th2-type T-cells in
16 certain disease states predisposes to certain pathologies.

17 For example, it has been generally considered
18 that, in many chronic autoimmune diseases such as rheumatoid
19 arthritis or multiple sclerosis, that there is an increased
20 frequency of Th1-type T-cells, increased production and
21 activity of interferon gamma which may, in fact, disrupt the
22 normal balance of Th1 versus Th2.

23 Conversely, cytokines such IL4 and IL13 produced
24 by Th2-type T-cells normally control and prevent excessive
25 activation by interferon gamma.

1 It is also worth stating that IL4 is being tested
2 as an antiinflammatory agent in certain autoimmune diseases
3 and the molecular basis for that may, in fact, involve a
4 role for the activation of the SOCS genes in this process.

5 Let me leave it at that and address any questions.

6 DR. SALOMON: Thank you. Very well done.

7 DR. BLUESTONE: I have a question. I may have
8 missed this. If you overexpress constitutive STAT, have you
9 actually been able to bypass the inhibition of IL4 in any
10 way by overexpressing any of these downstream targets?

11 DR. DONNELLY: By overexpressing the STATs?

12 DR. BLUESTONE: Something that will actually
13 bypass--as I understand it, the IL4 inhibits. If the IL4
14 inhibits and you have got a number of readouts of that
15 inhibition, STAT inhibition as well as the SOCS inhibition,
16 I am just wondering if you can overcome the inhibition by
17 bypassing that part of the pathway.

18 DR. DONNELLY: We haven't actually designed
19 experiments to deliberately attempt that. I think, in
20 theory, one could use a dominant negative SOCS to, perhaps,
21 overcome the inhibitory effect, something of that sort.

22 DR. BLUESTONE: Right.

23 DR. DONNELLY: We haven't done those--

24 DR. BLUESTONE: I am just trying to get a sense of
25 which of the effects you see are direct effects of the IL4

1 and which of them are downstream consequences of the IL4.

2 DR. DONNELLY: Very simply put, IL4 activates
3 STAT6. STAT6, in turn, activates expression of SOCS1 which
4 then feedback-inhibits--well, certainly can feedback-inhibit
5 IL4-induced signalling; that is, the same cytokine that
6 elicits its expression but we have found that it can also
7 cross-regulate the activation of a cytokine.

8 It signals through an unrelated receptor, in this
9 case, the interferon-gamma receptor. We are specifically
10 looking at the mechanism of action by which SOCS1 inhibits,
11 for example, interferon-gamma signalling. We have now, in
12 fact, been able to aminoprecipitate and show a correlation
13 in terms of a reduction of tyrosine phosphorylation of the
14 receptor, itself.

15 We are also hoping to, very soon, be able to show
16 a physical docking of SOCS1 on the receptor.

17 DR. BLUESTONE: On the interferon-gamma receptor.

18 DR. DONNELLY: That's correct.

19 DR. SALOMON: Thank you.

20 I guess Dr. Petricoin is not here. So, Kathy;
21 you're on.

22 DR. ZOON: It is a pleasure to be able to present
23 today. I know Jeff was looking over. He goes, "Now, where
24 do you belong in the organization?" It is a bit confusion.

25 [Slide.]

1 I am in the Laboratory of Chemistry in the
2 Division of Therapeutic Proteins in the Office of
3 Therapeutics. So I am in the organization based on the
4 scope of the responsibilities of my lab's research program
5 as well as the regulatory responsibilities which is slightly
6 weird, but that is the way it is right now.

7 My research in the laboratory involves the
8 interferon alpha's structure and function. This is an area
9 that I have been actively engaged in for twenty-five years.
10 One would say, "Gee, aren't you tired of studying interferon
11 alpha?" I wish I could say I was. But each time, I think,
12 "Well, maybe I should do something else," something
13 interesting pops up and there is a lot yet to discover and
14 to really understand how interferon is working.

15 So this has still been a function of my
16 laboratory. I have to, one, give credit to the members of
17 the laboratory. Mr. Joe Bekicz is here. He is sitting in
18 the back. Renchu Human, who is an research scientist who is
19 also in my laboratory. And recently, as a result of some of
20 the recommendations of the site-visit team, I have hired an
21 ORIS fellow, Hannah Schmietzer, to do some of the studies
22 that were recommended by the site-visit team.

23 I will discuss some further activities that we
24 have been doing as a result of the recommendations of the
25 site-visit team.

1 [Slide.]

2 What I am going to present in the first two slides
3 are what I believe are the scientific significance of the
4 work we have been doing on the interferon project. We have
5 isolated twenty-two components of natural human interferon
6 alpha. In doing so, we have, then, in the purification
7 process, determined their biological activities and their
8 physicochemical structural properties.

9 In doing so, we have identified a number of
10 important areas. One is that there is a distinct spectrum
11 of biological activities associated with each of these
12 components. In saying that, we have looked at their
13 antiviral properties and their antiproliferative properties
14 and a number of immunomodulatory assays.

15 In summary, they each have a distinct combination
16 of antiviral, antiproliferative and immunomodulatory
17 properties.

18 From a scientific and interesting point of view,
19 there are nine interferon--at least nine genes and probably
20 in the order of fifteen to eighteen interferon alpha genes
21 that have been identified, located in human chromosome 9.
22 The question really that still is of great interest to the
23 field, why has nature made this redundancy in interferons
24 and what is their roles and responsibilities in eliciting
25 their biological activities under a variety of different

1 stimuli and in compartmentalization in terms of the types of
2 tissues that they may be produced by or cells that they may
3 be produced by.

4 I think we are getting clues based on these
5 studies and some others that will contribute to this area.
6 We have also identified some very important cell-binding
7 properties. In our examination of the interferon alphas,
8 the predominant interferon alpha, one that has been cloned
9 and has been used therapeutically, is interferon alpha 2.

10 In our system, we have identified two alpha-2-like
11 components. In looking at competitive binding experiments
12 using radiolabeled interferon alpha 2, we have found a number
13 of interferons, in particular, that have interesting
14 properties, one being a component "o" which has a very high
15 antiviral activity, an extremely high antiproliferative
16 activity but competes poorly for the alpha 2B binding site.

17 So this has led us to propose either that there is
18 a multicomponent receptor for which there may be a unique
19 component attached to the clearly defined interferon alpha
20 receptor 1 and alpha receptor 2 that have already been
21 defined or there may actually be a distinct receptor that we
22 still have not determined.

23 So these studies are clearly important and
24 underway. I will discuss some studies that we have recently
25 started as a result of some of the recommendations of the

1 site-visit team.

2 The third is in our structure-function looking at
3 the different glycoforms of interferon alpha. We find
4 three. Alpha-2-like interferons in natural cells are
5 glycosylated, which is an interesting aspect of these.
6 Those are o-linked sugars. There is alpha 14 which is one
7 of our components which is also glycosylated at asparagine.

8 We are currently continuing these studies using
9 MALDI TOF mass spec to get a better handle on the particular
10 structure, those glycoforms.

11 [Slide.]

12 We have also been involved in protein engineering
13 where we have taken two interferons, one the alpha 2 which
14 is the dominant form in lymphoblastoid interferon and, two,
15 we have cloned what was our component "o" that gave the
16 interesting biological characteristics of high
17 antiproliferative activity, high antiviral activity but poor
18 alpha-2-B binding.

19 We have made a variety of forms of this. What
20 this has allowed us to do is to identify critical regions in
21 the molecule with respect to binding and antiproliferative
22 activity. What we have found is that the amino terminal
23 portion of the molecule is very important in the binding
24 domain of the receptor.

25 The binding has a higher affinity with the

1 interferon alpha 2 domain at the amino terminus. We have
2 also found that the C terminal end of the molecule is very
3 important for the antiproliferative activity. In fact, we
4 have assessed that one particular region from 75 to 95 is
5 also very important in the antiproliferative activity.

6 Taking our hybrids and making various versions, we
7 have found that not only in the region 75 to 95 are there
8 critical amino acids but additional amino acids subsequent
9 from 95 to 166. In doing some site-directed mutagenesis, we
10 have found two tyrosines that are extremely important in the
11 antiproliferative activity, one at position 86 and one at
12 position 90.

13 In addition, we have been doing some receptor
14 signal transduction experiments. We have been doing these
15 in collaboration with Chip Petricoin from our division.
16 What we have found, in looking at a variety of systems, is
17 that using our hybrids, the interferon pathway may not be
18 solely determined by the activation of STAT1 and STAT2. We
19 have recently published.

20 Right now, this is an area that the lab will be
21 pursuing in greater depth. I am, right now, looking and
22 recruiting and talking to various individuals to pursue this
23 area in greater depth which was also a recommendation of the
24 site-visit team.

25 [Slide.]

1 This summarizes the mission relevance of the work
2 on interferon. As Dr. Rosenberg outlined, interferon alpha
3 is a product that is regulated by the FDA. It is a licensed
4 product. It is also still under IND for a variety of
5 studies. It is licensed for everything from hepatitis B and
6 C to AIDS-related Kaposi's sarcoma.

7 We are very interested in understanding the
8 structure/function for two reasons. One is so that we can
9 get a better handle on the activities and effectiveness of
10 interferons using different clinical situations or
11 potentially used and, two, for safety profiles of these
12 products because, by managing and understanding toxicities
13 of these interferons, one can eventually look at the
14 possibility of engineering an interferon specifically that
15 enhances its effectiveness and decreases its toxicities.

16 So those studies have as its basis for what is
17 some of the underpinning of our work. In addition, the work
18 we are doing--the lab has been very important interesting
19 the development of methods and standards for interferon
20 alphas. We have worked with the National Institutes of
21 Biological Standards and Control in the United Kingdom that
22 makes standards available throughout the world.

23 Our methods and our interferons have often been
24 the lead in making determinations on some of these
25 standards, so that work has contributed much to the

1 interferon alpha standards world.

2 Also, the uses of new technologies such as protein
3 engineering will enhance the expertise to review new
4 cytokines and interferons. These techniques are currently
5 being used not only for interferons but other products as
6 well.

7 [Slide.]

8 So where are we going in the future? As part of
9 some of the recommendations of the team that came to visit
10 us in December, we were recommended to further study the
11 binding of alpha 21 and the hybrids to Daudi cells and
12 characterize the receptor binding studies.

13 We have hired a post-doc to do this. Those
14 studies are underway and the data is in the process of being
15 collected. We also plan to further study the binding
16 characteristics using soluble interferon receptors. This
17 work is being done in collaboration with the Weissman
18 Institute and Gideon Schreiber. We have already got a fair
19 amount of data looking at the dissociation contents using
20 the soluble IFNR2 receptor.

21 These studies will be very important in looking at
22 the interactions of our different interferons with not only
23 IFNR2 but IFNR1. We have all the variants of the
24 interferons. The Weissman has all the hybrids and mutants
25 of the IFNR1 and IFNR2. So, by studying the characteristics

1 of these, we may get further insight into the structure and
2 function and interaction of the interferons with their
3 receptors.

4 We are also, again, engaged in intracellular
5 signaling pathways. Right now, this work is really being
6 generated in a number of areas. We are hoping to narrow
7 some of the important pathways by looking at our hybrids in
8 some of these microray chip technologies maybe to identify
9 more a subset of signalling pathways that might be more
10 advantageous to study.

11 We have a number of recommendations by the site
12 visit that we are considering. Right now, our first
13 priority is to look for an excellent candidate to help us
14 with these studies.

15 We are also looking at the role of the carboxy
16 terminus of interferon alpha maximizing the
17 antiproliferative activity. There are specific regions from
18 95 to 166 in the alphas, particularly in alpha 21, that we
19 will be doing some site-specific mutagenesis in to really
20 further define what are the critical amino acids to elicit
21 the antiproliferative activity.

22 I already mentioned our work on MALDI TOF looking
23 at the carbohydrate structures of those glycosylated
24 interferons. We are also studying with a number of people
25 in the Center for Biologics and in the National Institutes

1 secondary and potentially tertiary structures of some of the
2 interferon alphas so we can understand the three-dimensional
3 interaction between these species ultimately with their
4 receptor.

5 The NMR studies are being done with Darren
6 Freeburg in the Office of Vaccines and the circular
7 dichroism studies are being done in conjunction with Peter
8 McFee from the National Institutes of Health.

9 [Slide.]

10 Finally, in looking at some of the biological
11 functions in the next slide, we are looking with Dr.
12 Kathleen Clouse's lab the effects of our hybrids on HIV
13 infection, of primary macrophages and T-cells. We have some
14 very exciting results with some of our site-directed mutants
15 having extremely high antiviral activity against HIV that
16 looks very exciting.

17 We are repeating those studies to make sure that
18 those data are reproducible. We are working with Dr. Eda
19 Bloom and the Division of Cellular and Gene Therapy to
20 better understand the effects of interferon on natural
21 killer-cell activity and we are also pursuing a number of
22 other immunomodulatory activities to have a better sense of
23 how interferon alphas are affecting the immune system.

24 I would like to thank our site-visit team. They
25 gave a lot of excellent advice and, hopefully, we will be

at

60

1 able to follow those through and get the results that might
2 be expected.

3 Thank you.

4 DR. SALOMON: Thank you, Kathy.

5 Again, in the interest of time, I think we should
6 take the next half hour and go quickly into the closed
7 session.

8 [Whereupon, at 9:40 a.m., the proceedings were
9 recessed, to be resumed at 10:15 a.m.]

TOPIC I (Continued)

[10:15 a.m.]

Islet Transplantation/Preclinical Animal Models

DR. SALOMON: I would like to welcome everyone back to the second day of the BRMAC's considerations of islet transplantation. Today, as promised, there are no more limitations to the discussion on clinical issues as there were yesterday. Apologies to everybody for that artificiality.

So why don't we just get started. We have to finish this first session at five minutes to 12:00 which sort of gives us an arbitrary finishing point, but there are some of us who need to check out, including me.

The other thing that I want to announce to everybody is Rosanna Harvey who, if you don't know Rosanna, please note if you can make travel arrangements with Rosanna at least in any break that we have--well, we won't have any break until five minutes before noon, but if you can make any arrangements you can for travel with Rosanna.

Then, with that introduction, and I hope I am not missing anything, I would like to introduce Karen Weiss from the Division of Clinical Trial Design and Analysis to present an FDA introduction to this morning's events.

FDA INTRODUCTION

DR. WEISS: I will be very brief. I just wanted to welcome everybody back to the second day's discussion, to

1 thank the members of the BRM, the Endocrine and Metabolic
2 Drugs Advisory Committee for joining us as well as all the
3 experts taking time from their busy schedule to come here
4 and discuss this extremely important topic with us.

5 As everybody now knows, today's discussion is
6 supposed to be focussing on the preclinical and the bridge
7 from the preclinical to the clinical. We have arranged the
8 afternoon for a series of questions based on topics that
9 have come before us at the FDA with these types of
10 therapies.

11 In almost every one of these, we have a number of
12 questions with respect to issues in terms of clinical trials
13 as well as whether or not preclinical models can help sort
14 out and address some of these questions.

15 So we are very much looking forward to your advice
16 and discussions this afternoon. I wanted to start the
17 session, then, by introducing Dr. Lauren Black, a
18 pharmacologist from our division, who will present a brief
19 overview.

20 Open Public Hearing

21 DR. SALOMON: Just one minor thing. I guess
22 because, again, based on the format of the public hearing,
23 we have not had anybody officially request time at this
24 point but I am reminded that I should, again, ask if there
25 is anyone in the audience who would like a five-minute

1 period to address the committee before we get started, you
2 are more than welcome to step up now.

3 Lauren?

4 **Animal Models of Islet Therapy: Utilization for**
5 **Clinical Trial Design and Safety Assessment**

6 DR. BLACK: I would like to thank the members of
7 the advisory committee and the distinguished guests that are
8 here for participating in this session on animal models of
9 islet therapy.

10 [Slide.]

11 I will be presenting the FDA perspective on
12 utilizing these models for clinical-trial design. Specific
13 comments on the model attributes and results generated in
14 these models will be addressed in the upcoming two
15 presentations.

16 Islet therapy models are viewed at FDA in the
17 context of the larger field of solid-organ transplantation.
18 Transplant therapy models have been very helpful in
19 prospectively designing clinical trials of investigational
20 immunosuppressant drugs and biologic therapies and, more
21 recently, of combined drug use and immunomodulatory
22 strategies such as donor-lymphocyte infusions.

23 [Slide.]

24 Preclinical-model data are generated to advance
25 our scientific understanding and are utilized to support the

1 rationale for new clinical investigations. In this context,
2 animal models are used to assess the clinical utility of
3 procedures such as in identifying promising
4 immunosuppressive regimens, identifying effect islet doses
5 or administration methods or to ask if there are durable
6 effects of significant sequelae of effective treatments such
7 as reductions in disease-related morbidity.

8 In these aspects, well-designed animal trials can
9 contribute on the benefit side to the evaluation of clinical
10 risk/benefit assessment as was mentioned by Dr. Zoon and
11 people yesterday morning.

12 [Slide.]

13 Animal data also serve an important role in
14 supporting the safety of investigational data and are used
15 to determine safe doses and administration methods, for
16 instance, by examining surgery or infusion adverse
17 reactions.

18 Additionally, the dynamics, nature and
19 dose-response relationships of the toxicities are evaluated.
20 The nature of the toxicity may raise added concerns when
21 events are hard to monitor clinically, are irreversible or
22 are sudden in onset. Animal data are compared with the
23 proposed clinical protocol and utilized to guide choices
24 regarding clinical monitoring, endpoints and schedule, the
25 appropriate patient inclusion or exclusion criteria and are

1 utilized to suggest appropriate adjunctive therapies.

2 Risks identified in animal studies often lead to
3 modifications in clinical-trial design.

4 [Slide.]

5 To support clinical trials, animal models should
6 be chosen to be clinically relevant. Islet allografting
7 could be performed in an intact, healthy animal but the
8 islet metabolic function would likely go unchallenged in the
9 presence of a healthy pancreas.

10 It is an advantage for this field that
11 allotransplantation is feasible in outbred diabetic animals
12 in a manner highly analogous to human allografting.

13 [Slide.]

14 The proposed clinical strategy and its perceived
15 risks and departures from knowns will influence the choice
16 in animal model and the degree of biologic comparability
17 needed between the animal and the patient. The more
18 relevant the animal model, the greater the degree of
19 confidence that an absence of safety problems in animals
20 provides strong safety support for patients.

21 In counterpoint, where models are viewed as poorly
22 comparable, clinical trials such as those perhaps
23 tolerization therapies may be subject to more protocol
24 restrictions.

25 [Slide.]

1 In brief, animal models for diabetes range from
2 inbred NOD mice to pancreatectomized non-human primates and,
3 very rarely, natural models of adult-onset diabetes. No one
4 animal model could be expected to generate a perfect
5 predictor for human outcome. The next speakers will
6 highlight the utilities and limitations of each model.

7 [Slide.]

8 As in all thorough scientific investigations,
9 there are attributes of preclinical study design and conduct
10 that can provide the most convincing support for the safety
11 of an IND. The study should provide a basis for
12 comprehensive analysis of animal responses to all aspects of
13 treatment, overall health, drug regimen and islet-induced
14 toxicity and disease or disease markers are all needed to be
15 monitored in detail.

16 Study design should also permit objective
17 assessment of results, using prospectively designed
18 protocols, randomization and blinding wherever possible to
19 achieve this end. From the perspectives of data integrity
20 and CBER review, the CFR outlines good laboratory-practice
21 standards and requires that reporting of animal studies for
22 INDs allows assessment of all aspects of animal safety.

23 In order to achieve this, animal-study reports
24 need to be detailed and fully tabulated to include both
25 group and individual results.

1 [Slide.]

2 There have been a number of shortcomings in
3 preclinical aspect of INDs submitted to date for islet
4 therapy and similar to those for other cell-therapy fields.
5 These include incomplete datasets and designs that are
6 incompletely comparable to proposed clinical protocols.

7 The concern is that, as proposed clinical
8 therapies move further from those with which we have current
9 clinical experience and preclinical experience, these
10 inadequacies in preclinical support could become limiting to
11 clinical development or miss an opportunity to predict
12 clinical adverse reactions.

13 [Slide.]

14 In summary, clinical strategy and preclinical
15 study design should be closely integrated. While
16 recognizing that some information can only come from
17 clinical trials, some data useful in clinical-trial design
18 can be gathered preclinically. These data could include
19 regimens for islet-only transplant.

20 In the past, animal models animal models have
21 demonstrated utility in predicting clinical drug toxicities
22 and could generate data to aid in reducing islet toxicity or
23 rejection. In contrast, many questions remain for
24 animal-model us of immunomodulators such as for tolerization
25 approaches.

1 Current autoimmune animal models for these
2 therapies have been open to criticism on account of clinical
3 comparability. New research on the human pathophysiology of
4 diabetes may be needed to bridge current gaps in our
5 understanding of the models and human disease and the
6 utility of models for therapeutic testing.

7 Lastly, to improve their utility in clinical-trial
8 assessment, designs for preclinical studies of islet therapy
9 should involve a more detailed concurrently tracked approach
10 to both safety and activity monitoring. Consideration of
11 the best model species, high comparability of animal and
12 human trial designs, and more detailed documentation would
13 increase the scientific validity of the preclinical
14 evaluations and increase the impact of these investigations
15 on advanced and clinical therapy of diabetes.

16 Thank you.

17 I would like to mention that this afternoon you
18 will be asked to address a number of questions that involve
19 both clinical and preclinical designs. They will highlight
20 the role of preclinical models and the development of
21 clinical protocols and you will be asked to comment on areas
22 in which there are unaddressed clinical questions.

23 Concomitantly, we will discuss how these might be
24 addressed in preclinical models. Please consider where we
25 need to develop new animal models and how those models might

1 aid in identifying immunosuppressant regimens, appropriate
2 measures of islet activity, evaluating the immunogenicity if
3 islets or the impact of multiple transplants on
4 sensitization, establishing the best methods for isolation,
5 defining quality for the best methods of delivery or
6 determining the impact of peri-implant type glucose control
7 or the effects of the animal models in determining islet
8 potency or the best determinants of dose.

9 At this time, Jack, would you like to come up? I
10 would like to introduce the next two speakers who have been
11 invited as experts to provide detailed information on the
12 models currently in use for islet therapy.

13 Jack O'Neil has developed and studied dog, pig and
14 rodent models to evaluate artificial pancreas, encapsulated
15 the allogeneic islets and allogeneic islet therapies in both
16 biotech industry and academic laboratories. Jack will give
17 a broad overview of the non-human-primate models. He will
18 cover dog and pig models of islet therapy as well as rodent.

19 Immediately after Mr. O'Neil, Dr. Norman Kenyon
20 will address the non-human-primate models of islet therapy.
21 Dr. Kenyon is investigating immunointerventions in three
22 different primate models of islet therapy and will discuss
23 the relevance of these models in developing clinical
24 strategies.

25 **Animal Models of Islet Transplantation**

1 MR. O'NEIL: Good morning

2 [Slide.]

3 I would like to thank the FDA for giving me the
4 opportunity to participate in this meeting as we embark on
5 one of the most exciting times and, certainly as we heard
6 yesterday from both the Juvenile Diabetes Foundation and the
7 National Institutes of Health, one of the best finance times
8 of islet transplantation.

9 This presentation will cover some of the
10 preclinical animal models that are utilized in clinical
11 islet preclinical studies and development.

12 [Slide.]

13 The major obstacles to successful clinical islet
14 transplantation faced today is to treat insulin-dependent
15 diabetes in the type-1 patient and the autoimmune
16 destruction of the islet graft. Above and beyond that, you
17 have the immuno-attack that would accompany any allogeneic
18 tissue transplantation.

19 Currently islet transplantation is performed only
20 with a preexisting kidney islet transplant in most cases and
21 the conventional immunosuppression used to protect the
22 kidney graft has been demonstrated to be cytotoxic to the
23 subsequent beta-cell graft and, lastly, the insufficient
24 supply of allogeneic tissue has encouraged us to seek
25 alterative sources for beta-cell replacement therapy.

1 [Slide.]

2 The preclinical animal models used to investigate
3 clinical islet transplantation have a major limitation in
4 that transplantation incident animal models, there is not a
5 sufficient for the autoimmune destruction of the graft.
6 Currently, rodents are the only animal models available for
7 this. Most importantly, the NOD mouse, the BB rat and then
8 a model that I will talk about a little later is the
9 humanized autoimmunity transfer recipient.

10 [Slide.]

11 Basically, what I am going to do is go through
12 each one of these obstacles and try to list the animal
13 models that would be appropriate in early development and
14 later end-state development towards clinical studies.

15 The immuno-attack of the islet graft can be looked
16 at in immunocompetent rodents, humanized immune-transfer
17 recipients and dogs and pigs in the non-human primates.

18 [Slide.]

19 The conventional immunosuppressive regimen that
20 generally is used in kidney transplantation in order to
21 protect the islet graft is best studies in large animal
22 models where the immune system is most closely similar to
23 the human with the dog, the pig and the non-human-primate
24 models.

25 [Slide.]

1 In the insufficient supply of allogeneic islets,
2 although not directly under the scope of this particular
3 meeting, can be addressed with the same animal models
4 looking at immunocompromised rodents in early preclinical
5 studies and then moving up to the larger animal models as
6 you get closer to clinical development.

7 [Slide.]

8 Basically, the methods that can be used for all of
9 these studies to evaluate both the safety and the efficacy
10 of preclinical transplantation are listed here. Generally,
11 when preclinical studies are undertaken in the laboratory,
12 efficacy is the primary concern of the investigator but I am
13 sure the FDA would certainly like us to implement safety
14 measures of these preclinical studies as well, starting with
15 the rodents and all the way up to the larger animal models.

16 For safety, by performing physical exams, a
17 veterinary checks on the animals measuring body weight,
18 blood chemistry and hematology. During the study, you can
19 get an idea on what the therapeutic effect to the recipient
20 is and then, in the post-transplant period, to do a necropsy
21 and look at major organ systems, histopathologically, to see
22 if there are any adverse effects to other organ systems in
23 the recipient animal.

24 For the efficacy, it is much like the clinical
25 islet transplant where you are looking at the blood glucose

1 which an exogenous insulin requirement of the patient,
2 C-peptide secretion, hemoglobin A1c levels, response to
3 secretagogue challenge looking at blood glucose, insulin and
4 C-peptide secretion and then followed up, post-transplant
5 period, with histopathology of the islet graft.

6 [Slide.]

7 First, the animal model for transplantation, a
8 method to chemically induce diabetes in immunocompromised or
9 immunodeficient rodents with aloxan and streptozotocin, as
10 we heard yesterday. It is a very useful model in looking at
11 an islet function in the absence of the immune system.

12 Alloxan and streptozotocin were demonstrated in the '40's
13 and the '60's to produce diabetes in rats and in larger
14 animal models with a cytotoxicity to pancreatic beta cells
15 resulting in insulin-dependent diabetes characterized by
16 glucosuria and excessive weight loss and hyperglycemia.

17 [Slide.]

18 The advantage to this model, as I said, is to
19 evaluate the islet function in the absence of the immune
20 response and the toxicity that is associated with
21 conventional immunosuppressive agents, as an accessible,
22 cost-effective animal model to be used in preclinical
23 development of therapeutic strategies.

24 The limitations of animal model is that there
25 occasionally is return to the spontaneous disease following

1 the induction of diabetes with diabetogenic agents. The
2 dose, the severity of the diabetes and the preclinical
3 outcome in these animal models have been shown to be
4 strain-dependent.

5 [Slide.]

6 For each one of the animal models, I would like to
7 go through what I call clinical comparability where we look
8 at some different aspects of the rodent or the animal model
9 to the clinic, the surgical methods and the islet dose. As
10 far as organ procurement and islet isolation and then the
11 administration of islets is not comparable to the human
12 situation for these immune-compromised animals,
13 immunodeficient animals, there is no immunosuppression
14 necessary.

15 The clinical induction is not an autoimmune
16 disease and does not allow for the evaluation of therapy
17 response to an autoimmune attack. C-peptide blood glucose
18 and IVGTT and body weight, all these can be measured, but
19 there is currently no method to correlate the results to the
20 engrafted cell mouse.

21 The histopathologic assessment of the graft can be
22 performed much like it can be in the clinical setting.

23 [Slide.]

24 The spontaneous non-obese diabetic mouse is a very
25 important animal model as it represents the best animal

1 model for the immune response in the islets in the type-1
2 diabetic setting. The mouse was derived from an outbred
3 strain in the 1980s and has been extensively inbred since.

4 Insulinitis occurs in all animals starting at about
5 four weeks of age and diabetes some time after twelve weeks
6 with a predominance of diabetes in about 80 percent of the
7 females and only about 40 percent of the males. The
8 diabetes is characterized by glucosuria, excessive weight
9 loss, hyperglycemia and ketoacidosis. Without insulin
10 therapy, it is lethal.

11 [Slide.]

12 The advantages of this animal model is that it is
13 an autoimmune model of insulin-dependent diabetes. It is a
14 cost-effect animal model and it has extensively
15 characterized disease etiology. There is also the
16 availability of immunological reagents were are not
17 necessarily the case in the large animal models.

18 [Slide.]

19 The limitations; it has been criticized for
20 representing only one individual with type-1 diabetes
21 because of its extensive inbreeding. There are numerous
22 interventions that are successful in influencing the onset
23 of the diabetes in this animal and, unfortunately, many
24 therapeutic strategies which prevail in rodents fail when
25 applied to larger animals and to humans.

1 [Slide.]

2 The clinical comparability is much like that of
3 the immunocompromised rodents with the exception of the NOD
4 mouse, disease etiology share many clinical morphological
5 and immunological features with the human disease and, most
6 importantly, autoimmunity.

7 Both immunosuppression and immune stimulation can
8 prevent the disease in the animal making therapeutic
9 strategies developed in the NOD mouse sometimes not relevant
10 to the clinical setting.

11 [Slide.]

12 The humanized diabetic immunodeficient mouse is an
13 animal model that has been extensively studied over the last
14 decade or so and, basically, with the immunodeficient
15 environment of the animal, you can transfer human lymphoid
16 cells into the immunodeficient animal and generate the
17 humanized mouse to evaluate immune responses to allografts.

18 Two examples of this type of animal model are the
19 SCID mouse or the RAG knockout mouse.

20 [Slide.]

21 The advantages are that you can evaluate the
22 mechanisms of islet-graft rejection. There is the ability
23 to manipulate the cells that are transferred into this
24 recipient and, therefore, the immune system of the
25 recipient, and there is the potential to compare allo with a

1 normal patient and autoimmune responses with the transfer of
2 lymphoid cells from a diabetic patient to the islet graft.

3 The limitations are the degree of the engraftment
4 and the susceptibility of the animal models to
5 graft-versus-host disease.

6 [Slide.]

7 The BB rat was a spontaneous mutation of the
8 Wistar rat in the '70's and it has been extensively inbred
9 since. Insulinitis occurs at about four weeks of age and
10 diabetes after eight weeks. Prevalence is equal both in
11 males and females and the insulin-dependent diabetes is
12 characterized by glucosuria and excessive weight loss,
13 hyperglycemia and ketoacidosis.

14 [Slide.]

15 The advantages, again, is that it is a spontaneous
16 autoimmune disease. It is an accessible cost-effective
17 animal model. It has, again, extensively characterized
18 disease etiology and the availability of the immunological
19 reagents.

20 Limitations are that the animal is T-cell
21 deficient to start with. It is prone to infection, has to
22 be raised in SPF or VAF facilities and there is really no
23 real advantage compared to the insulin-dependent diabetes
24 found in the NOD mouse.

25 [Slide.]

1 The clinical comparability would be shared with
2 the other rodent models with the exception that the diabetes
3 does share many clinical, morphological and immunological
4 features with the human disease.

5 [Slide.]

6 As far as the dog used as a preclinical model for
7 islet transplantation, there are basically three types of
8 diabetes in the dog. There is the spontaneous diabetes.
9 You can also induce with the chemical induction with
10 streptozotocin and alloxan and perform a total
11 pancreatectomy to achieve insulin-dependent diabetes.

12 [Slide.]

13 Spontaneous diabetes in the dog is reported by the
14 veterinarians to have an incidence of about between 1:200 to
15 1:800 animals. The most common cause of the diabetes is
16 reported as pancreatitis with diabetes secondary to a
17 chronic pancreatitis.

18 There has been one dog breed, the keeshond dog,
19 that demonstrated a high incidence of diabetes at a young
20 age--that was reported in the '80's and hasn't been heard
21 from since--as well as the familial form of the diabetes in
22 a colony of golden retrievers that has left the literature
23 since the '80's.

24 [Slide.]

25 Chemically induced diabetes with alloxan and/or

1 streptozotocin; dogs are more sensitive to the non-beta-cell
2 toxicity of these agents and, generally, by combining the
3 two agents, you can minimize the toxic effect of each. The
4 dogs following induction are susceptible to severe
5 hyperglycemia, have to be monitored very closely following
6 the chemical induction.

7 [Slide.]

8 Pancreatectomy-induced diabetes in a dog is a
9 fairly straightforward surgery and results in
10 insulin-requiring diabetes. It is essential to supplement
11 the dog's diet with pancreatic enzymes due to the exocrine
12 deficiency caused by the pancreatectomy.

13 [Slide.]

14 The advantages of using a dog model is that it is
15 an accessible and well-established animal model. They are
16 easy to handle and train. Most facilities in an academic
17 setting, they do have housing available for dogs. The diet
18 and metabolism resemble that of a human and it is a
19 cost-effective large laboratory-animal model.

20 [Slide.]

21 The limitations are that there is no consistent
22 source of spontaneously diabetic dogs. The chemical
23 induction is associated with a high rate of mortality.
24 Pancreatectomy results in brittle diabetes, digestive
25 deficiency and may compromise other organ systems.

1 As with all allograft settings, there is the
2 inability to differentiate the contribution with the islet
3 graft to the native pancreatic function.

4 [Slide.]

5 For clinical comparability, the pancreas
6 procurement is generally optimized in the preclinical
7 setting using a healthy donor in a single organ procurement.
8 Transplantation has been achieved in the liver by laparotomy
9 and the transhepatic method and has also been transplanted
10 in the renal and the splenic sites.

11 The dose studies support the clinical data in that
12 the allograft survival and insulin independence are directly
13 related to the total islet-cell mass transplanted.

14 [Slide.]

15 The immunosuppression requirements in a dog are
16 generally higher as compared to the human to achieve the
17 same therapeutic effect. Spontaneous diabetes in a dog is
18 usually related to the destruction of the islet secondary to
19 severe pancreatitis and is not an autoimmune response as
20 seen in type-1 diabetes patients.

21 Some genetic disposition of diabetes was reported
22 in the '80's but has not been reported since. Diabetes
23 induced by chemical agents or pancreatectomy is certainly
24 different from the autoimmune disease experience in type-1
25 patients.

1 [Slide.]

2 Tissue typing can be done to look at matching and
3 mismatching. It has been reported, but the data is limited
4 and is basically restricted to a few labs that have that
5 technology. Again, C-peptide, blood glucose, IVGTT, body
6 weight, can be measured but, again, there is no correlation
7 to the engrafted islet mass. Histopathologic assessment can
8 be performed much like it can be in the clinical setting.

9 [Slide.]

10 Diabetes in the pig; again, three different types
11 of diabetes in the pig. There is spontaneous diabetes,
12 chemical induction with alloxan and/or streptozotocin and
13 total pancreatectomy.

14 [Slide.]

15 Spontaneous diabetes, there is a line of Yucatan
16 minipigs that spontaneously developed diabetes. It is a
17 type-1 diabetes with hyperinsulinemia and hyperglycemia.
18 There is an insulin resistance, especially during
19 gestational lactation. They develop angiopathies and other
20 complications similar to the human disease. However, there
21 has not been any extensive reports on that model since the
22 1980s.

23 [Slide.]

24 The chemically induced diabetic pig with alloxan
25 and/or streptozotocin results in diabetes characterized,

1 again, by hyperglycemia, polyuria, glucosuria and weight
2 loss. A partial pancreatectomy can be supplemented with
3 these diabetogenic agents that result in insulin-dependent
4 diabetes.

5 [Slide.]

6 A total pancreatectomy-induced diabetes is a
7 technically challenging surgery due to the close association
8 with the vasculature in the pig of the pancreas and the
9 vasculature. Diabetes within the first week is
10 characterized by fatal hyperglycemia if not treated and
11 then, again, the removal of the exocrine function of the
12 pancreas and it is essential to supplement the diet of the
13 animal with supplemental enzymes.

14 [Slide.]

15 The advantages are that the anatomy, physiology
16 and metabolism and diet are similar to human. It is a
17 relatively inexpensive animal model to purchase and it does
18 have the unique ability to evaluate the MCH disparities with
19 the NIH minipigs.

20 [Slide.]

21 The limitations of the animal model of the pig
22 islet isolation is that it is probably the most technically
23 challenging procedure. It is probably no coincidence that
24 there are very few labs that have had successful preclinical
25 studies in porcine islet transplantation.

1 They are difficult animals to handle and they are
2 not easily trained. Because of the difficulty of the close
3 association with the vasculature, this is a potential for
4 incomplete pancreatectomy. Pigs are very susceptible to
5 post-operative infection due to the nature of the beast, and
6 the inability, again, to differentiate the contribution of
7 the drug graft versus the native pancreas.

8 [Slide.]

9 The clinical comparability for the pig is that,
10 again, the pancreas procurement is generally optimized with
11 a healthy donor and a single-organ procurement.
12 Transplantation sites have included the spleen, the liver,
13 the kidney capsule by laparotomy.

14 Dose studies support clinical data again that the
15 graft survival and insulin independence are directly related
16 to the total islet mass transplanted.

17 [Slide.]

18 Immunosuppression, again, in the animal model is
19 generally higher to achieve the same therapeutic effect.
20 Spontaneous diabetes and diabetes induced by the chemical
21 agents and/or pancreatectomy are certainly different than
22 the autoimmune attack experienced in type-1 diabetic
23 patients.

24 Minor and major histocompatibility matching can be
25 studied in the partially inbred NIH swine model

1 [Slide.]

2 Again, the C-peptide blood glucose, all the
3 clinically relevant parameters, can be followed but, there,
4 again, is no correlation to the engrafted mass and
5 histopathological assessment can be performed.

6 [Slide.]

7 As far as future optimization of preclinical
8 animal models in islet transplantation, I think we need to
9 appreciate the limitations of each of the existing models
10 when trying to apply those strategies to the clinic; to
11 better characterize the etiology of spontaneous diabetes in
12 large animal models; to closely mimic the clinical situation
13 for organ procurement, islet isolation and subsequent
14 transplant.

15 Certainly, one of the limitations is to develop
16 methods to quantitate engrafted islet mass and correlate
17 with graft function post-transplant and then to exploit any
18 large animal model of autoimmune diabetes to develop one
19 that more closely resembles the human disease.

20 [Slide.]

21 Progress in preclinical islet transplantation over
22 the past has been basically cured "by the decade." In the
23 1970's, rodents were cured. In the 1980's, dogs were cured.
24 In the 1990's, pigs and non-human primates were cured.

25 [Slide.]

at

1 Hopefully, 2000 is the decade of the human.

2 Thank you very much.

3 DR. SALOMON: Thank you. Well done. I think we
4 can take a few minutes for questions but I am going to try
5 and stay on time here so we can be done by noon.

6 DR. AUCHINCLOSS: Jack, after listening to your
7 talk, and thinking about it from my own point of view, would
8 you agree with this; I can think of using strep-treated mice
9 for experiments, NOD mice for experiments, non-human primate
10 for experiments.

11 I also think there are a very small number of
12 indications for using pigs. The two I have encountered are
13 demonstration that pig islets are working by using an
14 allograft transplant and I have seen at least one experiment
15 where taking advantage of the inbred NIH pigs was an
16 advantage.

17 But, other than that, I can't think of any reason
18 to go to any other animal models. Do you think that is
19 true?

20 MR. O'NEIL: No, not necessarily. I think the
21 field has learned a lot from using the dog as a preclinical
22 animal model. Certainly, the islet isolation and the
23 transplant and the maintenance of the animal is much easier
24 for most laboratory settings and I think we have learned a
25 lot from the dog model as far as applying that to the

1 clinical studies with different immunosuppression regimens
2 that are necessary and different isolation techniques, and
3 so forth. So I think that the dog does add value.

4 DR. AUCHINCLOSS: Why would you do a dog instead
5 of a non-human primate?

6 MR. O'NEIL: The dog is less cost-prohibitive, I
7 think, if nothing else.

8 DR. AUCHINCLOSS: Less costly, less relevant.

9 MR. O'NEIL: Excuse me?

10 DR. AUCHINCLOSS: But what you get in lower cost,
11 you lose in less relevant; no?

12 MR. O'NEIL: I don't know if you can clearly say
13 that one animal is more representative of the clinical
14 situation than the other. They both have their limitations.

15 DR. KENYON: Hugh, I would like to respond to
16 that, too. I think I understand your point, but one of the
17 advantages of the dog--we actually use both models at the
18 DRI--and, because it is less costly--and they are easier to
19 handle. It is not just the cost.

20 You could study some initial variables in the dog
21 and then, once you have narrowed them down, try them out in
22 primates in order to move a little bit more quickly. The
23 high-dose donor bone-marrow infusion studies that we have
24 done, we have been able to study dogs more quickly and do
25 more experiments with bone-marrow infusion than with the

1 monkeys which is much more labor intensive and time
2 consuming, in addition to the cost.

3 DR. HERING: We are studying new
4 islet-implantation sites, novel islet-delivery systems
5 extensively in pigs. I think this is a good model to study
6 this in and I am not sure whether the non-human-primate
7 system is definitely more predictive than the pig system. I
8 am not aware of any studies to demonstrate this.

9 So think there is reason to continue. We also
10 think that studying novel immunosuppression regimens can
11 certainly be done in pigs, does not need to be done in
12 primates, necessarily.

13 DR. BLUESTONE: Jack, it seems to me that a lot of
14 what has come down here and what makes this different than
15 every other transplant setting we have talked about is this
16 autoimmune issue and how focussed should we as a community,
17 should the FDA be as a regulatory agency, on the fact that,
18 with the exception of the NOD mouse and BB rat, which has
19 all its own problems, we really don't have, whether it be
20 dog or pig or monkey--it doesn't matter--we do not have a
21 model that, in a very fundamental way, mimics what is
22 happening in the patients we are proposing.

23 How important is this issue in dominating all of
24 this discussion about, aside from where you put the islets,
25 but a discussion about immunomodulation and

1 immuneregulation.

2 MR. O'NEIL: I think it is critical. As was
3 discussed yesterday, the attempts to try to develop
4 autoimmune animal models in the non-human primate certainly
5 would be welcomed by the field. In addition, I think it may
6 be important for us to get a message out to veterinarians
7 and tell them that we are desperately seeking models of
8 autoimmune diabetes in large-animal models, just to let them
9 know what to look for, and to try to develop strains from
10 that pool of animals.

11 DR. SALOMON: Can we follow that up just a little
12 bit in terms of discussion of the committee in that if we
13 think about the mechanisms of autoimmune diabetes,
14 obviously, there is this whole field of trying to come up
15 with ways of trying to understand better what the
16 immunologic events are and how you break tolerance in that
17 compartment and then how you create injury.

18 At the same time, of course, we have got another
19 group of people working on islet transplantation. Jeff has
20 asked an interesting question and that is, to what extent
21 are these two fields overlapping. Can we talk just a little
22 bit more specifically about in what ways would an islet
23 allograft--I don't think we should go into xenografts right
24 now--and islet allograft be affected in terms of its
25 survival and function in a target organ by anything that has

1 anything to do with autoimmunity.

2 DR. BLUESTONE: There is no doubt that there is
3 overlap. All of that is true.

4 DR. SALOMON: I wasn't trying to say there wasn't.
5 I just wanted to get it out into discussion, particularly as
6 there are members of the committee who are not experts in
7 databases.

8 DR. BLUESTONE: But if one is, even in a more
9 fundamental way, asked a question, and maybe here is where
10 the pigs might be an advantage, for instance, what is
11 fundamentally different about the autoimmune response than
12 the allogeneic response.

13 One of the things that is potentially
14 fundamentally different is the stage in which the response
15 is being studied. It is clear in an autoimmunity response,
16 you are studying a secondary response as a minimum in these
17 patients, a long-term memory response and a highly
18 established response, a response with a humoral component as
19 well as a cellular component.

20 Yet, very little of what we talk about in our
21 animal models are using presensitized or highly
22 sensitized--now, granted, we don't have an autoimmune model;
23 I understand that. But we don't even use highly sensitized
24 animals for the most part for our animal models, something
25 that can be done, for instance, in the pig system where you

1 can have MHC sensitization; right--a clear knowledge of what
2 the MHC is going to be for sensitization which you can't do,
3 maybe, in the beagles or something. I don't know.

4 You can use spleen or something to sensitize, a
5 skin graft or something like that. All of those are
6 possible, but, to me, we have to be thinking a little bit
7 more creatively about how we take--granted, we should be
8 talking to every vet in the world and pull out our great
9 autoimmune type-1 animal, but if that is not going to happen
10 quickly, are there ways that we can enhance the current
11 models that we have that might actually have some more
12 similarities to the autoimmunes. I don't know.

13 DR. CHAMPLIN: The antigens involved in an
14 autoimmune response obviously are not alloantigens. They
15 are not MHC or minor antigen, discrepant antigens, which are
16 likely very involved in graft rejection. So there may well
17 be some overlap ultimately in the patients, but I would
18 think that you have two problems; one is to try to develop a
19 system that performs pancreatic islet-cell allografts in an
20 unperturbed recipient and then, secondarily, dealing with
21 the ongoing problem of diabetes and whatever ongoing immune
22 response you would have against the transplanted tissue.

23 So those are two separate but equally important
24 questions in the ultimate solution.

25 DR. SALOMON: If we look at the experience with

1 whole-organ allotransplantation, pancreas
2 allotransplantation, aside from I believe it is two reported
3 cases--correct me if I am wrong--but it is really a
4 minority. Is there any evidence of recurrence of an
5 autoimmune diabetes leading to injury or destruction of
6 these whole-organ allografts?

7 So I go back to the question of what, if any, data
8 do we have that existing mechanisms in a diabetic patient
9 that induced the autoimmune diabetes at one point in their
10 course have anything to do with the survival and function of
11 an islet allograft--

12 DR. BLUESTONE: But then you have to realize that,
13 also, as long as you keep a type-1 diabetic on high doses of
14 cyclosporine, their diabetes doesn't get worse, either. So,
15 to say, therefore, autoimmune diabetes is the same as
16 allograft rejection because cyclosporine inhibits both
17 doesn't well--because you are saying they are not getting
18 their recurrent allo while they are on cyclosporine.

19 They are not getting their autoimmunity while they
20 are on cyclosporine? They are on drugs that are inhibiting
21 and might inhibit both but that doesn't mean that both are
22 the same. It just means they are both inhibitable by the
23 drugs that we are using in our patients.

24 So, if we are going to move forward, hopefully,
25 into therapies which are changing fundamentally the immune

1 system so that we are not working with long-term
2 immunosuppressive therapies, I think that that particular
3 piece of data may or may not be relevant to the long-term
4 effects on the immune system.

5 DR. SALOMON: Again, I have no agenda and I am not
6 pushing any scientific hypotheses yet. I am just trying to
7 get some of these issues on the table. My point is that an
8 alternative hypothesis is that autoimmunity is
9 not--mechanisms inducing islet autoimmunity in the patient
10 could potentially have absolutely nothing to do with the
11 survival and the immune reaction to the allograft because,
12 again, the antigens are being presented in a different MCH
13 context and/or those mechanisms have been burnt out years
14 before you go ahead and do the allograft.

15 I am not saying I have any data, either, that that
16 is true but there seems to be--I am not certain that I go
17 along with this tacit assumption that studying islet
18 transplantation in non-autoimmune diabetic models is a
19 limitation.

20 DR. BLUESTONE: I will say one more thing and then
21 I will stop. I think that is ridiculous. There are lots of
22 data. Camillo has got data. Everyone has got data. But
23 when you try to suppress in an NOD mouse, an animal that is
24 already diabetic, the same drugs that work absolutely
25 perfectly in an NOD mouse where you have actually switched

1 the MHC so it is not diabetic work much, much better than
2 they do in the autoimmune animal.

3 So I think that it is so unlikely that the
4 autoimmune response doesn't play any role in this--the
5 reason I am being so strong about this is that I would hate
6 to come away from this discussion thinking that allo is all
7 we should be caring about here and we shouldn't be caring
8 about the auto response.

9 I think the auto response is absolutely involved
10 in this thing and it doesn't burn out and be gone in these
11 autoimmune patients. The antibodies are there.

12 DR. SAUSVILLE: I guess the question that comes
13 up, then, is would you then choose, if you were going to
14 recommend to the FDA which model they would potentially base
15 the design or advise potential sponsors in terms of using
16 immunosuppressive regimens, do you feel, therefore, that
17 that should bias the selection of what animal model would be
18 most relevant then?

19 DR. SHERWIN: There is no question that
20 ultimately--you are going to have to approach it from both
21 sides initially and then work--ultimately, there is no
22 question. I agree with Jeff, there is absolutely no
23 question that autoimmunity is a key player in the problems
24 of islet grafting.

25 It is so highly unlikely that it is not an issue

1 so I think, ultimately, we need to have, to be effective,
2 autoimmune models, either human models which are, I guess,
3 the proof of the pudding or other--we need to focus much
4 more--ultimately, it is much easier to do allografts and it
5 is much harder to transplant in an autoimmune model
6 across--doing allografts in autoimmune models.

7 I think that ultimately that is where we have to
8 go. I could just say that there are other potential ways of
9 developing autoimmune models that are more relevant to the
10 human situation--I mean, just an example. We have models
11 with human HLA transgenic animals, DQ8, DR4 animals, that
12 get spontaneous autoimmune diabetes and it is due to
13 T-cell--you know, T-cells. It is an autoimmune model.

14 So it is conceivable that one can manipulate the
15 genetics of mice or even rats to develop humanized
16 autoimmune models that have some relevance, at least, to the
17 human condition.

18 DR. AUCHINCLOSS: I am going to make the
19 prediction here that there will not be any adequate
20 autoimmunity model, that we will never find a monkey or a
21 supply of monkeys in sufficient numbers with type-1 diabetes
22 to be useful.

23 I am going to suggest that I think all of the
24 SCID-adoptive transfer models of human autoimmunity are
25 close to worthless and I am going to suggest that all of the

1 mouse autoimmunity models, even the humanized mice, have
2 terrible limitations.

3 While we should use them, the fact of the matter
4 is we are never going to find the answer to this question
5 without testing it in diabetic patients.

6 DR. SHERWIN: Nobody is arguing that. But there
7 are steps to take it to a human and I think that one can
8 learn a lot from autoimmune models even though some of the
9 answers may not be relevant to human.

10 I think it would be a big mistake to totally
11 ignore animal models and not strive to develop animal models
12 that are more appropriate to the clinical situation.

13 DR. CHAMPLIN: There are a lot of limitations,
14 particularly in trying to develop immunosuppressive
15 therapies in animal models and then translate, then, to man,
16 obviously major differences between species and in effects
17 of steroids, major strain differences in one mouse strain
18 and another, at least as we see it in bone-marrow
19 transplants. Fludarabine had fundamentally different
20 metabolism in different species and so the effects in humans
21 are far different than they are in animals.

22 So, although animals are certainly extremely
23 important in providing leads, ultimately, as you are trying
24 to develop an immunosuppressive regimen that works in human
25 patients, there is no substitute for testing it and

1 developing it in human patients.

2 So I agree with Hugh's comment; even if you had a
3 perfect animal model, translating the therapy from that
4 animal to the human being isn't the direct translation, that
5 one has to do a lot of work within the human system.

6 DR. SHERWIN: But you are dealing with, perhaps,
7 the diseases that are different from diabetes in the sense
8 that we have other forms of therapy that are alternative and
9 relatively safe. So, in the equation, even though I am very
10 strongly in favor of doing human islet transplantation, very
11 strongly in favor of it, one has to take into account the
12 fact that there are alternative approaches that, in many
13 people, work very successfully.

14 They are improving continuously. So one has to
15 take that into account when doing more invasive procedures.

16 DR. SALOMON: That will be important this
17 afternoon when we start to discuss what patients and what
18 kinds of clinical trials, specifically, should be done.

19 DR. BLUESTONE: I think there is a middle ground
20 here. I think, first of all, we haven't solved the allo
21 problem so it is not like we have solved half and we only
22 have half to go. We still have the allo problem and animal
23 models are probably very important in doing that.

24 That is number one. Number two is, I think Hugh
25 is right, but I would state it differently. I would say the

1 chances are we will probably solve it in humans before we
2 get the models up that we want to get up but that doesn't
3 mean that the models, all along, haven't been helping
4 provide a road map for us.

5 So, although they may not give us the ultimate
6 dosing, and they may not even tell us--but they are telling
7 us where we are, where we are on target, which therapies are
8 on target and are moving us in the right direction, and
9 which therapies are not on target.

10 So where I see the question that I was asked
11 before is is there an animal model that should be used by
12 the FDA as sort of the gold standard for saying this drug is
13 going to work in humans, I think the answer is absolutely
14 no. But is there information that will be learned from the
15 animal models which will help inform us that the therapies
16 we are ultimately going to try in human beings have a better
17 chance of working and, therefore, should be approved in an
18 IND. I think the answer is absolutely yes.

19 So, to me, since there is no perfect model, then I
20 think we are best off keeping our options open, really not
21 sitting here and saying there are good models and bad models
22 and no models, there is information that we need to learn.
23 The allo response is important and I wouldn't be surprised
24 if we don't learn something in a number of these other
25 models which at least sets us in a direction that we are not

1 currently going in.

2 DR. RICARDI: I would like to comment that, to me,
3 I agree with Dr. Champlin completely that the best model to
4 test these new therapeutic approaches is the clinical
5 setting and the model is the human and not the preclinical.
6 But I complete agree also with Jeff in the fact that you
7 need this basic model and NOD for screening the development
8 of new tools like all the customary blockers and all the new
9 monoclonal antibodies may be tested.

10 But there are a series of situations where it is
11 either impossible or not practical, like anti-CD3--there are
12 agents that do not cross-react with non-human primates.
13 There is the Edmonton protocol that has been developed--
14 these wonderful results have no animal model that prove the
15 concept of the potency of what turns out to be the most
16 effective way to prevent rejection of islets in an
17 autoimmune background, like the trials in Pittsburgh with
18 FK506 would be that with the requirement of an animal model
19 because of the toxicity of the tacrilimus in dogs that
20 actually block development towards clinical application.

21 So I think we have to be very careful, meaning
22 that we need an animal model. We need to develop better
23 animal models for autoimmunity in large animals. This is a
24 science kind of problem and concern, but I would not
25 necessarily require any preclinical model of proof of

1 principle or potency of what you want to demonstrate in a
2 pilot clinical trial as a requisite for an IND for a
3 clinical islet transplant because you may have this
4 information from clinical trials in other diseases, just
5 from experimental models that may not provide direct
6 evidence, and the NOD mouse is a great model for basic
7 research but is a fairly different disease than type-1
8 diabetes in humans.

9 It is very much a violent onset and it happens in
10 a few weeks and it is completely different. There are,
11 like, 127 ways right now to prevent diabetes in NOD mice and
12 none in humans. There is probably different relevance even
13 though I agree it is very important.

14 DR. SALOMON: I also just wanted to stay on record
15 as, despite Dr. Bluestone's strong opinions, I am not at all
16 convinced that a mechanistic link between autoimmune and
17 alloimmunity, between the mechanisms that destroy islets in
18 a diabetic patient and the mechanisms that challenge a
19 successful allotransplant are really very well connected.

20 I think that that is a very interesting area for
21 research. There are a number of examples of autoimmune
22 diseases that burn out. I have transplanted many lupus
23 patients, very example, who have had the hell
24 immunosuppressed out of them and then actually completely
25 resolved their disease once they get kidney failure.