

TRANSCRIPT OF PROCEEDINGS

5157 00 OCT -2 49:43

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE

67th MEETING

*This transcript has not been edited
or corrected, but appears as received
from the commercial transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.*

Pages 1 thru 316

Gaithersburg, Maryland
September 14, 2000

MILLER REPORTING COMPANY, INC.
735 8th Street, S.E.
Washington, D.C. 20003
(202) 546-6666

at

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE
67th MEETING

Thursday, September 14, 2000

8:00 a.m.

Hilton Gaithersburg
620 Perry Parkway
Gaithersburg, Maryland

PARTICIPANTS

Blaine F. Hollinger, M.D., Chairperson
Linda A. Smallwood, Ph.D., Executive Secretary

MEMBERS

John M. Boyle, Ph.D.
Mary E. Chamberland, M.D.
Richard J. Kagan, M.D.
Jeanne V. Linden, M.D.
Gail B. Macik, M.D.
Daniel L. McGee, Ph.D.
Mark A. Mitchell, M.D.
Paul J. Schmidt, M.D.
Sherri O. Stuver, Sc. D.

NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

NON-VOTING INDUSTRY REPRESENTATIVE

Toby L. Simon, M.D.

TEMPORARY VOTING MEMBERS

Paul R. McCurdy, M.D.
Kenrad E. Nelson, M.D.
Carmelita U. Tuazon, M.D.

C O N T E N T S

Conflict of Interest Statement: Linda Smallwood, Ph.D.	5
Welcome and Opening Remarks	7
COMMITTEE UPDATES	
Summary of PHS Advisory Commission on Blood Safety and Availability Meeting, August 24, 2000: Stephen Nightingale, M.D.	12
Factor VIII and vWF Standards: Andrew Chang, Ph.D.	21
Nancy Kirschbaum, Ph.D.	24
Blood Supply Update: Paul McCurdy, M.D.	26
Update on Donor Questionnaire: Jong-Hoon Lee, M.D.	30
Kay Gregory	32
I. HIV p24 Antigen Testing of Plasma for Fractionation Potential Criteria for Discontinuation	
Introduction and Background: Indira Hewlett, Ph.D.	36
Presentation: Michael Busch, M.D.	44
Open Public Hearing	
Presentations:	
NGI, Andrew Conrad, Ph.D.	74
Alpha Therapeutics, Charles Heldebrant, Ph.D.	86
Aventis, Charles Watson, Ph.D.	91
Bayer, Barbara Masecar	94
Baxter Hyland Immuno, Don Baker	102
American Red Cross, Susan Stramer, Ph.D.	103
Abbott Laboratories, Gerald Schochetman, Ph.D.	116
Chiron Corporation, Chyang Fang, Ph.D.	121
ABC, Celso Bianco, M.D.	129
Alpha 1, Miriam O'Day	134
Questions for the Committee	139
Committee Discussion and Recommendations	140

C O N T E N T S (Continued)

**II. Deferral, As Blood or Plasma Donors,
of Males Who Have Had Sex with Males**

Introduction and Background: Andrew Dayton, M.D., Ph.D.	158
Epidemiology Presentation: Michael Busch, M.D., Ph.D.	165
Risk Assessment Model and Proposed Policy Options: Andrew Dayton, M.D., Ph.D.	200
Open Public Hearing	
Presentations:	
AABB, Louis Katz, M.D.	242
Gay and Lesbian Medical Association, Adrienne Smith, M.D.	251
American Red Cross, Rebecca Haley, M.D.	256
Committee of 10,000, David Cavanaugh, M.D.	265
ABC, Celso Bianco, M.D.	268
Hemophilia Treatment Centers, Derrick Robertson	271
Plasma Protein Therapeutics Association for North America, Dennis Jackman, M.D.	275
Committee Discussion and Recommendations	277

P R O C E E D I N G S**Statement of Conflict of Interest**

DR. SMALLWOOD: Good morning and welcome to the 67th meeting of the Blood Products Advisory Committee. I am Linda Smallwood, the Executive Secretary. At this time, I will read the conflict of interest statement. I would just like to say that this statement is dedicated to Mary Gustafson who recently left us but never had the opportunity to hear it.

The following announcement is made part of the public record to preclude the appearance of a conflict of interest at this meeting. Pursuant to the authority granted under the committee charter, the Director of FDA's Center for Biologics Evaluation and Research has appointed Dr. Kenrad Nelson as a temporary voting member, and the Senior Associate Commissioner of the Food and Drug Administration has appointed Dr. Carmelita Tuazon as a temporary voting member.

To determine if any conflicts of interest existed, the agency reviewed the submitted agenda and all relevant financial interests reported by the meeting participants. As a result of this review, the following disclosures are being made.

In accordance with Title 18, United States Code, 208, Dr. Kenrad Nelson has been granted a general matters

at

6

1 waiver which permits him to participate fully in the
2 committee discussions.

3 The following participants have associations with
4 firms that could be affected by the committee discussions;
5 Drs. Boyle, Chamberland, Hollinger, Knowles, Linden, Macik,
6 McGee, Schmidt, Simon and McCurdy. However, in accordance
7 with Title 18, United States Code, Section 208 and 2635.502
8 of the Standards of Conduct, it has been determined that a
9 waiver or an appearance determination is not warranted for
10 these deliberations.

11 With regards to FDA's invited guests, the agency
12 has determined that the services of these guests are
13 essential. There are reported interests which are being
14 made public to allow meeting participants to objectively
15 evaluate any presentation and/or comments made by the
16 participants.

17 They are as follows: Dr. Michael Busch is employed
18 by the Blood Centers of the Pacific. He has received fees
19 and travel expenses from Chiron, Roche, Abbott and the
20 American Red Cross to speak at scientific meetings. In
21 addition, he has a contract with Chiron, GenProbe for
22 laboratory work supporting the clinical trial of nucleic
23 acid testing and a past grant to the Blood Center of the
24 Pacific from Roche Molecular Systems. Dr. Busch
25 collaborates on research with scientists from Alpha, the

at

7

1 American Red Cross, Ortho, Abbott, Chiron, GenProbe and
2 Roche.

3 Dr. Dodd is employed by the American Red Cross,
4 Holland Laboratory. Dr. Sharyn Orton is employed by the
5 American Red Cross. Dr. Alan Williams is employed by the
6 American Red Cross, Holland Laboratory.

7 In the event that the discussions involve other
8 products or firms not already on the agenda for which FDA's
9 participants have a financial interest, the participants are
10 aware of the need to exclude themselves from such
11 involvement and their exclusion will be noted for the public
12 record.

13 With respect to all meeting participants, we ask,
14 in the interest of fairness, that you state your name,
15 affiliation and address and any current or previous
16 financial involvement with any firm whose products you wish
17 to comment on.

18 If there have been any omissions or oversights
19 concerning any of the committee members, would you declare
20 so at this time.

21 Hearing none, at this time, I would like to
22 introduce to you the members of the Blood Products Advisory
23 Committee.

24 **Welcome and Opening Remarks**

25 DR. SMALLWOOD: As I call your names, would you

1 please raise your hand. Dr. Blaine Hollinger, Chairperson.
2 Dr. Mary Chamberland. Dr. Paul Schmidt. Dr. Daniel McGee,
3 who is a new member with the Blood Products Advisory
4 Committee serving as our biostatistician. Dr. Gail Macik.
5 Dr. Jeanne Linden. Dr. John Boyle. Dr. Sherri Stuver, who
6 is also a new member of the Blood Products Advisory
7 Committee serving us in the capacity of infectious diseases.
8 Dr. Paul McCurdy. Dr. Carmelita Tuazon is serving as a
9 temporary voting member. Ms. Kathy Knowles, our consumer
10 representative. Dr. Toby Simon, our industry
11 representative.

12 For this meeting, there are some members that are
13 absent or that will be late. Dr. Norig Ellison, Dr. Marion
14 Koerper and Mr. Terry Rice will be absent for this meeting.
15 Dr. David Stroncek will be absent today only. He will be
16 here tomorrow. Dr. Richard Kagan will be late this morning,
17 but he will be here for both of our sessions.

18 I would like, at this time, to recognize and
19 introduce to the committee as well as the audience the
20 Director of the Center for Biologics Evaluation and
21 Research, Dr. Katherine Zoon. Dr. Zoon will come forward to
22 recognize Dr. Blaine Hollinger who will be leaving us as
23 Chairman of the Blood Products Advisory Committee.

24 Dr. Zoon?

25 DR. ZOON: Thank you, Dr. Smallwood. It is a

1 pleasure to be here this morning. I always am reminded,
2 when I come to the BPAC--I see many faces that are very
3 familiar that I have known for many years. It makes me
4 reflect on the enormous contribution of this advisory
5 committee. Having CBER involved in a variety of product
6 areas in our center, I have to say the BPAC is the most
7 active committee we have.

8 Your agenda is always packed full. The issues are
9 always important or controversial and I think the
10 deliberations of this committee have been extremely valuable
11 in providing guidance and recommendation to the center on
12 many important policy issues. So, as a whole, collectively,
13 I would like to thank all of you very much for the enormous
14 public-health contributions you have, are and will continue
15 to make.

16 In saying that, there is some special recognition
17 today for Dr. Blaine Hollinger. I would want to say,
18 personally, his leadership on this committee has been
19 outstanding. He has navigated through some very important
20 issues. His endurance is admirable and his intellectual,
21 scientific contributions aiding the agency and the center in
22 making good blood policy has been enormously helpful.

23 With that recognition, I would like to provide you
24 with a couple of plaques of appreciation. So if I could ask
25 you to come up.

1 First, I would like to read a letter from Dr. Jane
2 Henney, who is the Commissioner of the FDA, to you. It
3 says, "I would like to express my deepest appreciation for
4 your efforts and guidance during your term as a member of
5 the Blood Products Advisory Committee. The success of this
6 committee's work reinforces our conviction that responsible
7 regulation of consumer products depends greatly on the
8 participation and advice of the non-governmental health
9 community. In recognition of your distinguished service to
10 the FDA, I am pleased to present you with the enclosed
11 certificate. Thank you very much."

12 In addition, we have a plaque from the center
13 saying, "For Outstanding Service and Leadership as the
14 Chairman of the FDA's Blood Products Advisory Committee for
15 the Years 1996 to 2000." I am eternally grateful. Thank
16 you very much.

17 [Applause.]

18 DR. HOLLINGER: Thank you very much, Kathy.
19 Really, this has been a great committee for me. I have
20 thoroughly enjoyed it and, particularly, the members.
21 Somebody once said, "Why would you ever do something like
22 this? It is really something bad."

23 My wife reminded me with a story she told me the
24 other day. It was about a wife who was spending all this
25 time with her husband who was in the hospital going in and

1 out of coma. She was by his side every day.

2 One day, he woke up, after several months and
3 looked at his wife and motioned her to come over closer to
4 him. She got by his side. With tears in his eyes, he said,
5 "You know what?" He said, "You have been by me through all
6 the bad times." He said, "You were there when I got fired.
7 You were there to support me." He said, "When my business
8 failed, you were there at the time. When I got shot, you
9 were there. When we lost our house, you were there. And
10 now, when my health has failed, you are still there."

11 He said, "You know what?" And she came closer to
12 him and sat down and said, "What, dear?" warmth sort of
13 increasing in her heart. And he said, "You know what?" He
14 said, "I think you are bad luck."

15 Actually, this has not been bad luck for me. I
16 have got to tell you that. I have certainly enjoyed this
17 committee. We have a lot of work today. This session today
18 is really--I think I have received more calls from the news
19 media about this meeting today than any other meeting I have
20 been associated with.

21 So, Kathy, thanks very much, from the agency's
22 standpoint, and we will get on with the meeting, then.
23 Thank you.

24 DR. SMALLWOOD: Thank you. I just would like to
25 make an administrative announcement that any presenters that

1 are using powerpoint for their presentations, if you would
2 make sure that you see the AV technician that is over to my
3 right with the white shirt on, sitting at the table there,
4 so that he can be prepared for your presentation.

5 I would also like to ask that everyone that will
6 be speaking, anyone from the floor, please speak directly
7 into the mike and announce your name and affiliation.

8 We have a very full agenda today. As you can see,
9 we are a little late starting, getting into the official
10 business, but we would like to proceed accordingly and we
11 ask that you would govern yourselves as such.

12 At this time, I will turn the meeting over to our
13 Chairperson, Dr. Blaine Hollinger.

14 Dr. Hollinger?

15 **COMMITTEE UPDATES**

16 DR. HOLLINGER: We are going to start the meeting
17 today with some committee updates. The first one is a
18 summary of the PHS Advisory Committee on Blood Safety and
19 Availability Meeting from August 24. Dr. Nightingale will
20 give us an update.

21 **Summary of the PHS Advisory Committee on Blood Safety**
22 **and Availability Meeting, August 24, 2000**

23 DR. NIGHTINGALE: Good morning.

24 [Slide.]

25 Thank you for giving me the opportunity to present

1 the summary of the advisory committee meeting to you.

2 [Slide.]

3 The meeting of August 24 of the advisory committee
4 arose because of a resolution of the advisory committee that
5 was made on April 26. The advisory committee said,
6 "Recognizing the significant economic issues currently
7 affecting the blood system, the advisory committee seeks to
8 review the role of various considerations and decision
9 making related to new and existing blood safety measures."

10 I hope, Dr. Hollinger, if your committee ever gets
11 control of its own agenda the way that mine has, that you
12 will show more respect for the English language.

13 [Slide.]

14 We paraphrased the resolution to what was the
15 serious business of the committee which is the question of
16 what are the principles on which a policy to assure a safe,
17 available and affordable blood supply should be based?

18 [Slide.]

19 The intellectual background or, perhaps, the
20 political background as well, of the committee's agenda is
21 this; there are, currently, two major ways in which policy
22 decisions have been framed before our advisory committee.
23 One of the frames is, under a given policy, how much would
24 it cost to save a life and the alternative framing which
25 many of us see as functionally equivalent is, under a given

1 policy, how many lives would be lost. The jar between those
2 two frames of the same question has been a recurrent concern
3 of the advisory committee and of the blood community as a
4 whole.

5 One of the answers to both of those questions that
6 has been proposed by many parties has been the concept of
7 no-fault insurance or compensation for unavoidable blood
8 injury, which was proposed by the Institute of Medicine, the
9 Krever Report and elsewhere, and it was adopted by the
10 advisory committee on April 26 when they said, "There is a
11 small but non-zero risk associated with the use of blood
12 products or plasma derivatives that cannot be eliminated
13 with current technologies. The advisory committee,
14 therefore, supports the prior recommendation of the
15 Institute of Medicine and of others that a prospective
16 national system to compensate recipients for injuries or
17 death caused by blood products or plasma derivatives and not
18 associated with a reckless or intentionally harmful act
19 should be enacted and funded by Congress.

20 While this is one alternative to proceeding under
21 the status quo, I think everybody in this room is aware of
22 the complexities of no-fault insurance in other arenas, the
23 complexities in implementing a fair and just no-fault--it is
24 easy to say we should have no-fault. It is hard to do it,
25 and it is really in that context that I recommend that you

1 read the response of the Secretary to that advisory
2 committee recommendation.

3 This was written on July 26 of this year. "Dear
4 Dr. Kaplan: in regard to your second recommendation," Dr.
5 Shalala wrote, "The Department continues to feel that
6 compensation issues are the responsibility of Congress."

7 [Slide.]

8 "The Administration stands ready to assist
9 Congress as it considers such recommendations or
10 legislation." This was, in fact, a reiteration of the
11 Secretary's testimony on October 12, 1995 before Congressman
12 Shays. The summary, then, is that, current policy, we have
13 the competing frames of the same question which often
14 present those in, if not irreconcilable but at least
15 strongly competing, words.

16 The solution that has been proposed is not over
17 the political horizon yet. That has led us to the
18 discussion of alternatives.

19 [Slide.]

20 One of those alternatives has been what is called,
21 rather than defined as, the precautionary principle. The
22 precautionary principle was published by the European Union
23 on February 1 of this year. The best legal statement of it
24 is here--it is in an environmental context--"Where there are
25 threats of serious or irreversible damage, lack of full

1 scientific certainty shall not be used as a reason for
2 postponing cost-effective measures to prevent environmental
3 degradation."

4 [Slide.]

5 Again, a problem with the precautionary principle
6 is that it is not well-defined in European or any other law.
7 It is one of those things that we all understand, kind of
8 like group theory, but can't put our fingers on it. The
9 principles that are enunciated by the European Union on
10 February 1 were the application of the precautionary
11 principle should be proportional to the chosen level of the
12 protection, nondiscriminatory in its application, consistent
13 with similar measures already taken, based on an examination
14 of the potential benefits and costs of action or lack of
15 action, subject to review in the light of new scientific
16 data, and capable of assigning responsibility for producing
17 the scientific evidence necessary for a more comprehensive
18 risk assessment.

19 I think that you will see where I am going with
20 this talk is that there has certainly been some progress
21 towards discussion of these principles but that progress has
22 been, by no means, sufficient for action.

23 [Slide.]

24 I am sorry that screen does not have all of this
25 because, as I alluded to, the Rio Conference on the

1 Environment was one statement of the precautionary
2 principle. The European Union's statement does not define
3 it explicitly but there is what I am going to call a more
4 stringent form.

5 Dr. Epstein, who was, really, the leader of our
6 efforts to get to this point, suggested that the principles
7 that underlie blood safety could be ranked on the order of
8 stringency. Stringency is also an evolving concept but I
9 think you will get what Dr. Epstein had in mind when you
10 read what Mr. Justice Krever wrote about the precautionary
11 principle. This is also on the table, so to speak.

12 This one says, "Preventive action should be taken
13 when there is evidence that a potentially disease-causing
14 agent is or may be blood-borne even when there is no
15 evidence that recipients have been affected. If harm can
16 occur, it should be assumed that it will occur. If there
17 are no measures that will entirely prevent the harm,
18 measures that may only partially prevent transmission should
19 be taken." Another definition that is on the table.

20 [Slide.]

21 The discussions that took place on August 24 have
22 been distributed to the advisory committee. I did bring 24
23 copies of it. It will be posted on the advisory committee's
24 website as soon as my deputy returns from travel because he
25 knows how to do it and I do not.

1 That failing, that is the direct phone to my
2 office. That is my fax. And that is my E-mail. Those will
3 be available outside the room afterwards.

4 I think the one piece of the discussion that I
5 specifically want to bring to the Blood Products Advisory
6 Committee's attention is on the next slide.

7 [Slide.]

8 These are seven criteria for blood policy. This
9 is a very tight abstract of Dr. Epstein's presentation to
10 the committee and does not do that presentation full justice
11 but is what got into the ABC Newsletter. It is a very
12 accurate reflection of a summary slide.

13 This is pretty much where we are in our own
14 thinking. I, again, thank Dr. Epstein for the formulation
15 and praise it. Acceptance of risk is a political decision.
16 Acceptance of cost is a political decision. Decision-making
17 must be transparent if it is to obtain public endorsement.
18 Decision-making must include both risk assessment and risk
19 communication. Decision-making must include ongoing
20 scientific input.

21 Blood-safety decisions should be considered in an
22 international context and, finally, individual contributors
23 to blood safety decisions should independently articulate
24 the scientific, economic and political bases of their
25 recommendations.

1 There is far more thought behind these seven
2 commandments, is what we are calling them informally, behind
3 Dr. Epstein's back. I recommend them to you for your
4 thoughtful consideration.

5 [Slide.]

6 The question, however, of whether or not a
7 sufficient foundation for blood policy can be identified is
8 being debated, not only within blood policy but within
9 broader realms as broad as law. That will be coming into
10 our decision-making process; can you really find a set of
11 principles that you can enact a sound policy.

12 The argument on the left side is one of the
13 several competing--lists a few of the major competing
14 principles. The argument on the other side, which is cut
15 off and really shouldn't be, because it is the argument not
16 only of Mr. Justice Holmes but of current legal scholars
17 like Richard Posner who are arguing for scientific or ad hoc
18 adjudication of political issues.

19 Most of you--the lawyers--will recognize, "The
20 life of the law has not been logic. It has been experience,
21 the felt necessities of the time, the prevalent moral and
22 political theories, institutions of public policy, avowed or
23 unconscious. Even the prejudices which judges share with
24 their fellow men have had a good deal more to do than the
25 syllogism in determining rules by which men should be

1 governed."

2 So the ultimate debater here is whether or not we
3 can come up with a set of principles. It is definitely
4 worth trying. But there are bright people who think that we
5 are not going to succeed.

6 [Slide.]

7 Where the first challenges for this effort will be
8 coming; the first will be in November. We expect that there
9 will be a meeting convened by the WHO of its Global
10 Collaboration for Blood Safety. The general agenda items
11 are harmonization of blood-safety practices in developed
12 countries and promotion of blood-safety practices in the
13 developing ones and, in January, where I suppose the rubber
14 hits the road locally, where we have agreed that the
15 advisory committee should take up the issue of how the
16 government should respond to the current debate over
17 universal leukoreduction.

18 The last and very substantive point that I want to
19 make to this advisory committee is that the review by the
20 department's Advisory Committee on Blood Safety and
21 Availability is, by no means, a review or a second guess of
22 the deliberations of this advisory committee.

23 We have made it very clear that there is a
24 delineation of roles and the role of the advisory committee,
25 whatever it may be, is not to second-guess scientific

1 decisions of a scientific panel. We will continue in
2 dialogue with you to assure that our roles are complementary
3 and not competitive.

4 I would be glad to answer any questions that
5 anybody would like to have about them and apologize for
6 running over a little bit. I am done.

7 DR. HOLLINGER: Any questions?

8 If not, thank you, Steve.

9 The next update is on factor VIII and von
10 Willebrand factor standards. Dr. Chang and Dr. Kirschbaum.

11 **Factor VIII and vWF Standards**

12 DR. KIRSCHBAUM: Hi. I am Nancy Kirschbaum from
13 the Laboratory of Hemostasis in the Division of Hematology.

14 Oh; that is Dr. Chang's talk.

15 Do you just want to go?

16 DR. CHANG: Thank you, Mr. Chairman.

17 [Slide.]

18 For the next five minutes, I would like to give
19 you a brief introduction on the work in progress toward the
20 first international standard for von Willebrand factor
21 concentrates.

22 [Slide.]

23 My name is Andrew Chang. I work in the Division
24 of Hematology, CBER, FDA. I would like to first start to
25 give you a message that is the good news, actually. The

1 first international standard for von Willebrand factor
2 concentrates will likely to be available by the end of the
3 next year.

4 [Slide.]

5 I would like to give you a brief, very brief,
6 introduction on the process we have carried out for this
7 first international standard. We carried out this project
8 in two phases; phase I is the initial characterization and
9 phase II is the production and calibration phase.

10 In phase I, we selected five von Willebrand factor
11 concentrates from five different manufacturers. There are
12 three organizations actually involved with this study; CBER,
13 FDA and NIBSC. SSC stands for Science Standardization
14 Committee which is under the International Society for
15 Hemostasis and Thrombosis.

16 The goal for this study is to try to reach
17 agreement based on the scientific study to select two
18 candidates which are suitable for the international
19 standard. The second phase is production and calibration.
20 We decided to take two candidates into the phase II study
21 and filled 5000 ampoules for each candidate and then had a
22 panel of about twenty international laboratories around the
23 world in the calibration.

24 By the end, we will select one, the best one, as
25 the standard.

1 [Slide.]

2 Where are we now? We have completed the phase I
3 study and we presented our selection process to the SSC,
4 Science Standardization Committee, in May of this year. We
5 are very happy the committee accepted our selections for two
6 candidates.

7 We are now on the second phase. That is the
8 production and calibration. We have already filled one
9 candidate. We have 5000 ampoules ready for calibration.
10 The second one is on its way. We already have the material
11 and are ready to fill.

12 We also distribute a survey form to about thirty
13 laboratories around the world. We sent it out last month
14 and so far we have received sixteen of them committed to do
15 the calibration studies. The calibration will be carried
16 out against the WHO Fourth International Standard for factor
17 VIII and von Willebrand factor plasma. We envisioned that,
18 by the end of the study, we will have at least three
19 potencies which can be assigned to this standard; that is,
20 the von Willebrand factor level, von Willebrand factor
21 ritocetin-cofactor activity, and the collagen-binding
22 activity.

23 The final report for this project will be
24 presented to the Expert Committee of the Biological Standard
25 which is a committee under the World Health Organization in

1 Geneva in October of next year.

2 [Slide.]

3 So, in conclusion, we have completed the phase I
4 study and we selected the two candidates. They are accepted
5 by the SSC Committee and we are now under the production
6 phase and are ready to distribute the candidate for
7 multicentered international-wide calibration. Hopefully, I
8 think very likely, by the end of next year, we will have
9 this first international standard for von Willebrand factor
10 concentrates available basically for the world.

11 Thank you very much.

12 DR. HOLLINGER: Thank you.

13 Dr. Kirschbaum?

14 DR. KIRSCHBAUM: I guess I will reintroduce
15 myself. My name is Nancy Kirschbaum. I am a senior staff
16 fellow in Laboratory of Hemostasis in the Division of
17 Hematology.

18 [Slide.]

19 Thank you for inviting me to present an update on
20 the development of Mega 2, which is the new U.S. working
21 standard for determination of Factor VIII activity.

22 [Slide.]

23 Mega 2 is being developed to replace the dwindling
24 supply of Mega 1 which is the current U.S. Factor VIII
25 working standard. Phase I, in the development of Mega 2,

1 was devoted to choosing a suitable candidate. This was
2 achieved through an international collaborative effort.

3 The candidate that was chosen met stringent
4 criteria of molecular integrity, linearity of dose response,
5 stability and consistency of results within assays, between
6 assays, and, importantly, between the two currently used
7 test methodologies of the one-stage APTT assay and the
8 chromogenic substrate assay.

9 The candidate that was chosen is a plasma-derived
10 concentrate. During phase II, the final fill of 100,000
11 vials was performed with direct participation by members
12 from our laboratory of hemostasis. This particular final
13 fill was subdivided into two and these two sublots were
14 lyophilized in separate machines.

15 So, because of that fact, we conducted extensive
16 testing in our laboratory of hemostasis that demonstrated
17 the equivalence of the two sublots.

18 [Slide.]

19 Currently, we are collaborating with the European
20 Pharmacopoeia and the National Institutes of Biological
21 Standards and Control to organize the final phase of the
22 development of Mega 2 which is the assignment of potency.
23 Forty-five laboratories from around the world have been
24 invited to participate.

25 The testing will involve the comparison of potency

1 values determined for the candidate against current
2 international standards. The ones that we are going to
3 include in our study are the WHO Fifth International
4 Standard, the WHO Sixth International Standard and the
5 European Pharmacopoeia Current Working Standard.

6 In addition, a preparation of Mega 2 that has been
7 filled in ampoules will also be tested for consideration as
8 an international working standard. Testing will also
9 involve the comparison of potency values determined using
10 the two current test methodologies--that is, the one-stage
11 APTT assay and the chromogenic substrate assay.

12 [Slide.]

13 Finally, we plan to complete testing and data
14 analysis so that the new Mega 2 standard will be ready for
15 distribution next year.

16 Thank you.

17 DR. HOLLINGER: Thank you. Any questions for Dr.
18 Chang and Dr. Kirschbaum?

19 The next update; Dr. McCurdy will give us an
20 update on the blood supply. Paul?

21 **Blood Supply Update**

22 DR. McCURDY: Good morning.

23 [Slide.]

24 At the last meeting of this committee, I provided
25 an initial brief description of what we have been doing.

1 [Slide.]

2 There have been, as I mentioned last time, for a
3 number of years attempts and recommendations by a number of
4 different groups that we do find out something about the
5 blood supply in the United States. It has been deplored
6 that we know more about widgets than we do red cells and
7 platelets.

8 When the situation came up that it was necessary
9 to defer donors who spent more than six months in U.K. from
10 1980 to 1996, and we were concerned about what might happen
11 to the blood supply, at the request of the Surgeon General,
12 the Heart, Lung and Blood Institute contracted with the
13 National Blood Resource Center to provide data on the blood
14 supply.

15 Initially, we selected a sample of twenty-seven
16 blood centers around the country. These were selected to be
17 representative of the country as a whole, although there was
18 a bit more of a concentration in larger cities than small
19 rural centers primarily because one of our goals was to
20 detect shortages as they occurred or immediately afterwards,
21 and big cities are more vulnerable than small rural centers.

22 Twenty-seven were selected. Six centers were not
23 able to participate and were replaced, and we had one late
24 dropout. The final sample, therefore, was twenty-six.

25 [Slide.]

1 This slide is a simplistic cartoon because I
2 discovered that more people than I had originally thought
3 were confused about what was released and made available for
4 distribution, what the inventory level was and what was
5 shipped or actually used. What we are doing at the present
6 time is getting information from the blood centers, from the
7 sample of blood centers, on what was released for
8 distribution and we are getting information on inventory the
9 first and third Wednesdays of each month.

10 We do not have information on what was actually
11 shipped from the blood centers and, more importantly, we
12 hope to get information from a sample of hospitals about
13 what was actually used. So keep in mind that that level of
14 inventory depends not only on what is put in but what goes
15 out at the bottom.

16 [Slide.]

17 These are a graph of the red-cell products
18 released from October through August. As I mentioned last
19 time, we did not get information from all centers from the
20 very beginning. Some were phased in as time went on and,
21 until August, we did not get information from every center
22 every month. So we did a quick and dirty extrapolation from
23 the number that actually supplied to the total.

24 In August, we did get information very promptly
25 and from all twenty-six centers, and they are all to be

1 congratulated for that effort.

2 [Slide.]

3 The next slide shows a regression line that I
4 calculated. The increase in blood made ready for
5 distribution from October through August is significant at a
6 p less than 0.01. I am not quite sure what that means other
7 than, perhaps, blood centers were able to cope with any loss
8 of donors due to phasing in the variant CJD deferral period.

9 [Slide.]

10 This is what happened to the inventory. I started
11 the inventory slide in January because the retrospective
12 inventories, starting in October, there weren't enough
13 centers and we didn't think we could rely upon those data,
14 although it looks, perhaps, as though there is a progressive
15 downward trend on that.

16 [Slide.]

17 When one calculates a regression line, one can get
18 a negative slope. But the p-value of this slope is being
19 different from zero probably because the variability is not
20 significant.

21 [Slide.]

22 The final slide shows information on the
23 inventories by blood group. I am not sure how to interpret
24 this yet. We have got, on this slide, the O's and the A's,
25 O's being characteristically in short supply all the time

1 and A's being pretty adequate. But we do get that
2 information and we will be looking at it as time goes on.

3 Thank you.

4 DR. HOLLINGER: Thank you, Paul.

5 There is a person who would like to--Derrick
6 Robertson from the Hemophilia Treatment Centers would also
7 like to give us an update a little bit on the supply issue.
8 Is Derrick Robertson here?

9 No? I guess that was an interesting comment that
10 he had.

11 Any other comments about the supply issue? Yes,
12 Paul. Do have a comment for yourself?

13 DR. McCURDY: I might comment that this really
14 does not speak to the issue of shortages which have been
15 widely reported in various different media, particularly the
16 newsletters of the blood-banking organizations. With the
17 supply or the information about the blood put on the shelf,
18 one would suggest, but you can't prove, that there may have
19 been some increased demand over this period of time in order
20 to generate the shortages.

21 DR. HOLLINGER: Thank you.

22 The final committee update is on donor
23 questionnaire. Dr. Lee?

24 **Update on Donor Questionnaire**

25 DR. LEE: Good morning committee members and Dr.

1 Hollinger. I am here to not necessarily give you the full
2 update on the donor questionnaire streamlining process but
3 simply to give you a very brief background and introduce Dr.
4 Joy Fridey to give the update.

5 As Dr. Zoon mentioned this morning, the issues
6 presented here are always controversial and important, and
7 the donor interview process is no exception. Blood safety
8 and availability, at present, starts with selecting the
9 right donor.

10 We intuitively know how important the interview
11 process is in safety and availability of blood, yet it has
12 been unclear for many years as to exact role of the donor
13 interview in assuring safety and availability. There has
14 been ongoing internal discussion at the FDA for many years
15 as to how we might improve this.

16 The agenda of today's BPAC, especially the one
17 after lunch, speaks to our ongoing efforts in trying to
18 improve the current interview process and the questions used
19 in selecting the right donor. More recently, the FDA has
20 charged the blood industry to get together as group to
21 analyze this in a more concrete fashion in an effort to
22 improve the donor interview process, particularly the
23 questions used in selecting the right donors.

24 Dr. Joy Fridey has graciously chaired this group.
25 Dr. Joy Fridey will now give the update as to what the

1 recent accomplishments have been from this task force,
2 multiorganizational task force, led by AABB and give some
3 specific goals as to what it is intending to do in the near
4 future including a joint FDA-AABB-sponsored workshop
5 currently scheduled for October 16 at Lister Hill Auditorium
6 on NIH campus on streamlining the donor questionnaire.

7 Dr. Friday? I don't see Dr. Fridey. I see Ms.
8 Kay Gregory. So I will now have the pleasure of introducing
9 Ms. Kay Gregory.

10 MS. GREGORY: Thank you. There was a mixup in
11 communication and Dr. Fridey thought she was going to be
12 presenting tomorrow. So I will try to fill in for her in
13 the meantime.

14 The American Association of Blood Banks has
15 established a new multiorganizational task force to evaluate
16 and develop recommendations to simplify the uniform donor
17 history questionnaire including consideration of an
18 abbreviated version for repeat donors. The task force
19 appreciates the opportunity to make this advisory committee
20 aware of its activities. We hope that this meeting will
21 also provide an opportunity to let the public and those with
22 a special interest on donor screening know the activities of
23 the task force.

24 The task force was formed in response to
25 information from the Food and Drug Administration that the

1 agency would like to see a simplified questionnaire
2 developed perhaps sometime in 2001 and would prefer to have
3 a single initiative supported by the entire blood banking
4 community.

5 The task force, as you have heard, is chaired by
6 Dr. Joy Fridey and is composed of representatives from the
7 American Association of Blood Banks, American Blood
8 Resources Association, America's Blood Centers, the American
9 Red Cross, the Center for Disease Control and Prevention and
10 the FDA. We will shortly be joined by representatives of
11 NHLBI and the Armed Services Blood Program Office.

12 The task force is intended to be the core group
13 guiding the effort. But each organization will be active
14 participants assisting the task force with tasks such as
15 identifying member centers to pilot questions and generate
16 additional information or data as needed. It is vital that
17 we include everyone in this effort including donors.

18 The task force charges are; to reevaluate the
19 scientific validity of all FDA requiring infectious-disease
20 questions in view of the most recent scientific data
21 including current testing technology; to identify and reword
22 questions for which the wording may represent comprehension
23 difficulties for average individuals--for example, do not
24 meet the eighth-grade reading comprehension guidelines for
25 written materials; to identify questions that can logically

1 be grouped together and simplified; to reorder questions as
2 appropriate and to evaluate methods and develop
3 recommendations for administering the questionnaire, oral,
4 written computer-based questioning, methods of handling
5 recurring questions, et cetera; and, finally, to submit the
6 document and proposal for its use for FDA approval when we
7 finish the process.

8 The task force will utilize pilot studies and
9 other methods of obtaining information as appropriate. This
10 is an active task force. It was organized in June and has
11 already met three times by conference call. Subcommittees
12 have also had numerous conference calls. Sometimes, I have
13 talked to this group at least three or four times in one
14 week.

15 Activities currently underway include planning, as
16 you have heard from Dr. Lee, for a joint AABB-FDA workshop
17 to be held October 16. We have also distributed a survey to
18 obtain information about questions currently in use to
19 selected blood centers, hospital blood banks and plasma-
20 collection facilities.

21 We have begun an AABB review of AABB-generated
22 questions. There are some questions that we asked that were
23 generated by us and not necessarily by the FDA. If we are
24 going to look at everything, we need to look at what we have
25 done, as well.

1 Finally, the FDA is compiling information about
2 questions most frequently cited in error and accident
3 reports that they receive. And then FDA is also putting
4 together a list of nonnegotiable question items. These are
5 things that they believe are critical and must still be
6 covered somehow in the questionnaire, although not
7 necessarily using the exact wording that we are currently
8 using.

9 Again, I thank you for the opportunity to speak
10 today. The task force is excited about the opportunity to
11 accomplish meaningful change and plans to provide regular
12 progress reports.

13 Thank you.

14 DR. HOLLINGER: Thank you, Kay

15 Any questions? I think that concludes the updates
16 for the committee at this time. I want to thank the
17 individuals who have presented these updates today.

18 So we are actually back on time, but I will tell
19 you we will probably get out of time before long.

20 We are going to start the first open committee
21 discussion, which is a very important--all the topics,
22 actually, I think at these meetings here are important.
23 This is another one. This one is on HIV p24 antigen testing
24 of plasma for fractionation, the potential criteria for
25 discontinuation.

1 Dr. Hewlett is going to give us an introduction
2 and background to this proposal.

3 I. HIV p24 Antigen Testing of Plasma for Fractionation
4 Potential Criteria for Discontinuation

5 Introduction and Background

6 DR. HEWLETT: Thank you, Dr. Hollinger and good
7 morning everyone.

8 [Slide.]

9 The topic for discussion this morning is the
10 potential discontinuation of HIV-1 p24 antigen testing of
11 source plasma.

12 [Slide.]

13 The specific issue that we want to focus on today
14 is whether FDA should permit manufacturers of plasma
15 derivatives to replace HIV p24 antigen testing with the
16 licensed minipool NAT method that has equal or greater
17 sensitivity.

18 [Slide.]

19 By way of background, I think would all agree that
20 there has been a dramatic and vast reduction in the
21 transmission of HIV by blood and blood products during the
22 past decade primarily due to the implementation of sensitive
23 tests for viral antibody antigen and, more recently, nucleic
24 acids under the IND mechanism and, in the case of plasma
25 derivatives, the use of effective viral removal and

1 inactivation methods.

2 [Slide.]

3 The major sources of remaining risk are from
4 window-period donations, viral variants that are not
5 detected by currently licensed assays, atypical
6 seroconversions and laboratory-testing errors. According to
7 recent reports, donations during the window period
8 constitute at least 90 percent of the risk. Therefore,
9 measures to close the window period could further reduce the
10 low residual risk in HIV transmission by blood and plasma.

11 [Slide.]

12 In 1994, FDA held a workshop to discuss the
13 potential application of nucleic-acid-based methods to donor
14 screening for HIV. It was felt, at the time, that, although
15 these methods were clearly very sensitive, they were not
16 ready for implementation on a large scale.

17 It was subsequently decided, in 1996, that p24
18 antigen testing could be adopted as an interim measure for
19 interdicting window-period donations until more sensitive
20 methods become available. Despite the effectiveness of
21 viral clearance and inactivation procedures in the
22 manufacturer of plasma derivatives, FDA recommended donor
23 screening for HIV p24 antigen for plasma for fractionation
24 as an added safeguard since such testing would limit the
25 virus burden that may be present in a plasma pool for

1 fractionation.

2 [Slide.]

3 Subsequent to implementation of HIV p24 antigen
4 testing, the industry actively pursued development of
5 nucleic-acid testing, or NAT, for screening blood and plasma
6 donors. NIH awarded a contract for development of NAT to
7 screen individual donations of blood and plasma. At this
8 time, however, due to the high costs and the labor intensity
9 of NAT, there was interest in testing minipools of plasma
10 rather than single units and, by 1997, some manufacturers in
11 Europe had voluntarily instituted NAT on minipools.

12 At about that time, the European Union had issued
13 a directive that, by July 1, 1999, HCV RNA testing would be
14 required in Europe for all plasma for fractionation and that
15 the requirement for HIV-1 RNA testing would follow at a
16 later date.

17 In the U.S., testing of minipools was first
18 introduced as an in-process control test for plasma for
19 fractionation. However, the FDA position to regard pool-
20 sample testing by NAT as a form of donor screening and a
21 European directive which applied to both source and
22 recovered plasma provided impetus for rapid development of
23 minipool NAT for all blood and plasma donations.

24 FDA has taken the position that all NAT tests used
25 to screen blood and plasma are subject to regulation as

1 biological products under the licensing mechanism.

2 [Slide.]

3 Since NAT screening of donors was expected to
4 improve blood safety while not interfering with current
5 measures of safety, FDA permitted the clinical study of this
6 investigational technology on a large scale under an
7 approved IND. Such large-scale studies would be necessary
8 to demonstrate the efficacy of NAT primarily because the
9 frequency of window-period donations is low.

10 At the present time, virtually all source plasma
11 and whole blood collected in the U.S. is being tested by a
12 minipool NAT method for HCV and HIV-1 under an approved IND.
13 FDA has not yet licensed a NAT method for use in screening
14 of donor blood and plasma including source plasma.

15 [Slide.]

16 With the implementation of NAT for detection of
17 window-period donations, the question of replacing HIV p24
18 antigen testing by NAT has been raised by many
19 investigators. Since both tests are for direct markers of
20 the virus, it has been suggested that it may be feasible to
21 replace p24 antigen on the neat sample with minipool NAT if
22 it is found to be of equal or greater sensitivity.

23 At the BPAC meeting held in March of last year,
24 FDA defined criteria for discontinuation of p24 antigen and
25 replacement by minipool NAT.

1 [Slide.]

2 I will now briefly summarize the criteria that
3 were presented at the time. First, the sensitivity of the
4 NAT method should be equal to or greater than that of p24
5 antigen testing for the window period. This could be
6 established by testing all available and properly stored
7 repository specimens that are p24-antigen-positive and
8 antibody-negative and commercially available seroconversion
9 panel specimens in the pooled method and the neat p24
10 antigen method.

11 Second, frequencies of NAT and p24 antigen
12 positivity in antibody-positive and negative specimens
13 should be evaluated in prospective studies. Third, NAT and
14 p24 antigen should have equal sensitivity for detection of
15 the major HIV-1 subtypes. Finally, weakly reactive p24-
16 antigen-positive specimens should be reproducibly detected
17 by the NAT method on multiple days by multiple operators and
18 for multiple kit lots and instruments.

19 [Slide.]

20 FDA also indicated that the NAT method would have
21 to be licensed before it could be used to replace the
22 antigen test. FDA has published guidance on the validation
23 of NAT methods to screen plasma donors. Among the major
24 considerations for the sensitivity of NAT on pools is
25 analytical sensitivity of the NAT method on the pool and the

1 original donation as well as the pool size tested.

2 FDA has defined a proposed sensitivity limit for
3 licensure of 100 copies per ml for the pooled test and
4 5000 copies per ml for the original donation. FDA has not
5 specified pool-size limits, thereby allowing manufacturers
6 to set these limits based on the analytical sensitivity of
7 their specific test. Source plasma donations are currently
8 being tested in pools ranging from 96 to 1200 donations.

9 [Slide.]

10 To establish sensitivity criteria whereby p24
11 antigen can be discontinued, it is important to understand
12 the early dynamics of HIV infection and to establish and
13 determine a relationship between detectable levels of
14 viremia by p24 antigen versus minipool NAT.

15 Recent data, which will be presented later on in
16 this session and more in detail at the upcoming AABB
17 meeting, and which was shared with us by Mike Busch and his
18 coworkers, indicate that in studies where 146 serial
19 specimens from 48 HIV plasma-donor panels were characterized
20 by tests for HIV RNA, p24 antigen and HIV antibody, the mean
21 viral load at the time of p24 antigen seroconversion was
22 estimated at around 10,000 copies per ml.

23 Based on this estimate, NAT method should be able
24 to detect a minimum of 10,000 copies per ml or less in order
25 to replace currently licensed p24 antigen tests.

1 [Slide.]

2 In regard to plasma for further manufacturer, it
3 is important to note that viral-inactivation methods provide
4 an added measure of safety. Since the end of 1987, there
5 have been no transmissions of HIV by albumins, immune
6 globulins or clotting factors. Heat treatment used in
7 albumin production has been shown to inactivate the
8 infectivity of HIV-1 by around 7 logs, which is a least
9 3 logs more virus than the maximum concentration reported in
10 the plasma of infected individuals, which is around 10^4
11 infectious units per ml.

12 The Cohn-Oncley method used to manufacture immune
13 globulins can remove greater than 10^{-5} infectious doses of
14 HIV per ml which is at least 11 logs greater than the
15 maximum circulating infectious doses per ml.

16 So, based on the rationale and criteria outlined
17 above, FDA is seeking the recommendations of the BPAC on the
18 potential discontinuation of HIV p24 antigen testing and
19 replacement by a NAT method for plasma collected for
20 fractionation.

21 [Slide.]

22 As outlined above, the two major considerations
23 are; one, that a NAT test is of equal or greater sensitivity
24 than the p24 antigen test and, second, that viral removal
25 and inactivation methods validated to remove and inactivate

1 circulating levels of HIV detected by p24 or NAT are in
2 place for plasma collected for further manufacturing.

3 [Slide.]

4 So, at this point, I will go ahead and read the
5 questions for the committee. I will put them up again at
6 the time of the discussion.

7 The first question is, "Do the committee members
8 agree that HIV-1 p24 antigen testing of source plasma may be
9 discontinued if, a), it is demonstrated that a particular
10 licensed NAT method can detect HIV at a level of
11 5,000 copies per ml or less in a unit of plasma even if the
12 donor sample is tested as part of a pool."

13 The second part of the same question, "Comparative
14 studies of the NAT method versus HIV-1 p24 are consistent
15 with the hypothesis that the NAT method is of equal or
16 greater sensitivity including the ability to detect major
17 subtypes."

18 [Slide.]

19 The second question is, "If committee members
20 disagree, we would like you to comment on an appropriate
21 alternative."

22 Thank you.

23 DR. HOLLINGER: Thank you, Indira, for that nice
24 summary of what we are going to be discussing.

25 I think we will just move right now on. Dr. Busch

1 is going to now give us some initial data here, and then we
2 have several presentations afterwards.

3 Mike?

4 **Presentation**

5 DR. BUSCH: Thank you.

6 [Slide.]

7 I would like to take a moment to also acknowledge
8 Blaine's leadership on this committee. He has dribbled his
9 way through all these problems. Many of you may not know
10 that Blaine was actually on the basketball team with Wilt
11 Chamberlin at Kansas, about the year I was born.

12 Blaine is actually an amazing person in that every
13 time, for example, these committee meetings, when I would
14 send him material, and recently was a good example, about
15 two or three weeks before. Now, FDA is quite religious
16 about getting material out to committee and, within two days
17 of sending that stuff out, I have about a four-page E-mail
18 from Blaine critiquing the data and with comments and
19 corrections on the material. So he is a very conscientious
20 leader here.

21 [Slide.]

22 What I was asked to do is to review the issues
23 around p24 antigen in the blood and plasma-donor setting.
24 My presentation will review the data briefly about what
25 really led to the introduction of p24 antigen. I think it

1 is an interesting brief review, particularly how we actually
2 got into plasma-donor screening for p24 antigen.

3 Then I will briefly summarize data that you will
4 hear in much more detail later, the actual experience with
5 p24 antigen, particularly in the whole-blood sector, because
6 I think it was surprising in terms of the yield being
7 substantially lower than predicted and some explanations
8 around that.

9 The most important part of the talk is really the
10 analysis that Indira alluded to, trying to understand the
11 relationship between viral RNA levels and antigen levels
12 during the early what we call ramp-up phase of viremia, the
13 pre-seroconversion phase, in order to get quantitative data
14 to base a decision to discontinue antigen on, in terms of
15 what level of sensitivity should a nucleic-acid test achieve
16 in order to confidently detect any antigen-positive units
17 during the window period.

18 Then I also added a little bit of data, at
19 Indira's request, in terms of the issue of viral subtypes,
20 both with respect to the distribution of non-B clade
21 infections in the U.S. donor setting and the sensitivity of
22 the NAT assay system's two different subtypes.

23 [Slide.]

24 So just briefly, there were early studies--
25 actually, Sue Stramer, who is now at the Red Cross was, I

1 think, the first to publish in JAMA a major paper showing
2 the detection of p24 antigen in early seroconversion using
3 plasma-donor seroconversion panels. Those panels remain a
4 mainstay of our understanding of early dynamics of viremia.

5 But this led to the clear evidence that, at least
6 in the plasma-donor sector, individuals could give blood in
7 an antigenemic phase detectable by p24 antigen tests prior
8 to the development of antibody tests.

9 That led to the initial concern around the
10 potential that antigen testing should be used to screen the
11 blood supply and led to two large-scale national studies to
12 evaluate p24 antigen. This was back in the late '80s.
13 There was a national study led by Harvey Alter that tested
14 over half a million donations on-line. It was actually a
15 very large, the largest at that point, clinical trial.

16 Now, of course, NAT has long surpassed this. But
17 over half a million donations were screened in parallel with
18 standard serologic tests and p24 antigen and no antigen-
19 positive antibody-negative donations were detected in this
20 study.

21 The second study, and these two papers were
22 published back-to-back in the New England Journal of
23 Medicine, was a study of high-risk donations given to the
24 Transfusion Safety Study just prior to the availability of
25 the HIV antibody assay.

1 There were 200,000 donations to this repository.
2 What we did was to select about 8,500 that were from donors
3 who were the right age males in zip codes which had the
4 highest prevalence of HIV. The rationale here was that we
5 were going back to a time late in 1984 when the rate of new
6 infections in the communities were much higher than in 1989
7 and, therefore, we were selecting a population of antibody-
8 negative samples that theoretically would have been enriched
9 for early infections. Yet, we found no p24-antigen-positive
10 donations.

11 So, these two studies at the time led to the
12 interim conclusion that antigen testing did not seem to have
13 any value for whole blood or blood donor screening. Over
14 the subsequent several years, three case reports were
15 detected in the U.S. of antigen-positive antibody-negative
16 units through back testing of donors who seroconverted.
17 These were associated in several cases with HIV
18 transmission.

19 So these were some anecdotal cases that suggested
20 that these earlier studies may not have been adequately
21 sized.

22 Then work from Ken Nelson, actually in Thailand,
23 demonstrated significant yield of p24-antigen-positive
24 antibody-negative donations in the blood-donor setting in
25 Thailand. In fact, in Thailand, they introduced p24 antigen

1 screening.

2 If you extrapolate this to the U.S. donor setting,
3 you would actually estimate about five to ten infections per
4 year might be detected in the U.S. donor setting based on
5 relative prevalence rates.

6 Finally, the REDS modeling approaches sort of
7 began to look at the issue of antigen screening. Based on
8 the duration of the antigen window and the incidence in the
9 U.S. donor setting, estimates of about five to ten antigen-
10 positive antibody-negative donations per year were
11 projected.

12 [Slide.]

13 This is an interesting slide that was some of the
14 early model data based on seroconversion panels just to give
15 you some illustrations. At the time, we had probably about
16 30 or 40 of these panels that were analyzed to estimate the
17 duration of the antigen-positive antibody-negative window.

18 As you will see, in many panels over the next,
19 probably, several days, the typical pattern is really very
20 consistently observed with a ramp-up of viremia, RNA load
21 increasing, then p24 antigen, and then the antibody tests.
22 The green here is the new or third-generation assay which
23 has actually been around for now ten years in blood
24 screening--at the time, new--that pick up the early IgM
25 response.

1 So you can see, in many of these panels, there is
2 increasing signal in the more sensitive third generation
3 antibody tests. These tests have been estimated to close
4 the antibody window by about two weeks compared to the
5 earlier IgG-sensitive assays.

6 So the improved antibody tests were thought to
7 have made a dramatic impact on safety and, indeed, did.
8 But, nonetheless, the data was suggesting that there was an
9 antigen-positive spike estimated at about five days prior to
10 antibody.

11 But one other thing this slide illustrates that we
12 didn't understand at the time is that people don't donate at
13 a consistent pattern over this period of early HIV
14 infection. Again, these are plasma donors who are eligible
15 and usually give twice a week. This was a slide that was
16 made back in the early 1990s before we knew the problem of
17 the yield being not as high as we now realize.

18 What this shows is that these donors often give
19 twice a week, twice a week, and then they skip a week;
20 again, twice a week, twice a week, skip a week; twice a
21 week, twice a week, skip a week. This is consistently
22 observed across these panels that these plasma donors do not
23 give as frequently during the antigenemic spike.

24 We will come back to this because we think this is
25 the explanation for the lower-than-predicted yield of p24

1 antigen testing.

2 [Slide.]

3 So, in any event, through the modeling estimates,
4 we have estimated that the period of time prior to antibody
5 that is p24-antigen-positive is approximately six days, and
6 then there is about a five-day viremic pre-antigen phase.
7 It is these numbers that led to the predictions of about
8 five to ten infections per year by combining this six-day
9 antigenemic window phase with the incidence rate of new
10 infections in the whole-blood-donor setting, one can
11 estimate the rate at which people would give during this
12 transient seroconversion window period. That ends up being
13 about five to ten per year.

14 [Slide.]

15 The other data that was addressed, and there was a
16 BPAC meeting back in the 1994 time frame where all of these
17 data were reviewed, the new evidence of case reports and the
18 yield projections. At that time, also, in hindsight
19 probably a mistake, there was data presented on the cost-
20 effectiveness of p24 antigen screening derived by Jim
21 AuBuchon based on the projected yield of five to
22 ten antigenemic donations per year which would prevent ten
23 to fifteen infections at a projected cost range of \$3 to \$5
24 per unit, or probably in the range of \$60 million per year.
25 Out of that analysis, Jim derived cost-

1 effectiveness estimates for both antibody testing and
2 antigen testing. You can see that antibody testing is
3 really quite cost-effective, about \$3,000 per quality life
4 year, relative to the usual benchmark of \$50,000 considered
5 procedures that are medically indicated.

6 In contrast, p24 antigen at the time was estimated
7 to have a cost-effectiveness of \$2.3 million per quality
8 life year, well out of the window of usual public-health
9 measures. That data was presented to BPAC.

10 [Slide.]

11 In addition, there was concern about what is
12 called the magnet effect, which is the concept that if there
13 is a new, better test available in blood banks, could you
14 actually recruit high-risk people who are seeking that test
15 at a rate that would actually offset the benefit of the
16 test, the idea that this was of concern early on in HIV in
17 the context of anti-core testing.

18 But, in any event, there was evidence that there
19 were donors who were infected who were seeking tests, both
20 HIV positive donors and seronegative donors, based on
21 surveys. We knew that the antigen test would only reduce
22 the window partially, perhaps 30 to 50 percent. So if you
23 more than doubled the rate of high-risk donors, you could
24 actually have a negative impact on blood safety by adding a
25 test that would only reduce the window period in half.

1 At the time, though, the REDS group did a formal
2 analysis that was published which actually concluded and,
3 again, presented to the BPAC was the conclusion that it was
4 extremely unlikely that any kind of magnet effect could
5 offset the benefit of window-period closure with respect to
6 adding the antigen assay.

7 [Slide.]

8 So, finally, at the BPAC meeting in June, 1995,
9 this kind of all came to a head. At that meeting, after all
10 of the data I just summarized was presented, there was
11 actually a vote of 9 to 6 recommending against licensure of
12 p24 antigen. This resulted in an immediate backlash.
13 Congressman Shays who was in charge of the oversight
14 committee of FDA at the time wrote a letter within a month
15 that explicitly indicated that the estimates that were
16 presented were gross underestimates of yield.

17 Somehow, he derived estimates of at least 50 to
18 100 per year would be interdicted and that it was
19 inappropriate and outside of the FDA's mandate to have
20 considered cost-effectiveness data and that there was too
21 much industry influence on the committee.

22 This led, within the next several weeks, to
23 basically complete revision of the membership of the
24 committee with all blood-banking members being excluded,
25 considered as industry representatives. Then, a month

1 later, FDA issued their memorandum.

2 Now, up to this point of the FDA memorandum, all
3 of the discussion that I was involved with and was aware of
4 was focussed on the need to implement antigen potential need
5 in the whole-blood sector. As Indira mentioned, there had
6 not been a transmission of HIV by a plasma derivative in
7 over eight or nine years. So everyone--at least I--assumed
8 that the issue of plasma derivatives was safe as a
9 consequence of the inactivation procedures and that the
10 discussion around adding p24 antigen was limited to the
11 blood-donor sector.

12 But, when the memorandum came out, it extended the
13 requirements to plasma donors as well. That is really the
14 issue we are talking about now, which is considering
15 eliminating an assay which, in some context, was never even
16 indicated in the first place.

17 [Slide.]

18 The next comments are just in terms of, briefly,
19 the experience with yield. Again, I think you will hear a
20 lot of data from the plasma industry as well as Sue Stramer
21 for the whole-blood sector that will give you much more
22 detail. But the bottom line in whole-blood screening is
23 that the yield has been significantly less than predicted.

24 We have, I believe, detected five antigen-positive
25 antibody-negative donations now in approximately five years

1 of screening. So that is a rate of about 1 per 10 million,
2 which is significantly less than the predictions of about 5
3 per 10 million. This reflects, in part, the declining
4 incidence of HIV in the whole-blood sector but also what I
5 alluded to earlier which is a bias, and that people probably
6 do not give during that antigenemic phase of infection.

7 There is also no evidence of a magnet effect, no
8 evidence of a change in rate of HIV-positive donors giving
9 during the pre- and post-introduction of antigen screening.
10 The assay has performed relatively well in terms of
11 specificity but a 0.02 percent repeat reactive rate still
12 translates into thousands of donors being deferred annually
13 in the whole-blood sector.

14 In addition, one of the problems that Sue will
15 present is that we observed a moderate rate of donors who
16 were positive, not only on the screening assay, but
17 neutralized, meaning they were thought to be true
18 infections. But, in fact, what we have realized is that the
19 assay is prone to false neutralization, false confirmation,
20 these donors are RNA-negative and are negative for other
21 studies to see if there might be some new or unknown
22 retrovirus present.

23 [Slide.]

24 Just a few comments here. As I indicated, we
25 overestimated yield. The bottom line is the models assumed

1 a steady-state rate of donations during these phases of
2 primary viremia. But, in fact, what we now appreciate,
3 through some formal analyses, is that that is not true, that
4 there is a bias in that the rate of people giving during the
5 per-seroconversion phase of HIV is significantly lower than
6 the rate that these people give during other stages. So,
7 looking at individuals over time, the immediate pre-
8 seroconversion phase, they tend to self-defer.

9 This is probably related either to symptomatic
10 events of primary HIV syndrome or to risk behavior or to the
11 fact that we take temperatures of people at donation and
12 primary HIV during the antigenemic spike is often a febrile
13 phase.

14 [Slide.]

15 Now I am going to shift gears and present some
16 summary data on the modeling of RNA versus antigen. As
17 Indira summarized, RNA tests are now routinely performed for
18 HIV and HCV, both in the whole-blood and plasma-donor
19 sector. They have been phased in over the last two years.
20 Retrospective studies have shown that the viral load the p24
21 antigen cutoff is about 10,000.

22 So, theoretically, any minipool NAT system that
23 can detect greater than 10,000 copies should be greater than
24 the capacity of p24 antigen. I will show you a lot of data
25 to support that.

1 In addition, as you will see from several
2 speakers, I am sure, extensive prospective studies that have
3 monitored the ability of these NAT screening programs to
4 detect p24-antigen-positive samples have demonstrated
5 consistent detection, both of sort of real-world antigen
6 positives that are being screened in parallel but, also, a
7 number of programs have conducted external control assay
8 runs where every single run includes p24-antigen-positive
9 controls that are literally set at the cutoff of the antigen
10 assay.

11 I am sure you will see data later that will show
12 that these are consistently deleted through minipool NAT
13 screening.

14 [Slide.]

15 What I want to present is a recent analysis that
16 we have done in the context of the REDS study group. Ebi
17 Fiebig is the person who did the biostatistical analysis on
18 this. It is based on 146 donations that were given during
19 the pre-seroconversion phase from 43 seroconverting plasma
20 donors. These were plasma donors identified and collected
21 through the Alpha Therapeutics Program and the repository of
22 these plasma units was coordinated by Bioclinical Partners.

23 These donors were screened prospectively by p24
24 antigen using the Ortho/Coulter assay and the antibody tests
25 were performed both with Abbott and Genetic Systems on all

1 of these panels.

2 The PCR data is from NGI. It is both qualitative
3 and quantitative, but the data I will be compiling and
4 showing is based on their quantitative PCR assay. In the
5 more refined model that I will show, there were 146 antigen-
6 positive antibody-negative donations that were analyzed. We
7 actually truncated that dataset because, at the top end of
8 the antigen spike, the RNA loads begin to flatten out.

9 So, as we are trying to more precisely model the
10 cutoff and the relationship during the ramp-up phase, the
11 analysis was limited to 94 RNA-positive samples in the ramp-
12 up phase. Those were then analyzed using a model that David
13 Wright at Westat developed called the multivariate
14 longitudinal regression model.

15 [Slide.]

16 This just illustrates the kind of panel data. You
17 saw some earlier. Again, what we are focused on now are
18 samples that are given during this very brief period when
19 RNA load is ramping up and we are looking at the
20 relationship on a sample-to-sample basis of the RNA load
21 versus the antigen level.

22 [Slide.]

23 This is actually an earlier dataset but it
24 illustrates that when you do a lot of these panels, you can
25 then compile the data from a lot of these panels on a single

1 time line, either through extrapolation or other methods.
2 You can get a sense, then, of the difference in the ramp-up
3 rates of viremia and then, after seroconversion, all of
4 these people ramp down their viremia. But we are focussing
5 here on this early ramp-up phase of viremia.

6 [Slide.]

7 The first thing we did was to simply divide these
8 samples up, these 146 samples, into those that were RNA-
9 positive only versus those that were p24-positive. This is
10 what is called a "box and whisker" plot which is just a
11 distribution of the RNA loads, the concentration of RNA,
12 during the RNA-only phase versus the p24-antigen-positive
13 phase of seroconversion pre-antibody.

14 What you see here are the summary statistics.
15 Most important for this discussion is the viral load
16 distribution during the antigen-positive phase. The
17 critical question to the committee is how good do RNA tests
18 need to be so that we can be completely confident that any
19 antigen-positive samples could be detected if we were to
20 discontinue the antigen test.

21 What this analysis indicates is that the median
22 RNA concentration during the p24 antigen-positive antibody-
23 negative phase is 140,000 genome equivalents per ml and,
24 most importantly, the lower bound of the 95 percent
25 confidence interval, if you will--the lower 2.5 percent

1 inclusion limit is 7,300 copies.

2 So if we have an assay system that can detect
3 7,300 copies of HIV RNA, we can be confident, from a
4 statistical perspective, that that would detect 97.5 percent
5 of antigen-positive antibody-negative samples.

6 Interestingly, these 85 specimens that have been
7 derived from these selected plasma-donor panels represent
8 something in the range of 20 years worth of whole-blood
9 screening in terms of the rate of detecting antigen-positive
10 donations.

11 [Slide.]

12 We have also done a more sophisticated modeling,
13 as I indicated, based on these 94 samples during the ramp-up
14 phase using this statistical model. This is what is called
15 a "spaghetti plot," which is plotting out the RNA loads over
16 time from the first positive RNA sample datapoint for these
17 94 panels.

18 From this analysis, you can derive a slope for
19 each of these seroconverters based on the RNA load increase
20 over time. From that slope, you can derive a doubling time,
21 which is the estimate of the rate at which viral load
22 increases over time. That is estimated at 21.5 hours. So,
23 in other words, the concentration of HIV RNA increases in
24 plasma two-fold approximately every 21 hours.

25 [Slide.]

1 What we looked at a moment ago was the
2 distribution of RNA concentrations of p24-antigen-positive
3 versus negative. Another approach to get at what
4 sensitivity should a test have in order to be comfortable
5 discontinuing antigen is to try to estimate the cutoff at
6 which all these seroconverters would break the p24 antigen
7 cutoff level.

8 In order to do that was this more sophisticated
9 model, but basically the simple way to show this is to
10 express the concentration of RNA against the signal-to-
11 cutoff level of the p24 antigen assay.

12 So, in this graph, what we have done is to
13 express, on the X axis, the p24 antigen signal-to-cutoff.
14 It is actually the log. So zero is when each of these
15 seroconverters break the cutoff of p24 antigen. Then, this
16 is the log of RNA concentration. So this is a regression
17 plot of the RNA load relative to the p24 antigen signal to
18 cutoff.

19 The intercept of this regression plot tells us the
20 level of RNA at the point of p24 antigen seroconversion for
21 each of these seroconverters.

22 [Slide.]

23 The next slide summarizes the statistics on this
24 regression analysis. The bottom line is that the p24
25 antigen assay cutoff, and this is based on the Coulter test

1 and we have done this for the Abbott test as well and it is
2 actually slightly higher, is almost exactly 10,000 genome
3 equivalents per ml.

4 So, again, so long as an assay achieves an RNA
5 screening system, achieves the sensitivity of 10,000 genome
6 equivalents per ml, it would detect antigen-positive
7 seroconversion samples at the point where they actually
8 achieve cutoff of the antigen assay. So this is really a
9 much more rigorous sort of approach to address the question
10 of what level of sensitivity should an RNA system achieve.

11 [Slide.]

12 Based on this analysis, I feel comfortable and I
13 think the FDA recommendation is even somewhat conservative,
14 that a p24 antigen test really should have a sensitivity of
15 at least 10,000 copies in order to recommend discontinuation
16 of p24 antigen and should, theoretically, if it can achieve
17 that sensitivity, detect additional yield beyond p24
18 antigen.

19 As you heard, I think FDA's requirement or
20 proposal is that systems achieve at least 5,000 genome
21 equivalents per ml, so they have sort reduced the level to
22 be more stringent.

23 [Slide.]

24 I want to just briefly talk about HIV subtypes.
25 There is concern that these unusual subtypes, at least in

1 the United States, may begin to traffic into the U.S. As
2 you will all, I am sure, know, the major subtype that seeded
3 the epidemic in the U.S. was what is called clade B
4 infection, group M, clade B. That still amounts for the
5 vast majority of infections in this country and, most
6 importantly, the vast majority of new continued spread of
7 infections is clade B.

8 But, over the last five years or so, there has
9 been documentation of non-B-clade infections including
10 group O and HIV-2 in the U.S. Virtually, all of those
11 infections were imported, meaning that they were individuals
12 who had moved from or military personnel who had been
13 stationed in regions of the world where these non-B
14 infections are prevalent.

15 They were infected in those countries and then
16 moved to the United States. So, again, we are talking here
17 about window phase so the real issue is what are the
18 incident infections, the newly transmitting strains. Again,
19 a number of studies have shown that virtually all of the new
20 infections going on in this country remain clade B
21 infections.

22 [Slide.]

23 We have, under the support from the Center for
24 Disease Control, conducted a fairly large study to monitor
25 for unusual subtypes of HIV in the U.S. donor setting. A

1 paper is in press next month in Transfusion and will be
2 published that characterizes the rate of unusual subtypes
3 actually going back to samples from that early transfusion
4 safety study testing samples from hemophiliacs who were
5 infected in the very early 1980s from donors who undoubtedly
6 were infected probably in the late '70s, moving on the very
7 earliest infected donors found from TSS, 97 donors found
8 through that repository as HIV positive and then continuing
9 on to more contemporary samples from donors enrolled in the
10 CDC surveillance study, 400 donors from '93 to '96, and then
11 recently updated with an additional 265 donors from '97 and
12 '98.

13 You can see that, in terms of non-B-clade
14 infections, none were detected in these early samples, but
15 we did begin to see a small percentage, about 1 percent, of
16 HIV-infected donors in the last two periods of time are
17 determined to be infected with non-B-clade infections. We
18 have seen some As and some Cs.

19 These are all individuals who have actually come
20 to the U.S. They were infected in Africa and came to the
21 U.S. so were not acquisitions here. They are more concerned
22 with the antibody tests than window-phase detection but it
23 does document that we are beginning to see, and there are
24 other studies in other settings as well that are
25 documenting--beginning to see infections by these unusual

1 subtypes in the United States.

2 So we do need to be proactive and assure that the
3 tests are detecting these subtypes.

4 [Slide.]

5 The next couple of slide will just summarize, for
6 several of the assay systems, the capacity of these new TMA
7 and other kinds of PCR assays to detect subtypes. This is
8 some data that was shared with me by GenProbe. GenProbe
9 has, in their clinical trials, evaluated a large number of
10 both tissue-culture viral isolates as well as clinical
11 specimens from different subtypes of HIV, all HIV-1.

12 [Slide.]

13 In this analysis, where they looked at the CBER
14 subtype panel, they diluted--CBER has a panel of non-B-clade
15 infections. These were diluted down to identify the
16 endpoint at which the assay goes from positive to negative.
17 So, in each of these slides, you will see kind of two lines
18 that really flank the endpoint where the assay is
19 consistently detecting these samples as positive compared to
20 the next dilution where it begins to miss some.

21 The viral load is estimated at each of these
22 endpoints. What you can see is, across each of these clade
23 infections, that the TMA assay is able to detect HIV at
24 dilutions that are equivalent in viral load to the range of
25 10 to 80 or so viral genome equivalents per ml. So these

1 assays do have high sensitivity to these variant infections.

2 [Slide.]

3 Additional data from GenProbe looking at some even
4 more unusual subtypes, group O infection, some recombinant
5 clade infections that have been recently characterized, and
6 H. Again, they ran these at both 300 and 100 copies per ml
7 inputs and they had 100 percent detection of I think this
8 was 20 replicates both in their combination multiplex
9 screening assay as well as in the discriminatory test, so
10 excellent sensitivity to these HIV-1 subtypes.

11 [Slide.]

12 Finally, similar data that has actually been
13 submitted for publication from Roche on their HIV-1 donor-
14 screening assay system. They actually had a number of viral
15 particles that were actually--the concentration was actually
16 characterized by EM particle count, so very precise
17 estimation of the concentration of virus.

18 What we are looking at here is viral
19 concentrations ranging from 20 up to 200 genome equivalents
20 or particles per ml and then they are looking at different--
21 this is actually a shortened version of their table just
22 showing two representative viral isolates for each of these
23 different subtypes.

24 What you can see--this is this bolded kind of area
25 here--is the endpoint of detection. You can see that they

1 consistently detect in the range of 30 to 75 genome
2 equivalents per ml, they begin to get 100 percent hit rates.
3 So, again, both the major whole-blood screening platforms,
4 either GenProbe and Roche systems, both seem to have
5 excellent sensitivity to HIV-1.

6 Both systems are HIV-1 specific. They do not
7 detect HIV-2. But this is very reassuring data in terms of
8 the concern around subtype detection.

9 [Slide.]

10 I think the last slide, just a conclusion slide.
11 What I have tried to summarize is a kind of history and then
12 to explain that I think, in fact, our early projections were
13 slight overestimates of yield in addition to cost
14 effectiveness needs to be revised because the yield was so
15 much lower that the cost effectiveness, at least in the
16 whole-blood sector would now come in at around \$10 million
17 per quality life year.

18 We have had some unexpected problems in terms of
19 false-positive neutralization but, most important, I do
20 believe that the minipool match screening platforms that are
21 in place, both in the plasma and whole-blood sector, do make
22 p24 antigen redundant and that we should recommend
23 discontinuing this test so long as these systems achieve the
24 sensitivity standard recommended by FDA.

25 Thank you.

1 DR. HOLLINGER: Thank you very much, Mike.

2 Are there questions now from the committee of Dr.
3 Busch while he is here? We can always bring up other
4 questions later.

5 DR. SCHMIDT: Blaine, just to mention another
6 relationship between the antigen and the FDA, the original
7 report on the no findings in 500,000 donors was presented at
8 a conference, a big conference, set up by the Institute of
9 Medicine. The real reason we got it set up that way was we
10 were trying to get the Institute of Medicine involved in
11 decision-making processes so there would be another big
12 voice out there that the FDA might listen to even though
13 they didn't have to.

14 Somebody got up in the audience and said, "Well,
15 if you just found one in those 500,000, maybe we could set
16 up some sort of system in the Institute of Medicine for
17 evaluation in the future," but the whole conference fell
18 through for that reason, that nothing was found.

19 DR. HOLLINGER: Any other comments to Dr. Busch?

20 Mike, just a question--I don't want to dwell on it
21 because of the numbers and the time that these specimens
22 were collected. I think a real important question is not
23 always just 97.5 percent and so on, but how many specimens
24 were actually below 5,000. I saw one that is 596 that was
25 in there, but how many other specimens were in there that

1 were below the 5,000 level.

2 These are specimens collected over twenty years, I
3 understand, in many cases. They are specimens representing
4 about 200, 300, samples of seroconversion specimens. Of
5 those that were antigen-positive, that were in the
6 seroconversion period--that were antigen-positive and
7 antibody-negative, how many were actually below 5,000?

8 DR. BUSCH: I would need to look at that. I think
9 I do have the dataset here so why don't I look into that.
10 There was only really one sample that was an outlier. In
11 fact, one seroconverter, as you noticed, had sort of three
12 values over time where that particular seroconverter had a
13 lower RNA to antigen ratio in their viral particles over
14 seroconversion.

15 There was one datapoint that may have approached
16 that 5,000 limit, but let me confirm that.

17 DR. HOLLINGER: Okay. I am always concerned about
18 outliers also because I am never sure about that sample and
19 so on.

20 Dr. McGee?

21 DR. MCGEE: On your regression slide where you
22 give the 10,000 point estimates, were the numbers in
23 parentheses the 95 percent confidence intervals?

24 DR. BUSCH: Yes; I wish you hadn't noticed that.
25 That was a confidence bound around the point estimate of the

1 cutoff intersect.

2 DR. MCGEE: The lower estimate for that was well
3 below 5,000.

4 DR. BUSCH: Right. It is important that you
5 recognize that. On the other hand, it is important, I
6 think, that we put into context what we are saying there.
7 One, this is a statistical estimate of the point in time.
8 What we are trying to get at is, for all these different
9 seroconverters, there is this five-day period or so or
10 antigen-positive antibody-negative ramp-up viremia.

11 For each of these seroconverters, we are trying to
12 back estimate the exact concentration for RNA at the point
13 where they theoretically--that moment where they cross
14 through the level of RNA to achieve antigen. What we are
15 talking about is the confidence bound around our back
16 projection of that intercept.

17 To me, it would be ultraconservative to expect
18 that a system could detect every possible--and, again, the
19 numbers, the panels, et cetera, are not sufficient, perhaps,
20 to get a more precise estimate around that intercept.

21 DR. HOLLINGER: Toby?

22 DR. SIMON: I just want to clarify. The question
23 that we have is to demonstrate that the particular licensed
24 NAT method can detect HIV at a level of 5,000 copies per ml.
25 That is the question before the committee. Based on your

1 presentation, are you asserting that it should be 10,000
2 instead of 5,000?

3 DR. BUSCH: There were two numbers that I think
4 are critical. One is the 10,000, which is the estimate of
5 the cutoff level based on these seroconversion panel
6 analyses, the level of RNA at which different seroconverters
7 cross through the cutoff of p24 antigen.

8 The other analysis was much simpler but perhaps
9 much more straightforward and logical which is simply
10 looking at the distribution of the RNA load among all the
11 antigen-positive samples that were available. That had a
12 lower, 2.5 percent, confidence inclusion bound of 7,500
13 copies.

14 So I think the FDA proposal of 5,000 is the
15 appropriate one. It is also, I think, an achievable one.
16 It is below both of those lower bound estimates.

17 DR. NELSON: If there were an outlier that was
18 below that, presumably what you are saying is that outlier
19 would also be probably negative on p24 antigen test. I
20 remember, there was a single case report in the JAMA a month
21 or two ago where there was an antibody-negative
22 transmission.

23 I can't remember what the NAT and--presumably,
24 that person was negative on both p24 and NAT. I think what
25 you are saying is these two measures sort of travel

1 together, isn't it?

2 DR. BUSCH: No; I think that is incorrect. That
3 JAMA paper, those samples were RNA-positive antigen-negative
4 in that seroconverter. The RNA tests are much more
5 sensitive. If you are asking a head-to-head comparison, RNA
6 wins out hands down. What you saw there was all of those
7 other samples that were only detectable by RNA.

8 So RNA buys us much more window-period detection
9 than antigen. But, in order to drop a test, I think we are
10 asking a somewhat different question; are we absolutely
11 confident that antigen will not miss anything that RNA might
12 have detected. On a head-to-head comparison, RNA wins hands
13 down.

14 DR. NELSON: I asked the question just to get to
15 the outlier issue; in other words, the outlier would also be
16 an outlier for p24, I suspect.

17 DR. HOLLINGER: Although I think, Ken, that that
18 outlier was p24-antigen-positive.

19 DR. BUSCH: Right. Again, it is theoretically
20 possible, and that one case suggests, that in some cases, it
21 is probably an issue of the primer pairs not accurately
22 amplifying up a particular sequence. But, in some cases,
23 two specific assays, you can have, theoretically, levels of
24 detectable p24 antigen that break cutoff whereas the
25 amplification assay fails to amplify the RNA.

1 Theoretically, it could be defective viral
2 particles or something like that. But, more likely, it is
3 the primers. Again, that particular case was based on the
4 NGI system. We actually haven't run that sample with the
5 other platforms.

6 DR. HOLLINGER: I think we should not also forget,
7 as Dr. Hewlett put up there, that there are other viral-
8 inactivation-removal factors here for the plasma industry
9 also.

10 Paul?

11 DR. McCURDY: Mike, one of the take-home messages
12 I thought I got from that JAMA article was that pooled RNA
13 might not pick up samples like that one. Is that correct or
14 am I misremembering?

15 DR. BUSCH: That is correct. We will be talking
16 later today a little about the issues around NAT and
17 individual versus pooled. Individual donation would further
18 close the window for HIV by about four or five days. So
19 there are definitely good examples of samples, as that
20 illustrated, and that are infectious, that are only
21 detectable consistently with single-donation NAT.

22 DR. HOLLINGER: Dr. Epstein?

23 DR. EPSTEIN: I guess it is important to recognize
24 here that we are talking about minipool NAT at this time
25 versus single-unit antigen at this time. It is not an

1 accident that the standard that FDA put forward for minipool
2 NAT resembles the current sensitivity of antigen.

3 The fact of the matter is that what we were trying
4 to do--in other words, when the question presented itself,
5 how shall we set a standard for minipool NAT. We decided
6 that it should be at least as good as what we were currently
7 doing, which was antigen screening.

8 The goal was not to overreach the currently
9 available technology. So now what is going on is--that was
10 all done in prospect. Now we have datasets that we can
11 examine since we have had experience with the minipool NAT.
12 So, in essence, we are really asking the question, did we
13 set the standard in the right place.

14 It is important to bear in mind that we have
15 clearly focused today's decision only on plasma for further
16 fractionation where there is the safeguard of viral
17 inactivation. The goal in screening for p24 was to make
18 sure that there was a limit set on the viral burden that can
19 enter a fractionation pool.

20 What we are really asking is if you now do that
21 with minipool NAT, is that sufficient or do you still need
22 to do antigen besides. I think the way we have to look at
23 it is whether we have achieved an equivalent sensitivity
24 standard.

25 It is not necessarily the case that they have to

1 be identical on all samples tested. It just has to be the
2 case that, overall, it is as good or better. I just thought
3 it was important to point out that we may have a more
4 stringent standard in mind when this question reemerges for
5 whole-blood screening because you are not going to have the
6 backup of viral inactivation.

7 So it is comparability overall and a reason we are
8 at the margin, comparing the two sensitivities, is because
9 we tried to set the sensitivity requirement for minipool NAT
10 at the level of antigen sensitivity. It looks like we got
11 very, very close.

12 DR. HOLLINGER: Thank you, Mike. We appreciate
13 that review.

14 Now, we have several presentations from a variety
15 of groups. I would like to ask you to keep on the time that
16 you were asked to stay with. The first one is Andy Conrad
17 from NGI. Andy?

18 **Open Public Hearing**

19 **Presentation**

20 DR. CONRAD: Good morning.

21 [Slide.]

22 I am here today to present the data, and my
23 associate from Alpha, Chuck Heldebrant, will also present
24 some of the secondary data from a follow-up study, about a
25 prospective study we conducted on pooled plasma to determine

1 whether or not the polymerase chain reaction in these pools
2 could detect HIV-positive samples prior to p24 testing of
3 individual samples.

4 [Slide.]

5 So, basically, we understood that the blood-
6 derived products had the potential to transmit virus and had
7 in the past. We wanted to see, under the system where
8 donations are currently tested for a variety of antibodies
9 and antigens, if we could use, as well as the p24, pooled
10 PCR to detect the HIV at an equal or earlier time point.

11 [Slide.]

12 Basically, that was our goal, the demonstration of
13 the plasma-pool testing system could beat the p24.

14 [Slide.]

15 So it was a prospective study. It included
16 342,729 donations from approximately 48,000 donors collected
17 randomly over a three-month period. Individual samples were
18 tested for anti-HCV, HIV antibody, HIV p24, HBV s antigen as
19 well as ALT levels. So the standard tests were performed.

20 Samples from new donors--in other words, donors
21 that were just applying, had all these tests done prior to
22 the time that they were pooled in PCR but samples from
23 repeat donors or qualified donors were just immediately
24 pooled for PCR. So you will see that some of these
25 donations have the possibility of being positive for these

1 other markers because they were PCR'd prior to being
2 screened out for those other markers.

3 [Slide.]

4 Under our IND and our subsequent BLA and PLA
5 submission, the mean sensitivity of this assay, with
6 95 percent confidence, was 3.1 virions with a 95 percent hit
7 rate of 5 virions. So, in a pool of 512, you are talking
8 about detecting individual samples with around 2,500 copies
9 of HIV. This becomes important later but you will see why.

10 [Slide.]

11 Essentially, for the last time, I will explain how
12 we do this. We use automated robots called Tecan devices.
13 I am sure you have all seen this many times. This is just
14 what the Tecan looks like. Essentially, 512 samples are
15 placed on the deck of this machine and the machine pipettes
16 them into a sort of a complex structure.

17 [Slide.]

18 Essentially, what that structure is is that, in
19 groups of eight, they are put into rows, layers and columns.
20 So each row, layer and column pool will have 64 components.

21 [Slide.]

22 Essentially, what the model of this is is a giant
23 cube. You can't see the little black lines of the cube
24 because my slide is bad, but it is a giant cube. You test
25 all 512 members of the cube. If it is negative, then you

1 can infer that all 512 members are negative or at least
2 below the cutoff of 2,560 that I just described to you.

3 If it is positive, by testing the row, layer and
4 column, you zero in on the positive sample. So,
5 essentially, to resolve a positive pool, it takes 25 PCRs
6 instead of 512 to pick out the positive fellow. It works if
7 there are two, or if there are three. It doesn't matter,
8 the number. It gets a little complicated if there are many
9 more than that.

10 [Slide.]

11 So the rule, again, is if the master pool is found
12 negative, then all its components--and it is 25; I skipped
13 ahead there. This is essentially what the assay looks like.
14 It is a series of membranes with nucleic acids bound and
15 probed on them. You can see that it is fairly digital, that
16 a positive is a positive and a negative is a white space.
17 It is a dark band if it is a positive and the computer reads
18 these and does the analysis, picks out the row layers and
19 columns. It is fairly automated.

20 [Slide.]

21 The results of the study. Eighteen of 348,000
22 donations were found to be positive for PCR for HIV. Of
23 these donations, ten were both antibody- and antigen-
24 negative. That means they were pre-p24-positive. Eight of
25 them were positive for either antibody or antigen, so they

1 would have been excluded by the current system.

2 These eighteen donations came from only four
3 donors. The donors in the plasma industry can donate quite
4 frequently and, in the time it took for the donors to be
5 rejected through the process, they had donated several more
6 times, as you can see. So there is about 1 in 12,000 donors
7 were found positive by PCR.

8 If you sort of take out the eight, it is probably
9 1 in about 20,000 would have been negative for antibody and
10 antigen.

11 [Slide.]

12 We endeavored to enroll these donors into a
13 follow-up study to sort of see what we could get, see how
14 their seroconversions progressed. From the two donors
15 enrolled, we got four of the ten donations. From the two
16 not-enrolled donors, we got six donations.

17 [Slide.]

18 Here is the story of each of those four donors.
19 Because they donated so many times, we saw a story. This is
20 an interesting thing, and Mike Busch, I have got to talk to
21 you about this a little bit, because, in real time, in real
22 samples that were collected and were rather fresh and in
23 under fairly stringent conditions, here is the p24 status of
24 those individuals that we tested donations.

25 What you can see here is, here is the pool at 512

1 if it was resolved naturally. If we had already found the
2 donor and this was a follow-up study, we diluted at 512 just
3 to see if it would still have been positive or would
4 continue to be positive.

5 The most important number, I think, is over here,
6 on this Donor No. 1, on the draw from 72997, he was positive
7 in the naturally detected pool. It was actually positive
8 one donation before that with 20,000 virions, but he had
9 750,000 virions. This is an anomaly that sort of violates
10 the extrapolated graphs that we have been seeing.

11 In this case, the person turned positive here at
12 about 60,000 virions.

13 [Slide.]

14 The next slide is the other two donors. This was
15 one of the eligible donors. Actually, we caught him
16 positive a little bit lower than we would have expected to
17 have caught him positive. Instead of 2,500, we caught it at
18 20. But that is the 95 percent detection, so that was a
19 natural--and we never got him to follow up. He vanished, so
20 we never found out if he would ever go p24-positive. He was
21 repeat-positive on PCR.

22 The final donor, again, converted to positivity
23 around--it was negative at 40,000 copies and who knows when
24 he turned positive because there was a gap in between those
25 days. So, at some point, he would have turned p24-positive

1 lower than that.

2 But those are the numbers. And so we were alarmed
3 to find out that there were actually cases when the HIV
4 viremia was fairly high and the p24 on individual donors was
5 negative. So what we did at this point is we said we should
6 go back and look at all of our donors in the past, in a
7 retrospective study, that had ever turned HIV positive, get
8 all of--because what happens is they donate so frequently
9 that we probably have huge panels of this material.

10 So we went back to a retrospective study.

11 [Slide.]

12 What we did is we got 347 potential HIV donations
13 that came from people we know later had an HIV
14 seroconversion. What this complicated graph sort of shows
15 is that we looked at what would happen if we tested those by
16 individual PCR, by pooled PCR, using p24 with Coulter or
17 Abbott, or the antibody test.

18 What you can see is that, indeed, there were 41--
19 here, there are 36 cases which would have been detected if
20 you use individual PCR. However, here there are 41 cases
21 that would have been missed if you used the antigen test
22 alone. If you would have used the antibody test alone, it
23 would have been 88 cases that would have been missed.

24 So, essentially, it shows that, indeed, individual
25 sample PCR is the best. Chuck Heldebrant will talk to you

1 about "best" as far as detection. But with the plasma hold,
2 it is probably unimportant and Chuck will demonstrate that.

3 [Slide.]

4 The next slide is just a different way of showing
5 this same thing. Graphically, basically, what you see is
6 the difference between the undiluted, the diluted, pooled
7 PCR.

8 [Slide.]

9 I think the next slide is the easiest way to
10 actually see. I think this is the most important message.
11 It is a two-by-two table. This is PCR-positive, PCR-
12 negative. There were 145 cases that were PCR-positive and
13 none that were PCR-negative whereas, if it is Coulter-
14 positive, Coulter or Abbott-positive, there were 81 cases
15 totally in this retrospective of these donations that were
16 found to be negative.

17 I think that that is an incredibly important
18 thing. There was not a single case that PCR failed to
19 detect before p24. In the whole retrospective or the
20 prospective study, we did not find a single case when p24
21 brought anything. And this is a pool of 512. So I think
22 that is a pretty significant statement about the efficacy of
23 the p24 in comparison with NAT testing.

24 [Slide.]

25 Anyway, those are the conclusions. There wasn't a

1 single case during the clinical trial where the p24
2 individual testing detected a donation prior. The
3 specificity of the pooled PCR, and that is important because
4 I think the specificity also is in question about the p24,
5 how many false positives, there was not a single donor
6 identified during the course of the trial or in subsequent
7 trials that was false identified using the polymerase chain
8 reaction.

9 The beautiful thing about the matrix is that, as
10 you test the primary, and then you have to go down to
11 smaller and smaller pools, you are essentially really
12 reverifying any result. It is very hard to get a false
13 positive. You might get some of the PCR reactions, but that
14 doesn't, then, translate all the way to the donor.

15 Then there were 81 donations that were not
16 detected by p24 but that were found by pooled PCR in the
17 combined prospective and retrospective study we conducted.

18 [Slide.]

19 I would just like to thank all my colleagues at
20 National Genetics and at Alpha Therapeutics. Thank you.

21 DR. HOLLINGER: Any questions for Dr. Conrad?
22 Andy, I have one and it has to do with the level of
23 sensitivity because that really gets back to what maybe can
24 or cannot be, to your confidence that you are going to be
25 detecting. You mentioned that, at a level of 5, there is a

1 95 percent hit rate. That means 5 percent are going to be
2 missed at that, presumably, if I understand that correctly.
3 I will ask my statistician over here to help me on that.

4 DR. CONRAD: It sounds about right to me.

5 DR. HOLLINGER: If that is correct, where are you
6 at 100 percent? At what level are you at 100 percent,
7 because that gets back to the pool size, basically.

8 DR. CONRAD: The question is complex because what
9 we do is we take multiple mls of material and prep it out.
10 So when you see that it is 5 copies per ml, we also run it
11 with more reactions for the HIV, so it really is a matter of
12 how much you actually sample.

13 Although we can detect five copies per ml, I think
14 the statistics show that at around 18, it was 100 percent
15 detection. That, again, translates here. But, remember,
16 Blaine, the important thing about that is it is sort of an
17 interesting statistic in there because you saw the one that
18 we caught below the level. So there is going to be a
19 continuum in there.

20 The important thing, and I think you will see
21 later, is that with the hold in the subsequent donors, there
22 is a system by which you could guarantee, because the HIV
23 sort of increases fairly rapidly. It is a matter of
24 catching it, getting it out and at least identifying that
25 donor.

at

1 So, although the numbers for the 100 percent
2 detection is a weird math--and Chuck Heldebrant can talk a
3 little more about it because it was a statistician who did
4 it for us. I think it was around 18.

5 DR. HOLLINGER: But, at 18, that would mean that
6 you would really, then--to get 100 percent, you really need
7 a pool size of about half of what you are doing, so instead
8 of 512--to pick up 5,000.

9 DR. CONRAD: Yes; at 100 percent. See, the
10 100 percent statistic is weird. They don't like that
11 statistic. They think it is very hard to derive. They do
12 these strange curves and I think that they are inaccurate at
13 100 percent.

14 Dr. Epstein?

15 DR. EPSTEIN: The negative p24s at very high
16 antigen load raise the question whether your p24 assays were
17 adequately sensitive in the trial. Can you just comment on
18 the controls and did you use any external control reagents.

19 DR. CONRAD: I will tell you that, during this
20 trial, it was not us doing the p24, it was an FDA-licensed
21 laboratory for Alpha that was conducting these, certainly
22 under the auspices of their licensure and following the
23 package insert.

24 In addition, what we did is we took the ones--if
25 they used Coulter, we would use Abbott. In those particular

1 samples, for some reason, we used both kits on them and
2 found them to be negative. We subsequently retested them at
3 NGI. So, again, we followed the package insert. I don't
4 know what the unique situation is.

5 I want you to know that those particular samples
6 are separate. It is a split off the plasma leader. There
7 are two tubes dangling off of it and we took one of each.
8 So there may be issues about how they were stored or used,
9 but certainly neither kit detected them.

10 DR. HOLLINGER: Mark?

11 DR. MITCHELL: Does that mean to imply that there
12 are some times when you get a positive test but you can't
13 trace it back to an individual donation and can you explain
14 why that is?

15 DR. CONRAD: Early on, in the trial, and this was
16 done back in 1997--we are now seasoned, salty veterans of
17 the pooling wars and so it doesn't happen as much, but early
18 on, the decks of the Tecans--we had the samples arranged on
19 the deck in an interesting way, that the head of the Tecan
20 would pass over the already pipetted samples.

21 I think what was happening is there occasionally
22 would be a remnant on the outside of one that would drip in.
23 There were four cases of that in the 596 pools that we did.
24 There were four cases where we could see that there was--for
25 example, an antibody-positive HCV sample and it dropped into

1 another sample. We could prove that with genotyping and
2 sequencing.

3 So we switched the configuration of the Tecans and
4 it dramatically reduced that problem. But most of it was
5 sample-sample contamination that occurred on the deck of the
6 Tecan. It happened a lot in HBV early on in the IND because
7 the donor centers needed to handle it.

8 But the nice thing about is you can ask for a
9 backup sample and identify it that way or it won't prove out
10 in the row, layer and columns if the pool gets contaminated
11 the same way. The row, layer and columns won't line up. So
12 it is very easy to see when something is anomalous and then
13 you can just test to find out where it happened. It was 4
14 out of 596 times--early. It was in the first month.

15 DR. HOLLINGER: Thanks, Andy. I appreciate it.

16 The next speaker will be Charles Heldebrant from
17 Alpha Therapeutics. Dr. Heldebrant?

18 **Presentation**

19 DR. HELDEBRANT: May I have the first slide?

20 [Slide.]

21 I am going to talk about a comparison of HIV-1 p24
22 individual testing against pooled RT PCR in a source-plasma
23 screening program.

24 [Slide.]

25 We approached it from the idea of what are the

at

1 criteria to replace individual p24 donation screening with
2 pooled PCR in a source-plasma program. The first criteria
3 is that the HIV-1 PCR test of pooled samples has to be equal
4 or superior to individual p24 and its performance in terms
5 of sensitivity and specificity.

6 [Slide.]

7 As Andy alluded to, we conducted a prospective
8 clinical trial and, for new donors, they were screened for
9 HIV-1 p24 antigen and HIV-1/2 antibody and, if positive,
10 were excluded before PCR pooling. For qualified donors, all
11 samples were tested irrespective of their subsequent HIV-1
12 p24 or HIV-1/2 antibody status.

13 We screened over 300,000 donations. We used a
14 pool size of 512 for the master pool, which is the first
15 screen. The row, column and layer are pools of 64 and all
16 suspect-positive donations were confirmed by individual PCR.

17 [Slide.]

18 In this study, again we tested 345,000 donations.
19 We had 6 PCR-positive p24 and HIV-antibody-negative
20 donations. These units comprised 7 times 10^8 genome copies
21 per ml. The clinical specificity was 100 percent. The
22 clinical sensitivity of PCR was 100 percent. The
23 corresponding sensitivity of p24 antigen and HIV antibody in
24 this sample set was 27.8 percent.

25 The mean reduction of the window period estimated

at

1 through some fairly complex work that was done with Mike
2 Busch and Steve Kleinman is in excess of four days.

3 [Slide.]

4 Again, this is looking at the performance of an
5 individual p24 antigen test. This is taken from the Ortho
6 p24 antigen package insert. It shows a donor sample
7 screening of about 300,000 donors. Thirty-three samples
8 found repeat reactive. Of those, 31 were not neutralized.
9 They were Western Blot or PCR-negative and only two of them
10 were truly positive samples. This is a reasonable
11 performance for all of the p24 antigen kits.

12 [Slide.]

13 Again, as Andy has alluded to, the proof of the
14 pudding is does PCR detect things that p24 does not detect
15 and vice versa. Again, for undiluted p24 samples, using
16 basically the same data you have seen, there are no
17 instances where a sample is p24-positive undiluted and is
18 negative by pooled PCR. There are a substantial number of
19 cases where the opposite case applies.

20 [Slide.]

21 So our conclusions were that, first of all,
22 substituting the HIV-1 PCR test of 512 pooled samples for
23 individual p24 in a donor screening detected virtually all
24 of the HIV-containing donations. It increased the
25 specificity of screening and increased the clinical

1 sensitivity of our screening.

2 Our conclusion is that the PCR of pooled samples
3 is superior to individual p24 antigen tests.

4 [Slide.]

5 The second criteria is that the performance of the
6 entire screening program and its associated quality systems
7 using the RT PCR with pooled samples has to be equal to or
8 superior to the performance of that same donor-screening
9 system when you use individual p24 as a component of it.

10 [Slide.]

11 This is a group of 288 HIV-infected samples, both
12 prospectively identified seroconversion panels and look-back
13 samples including a substantial number of low-titered HIV
14 samples. Of these 288 samples, when they were evaluated
15 with pooled PCR, HIV-1/2 antibody and the look-back
16 inventory hold and qualified-donor standard quality systems,
17 we detected all 288 of those samples.

18 Similarly, had we used individual PCR with HIV-1/2
19 antibody, we would have detected all 288. In this group,
20 individual PCR detected 285. There were three samples which
21 were HIV-antibody-positive only. They were PCR-negative and
22 p24-negative.

23 Pooled PCR with HIV-1/2 antibody detects 252, or
24 87.5 percent, of the samples emphasizing the need for the
25 look-back inventory hold and qualified-donor standard

1 quality systems in the overall screening program.

2 The addition of p24 antigen individual testing to
3 pooled PCR plus HIV-1/2 antibody did not detect a single
4 additional sample. Again, 87.5 percent of the samples were
5 detected. p24 antigen and HIV-1/2 antibody alone detected
6 only 200, or 70 percent, of these 288 high-risk samples.

7 [Slide.]

8 Our conclusion here is that the performance of the
9 entire donor-screening program and its associated quality
10 systems, using HIV-1 RT PCR testing of pooled samples, is
11 superior to the performance of that same donor-screening and
12 quality systems using individual p24 antigen test.

13 [Slide.]

14 The benefits of PCR testing; the source-plasma
15 program coupled with the qualified-donor standard inventory
16 hold and look-back quality systems decreases the HIV-1 load
17 and manufacturing pools to the practical minimum. Validated
18 viral-inactivation procedures inactivate any remaining HIV-1
19 during the manufacturing process of all plasma products.

20 [Slide.]

21 Why replace individual p24 antigen with a pooled
22 PCR? Increased sensitivity; it detects more HIV-positive
23 donations; pooled PCR, HIV-1/2 antibody coupled with
24 inventory hold look-back and the qualified-donor standard
25 quality systems detects virtually all HIV-positive

1 donations; and increased specificity.

2 As Dr. Conrad told you earlier, and I am going to
3 reiterate, the pooled PCR had no false positives. There
4 were no instances where donors were told that they had a
5 positive or a reactive HIV test when it was not, in fact, a
6 true test.

7 Thank you.

8 DR. HOLLINGER: Thank you.

9 Any questions? We move on, then, to Dr. Watson
10 from Aventis.

11 **Presentation**

12 DR. WATSON: Good morning.

13 [Slide.]

14 I am going to report on our experience with PCR
15 testing and HIV antigen. We did not design our IND to
16 specifically look at this question but I believe some of the
17 data we have is relevant for your consideration.

18 [Slide.]

19 We are going to first summarize what our test
20 system is. We test all serology-negative samples for
21 hepatitis A, B, C, HIV and parvovirus B19. Our first pooled
22 test comes in a pool size of 1,200. With that, we use a
23 research-grade ultracentrifugation step. So this is our
24 test system.

25 [Slide.]

1 The results of that test system are summarized on
2 our next slide which shows that, in this system, we have
3 identified four donors and about 3.25 million samples, but
4 those four donors come from 220,000 donors during the time
5 period.

6 So what we have identified here is approximately
7 two donors per 100,000 donors where we find an NAT-positive
8 and all serology markers are negative. These donors donated
9 six units and the frequency for that is 0.2 when you look at
10 the 3.25 million units per 100,000 donations. That is just
11 a quick summary. Remember that these are all serology-test
12 negative donations.

13 [Slide.]

14 The next slide shows a little more in depth the
15 donation history of the four positive donors. I have tried
16 to show the donation prior to the PCR positive and then any
17 subsequent donations. Notice, in the second and third
18 donors, there was approximately one month between the time
19 of the PCR-positive and they came back and it was antibody-
20 positive.

21 The fourth donor down here has two PCR-positives,
22 no antibody-positive, and that donor never returned, just
23 like the first donor never returned. If you look at the
24 second donor here, and the fourth donor, you notice that
25 there are PCR-positives anywhere from two to four days prior

1 to where an antigen might become positive if we assume a
2 positive antigen on the next day.

3 [Slide.]

4 We then did some panel testing to see, in our pool
5 of 1200, and if we ran that pool, what would we find based
6 upon the serology tests and also based upon the HIV-antigen
7 test. We took the first antigen-positive sample and a
8 sample prior to that on these panels to see what would
9 happen. Again, all of our testing is done in the pool size
10 of 1,200.

11 If you will notice, PCR found a positive on the
12 same day as the antigen-positive test and we found one pool
13 where we found a positive two days prior to the antigen-
14 positive.

15 [Slide.]

16 The next set of panels shows essentially the same
17 results where the antigen test is positive. We have
18 discordant results here so we took the first one where both
19 were positive. Wherever there was an antigen-positive, we
20 found a PCR-positive and, again, one out of three, we found
21 a PCR-positive, in this particular case, four days prior to
22 the antigen-positive.

23 So, to summarize this, basically, the panels that
24 we have run so far, we have found the PCR-positive signal,
25 even in our test system, to be the same day as the antigen-

1 positive and, in addition, we found two of the six positive
2 prior to the antigen signal.

3 [Slide.]

4 We also ran the HIV FDA panel, which is shown on
5 the next slide, again using our test system by diluting it
6 out because that is our first test. In this test system, we
7 found 5,000 copies positive, the 25,000 and the 250,000.
8 Based upon the data that we have, and I think the data that
9 you are going to see from everyone that is presenting, we
10 believe that the PCR test process used in the plasma
11 industries could be a viable alternative to the p24 test.

12 Thank you.

13 DR. HOLLINGER: Thank you, Dr. Watson.

14 Any questions? If not, we will move on to the
15 next speaker, Barbara Masecar from Bayer.

16 **Presentation**

17 MS. MASACAR: Good morning.

18 [Slide.]

19 I would like to thank you for inviting me and I
20 appreciate this opportunity to give you this update from our
21 HIV NAT clinical study.

22 [Slide.]

23 First, I would like to start with a little bit of
24 background. We filed our IND with FDA in August of 1999.
25 It is a shared IND with Roche Molecular Systems. We used

1 the Roche Ampliscreen Microwell Plate method, not the Cobas.
2 we actually started testing in October of 1999, so we are
3 coming up to that one-year mark.

4 We use a minipool size of 96 individual donations.
5 In our system, that minipool is created, the nucleic acid is
6 extracted and that sample is split. Part goes to HIV
7 testing and part goes to HCV testing.

8 [Slide.]

9 A few facts on assay performance in our hands, and
10 I will also give this in copies, since that is what we are
11 talking about today. For the 95 percent test positivity
12 rate for this assay, it is 91 IUs per ml. In our
13 laboratory, that is 10 to 20 copies per ml. That calculates
14 up to detecting individual donations at 8,736 IUs per ml or
15 1,000 to 2,000 copies per ml in the individual donation.

16 We also run an in-house control with each assay at
17 40 copies per ml so we have assurance that we are detecting
18 individual donations at 4,000 copies per ml and above.

19 [Slide.]

20 Our donors that are discovered to be NAT positive
21 are divided into three major categories at the time of index
22 donation, that first donation that is positive. The first
23 category, A, is those donors that are positive for NAT only.
24 The second category is those donors that are positive by NAT
25 and p24. And then the third category being those donors

1 that are positive for NAT, negative for p24 but positive for
2 antibody.

3 We assume that this kind of correlates to the
4 stages of infection, that those donors in category A are
5 very early in infection, category B is a week to two weeks
6 later, and then category C is after the donors have actually
7 gone through the period of antigenemia and the antibody is
8 now positive. I will get back to those assumptions later.

9 One thing that is not on this slide is those
10 donors that are positive for antigen only. It is important
11 to this discussion to be able to say are we seeing any
12 false-negative NAT where the antigen is actually picking
13 them up.

14 [Slide.]

15 Although that category of donors, those donors
16 that may have a false-negative NAT test with a positive p24
17 antigen are described in our investigational plan. We
18 didn't really build a process around that starting off to
19 really effectively capture that category of donors. We
20 don't know of any donors to date that fall into that
21 category, but we are in the process of amending our IND to
22 more effectively capture that and to query the plasma
23 centers for that information.

24 So I don't have any information on donors that
25 could potentially be a false-negative NAT but we don't know

1 of any in that category to date.

2 [Slide.]

3 So what is our data so far? We have screened over
4 2 million donations. We have discovered eleven donors in
5 category A that are positive for NAT only. We have
6 identified three donors in category B that were positive for
7 antigen and NAT but negative for antibody and ten donors in
8 category C where the antigen was negative but those donors
9 were positive for NAT and antibody.

10 Going back to our assumptions of where these
11 donors fall in the viremia and the stages of infection, it
12 does fit in pretty well because those donors in category A,
13 we have found are fairly regular donors so we do have recent
14 prior negative donations on these donors.

15 The three donors in category B were absent from
16 donating, could be the self-deferral situation--I'm sorry;
17 that is category C. In category B, also, they are fairly
18 regular donors but there is a longer gap in donation
19 frequency for those donors in category B. So, typically,
20 for those three donors, they donated on day 1 and then they
21 were gone for two weeks, so they could have gone through the
22 period where they were positive by NAT only.

23 Then those donors in category C; actually,
24 category C is mostly comprised of applicant donors so they
25 have actually gone through the antigenemic period. The

1 antigen is now negative and they have come in to donate and
2 their antibody is positive, and the NAT is positive.

3 We intend to prescreen applicant donors from our
4 studies but we have spotty compliance with those directives.
5 So we do get applicant donations that come in for NAT
6 screening that have not been effectively prescreened by the
7 viral marker test. But, if we do identify them, we attempt
8 to enroll them in follow-up studies.

9 As far as the follow-up studies go, the most
10 useful perspective information to get from these donors is
11 those that are in category A, that when they come in, they
12 are NAT positive. Can we enroll them and follow them up and
13 see when the antigen becomes positive.

14 [Slide.]

15 So for those eleven donors in category A, we do
16 have subsequent donation information on nine of them.

17 [Slide.]

18 So we have the nine donors here. Most of these
19 donors actually had subsequent donation information that
20 allowed us to calculate the reduction in the window period.
21 These three donors plus this donor here were actually
22 positive for p24 antigen the next time they came into the
23 plasma center.

24 Now, the NAT-positive test will defer these donors
25 but it is one to two weeks by the time we can turn the

1 result around to the plasma center so the donor will
2 continue to come in and donate without knowing that there
3 are positive-sample result is coming.

4 These two donors, A and C, actually did have one
5 donation in between that was still NAT-positive, p24
6 negative. We did have three donors that were initially NAT-
7 reactive. They did not come in for subsequent donations.
8 We were able to enroll them, however. By the time they came
9 in which was approximately two months later, the antigen was
10 already negative. We assume it went through a positive and
11 then reverted to negative, but we don't have any data to say
12 that for sure. But now the antibody is positive.

13 For these donors that we had the subsequent
14 donation information on, we did get donation information
15 that went to when that donor became antibody positive, 9 or
16 14 days after the initial NAT reactive. And then we had
17 these three donors that we didn't see them again. We had
18 that single subsequent donation. They were antigen-positive
19 and NAT-positive at this donation and then we were unable to
20 enroll them into this follow-up study.

21 [Slide.]

22 This is the quantitative data actually on the
23 index donations for these nine donors. You can see that the
24 range is 4,100 to 312,000. As I said, we do run a control
25 with our assay that gives us assurance we are picking up