

FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

VACCINES AND RELATED BIOLOGICAL
PRODUCTS ADVISORY COMMITTEE

5493 '00 NOV 20 AM 11:35

FRIDAY
NOVEMBER 3, 2000

The Advisory Committee met in the Versailles Room, Holiday Inn Bethesda, 8120 Wisconsin Avenue, Bethesda, Maryland, at 8:30 a.m., Robert S. Daum, M.D., and Diane E. Griffin, M.D. Ph.D., Acting Chairs, presiding.

PRESENT:

- | | |
|--------------------------------|------------------------|
| ROBERT S. DAUM, M.D. | Acting Chair (recused) |
| DIANE E. GRIFFIN, M.D., Ph.D. | Acting Chair |
| PAMELA S. DIAZ, M.D. | Member |
| MARY K. ESTES, Ph.D. | Member |
| WALTER L. FAGGETT, M.D. | Member |
| BARBARA LOE FISHER | Member |
| THOMAS R. FLEMING | Invited Participant |
| JUDITH D. GOLDBERG, Sc.D. | Member |
| ALICE S. HUANG, Ph.D. | Member |
| ERIK HEWLETT, M.D. | Invited Participant |
| SAMUEL L. KATZ, M.D. | Member |
| STEVE KOHL, M.D. | Member |
| JOHN LIVENGOOD, M.D. | Invited Participant |
| MARTIN MYERS, M.D. | Invited Participant |
| AUDREY F. MANLEY, M.D., M.P.H. | Member |
| DAVID S. STEPHENS, M.D. | Member |

1933 '00 NOV 20 AM 11:35

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

OPEN

I-N-D-E-X

Call to Order, Introductions, Admin. Matters . . .	3
Dr. Robert Daum	
Session 1 - Update on TSE Issues	9
Dr. Karen Midthun, FDA	
Session 2 - Open Session	
CPDT Vaccine Adsorbed from Aventis Pasteur	
Limited of Canada	11
Dr. Diane Griffin	
Introduction, Dr. Bruce Meade, FDA	11
Sponsor's Presentation	
Introduction	26
Ms. Marie Minchella	
Manufacturing and Clinical Overview	29
Dr. Raafat Fahim	
Safety and Efficacy Overview	34
Dr. Michael Decker	
Immunogenicity & Concomitant Administration	58
Dr. Raafat Fahim	
Concluding Remarks	76
Dr. Raafat Fahim	
Questions to the Sponsors	78
Efficacy and Immunogenicity	114
Dr. Bruce Meade, FDA	
Safety and Concurrent Administration	156
Dr Antonia Geber, FDA	
Open Public Hearing	189
Committee Discussion and Voting	196

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

8:30 a.m.

1
2
3 DR. DAUM: Good morning. We will now
4 officially call the meeting to order, please. We will
5 begin our proceedings, since there are some new faces
6 at the table -- at least new to the committee -- by
7 asking each person on the committee and our guests for
8 this meeting to identify themselves and their
9 affiliations, and Dr. Stephens, we will start with
10 you, if that is okay.

11 DR. STEPHENS: Thank you. I am David
12 Stephens, Emory University in Atlanta, Georgia.

13 DR. ESTES: Mary Estes, Baylor College of
14 Medicine, Houston.

15 DR. KATZ: Samuel Katz, Duke University,
16 Durham, North Carolina.

17 DR. HUANG: Alice Huang from the
18 California Institute of Technology.

19 DR. KOHL: Steve Kohl, Oregon Health
20 Science University.

21 DR. DIAZ: Pamela Diaz, Chicago Department
22 of Public Health.

23 MS. FISHER: Barbara Loe Fisher, National
24 Vaccine Information Center.

25 DR. GRIFFIN: Diane Griffin, Johns Hopkins

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in Baltimore.

2 DR. GOLDBERG: Judy Goldberg, New York
3 University School of Medicine, New York City.

4 DR. FLEMING: Thomas Fleming, University
5 of Washington, Seattle.

6 DR. MYERS: Martin Myers, National Vaccine
7 Program Office.

8 DR. LIVENGOOD: John Livengood, CDC,
9 Atlanta.

10 DR. HEWLETT: Erik Hewlett, University of
11 Virginia, Charlottesville.

12 DR. GEBER: Antonia Geber, FDA.

13 DR. MEADE: Bruce Meade, FDA.

14 DR. DAUM: Thank you very much. I am
15 Robert Daum from the University of Chicago. And we
16 will now turn the floor over to Nancy Cherry, who will
17 read the conflict of interest statements and the
18 announcements.

19 MS. CHERRY: Okay. Before we do that, we
20 have one other person to introduce.

21 DR. MANLEY: Audrey Manley, Spelman
22 College.

23 DR. DAUM: Welcome.

24 MS. CHERRY: Okay, thanks, Dr. Daum.
25 First, I have an announcement. If any of you are here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for a meeting on airbags, I am afraid this is not
2 going to be the meeting you expect. You think I am
3 making a joke, but I understand that information got
4 out on one of our announcement Websites saying -- that
5 was very confusing. Somehow -- and I don't know
6 whether it was man or machine, scanner or human,
7 turned the words a brief into a brief meeting into
8 airbag meeting. So if we caused you any undue stress,
9 I do apologize. I mention this not just because we
10 made a mistake, but because I want to remind you that
11 there are places that you should check to see if there
12 have been any changes in the meeting. Before you get
13 on the plane, it is always wise to tune in to our
14 telephone hotline. And you have in your little packet
15 the page that tells you where to call and see if there
16 have been any last minute changes in the meeting. And
17 I tell this to the people in the audience, because i
18 would always alert the committee members, but I don't
19 know who is coming. So in the audience, if you look at
20 this page, it tells you the phone lines. Also, there
21 is a CBER Website down there. We can usually get
22 information on the phone line and on the CBER Website
23 a little faster than it gets out on the FDA Website.

24 There have been some personnel changes
25 since this Advisory Committee last met in July. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have been joined by four new members -- Dr. Diaz, that
2 you met a moment ago, Dr. Goldberg -- Dr. Goldberg on
3 that side -- Dr. Katz down here, and Dr. Manley. And
4 we are delighted to have all of you. We also have
5 suffered a loss. As you probably know, Dr. Harry
6 Greenberg has left the committee because of his new
7 endeavors in the private sector.

8 Today, we will be chaired by two of the
9 committee members during this transition. We will
10 start out with Dr. Bob Daum. And then for session 2,
11 we have Dr. Diane Griffin.

12 Here at CBER, Dr. Karen Midthun, whom you
13 will hear from very shortly, has been named Director
14 of the Office of Vaccines, Research and Review. We are
15 pleased to welcome Dr. Midthun back to CBER.

16 The Committee Management Specialist today
17 you probably met at the front desk or maybe here in
18 the room. It is Denise Royster and Rosanna Harvey. We
19 have a full agenda today, beginning with the update on
20 TSE issues. By the way, this topic is on the agenda
21 for information only. This is not meant to be a
22 committee discussion.

23 And now I will go ahead and read the
24 conflict of interest statement. The following
25 announcement addresses conflict of interest issues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 associated with the meeting of the Vaccines and
2 Biological Products Advisory Committee on November 3,
3 2000, for the discussion of the safety and efficacy of
4 CPDT Adsorbed, the Diphtheria Tetanus Acellular
5 Pertussis Vaccine sponsored by Aventis Pasteur Limited
6 of Toronto, Canada.

7 Of the committee members, Drs. Kim and
8 Snyder could not be with us today. However, the
9 Director of the Center for Biologics Evaluation and
10 Research has appointed Drs. Thomas Fleming, Erik
11 Hewlett, John Livengood and Martin Myers as temporary
12 voting members for the discussion.

13 To determine if any conflicts of interest
14 existed, the Agency reviewed the submitted agenda and
15 all financial interests reported by the meeting
16 participants. As a result of this review, the
17 following disclosures were made. In accordance with
18 18 U.S.C. 208, Dr. Goldberg, Kohl, Fleming and Hewlett
19 have been granted waivers which permit them to
20 participate in the committee discussion and to vote.
21 In accordance with the Food and Drug Administration
22 Modernization Act of 1997, Section 505, Drs. Estes,
23 Goldberg, Kohl, Stephens, Fleming and Hewlett have
24 been granted waivers which permit them to participate
25 fully in the committee discussions. Dr. Robert Daum

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has recused himself from the discussion on CPDT
2 Adsorbed.

3 Drs. Estes, Faggett, Griffin, Katz and
4 Stephens have associations with firms that could be
5 affected by the committee discussions. However, in
6 accordance with 18 U.S.C. 208 and Section 2635.502 of
7 the Standards of Conduct, it has been determined that
8 waivers or appearance determinations are not warranted
9 for this discussion.

10 In the event that the discussions involve
11 specific products or firms not on the agenda and for
12 which FDA's participants have a financial interest,
13 the participants are reminded of the need to exclude
14 themselves from the discussion. Their recusals will be
15 noted for the public record.

16 With respect to all other public meeting
17 participants, we ask in the interest of fairness that
18 you state your name and affiliation and any current or
19 previous financial involvement with any firm whose
20 products you wish to comment on. And we ask that you
21 do this each time you come to the microphone. Copies
22 of all waivers addressed in this announcement are
23 available by written request under the Freedom of
24 Information Act. Dr. Daum?

25 DR. DAUM: Thank you, Nancy. We will now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 move into the body of the meeting proper, and begin
2 with Session 1, which is an FDA presented update on
3 TSE issues, and I ask Dr. Midthun to lead the charge.

4 DR. MIDTHUN: Good morning. I am going to
5 give a brief update on TSE issues as they relate to
6 vaccines. As this committee knows, there was a joint
7 meeting between this committee and the Transmissible
8 Spongiform Encephalopathy Advisory Committee this past
9 July. The issue for discussion was vaccines that had
10 been manufactured with bovine-derived materials that
11 had been obtained from countries where BSE was known
12 to exist or where the BSE could not be assured not to
13 exist.

14 The risks of these vaccines were discussed
15 by the committee, and the conclusion was that the
16 risks of acquiring variant CJD from these vaccines was
17 theoretical and negligible.

18 The joint committees recommended that the
19 materials that had been obtained from countries on the
20 UST BSE list be resourced from other sources. And this
21 pertained in particular to the production of vaccines,
22 that is the routine production, and also to working
23 bacterial or viral master seeds or working cells banks
24 that have been established in the presence of such
25 materials, that these should also be rederived. They

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also recommended that there be public disclosure of
2 these issues.

3 Since that time, Center for Biologics has
4 been working closely with the manufacturers as they
5 implement the recommended changes, and we have also
6 been in the process of drafting a disclosure document
7 and addressing the issues surrounding disclosure,
8 which of course includes coordination with other
9 public health agencies. We hope in the near future to
10 have a document ready for publication in MMWR and also
11 to have additional information available on a Website.
12 Thank you.

13 DR. DAUM: That was certainly a concise
14 update. We have time for a question or comment from
15 the committee.

16 DR. MIDTHUN: Keep it very short, they
17 have not been screened.

18 DR. DAUM: Okay, some very short questions
19 and comments from the committee. Dr. Katz?

20 DR. KATZ: A reference for the committee,
21 if they haven't seen it. There is a very good one-and-
22 a-half page summary in the current issue of vaccines
23 by Philip Minor and David Saltzbury looking at the
24 issue of vaccines and variant Creutzfeld-Jacob disease
25 that I think would be very helpful. If there is going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to be public disclosure and a statement, I would hope
2 that reference would be included.

3 DR. DAUM: Thank you, Dr. Katz. There
4 being no further question or comment, I will, as
5 mentioned, recuse myself at this point and Dr. Griffin
6 will take over the chairly duties.

7 DR. GRIFFIN: All right. We can now move
8 on to Session 2, which will begin with an introduction
9 by Dr. Bruce Meade from the FDA.

10 DR. MEADE: Okay, getting the technology
11 correct. Good morning, my name is Bruce Meade. I am
12 the chair of the CBER licensing committee that has
13 been reviewing the application that is under review
14 today.

15 I wanted to start with a very brief
16 introduction this morning, and I want to try to
17 accomplish three things in this introduction. First
18 is to provide a brief background to the file.
19 Secondly, I want to introduce some of the specific
20 issues on which we will be seeking Advisory Committee
21 feedback today. And then third and lastly, I will
22 read through the specific questions for the committee.

23 So to get started, the product under
24 review today is a diphtheria and tetanus toxoids and
25 acellular pertussis vaccine absorbed or DTaP from

1 Aventis Pasteur Limited in Toronto, Canada. At the
2 time the application was submitted, they were known as
3 Connaught Laboratories limited. So some of you may
4 recognize the product under that name. And it is for
5 the requested indication for primary series at 2, 4
6 and 6 months of age with a fourth dose at 15 to 20
7 months of age, and at this time the sponsor has not
8 requested a fifth dose indication.

9 I just wanted to review briefly the key
10 milestones for this application. It was submitted in
11 May of 1996. The first CBER review letter was issued
12 on May of 1997. The response from the sponsor was
13 considered complete in September of 1999. We did the
14 preapproval inspection in November of 1999. The second
15 CBER review letter was issued in March of 2000. The
16 response from the sponsor was considered complete in
17 August of 2000, and we are now here in November of
18 2000 at the Advisory Committee.

19 I should mention that there was a
20 corresponding establishment license amendment, for
21 which there are no outstanding issues. And I should
22 note that the DT vaccine, the diphtheria tetanus
23 toxoid vaccine from Aventis was licensed in the U.S.
24 in 1997.

25 Again, the acellular pertussis component

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the product contains 5 antigens which are listed
2 here -- inactivated pertussis toxin, and I use the
3 abbreviation IPT for inactivated pertussis toxin,
4 filamentous hemagglutinin, pertactin, formerly known
5 as 69K outer membrane protein, and a preparation that
6 contains both types of fimbriae, types 2 and 3. The
7 composition contains approximately equal amounts of
8 both types of fimbriae. But to avoid confusion in some
9 of the slides later, you will note that the antibodies
10 to fimbriae are measured in a single assay which uses
11 as an antigen a mixture of both types of fimbriae. So
12 you will be seeing results from four antibody assays
13 for these five particular components.

14 There are two DTaP formulations that have
15 been evaluated clinically. There is the CPDT, which --
16 and again, some of the literature and some of ours was
17 called the classic formulation, which is a low dose
18 formulation. I will review that in the next slide. And
19 that is the product covered under this application.
20 They have also submitted data on a product that they
21 have called HCPDT, again sometimes called the hybrid
22 formulation, which has higher quantities of
23 inactivated pertussis toxin and FHA. And the specific
24 composition is shown on this slide. Again, it
25 indicates the specific quantities of each of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antigens. The adjuvant is aluminum phosphate and the
2 preservative is 2-phenoxyethanol. And you will note
3 that the hybrid formulation, the higher dose
4 formulation, differs in inactivated PT and FHA, and it
5 contains twice as much PT and four times as much FHA.
6 And again specifically to note that the PLA is for the
7 CPDT formulation.

8 And again, we will hear much more about
9 these and specifically from the manufacturer sponsor
10 shortly. But I wanted to briefly outline the two
11 efficacy studies that have been submitted in support
12 of this application and that the two APL DTaP vaccines
13 have been evaluated in two efficacy trials sponsored
14 by NIH in the National Institute of Allergy and
15 Infectious diseases.

16 The first trial we will call Sweden
17 Efficacy Trial I, which was done in 1992 through 1995.
18 It used the CPDT formulation. And the second trial,
19 Sweden Trial II, from 1993 to 1996, that used the
20 higher dose formulation.

21 Again just to briefly outline Trial I. It
22 was a randomized, double blind, placebo-controlled
23 trial using an immunization schedule of 2, 4 and 6
24 months of age. The study vaccines were CPDT and a two-
25 component DTaP vaccine from SmithKline Beecham. It is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important to note that this is an investigational
2 product. It differs in composition from the SmithKline
3 Beecham three-component vaccine or Infanrix that is
4 licensed in the U.S. The Infanrix contains a third
5 component, the pertactin component, so it differs from
6 this investigational product. The study included two
7 control vaccines, a whole cell control vaccine from
8 Aventis U.S., formally Connaught Labs, and the
9 diphtheria tetanus toxoids was used for establishment
10 of estimates of absolute efficacy. So it actually was
11 not a placebo-controlled trial because the inactive
12 control for pertussis was a diphtheria tetanus toxoids
13 vaccine.

14 There were approximately just over 2,500
15 infants per arm of the study, and the follow-up was
16 approximately 24 months after the third dose. The
17 case confirmation in this trial was through culture,
18 serology or epidemiologic linkage to a confirmed case.
19 The efficacy results for the CPDT vaccine are shown
20 here using the WHO definition, which was laboratory
21 confirmed pertussis with at least 21 days of
22 paroxysmal cough. The estimate of efficacy was
23 approximately 85 percent with a confidence interval as
24 indicated. They also -- one of the other definitions
25 was for mild pertussis, which again was laboratory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 confirmed, with at least one day of cough, and it had
2 an efficacy of approximately 78 percent. And there was
3 no evidence of loss of efficacy during the two-year
4 blinded follow-up.

5 Now the second trial, Trial II, was also
6 randomized, double blind trial. But this trial, the
7 efficacy was evaluated relative to the whole cell
8 pertussis vaccine included in the trial. There was no
9 inactive control. The immunization schedule for the
10 majority of the subjects were the 3, 5 and 12 month
11 schedule. There was a subset of approximately 12
12 percent of the subjects that were evaluated on a 2, 4
13 and 6 month schedule to do some schedule comparisons.
14 The study vaccines were the HCPDT vaccine, which again
15 is the higher dose formulation, the five-component
16 product from Aventis. They also included the same
17 investigational two-component vaccine from SmithKline,
18 a three-component DTaP from Chiron, and the control
19 vaccine was a whole cell vaccine from Medeva in UK.
20 This study was a much larger study, approximately
21 20,000 infants per arm, and the follow-up was
22 approximately 22 months after the third dose.

23 In this trial, because it was a much
24 larger trial, they didn't do the serologic
25 confirmation. It was culture only for case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 confirmation. And the efficacy results for typical
2 pertussis, which again is culture-confirmed pertussis
3 with at least 21 days of paroxysmal cough -- again, it
4 was a relative efficacy trial and it was concluded to
5 be comparable to the whole cell control vaccine with
6 a relative risk of 0.85 with confidence intervals
7 indicated.

8 Given this very brief introduction, I
9 wanted to now spend the next few minutes again
10 highlighting a few specific points that we will be
11 seeking Advisory Committee input on. And the first --
12 to indicate that the specific issues on which we will
13 be seeking Advisory Committee input are listed here.
14 We will be asking for feedback on efficacy of CPDT for
15 the requested indication, safety for the requested
16 indication. We will be asking for feedback on
17 concurrent administration of this vaccine with other
18 pediatric vaccines routinely recommended for infants
19 and toddlers. And we will be asking for comment on
20 post-marking studies should it reach -- the vaccine
21 reach approval.

22 And now again I wanted to mention a few
23 specific issues. One started with a general question
24 that will come up I think both in the context of the
25 efficacy discussions and the safety discussions, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that concerns the applicability of data from the
2 hybrid or the higher dose formulation to the product
3 for which we are seeking licensure, the CPDT. Again,
4 the data from the hybrid have been submitted in
5 support and clearly they are relevant for many
6 questions under discussion. Because the manufacturing
7 process for the antigen concentrates is the same for
8 both products. The composition, as we showed earlier,
9 is certainly very similar, but is not identical
10 because it has higher inactivated PT and FHA.

11 Again, in the primary efficacy study, the
12 immunization schedule was different for the two
13 vaccines. Again, the schedule -- the 2, 4 and 6 month
14 schedule in Trial I versus the 3, 5 and 12 for Trial
15 II. And again, the design of the efficacy studies was
16 different. With the first trial, it was designed to
17 assess absolute efficacy and in the second trial was
18 to assess relative efficacy. So, again, given these
19 differences, throughout our discussion there will be
20 questions on applicability to the product -- of the
21 hybrid for the product we are seeking licensure.

22 And then I want to point out one very --
23 in a little bit more detail a specific question that
24 will be -- that we wish to discuss in more depth and
25 I will discuss this in more depth later. But I wanted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to highlight it first as we start the morning
2 discussion. And that concerns some data gained from
3 the U.S. Population Bridging Study. This was a study
4 done in the U.S. that was conducted to compare
5 antibody responses between infants in the U.S. and
6 Swedish infants from the efficacy study. The goal was
7 to provide immunogenicity data to support the
8 generalization of the Swedish efficacy data to the
9 U.S. infant population.

10 The study in the U.S. was a randomized
11 blinded comparison of two lots. It was CPDT lot 6 and
12 lot 9. Lot 6 was the lot actually used in the
13 efficacy trial, and it is important to note that that
14 was approximately four years of age at the time that
15 it was entered in the U.S. Bridging Study. And because
16 it was an older lot at that time, they also included
17 a more recently manufactured lot, lot 9.

18 And then the analysis of that was done in
19 the head-to-head comparison in the laboratory. They
20 compared post-dose 3 antibodies to the pertussis
21 antigens in sera from infants immunized with lot 6
22 from the Sweden Trial I, lot 6 from the U.S. Bridging
23 Study and lot 9 in the U.S. Bridging Study.

24 Serology data was submitted to us for
25 review in August of 1999, and the observation is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the protectant antibody responses did not meet APL
2 defined criteria for either lot when compared to lot
3 6 in Sweden Trial I. And this was seen both in the
4 lower geometric mean concentrations in the U.S.
5 infants, a higher proportion of U.S. infants that were
6 non-responders and a higher proportion of low
7 responders in the U.S.

8 Again, this showed -- this is washed out
9 a little bit. This shows -- is that visible at all?
10 I am sorry, the data are shown here as reverse
11 cumulative distribution curves for the four antibody
12 assays -- PT, FHA, fimbriae and the pertactin. The
13 lower right-hand corner shows the results for the
14 pertactin. And for the three other -- and again, just
15 to introduce you, these are reverse cumulative
16 distribution curves which were scanned in from the
17 sponsors submission. The vertical axis in all of these
18 is percent going from zero to 100 percent. And the
19 horizontal axis is ELISA titers and it is showing the
20 proportion of individuals who had an antibody titer of
21 at least equal to that value. You will see for the
22 three antigens, PT, FHA and fimbriae, there are again
23 some differences, but they are very similar in shape
24 and in magnitude for the three. But in the pertactin,
25 they are very different. That one is shown larger on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this slide. And you will see the darker line at the
2 right is the -- again, all of these were done in the
3 same lab at the same time. These are the sera obtained
4 from the Swedish Trial from infants in the trial. And
5 then the data from the two U.S. lots are shown in
6 these other two lines. And what you will see is that
7 there is clearly a difference in the shape of the
8 curve, again highlighted at the lower end of the
9 curve. And again we will be coming back to this in
10 more depth. But this is an observation that led to a
11 regulatory question which is listed here, and that the
12 lower responses to an antigen believed to be important
13 for protection suggest that the vaccine may have a
14 lower efficacy in the U.S. population than that
15 estimated for the efficacy trial in Sweden. And again,
16 the manufacturer and again in our presentation later
17 will discuss the various data that address this issue.
18 And again, this is an area that we will be seeking
19 feedback from the Advisory Committee.

20 And again I wanted to mention very briefly
21 some of the -- when Dr. Antonio Geber, the clinical
22 reviewer, makes her presentation later, some of the
23 points that she will be making and, again, some of the
24 specific areas where feedback will be requested from
25 the committee. I just wanted to mention them very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 briefly here. One is that there was observed
2 increasing rates of local reactions following the
3 fourth dose, which has been seen with others of the
4 DTaP vaccines. But again, we wanted to show this data
5 specifically for this product. We will be reviewing
6 the rates of hypertonic hyper-responsive episodes
7 called HHE in the trials with the hybrid vaccine. We
8 will be reviewing the safety and immunogenicity data
9 for concurrently administered vaccines and will note
10 that data are not currently available for all of the
11 routinely administered vaccines. And again, we will
12 briefly comment on the data base for toddlers who
13 received the fourth dose prior to the age of 17
14 months. Again, in the fourth dose data base, there
15 are relatively few children below the 17-month age
16 group.

17 And given that, again, very brief
18 introduction, some of the general questions I wanted
19 to read through before the sponsor presents the
20 specific questions for the committee today. Again, I
21 will just read them through at this time. Question 1
22 will be asked in two parts. The first is, are the data
23 adequate to support the efficacy of the acellular
24 pertussis component of CPDT when administered to
25 infants and children in the U.S. as a four dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 series? If not, what additional information should be
2 requested? And if the answer to the first part is a
3 yes, we will ask the committee to discuss the adequacy
4 of the data to support the efficacy of the acellular
5 pertussis component of CPDT when administered to
6 infants in the U.S. as a three dose series.

7 Question 2 will be, are the data adequate
8 to support the safety of CPDT? Please specifically
9 address both the infant series and the fourth dose
10 data. And if not, what additional information should
11 be requested?

12 Question 2, please discuss the adequacy of
13 the data to support the concurrent use of CPDT with
14 other vaccines administered according to the
15 recommended schedule of infant and childhood
16 immunizations. Please discuss additional information,
17 if any, that should be requested.

18 And question 4 is please identify any
19 issues that should be addressed by post-marking
20 studies. That concludes the introduction. I will turn
21 it back to the chair.

22 DR. GRIFFIN: Okay. Questions on this
23 introductory presentation? Any questions from the
24 committee? Dr. Huang?

25 DR. HUANG: What is known about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immunogenicity studies of currently used pertussis
2 vaccines? You said that not all the information was
3 in, but there must be some.

4 DR. MEADE: Oh, yes. This will be reviewed
5 in detail both by the sponsor and by Dr. Geber later.
6 The data that are available will be reviewed in
7 detail. But there are some -- the current data don't
8 cover all of the vaccines currently in use. And again,
9 that will be reviewed in detail and be discussed later
10 following those presentations.

11 DR. GRIFFIN: Dr. Katz?

12 DR. KATZ: You highlighted the discrepancy
13 in pertactin antibodies, and yet if I am correct, we
14 have a licensed vaccine that has no pertactin. Is
15 that not correct? John Robbins' vaccine has no
16 pertactin, it is only pertussis toxoid.

17 DR. MEADE: The answer is yes. But the
18 data for each product is being evaluated on its own
19 merits based on the efficacy. We have data for their
20 product and their antigens as formulated, and the
21 efficacy data was evaluated for the full -- the
22 vaccine as formulated and described here. And in order
23 to generalize or compare the data in the U.S., the
24 best tools or the only tools available are to look at
25 immunogenicity in the U.S. population. And when you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 see a difference, it makes it difficult to -- again,
2 to generalize the data from the efficacy study and
3 apply it to the U.S. population. So, again, I think
4 the question you are bringing up I am sure will be
5 covered in much more depth as the morning discussion
6 proceeds.

7 DR. GRIFFIN: Other questions? Yes, Dr.
8 Fleming?

9 DR. FLEMING: I guess that kind of opens
10 the door at least for a comment relating to
11 uncertainty about correlates of immunity. With that
12 two-component vaccine, we have this paradox that the
13 overall antibody responses to FHA and PT were much
14 higher than, for example, in Sweden Trial I with the
15 five-component vaccine. So even though that two-
16 component vaccine didn't have a pertactin component,
17 it had particularly high antibody responses for FHA
18 and PT. On the other hand, its efficacy was lower
19 than the five-component vaccine that had much lower
20 antibody responses for FHA and PT. And kind of leading
21 into comments that are going to trouble me throughout
22 the day, which is we are having to rely on serologic
23 evaluations and antibody levels and what is it that we
24 need to achieve to be confident that that gives us
25 adequate evidence of efficacy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: Okay. I think that that sort
2 of sets the stage for what we are going to really
3 discuss in much more detail and what the issues are
4 going to be. One further comment before we move on to
5 the sponsor's presentation. Please turn off your cell
6 phones if you have them or put them on vibrate. It is
7 very disruptive to the overall proceedings to have
8 them going off. Thank you.

9 Now we will move on to -- if my docket is
10 correct -- Ms. Marie Minchella.

11 MS. MINCHELLA: Good morning, Dr. Griffin,
12 Advisory Committee members and the CBER Review
13 Committee, ladies and gentlemen. My name is Marie
14 Minchella. I am from Regulatory Affairs at Aventis
15 Pasteur. And on behalf of Aventis Pasteur, we wish to
16 thank Dr. Meade for his opening remarks and for the
17 invitation to present our CPDT vaccine to the Advisory
18 Committee today.

19 CPDT vaccine is a sterile suspension of
20 five-component pertussis vaccine combined with
21 diphtheria and tetanus toxoid and adsorbed to aluminum
22 phosphate. And the indication which we are seeking
23 license for is for the primary immunization at 2, 4
24 and 6 months of age and a booster dose at 15 to 18
25 months. I won't go into this slide. Dr. Meade has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 taken the task and has gone through the composition of
2 our product, so I will move on.

3 CPDT vaccine adsorbed was researched and
4 developed and is manufactured at Aventis Pasteur
5 Limited. As Dr. Meade had indicated, we did receive
6 a license in the U.S., but we have been producing and
7 marketing diphtheria tetanus since 1977 globally.

8 We received our first license in Sweden
9 early in 1996, and then this was followed by our
10 Canadian license in December. In the same year, we
11 had submitted a license application to the U.S.
12 However, due to intellectual property conflict, this
13 was delayed for pursuing it further. This has just
14 been recently resolved and we are pursuing many other
15 various markets for license applications, and
16 especially the one in the U.S. has been reactivated.

17 This product has been licensed in 23
18 countries globally under the trademark name of
19 Tripacel, and we have been marketing over 2 million
20 doses of this product.

21 CPDT base combination vaccines remain the
22 vaccine of choice in Canada. The current care in
23 Canada includes an IPV and a Hib vaccine for childhood
24 immunization. Five months following our CPDT license
25 in Canada, we received two product licenses, Quadracel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and Pentacel, which contain the Hib and the IPV
2 components. These products have been used exclusively
3 across Canada for the last three years, where we have
4 marketed over 5 million doses.

5 We have brought many experts with us today
6 to answer your questions. In consideration of time, we
7 have limited the presentation to two speakers. We will
8 start with a manufacturing and clinical overview with
9 Dr. Fahim followed by Dr. Decker, who will give the
10 efficacy and safety data. And then Dr. Fahim will
11 return to the podium to discuss immunogenicity and
12 concomitant information with you and concluding
13 remarks. The Aventis Pasteur team, which we have with
14 us today are Drs. Mills and Wubbel from clinical, Mr.
15 Phong Xie, biostatistics, Ms. Lucy Gisonni-Lex and Dr.
16 Pat Pietrobon, clinical serology. Unfortunately, Dr.
17 Patrick Olin, who was the independent principle
18 investigator from Sweden, was unable to join us today.
19 However, we have made arrangements to connect him
20 through teleconferencing for any questions you may
21 have for him, as well as Dr. Scott Halperin, the
22 principle investigator for many of our Canadian
23 studies. Harold Hebble is also available through
24 teleconferencing today, and he was the epidemiologist
25 and safety monitor for Sweden Trial II.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Just two points that I would like to raise
2 at this time. Some of the slides do have the former
3 corporate identity identified because these slides
4 have been taken from publications. So that data may
5 reflect Connaught Laboratories.

6 The other point that I would just like to
7 make right now is that in your handouts in the pre-
8 read, the confidence intervals for some of the data
9 that we are presenting have been identified there. We
10 have taken them off the slides due to just the mass
11 amount of information that we are presenting today.
12 On that note, I would like to turn the podium over to
13 Dr. Fahim.

14 DR. FAHIM: Thank you, Marie. Good
15 morning, ladies and gentlemen. Thank you for the
16 opportunity to discuss the CPDT vaccine with you. What
17 I will be doing is over the next few minutes just
18 cover the manufacturing and clinical overview.

19 For the manufacturing overview, I want to
20 start off by telling you why we have the five
21 components that we have in the vaccine. So in essence
22 the rationale for including all of those five
23 components. We start off with the PT and FHA, and
24 these two antigens were identified early on as very
25 important for protection against pertussis in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 acellular vaccines. They were shown to be protective
2 in animal models of protection and they were also
3 included in human clinical trials and were actually
4 included in Japanese vaccines in the early 1980's.
5 They promote attachment of bacteria to ciliated
6 epithelium. We also included pertactin, and this
7 antigen was shown to be protective in animal models as
8 well and also promotes attachment of the bacteria to
9 ciliated epithelium.

10 We have a unique feature in our vaccine,
11 which is a fimbriae 2 and 3, and we included those two
12 components here because from early vaccines in the
13 early 1950's, where the vaccine manufacture had
14 antigen 2 or fimbriae 2 in their vaccine, and that
15 protected against Type II associated disease but did
16 not prevent Type III associated disease. The reverse
17 was also true in other instances. Because of data like
18 this, the WHO has mandated that all whole cell
19 vaccines should include both fimbriae 2 and 3 in their
20 composition. Fimbriae 2 and 3 also inhibit pertussis
21 colonization.

22 Now I would like to go through the
23 manufacturing process flow. And we start with the
24 fermentation of the bacterium and then we segregate
25 the fermentation broth into supernatant and the cells.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 From the cells, we obtain fimbriae 2 and 3, and from
2 the supernatant, we obtain the other antigens.

3 From the supernatant, we get a
4 chromatography step by which we purify the three
5 antigens. We start off with the pertactin or 69K, and
6 we purify that antigen and then adsorb it separately
7 to aluminum phosphate. We then get the pertussis
8 fraction where it is purified. And then we detoxify
9 using glutaraldehyde and then we adsorb it also to
10 aluminum phosphate. And finally, we get the FHA
11 fraction where it is purified and then chemically
12 treated with formaldehyde to detoxify any potential
13 pertussis toxin in that fraction. And then we adsorb
14 it to aluminum phosphate as well.

15 From the cells, as I mentioned earlier, we
16 get the fimbriae 2 and 3 and purify them and adsorb
17 them to aluminum phosphate. As you can see, we have
18 all of the fractions separately purified and adsorbed.

19 For the diphtheria and tetanus, we ferment
20 the diphtheria, purify it in concentrate and detoxify
21 and then adsorb it to aluminum phosphate. And for the
22 tetanus, we ferment, detoxify, purify in concentrate
23 and then adsorb it to aluminum phosphate. With those
24 antigens then, we get those six fractions here, all
25 concentrated and all adsorbed to aluminum phosphate,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which then allows us to pool them to formulate the
2 CPDT vaccine. We end up with adding additional
3 aluminum phosphate to make up to 1.5 mg of aluminum
4 phosphate, and then we add 2-phenoxyethanol to get the
5 CPDT vaccine.

6 This is the composition here, and I am not
7 going to go through this in detail. You have seen it
8 already by Dr. Meade and earlier on. One point of
9 consideration here, we have 2-phenoxyethanol here as
10 opposed to thimerosal. From the beginning, this
11 vaccine was intended with combination with IPV. And
12 because of that, because of the compatibility of the
13 IPV and the thimerosal, we opted to use 2-
14 phenoxyethanol. You have seen this composition of the
15 HCPDT as well, which has been used in Sweden too.

16 This is the manufacturing experience we
17 have to date. As you can see, we have extensive
18 experience to date with this vaccine and we have
19 manufactured over 40 lots at scale of the CPDT vaccine
20 for which we are seeking licensure. We also
21 formulated 50 lots of Pentacel that is being used in
22 Canada exclusively.

23 I would like to now give you a brief
24 overview of the clinical development plan and
25 experience we have. This here is a very busy slide,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 but it shows the overall experience that we have with
2 this vaccine for phases I, II and phase III. You will
3 notice that many of those trials were conducted under
4 U.S. IND. Because of the busy nature of this slide,
5 we have now segregated them into phase I here. You can
6 see we had five trials for children and toddlers for
7 safety and immunogenicity of the vaccine. This was
8 then followed by phase II trials. Many of those were
9 conducted at 2, 4 and 6 and also 2, 3 and 4. These
10 trials were conducted for lot consistency as well as
11 safety and immunogenicity of the vaccine. Many of
12 those trials in the children in those trials went on
13 from a 2, 4 and 6 primary immunization and got the
14 fourth dose booster with this vaccine.

15 We had two efficacy trials conducted,
16 Sweden I and Sweden II referred to earlier by Dr.
17 Meade. I am not going to dwell on them. Suffice to say
18 that for Sweden I, we used CPDT vaccine. For Sweden
19 II, we used HCPDT vaccine in two schedules here. And
20 then we finally conducted a U.S. Bridging Trial, again
21 referred to earlier by Dr. Meade.

22 This is the overall experience in terms of
23 the number of subjects and doses used. I am not going
24 to go through all of the numbers. You can see that we
25 have extensive experience in human clinical trials

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with about 81,000 doses used to date in clinical
2 trials for either the CPDT formulation or the HCPDT
3 formulation. In addition, we have also 15,000 doses
4 that have been administered with other combinations in
5 other clinical trials.

6 With that introduction, I would like to
7 then turn the podium over to Dr. Decker, who will be
8 talking about the safety and efficacy of the vaccine.

9 DR. DECKER: Thanks Raafat. It is a
10 distinct and unexpected pleasure to be here today.
11 Until a few weeks ago, I was happily ensconced in
12 Vanderbilt, where my colleagues and I at Vanderbilt
13 had the pleasure of participating in the very first
14 clinical trial of this vaccine, and I never expected
15 I would be here, not only at the beginning, but able
16 to participate in what I hope is the culmination with
17 this vaccine.

18 I would like to cover a couple of things
19 for you. First, the safety issues. I would like to
20 show you the frequency and severity of the common
21 adverse reactions and compare them to this vaccine,
22 both to whole cell vaccine and to other acellular
23 pertussis vaccines. I would like to show you the risk
24 of serious or severe adverse effects. And finally, I
25 would like to show you the consistency of the safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of this vaccine as evaluated across multiple studies
2 and multiple populations.

3 That first trial of this vaccine that I
4 alluded to was organized by the NIAID and known as the
5 Multi-Center Acellular Pertussis Trial. And our goal
6 in that trial was to put into one head-to-head
7 competition every acellular vaccine then under
8 development around the world. And we succeeded in
9 getting all but one. We got 13 vaccines. Two mono-
10 component vaccines containing PT only, four two-
11 component vaccines containing PT and FHA, including
12 the one licensed in the U.S. as Tripedia, three three-
13 component vaccines containing PT, FHA and pertactin,
14 including the one licensed in the USA as Infanrix, two
15 more three-components that contained fim instead of
16 pertactin, and those aren't licensed anywhere, and
17 then two vaccines with four or five components,
18 including Acel-Immune and the vaccine we bring you
19 today.

20 I would like next to show you the safety
21 comparisons -- the adverse reaction comparisons out of
22 the multi-center trial. And for each of these slides,
23 the right-most bar represents the reactions that
24 occurred with the Lederle whole cell vaccine, which of
25 course is one of the two U.S. whole cell vaccines in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 commercial distribution.

2 73 percent of the whole cell recipients
3 had injection site redness, about a quarter to a third
4 of them falling into the severe category, as compared
5 to 26 to 39 percent of the acellular recipients, a far
6 lower proportion of whom had the more severe
7 reactions. This is the pattern we saw with all the
8 adverse reactions. A dramatic reduction in both
9 frequency and severity with the acellular vaccines.
10 60 percent of the whole cell recipients had injection
11 site swelling, nearly half of it severe, as compared
12 to 16 to 30 percent of the acellular recipients. 60
13 percent of the whole cell recipients had fever of
14 100.1 or greater, about a third of them falling into
15 the or-greater category, as compared to 18 to 31
16 percent of the acellular recipients, of whom few or
17 none had severe fever. Fussiness of moderate or
18 severe level was recorded for 41 percent of the whole
19 cell recipients compared to 12 to 19 percent of the
20 acellular recipients. And injection site pain was seen
21 in 40 percent of the whole cell recipients, of whom
22 nearly half had severe pain, as compared to 4 to 11
23 percent of the acellular vaccine recipients.

24 You will notice that throughout all these
25 slides, the vaccine we bring you today is comfortably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 within the range of U.S. licensed vaccines.

2 As you have already heard, this vaccine
3 was studied or an analogous vaccine was studied in a
4 couple of efficacy trials. I would like to just show
5 you an overview of the 9 or more efficacy trials
6 conducted worldwide after the MAPT. There are six
7 that involved vaccines that are licensed or have been
8 submitted for licensure in the United States and thus
9 are relevant to us. Those six are shown here. The
10 three in blue were organized and financed by the
11 United States Government. The three in black were
12 organized and financed by the manufacturers. All of
13 the U.S. government organized studies but none of the
14 manufacturer sponsored studies were fully double
15 blinded, randomized, prospective and placebo or DT
16 controlled. These two studies were organized by the
17 NIAID as direct follow-ups to the multi-center trial
18 I just showed you, and those two studies had not only
19 the characteristics I just mentioned, but in addition
20 each study featured two acellular candidate vaccines
21 in head-to-head competition. They used in addition to
22 the DT control arm a U.S. whole cell vaccine control
23 arm. They immunized their participants at 2, 4 and 6
24 months, the U.S. immunization schedule, and they used
25 closely coordinated protocols between the two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 countries to enhance the comparability of data.

2 In the Italian NIAID trial, the vaccines
3 looked at were Infanrix and a vaccine called Acelluvax
4 from Chiron Biocine. I want to focus first on the
5 Sweden I trial conducted in Stockholm, and that study
6 involved four arms. There was the DT control arm.
7 There was a two-component acellular vaccine from
8 SmithKline Beecham. There was the vaccine we bring
9 you today, our five-component vaccine. And there was
10 the U.S. licensed whole cell control arm. Also
11 conducted as part of this trial nested within this
12 overall prospective trial was a household contact case
13 control study that gives us important additional data.

14 We are going to focus here on safety. In
15 this slide you see the common adverse reactions,
16 systemic and local, at the 2-month, 4-month and 6-
17 month injections for each of the study arms -- DT, two
18 -component, five-component and the whole cell. And
19 what you see here is that within each injection, the
20 rate of reactions is essentially identical for the DT
21 control arm, for the two-component and for the five-
22 component vaccine, and always distinctly less than for
23 the whole cell vaccine. Now the rate of reactions
24 increases from injection to injection, which is a
25 pattern that is typically seen with the acellular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccines, but it always remains more favorable than
2 with the whole cell vaccine.

3 One of the most striking evidences I think
4 of the lack of reactogenicity of the acellular
5 vaccines is this slide out of the Sweden I Trial,
6 which shows the fever occurs for the first 24 hours
7 following immunization for the four arms. And as you
8 see here, the fever occurs for the DT placebo
9 recipients and the two acellular vaccines are
10 perfectly superimposable and distinctly different from
11 the fever curve associated with the whole cell
12 vaccine.

13 As far as serious or severe adverse events
14 go, here are the data. You see for the five-component
15 vaccine, there were a total of 10 such reported
16 events, which is fewer than with the two-component or
17 with the DT vaccine. None of these numbers, of
18 course, significantly differ from each other. But in
19 most cases, they are significantly lower than for the
20 whole cell vaccine.

21 Sweden Trial II was organized as a direct
22 follow-up to Sweden Trial I. And its intent was to
23 extend the findings of the Sweden I trial to create a
24 bridge to the companion Italian trial, and that was
25 done by including in this trial the Chiron Biocine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 three-component acellular vaccine that was studied in
2 Italy and which was proven in Italy to be 84 percent
3 efficacious.

4 The intent was also to evaluate the HCPDT
5 formulation. As mentioned before, this vaccine is
6 produced in two formulations, the classic and the
7 hybrid. The classic intended for use in a stand-alone
8 DTaP vaccine and the hybrid intended for use in
9 combination vaccines. A different formulation, a
10 higher quantity of PT and FHA, was included in the
11 hybrid as insurance against any possible interference
12 when combined with other vaccines.

13 So the intent was to look at that vaccine,
14 and then finally to replace the relatively
15 inefficacious U.S. whole cell vaccine with a European
16 whole cell vaccine of known high efficacy.

17 So some key things about this study that
18 you have heard mentioned. As they replaced a different
19 whole cell, the fact that there was a decision made
20 not to include a non-pertussis vaccine control arm.
21 Because of the favorable results of the first study,
22 it was felt that all the children involved in this
23 study ought to be offered pertussis vaccine.
24 Therefore, it is not possible, lacking a placebo
25 control arm, to calculate absolute efficacy and one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has to calculate relative efficacy. That is to say the
2 efficacy relative to one of the other arms in the
3 study. And two such calculations are available. The
4 design calculation was to use the whole cell vaccine
5 as the reference, and I will show you those data. But
6 because it turned out unexpectedly that the two-
7 component vaccine from SmithKline Beecham was not very
8 efficacious, it was also used as a reference as a
9 pseudo placebo, and I will show you those data. And
10 finally, the majority of the children, those involved
11 in the efficacy calculations, were immunized at 3, 5
12 and 12 months, which is the standard schedule in
13 Sweden. A subgroup was immunized at 2, 4 and 6 months
14 to provide a bridge for serologic data back to the
15 U.S. and back to the first Swedish trial.

16 The fact that the Swedish children are
17 immunized at 3, 5 and 12 offers the opportunity to do
18 an interim efficacy analysis right here in that
19 prolonged interval between the second and third dose,
20 and those data proved to be very interesting.

21 Here are the severe and serious adverse
22 events as recorded in the Sweden Trial II. This is the
23 two-component, the three-component from Italy, and the
24 five-component vaccine from Aventis. You will notice
25 that once again we see for most of the adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reactions very similar rates among the three acellular
2 vaccines and distinctly less than in whole cell for
3 those reactions that are clearly attributable to
4 vaccination. For those reactions that are recorded but
5 in many cases are not related to vaccination, the
6 numbers are much more similar as you would expect.

7 Two categories of adverse reaction of
8 particular interest, and I will show you those in
9 detail. One is HHE, where the rates and numbers are
10 considerably higher than seen before. And then deaths,
11 I will show you the line listing of. With respect to
12 HHE, in Sweden Trial I, HHE was not prospectively
13 defined. In fact, the investigators -- it was an
14 unexpected event for the investigators. Whole cell
15 vaccine hadn't been used in Sweden for nearly -- I
16 think more than a decade. And the occurrence of a
17 couple of cases of HHE in the first trial startled the
18 investigators and it caused them to focus with
19 particular intensity on this question.

20 So in Trial I, the cases that are reported
21 were identified retrospectively because they were
22 reported as having these characteristics -- pallor,
23 hyporesponsiveness or lack of muscle tone. In Sweden
24 Trial II, special meetings were held with the
25 physicians and nurses to emphasize prior to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study's initiation the detection of HHE. And HHE for
2 this trial was prospectively defined as diminished or
3 absent response to stimulation or lack of muscle tone.
4 And finally at each visit, the parents were questioned
5 regarding the occurrence of any such signs or
6 symptoms.

7 This vigorous attention -- we are going to
8 need to go back a slide in just a moment -- this
9 vigorous attention to these definitions had a result
10 that is clear here. What I am showing you are three
11 companion trials, Sweden I, Sweden II and Italy. For
12 the two-component SmithKline Beecham vaccine, the rate
13 of HHE in the Sweden Trial was zero. There were 22
14 cases for a rate of .36 in the Sweden II. Similarly
15 for our vaccine, there was only one HHE in the first
16 trial and there were 29 in the second trial. For the
17 Italian vaccine, which only had one HHE in the Italian
18 trial, there were 16 recorded for a rate of .26 in
19 Sweden Trial II. And the similarity of definitions
20 between Sweden I and Italy is shown by the very
21 similar rates of HHE for the whole cell that was a
22 common vaccine in those two studies.

23 Could you go back one, please? Because of
24 the questions that were raised about HHE and these
25 characteristics I have just shown you, the principle

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 investigator for this study was asked about this and
2 the quote that he provided is shown here. Patrick
3 said, "The difference in numbers of HHE's in Trial I
4 and Trial II is mostly related to the awareness after
5 the experience in Trial I. Before Trial II, 20
6 investigators involved in the trial briefed all the
7 nurses specifically about HHE and the parents were
8 specifically alerted to the possibility of extreme
9 weakness after vaccination." "And accordingly,"
10 Patrick says, "I believe that the number of HHE's in
11 Trial II shall be higher than in Trial I, and this may
12 partially reflect over-reporting."

13 So indeed that is the pattern we see here.
14 What we conclude from these data is that the
15 distinctive aspect about HHE is not the vaccines, but
16 rather the study, which stands alone in pursuing this
17 question so vigorously.

18 Now I promised you data on the deaths
19 reported in the study, and here they are. There were
20 a total of 12 deaths reported in all of the studies.
21 Two children in phase II trials died. Those deaths
22 were categorized as SIDS. They were respectively 5
23 and 22 days after immunization. And then in Sweden II,
24 which was a very large trial with very long follow-up,
25 there were a total of 10 deaths recorded during the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 time of the trial, the closest of which to
2 immunization was a month later. And the most common
3 cause of death recorded for any of these was SIDS, but
4 there were a variety of other causes of death.

5 Next I would like to show you the adverse
6 reaction data from the phase II trials that were
7 conducted following these efficacy trials. For the
8 next several slides, there is going to be a common
9 pattern. On the left-hand side of the slide, I am
10 going to show you data from the phase II trial that
11 was designed to compare the five-component vaccine
12 with whole cell vaccine. And on the right-hand side,
13 I will show you data from the phase II trials that are
14 designed to compare the two formulations of the five-
15 component vaccine. What you will see for all these
16 slides is that consistently the five-component vaccine
17 is much less reactogenic than whole cell and the two
18 formulations are functionally identical.

19 This slide looks at fussiness. And once
20 again we see the pattern that was seen at the MAPT, a
21 nearly two-fold reduction in the occurrence of
22 fussiness, a reduction in its severity for the five-
23 component as compared to whole cell. And we see for
24 the comparison of the two formulations, classic and
25 hybrid, virtually identical responses. Let me comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also that for some of these adverse reactions, there
2 were slight differences in the definitions from study
3 to study. If they are different, I show them each
4 here. And if it matters, I will call it out to you.

5 Here is injection site tenderness. We see
6 the same pattern as before, a distinct reduction as
7 compared to whole cell and the equivalence of the two
8 formulations. Injection site swelling, the same
9 pattern, distinct reduction as compared to whole cell
10 and the equivalence of the two formulations.
11 Injection site redness, the same.

12 Fever -- now here is a place where it
13 matters. It turns out that this slight reduction in
14 the lower limit of fever recorded for the phase II C
15 studies had the effect of sweeping in to the febrile
16 group a very large number of almost normal children.
17 So the lowest level of fever here is a much larger
18 group for this study. Apart from that, you see that we
19 preserve the same pattern as before. A distinct
20 reduction, compared to whole cell and equivalence of
21 the two formulations.

22 Next we come to the U.S. Bridging Study.
23 And because this was not a comparative trial, I don't
24 have a nice comparative block to show you. But what we
25 have done here is we have scaled the graphs to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 same scales as previously. So if you remember the
2 previous slides, you will recognize that these results
3 are all consistent with what I have shown you before.
4 Low levels of these adverse reactions -- redness,
5 swelling, fever, tenderness, pain and irritability.

6 Let me turn now to the question of safety
7 of the fourth dose booster. I apologize for the
8 quality of this. This is scanned out of Mike
9 Pichichero's paper reporting the results of the
10 extension of the Multi-Center Acellular Pertussis
11 Trial which looked at the fourth dose booster, and
12 this provides useful comparative data comparing this
13 five-component vaccine with all of the other acellular
14 vaccines, including those licensed in the U.S. --
15 three of the four licensed in the U.S. As was noted by
16 the authors here, "A significant variation in
17 prevalence among the 12 acellular vaccine groups was
18 observed only for redness and swelling." And then
19 among the whole cell groups for fever. I am not going
20 to show you that one. I am going to show you the
21 redness and swelling. There was a significant
22 difference for the acellulars. Now because this is
23 impossible to read, I have flagged for you the columns
24 that belong to the five-component vaccine. This is the
25 redness slide. These are the children who got three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses of acellular followed by a booster of acellular.
2 These are the children who got three doses of the
3 whole cell followed by a booster of acellular. And you
4 see for redness for both sequences of vaccination, the
5 five-component vaccine is in the middle of the pack.
6 Similarly for swelling, the same result is observed.
7 And as noted, those are the only two for which the
8 acellular vaccine sequences differed significantly in
9 their rates of adverse reactions.

10 Now I will show you the data from the
11 phase II trials. Again, the same pattern of slides as
12 shown before. Here is the fever comparison. This is
13 for the booster dose now. 63 percent of the kids
14 boosted with whole cell had fever of 37.5 or greater
15 as compared to 10 percent of the five-component
16 acellular recipients. And again, the same difference
17 in definitions of the lowest level of fever. But again
18 you see comparability between the two formulations of
19 the five-component vaccine. We see the same pattern
20 here for fussiness. We see for injection site redness
21 and for injection site swelling that the more
22 favorable reaction profile for the acellular vaccine
23 persists.

24 Now you will notice that the advantage of
25 acellular over whole cell is not so marked here as for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the other reactions, and that is a pattern that has
2 been observed commonly that the acellular vaccines
3 have relatively -- that the adverse reactions with the
4 acellular vaccines more closely approximate those of
5 whole cell, although they always remain more favorable
6 with increasing number of injections. One important
7 thing to note though is that this more marked redness
8 and swelling with the acellular vaccines at the
9 booster dose is not associated with pain. It is
10 largely a painless swelling that doesn't interfere
11 with function or activity and that is shown quite
12 clearly here where 86 percent of the whole cell
13 recipients had injection site tenderness with a
14 substantial proportion, well more than half, being
15 moderate or severe as compared to 23 percent of the
16 five-component vaccine recipients. Once again we see
17 that the two formulations perform identically.

18 So in summary, with respect to the common
19 adverse reactions, they are reduced in frequency and
20 in severity for both formulations of the five-
21 component vaccine as compared to whole cell vaccine,
22 both for the primary series and for the booster. The
23 pattern of adverse reactions following the primary
24 series is consistent with that of other U.S. licensed
25 acellular pertussis vaccines. And a consistent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reactogenicity pattern is demonstrated across all the
2 studies -- the NIH comparative trials, our phase II
3 trials, the U.S. Bridging Trials -- between the two
4 formulations of the five-component vaccine.

5 Let me now review our total experience
6 with respect to the serious or severe adverse
7 reactions. This slide shows adverse events following
8 11.5 thousand doses of the classic formulation. There
9 was one HHE recorded, and that was in the Sweden I
10 efficacy trial. Five total instances of high fever out
11 of 11.5 thousand doses. Seven convulsions or seizures
12 within 30 days with a very long surveillance period.
13 And then 17 episodes of prolonged crying.

14 Following the hybrid formulation, almost
15 70,000 doses, we see a similar favorable safety
16 profile with very few instances of adverse reactions
17 reported apart from HHE in Sweden II, which we have
18 already discussed.

19 With respect to the booster dose of the
20 two vaccines, for the classic formulation, we have yet
21 to observe any serious or severe adverse events. And
22 for the hybrid formulation, we have observed one HHE
23 so far in about 1,000 doses.

24 So in summary, for the classic formulation
25 that we bring you today for licensure, serious adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 events were rare and occurred at a frequency
2 consistent with other acellular vaccines. Only one HHE
3 case was observed in nearly 12,000 doses. For the
4 hybrid formulation, equally severe adverse events were
5 rare, consistent with other acellular vaccines. We
6 observed only two HHE cases in 69,000 doses in
7 clinical trials in Canada and the U.S. We have
8 discussed the high rates of HHE that were observed for
9 all vaccines, acellular and whole cell in the Sweden
10 II trial, which we believe is attributable purely to
11 the study design of that trial.

12 Of interest, post-marketing surveillance
13 in Canada has shown a decrease of 80 percent in the
14 number of HHE's following the switch in Canada from
15 whole cell based to five-component acellular based
16 combination vaccines.

17 So in conclusion, both common and serious
18 adverse events with CPDT, whether given as a primary
19 series in infants or as a fourth dose booster, are
20 markedly reduced when compared to whole cell vaccine
21 and are consistent in frequency and nature with those
22 seen with licensed acellular vaccines. And this
23 assessment of the classic formulation of CPDT is
24 further supported by our experience with HCPDT. We
25 conclude that CPDT is safe for use in infants and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 toddlers.

2 Next I would like to show you the efficacy
3 data concerning this vaccine. You have seen this slide
4 already. Just to recapitulate, this is the Sweden I
5 efficacy trial organized by the NIAID as a direct
6 follow-up to the Multi-Center Acellular Trial, and
7 there were four arms. A DT control arm, two acellular
8 vaccines, a two-component and our five-component, and
9 a U.S. licensed whole cell at a nested household
10 contact study.

11 Here are the primary efficacy results.
12 The five-component acellular vaccine CPDT was shown to
13 be 85 percent efficacious as compared to the DT
14 control arm. In comparison, the two-component
15 acellular vaccine was 59 percent and the whole cell
16 was 48 percent. As Dr. Meade mentioned, this study
17 also evaluated the performance of the vaccines against
18 a case definition consistent with mild disease. The
19 first numbers are against the WHO definition for
20 classic or severe pertussis. Against a definition that
21 would include even the most mild cases, one day of
22 cough, the five-component acellular vaccine was 78
23 percent efficacious as compared to approximately 40
24 percent for both the whole cell and the two-component
25 vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It is of particular interest, I think,
2 that this protection persisted without diminution
3 throughout the entire course of study over nearly two
4 years of follow-up. As you see here, efficacy was
5 maintained for the five-component acellular
6 formulation, in distinct contrast to the whole cell,
7 whose efficacy declined quite rapidly, which explains
8 the high rate of disease of the whole cell receiving
9 group.

10 Here is another such slide. This slide is
11 intended to show you the uniform performance of the
12 five-component vaccine against differing case
13 definitions of severity of illness. What we have here
14 is duration of cough from one day up to 28 to 30 days.
15 And these definitions are based solely on cough
16 duration, so the numbers here don't exactly match the
17 numbers on the prior slide which included other
18 confirmation. But you see that the efficacy of the
19 five-component acellular vaccine is both high and
20 maintained across the entire spectrum of illness. A
21 perfect vaccine would be one with a perfectly straight
22 line up here at the top of the slide. In comparison,
23 the two-component vaccine and even the whole cell
24 vaccine have got diminished efficacy against mild
25 disease as compared to their efficacy against more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 severe disease.

2 The nested household contact study
3 provides an important look at the vaccine for several
4 reasons. There were analyses that were conducted from
5 those data that we will be showing you, and in
6 addition a household contact study provides an
7 opportunity to see how a vaccine performs in the
8 context of intense exposure. In this household
9 contact study, the five-component CPDT was 75 percent
10 efficacious against the WHO case definition as
11 compared to 42 percent and 29 percent for the two-
12 component and the whole cell respectively. Against a
13 case definition of mild pertussis, the five-component
14 CPDT was 62 percent efficacious against essentially
15 nil efficacy for the acellular and the whole cell
16 vaccines.

17 The next step was to examine these
18 vaccines further in the Sweden II Trial, where you
19 recall the key differences are that the European whole
20 cell replaced the U.S. whole cell. We need to look at
21 relative rather than absolute efficacy. The majority
22 of the kids were immunized on the standard Swedish
23 schedule of 3, 5 and 12 months.

24 For the primary case analysis or primary
25 study analysis, efficacy after all three doses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 relative to whole cell -- the efficacy for the five-
2 component acellular vaccine was 0.85. In other words,
3 kids were only 85 percent as likely to acquire
4 pertussis if they received the acellular as if they
5 received this known high efficacy whole cell.
6 Although the confidence interval does include one,
7 therefore the whole cell and our acellular did not
8 significantly differ. This is the only study in which
9 an acellular vaccine has been shown to have a point
10 estimate of efficacy superior to that of the European
11 whole cell. The three-component vaccine from Chiron
12 Biocine in Italy had a relative risk of 1.38, meaning
13 it was about 40 to 50 percent higher risk than the
14 five-component vaccine. This is against the WHO case
15 definition. Against a case definition consistent with
16 mild disease, with reference to the whole cell vaccine
17 as one, the five-component vaccine had a relative risk
18 of 1.4, the confidence interval including one. And the
19 three-component vaccine had a relative risk of 2.55,
20 confidence interval does not include one.

21 DR. FLEMING: Could I just ask a quick
22 question for clarification? The primary analysis in
23 this study was to determine -- was essentially non-
24 inferiority highlighted in yellow where the intention
25 was assess a relative risk of 1.5 against 1, i.e., to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rule out that the five-component vaccine could have a
2 1.5-fold higher risk than the whole cell. The upper
3 limit is 1.79. So the critical primary analysis of the
4 study did not achieve the objective of ruling out 1.5
5 relative risk because it is actually 1.79. Am I
6 interpreting that correctly? This is as reported in
7 the Lancet article.

8 DR. DECKER: Yes, that interpretation is
9 correct. There is the 95 percent --

10 DR. FLEMING: The most important number in
11 this entire trial is the 1.79 because the hypothesis
12 to be rejected is an upper limit of 1.5. That wasn't
13 achieved. In part it wasn't achieved because there was
14 such under-reporting that the confidence interval was,
15 I am sure, wider than was expected.

16 DR. DECKER: Yes.

17 DR. FLEMING: But in fact because of that,
18 this study did not conclusively rule out a 50 percent
19 increase in the rate of cases for the five-component
20 against the whole cell.

21 DR. DECKER: Yes, that is true. But the
22 point estimate still remains our best estimate of the
23 efficacy. So although we can't rule out the
24 possibility that the vaccine is either 61 percent
25 better than a whole cell or 79 percent worse, our best

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 estimate is that it is 15 percent better than whole
2 cell.

3 The second analysis was to look at the
4 efficacy relative not to whole cell but relative to
5 the surprisingly inefficacious two-component vaccine
6 from SmithKline Beecham. The relative risk -- if we
7 take as the standard of one now this two-component
8 vaccine instead of the whole cell, we are actually
9 imposing a more strict test on the candidate vaccine
10 than we would be to compare it to an utterly
11 inefficacious DT control. Compared to this partially
12 efficacious acellular vaccine, the five-component
13 vaccine had a relative risk of .18. Or to put that in
14 terms that are more commonly understood, a relative
15 efficacy of 82 percent compared to this acellular.
16 The whole cell vaccine had a relative efficacy of 87
17 percent compared to the acellular and the three-
18 component of 60 percent. Against a case definition
19 consistent with mild disease, the relative efficacy of
20 the five-component was 78 percent, 73 percent for the
21 whole cell, and 48 percent for the three-component
22 acellular from the Italian trial.

23 So in summary, of the three efficacy
24 results we have from the main trial and the household
25 contact trial in Sweden I and from the Sweden II trial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with the hybrid version of the vaccine, we have
2 remarkably consistent point estimates of efficacy that
3 include substantial follow-up periods, ranging from 75
4 percent in the high intensity household contact study
5 to 85 percent in the Sweden I Trial and 82 percent as
6 compared to a partially effective acellular vaccine in
7 the Sweden II Trial.

8 To put these numbers in context for an
9 audience accustomed to the U.S. licensed vaccines,
10 from the Sweden I Trial, the efficacy estimate is 85
11 percent with a tight confidence interval from 81 to
12 89. Here are the results as reported in the PDR and
13 the patient package inserts for the four U.S. license
14 vaccines. Now I would like to turn the podium back
15 over to Dr. Fahim.

16 DR. FAHIM: Thank you, Michael. So I am
17 going to be discussing the immunogenicity in U.S.
18 children in support of efficacy of this vaccine. And
19 the way I want to structure this part of the
20 discussion is as follows. I am going to briefly show
21 you one slide on the diphtheria and tetanus response,
22 mainly to complete the data set. I will be discussing
23 with you the use of Sweden II efficacy. We have
24 referred to it several times and I would like to
25 discuss that. Show you evidence in U.S. children

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 supporting the efficacy of this vaccine. And then
2 finally conclude with a concomitant immunization.

3 This is the one slide about diphtheria and
4 tetanus. And here without going through every number
5 here, you can see that we have very good responses to
6 both diphtheria and tetanus for short or long term
7 efficacy at the 7 months post 2, 4 and 6 immunization
8 schedule as well as the booster immunization.

9 Now I would like to just discuss the use
10 of the Sweden II efficacy trial in support of the CPDT
11 efficacy. You heard from Dr. Meade in the
12 introduction about this trial, and he had referred to
13 several comments about it related to the concordance
14 between the serology labs in Sweden II and the U.S.
15 Bridging Trial. The composition of the vaccine was
16 different. The schedule was different. And the
17 efficacy definition was different. And I would like to
18 address each of those.

19 So for the concordance between the lab,
20 what we have done here is actually at the request of
21 the FDA, we have taken sera, as Dr. Meade explained to
22 you, and we did what we called a serology bridge.
23 Where we took sera from the U.S. efficacy trial and
24 tested it at the same time as the U.S. Bridging sera
25 in the same lab at the same time using similar

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 technologies. And here is the responses or the
2 concordance for the pertactin antigen. Because this is
3 the antigen you will be discussing a lot today. So
4 here you see here the Aventis Pasteur Canada lab
5 compared to the Swedish lab, and you can see a perfect
6 straight line, a correlation of 95 percent to the log
7 scale and of 98 percent in the linear scale. That
8 tells us that the results from those two labs can be
9 compared to this antigen.

10 Now here I am highlighting the difference
11 in amounts. The HCPDT had higher amounts of PT and
12 FHA, as again Dr. Meade has explained to you. And to
13 address this point, what we have taken data from
14 Sweden Trial I and Sweden Trial II comparing the
15 schedule that was 2, 4 and 6 in both of those. You may
16 recall that I mentioned in the Sweden Trial II, we had
17 two schedules, a 2, 4 and 6 and a 2, 5 and 12. And in
18 this one here, we are comparing the 2, 4 and 6
19 schedule between Trial II and Trial I. And you can see
20 for all of the antigens here, with the exception of
21 FHA, the results were very similar. That tells us then
22 that those two vaccine formulations behave similarly
23 in clinical trials with the exception of the FHA. You
24 may recall that the FHA was higher in the HCPDT
25 formulation than it was for the CPDT formulation. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 will be discussing the FHA later on and whether there
2 is any impact on this higher response of the FHA.

3 Next we talked about the schedule. And,
4 yes, it is true that the schedule was different. For
5 the efficacy, it was a 3, 5 and 12 schedule. But I
6 want to remind you that there was high efficacy, as we
7 will show for the Sweden II only after two doses, and
8 that is what we will be focusing the attention on
9 today.

10 The relative efficacy was the efficacy
11 criterion for Sweden II instead of the absolute
12 efficacy that was in Trial I. In Trial II, in fact
13 the HCPDT was compared to the two-component as well as
14 the whole cell vaccine. So there were two comparators
15 in there. The HCPDT was shown to be as efficacious as
16 the highly protective whole cell vaccine in this
17 trial. But in addition, the estimated efficacy
18 relative to the two-component provides a more
19 conservative criterion, we believe, than an absolute
20 efficacy to DT control.

21 Now for the immunogenicity in U.S.
22 children support of efficacy, what I would like to do
23 now is to show you data for the efficacy of the
24 vaccine following a 2, 4 and 6 schedule, so the
25 primary immunization, and provide the efficacy data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for that part or the immunogenicity supporting
2 efficacy for that part. I will then show you data for
3 long-term protection bridging from the third dose to
4 the booster dose and therefore showing immunogenicity
5 data supporting predictive efficacy up to the fourth
6 dose booster. And then finally show the immune
7 response following the fourth dose booster.

8 So for the first point here, we are
9 talking about immune response supporting efficacy, and
10 here I am going to share with you data for immune
11 response in U.S. and Swedish children. This here is
12 the results of the Serology Bridging Trial Study. Now
13 this is the same data that Dr. Meade shared with you
14 earlier. And you can see for the PT and the FHA and
15 the fimbriae, that is also very similar. Here the
16 pertactin is lower, and that is a comment that Dr.
17 Meade mentioned earlier. You can see here that the
18 results of the pertactin were lower.

19 This data is now shown here in the reverse
20 cumulative frequency distribution, and you will see
21 these are actually the same reverse cumulative
22 frequency distribution that Dr. Meade has shown. For
23 the PT and the FHA and the fimbriae, there is also a
24 very similar. The quadrant here with the pertactin
25 shows the Swedish efficacy trial here in dotted black

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 line compared to the U.S. Bridging in green and red.
2 Now Dr. Meade mentioned here that this is
3 qualitatively now and quantitatively different from
4 the bridging trial in the U.S.

5 Now this raises two important questions.
6 One, why is it different? And second, does it have an
7 impact on the efficacy of the vaccine? So here I am
8 going to share with you some factors that we
9 investigated that may have contributed to the lower
10 response or pertactin response in the U.S. Bridging
11 Trial.

12 So one of the things that come to mind
13 right away is that the lot that was used in Sweden in
14 1992 and then used to bridge the U.S. Bridging in
15 1995, three-and-a-half years later, one can ask the
16 question whether the vaccine has deteriorated over
17 time, this three-and-a-half years. So we will tackle
18 the stability of the vaccine. And then we also
19 investigated the age of first immunization, whether
20 there is difference between the population. And
21 finally, look at the pre-immunization antibody levels.

22 Now we were actually fortunate that this
23 same lot that I am talking about, Lot CPDT 006, that
24 was used in Sweden I in 1992 and then later on in the
25 U.S. Bridging in 1995, we used this same lot in two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 additional clinical trials, one in the U.S. and one in
2 Canada, conducted at the same time as the Sweden
3 efficacy trial in 1992. I would like to draw your
4 attention here to the results. As you see here, the
5 North American Trial, the two in the U.S. and the one
6 in Canada, the results are reasonably similar and
7 lower than the results shown in Sweden. So one can
8 conclude from that that the stability was maintained
9 or the stability was good over that period of time,
10 and it would not be the reason that we see lower
11 responses of pertactin in the U.S. Bridging Trial.

12 We then looked at the age of first
13 immunization. And here on the left panel, you can see
14 the age distribution at first immunization between
15 Sweden I and the U.S. Bridging Trial. Suffice to say
16 that there is a difference between the age of first
17 immunization in those two populations.

18 We then looked at the pre-immunization
19 levels, whether that has played or was a factor in
20 that lower immune response. And for that, we
21 stratified the data based on the pre-immunization
22 levels, and we divided into three categories. Below 3
23 ELISA units, between 3 and 10 ELISA units and above 10
24 ELISA units. And the way we constructed this is we
25 here on the left panel here, we see the pre-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immunization for one of the children in this category.
2 So this is one child. And we constructed similar lines
3 for each of them. And on the right panel, we see the
4 post-immunization. So this child here had a 1.5 pre-
5 immunization levels and reached 160. Now the
6 steepness of the curve tells you the immune response
7 achieved. We then populated using this same -- a
8 group of children here, we populated this graph here.
9 And for ease of interpretation, we actually took the
10 average then. And now we took the average and compared
11 Sweden I with the U.S. Bridging Trial. You can see
12 that for the children who had similar pre-immune
13 levels, they had similar slopes of antibody response
14 and achieved similar responses post-immunization.

15 At the other extreme of the spectrum, when
16 the pre-immunization levels were much higher, now you
17 see that the shape of the curve changed and now it is
18 shallower, indicating there is an inverse relationship
19 between the pre-immunization levels and the post-
20 immunization levels. One additional observation here,
21 you will see that in the U.S. Bridging Trial, there
22 were more children with higher pre-immunization levels
23 than were in Sweden. So this now tells us that maybe
24 there is a correlation between the high pre-
25 immunization levels in the U.S. Bridging and the post-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 immunization levels for the pertactin responses. As
2 expected, the population in the middle between 3 and
3 10 ELISA units gave slopes that are intermediate
4 between those two extremes.

5 To conclude those factors that we
6 investigated, we showed that the clinical evidence
7 indicated that the stability of the vaccine over three
8 years was maintained. Similar immune responses were
9 achieved in the U.S. and Swedish children when pre-
10 immunization levels were similar. And the U.S.
11 Bridging Study had more children with high pre-
12 immunization levels than did Sweden I. This may have
13 contributed to the difference in immune responses. I
14 would like to also indicate that this is not unique to
15 the CPDT and it has been shown with other vaccines.
16 Here is data from the Biocine's CLAVO-3 component and
17 the SKB Infanrix licensed vaccine for pre and post.
18 I would like to draw your attention here to this
19 column showing MAPT -- these are U.S. children here
20 compared to the Italian clinical efficacy trial, for
21 either the Biocine's CLAVO or the SKB Infanrix there
22 is a reduction in response to pertactin. And if you
23 now look at the pre-immunization levels here, you see
24 that the pre-immunization levels were higher in U.S.
25 children than in the Italian population as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now that is all good and well. These are
2 maybe factors that may have contributed to the lower
3 immune response. Do they matter? Do they have an
4 influence on the efficacy of the vaccine? I would
5 like to tackle that now and show you evidence that the
6 pertactin response in U.S. children supports the
7 efficacy of the vaccine.

8 For that I would like to compare the
9 pertactin response in the U.S. and the Swedish
10 population and share data with that, and also look now
11 at the redundancy and synergy of the protective
12 antigens. As I mentioned earlier, one of the
13 characteristics of this vaccine is that it has
14 multiple protective antigens in its composition.

15 I showed you these graphs before. These
16 are reverse cumulative frequency distributions
17 comparing the Swedish efficacy trial in dotted black
18 with the green and red for the U.S. Bridging Trial.
19 And again common to them the pertactin response here.
20 We were actually very fortunate to have two efficacy
21 trials as I indicated earlier. One of them in Sweden
22 Trial II that used the HCPDT vaccine that showed high
23 efficacy of the vaccine had an efficacy estimate
24 between doses 2 and 3 that allowed us then to look at
25 that. Now when we take the data after dose 2 -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immune response data after dose 2 -- from that trial
2 and now construct similar reverse cumulative frequency
3 distribution for that population, this is what we get.
4 In blue here is the reverse cumulative frequency
5 distribution of the Swedish population after two
6 doses. One will notice here that the responses for
7 the pertactin in the U.S. Bridging is bracketed
8 between two efficacy trials showing high efficacy of
9 our vaccine, indicating then that the U.S. Bridging
10 immune response here would afford protection to
11 children because it is bracketed between those two
12 efficacy trials with high efficacy of the vaccine.

13 This is the geometric mean titers that I
14 just showed you on the reverse cumulative frequency
15 distribution showing the responses to PT, FHA,
16 fimbriae and pertactin. And Sweden II the same thing.
17 And again, to indicate that the results of PT,
18 fimbriae and FHA were comparable to Sweden I, and
19 there is also pertactin well bracketed between Sweden
20 I and Sweden II.

21 Now to focus the attention on this
22 pertactin response here. This is Sweden I showing 85
23 percent efficacy of the vaccine. Sweden II showing 82
24 percent efficacy of the vaccine. And these are the
25 results of the pertactin here. And this is the U.S.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Bridging Trial bracketed in-between.

2 So these are the conclusions from those
3 studies. The immune response to PT, FHA and fimbriae
4 are comparable in Sweden I and the U.S. Bridging
5 Trial. The immune response to pertactin in the U.S.
6 Bridging Trial Study falls qualitatively and
7 quantitatively between Sweden I and Sweden II after
8 two doses at the 3, 5 and 12 schedule.

9 This observation was actually seen also
10 for another licensed vaccine in the U.S. This is from
11 the Infanrix Italian study at a 2, 4 and 6 schedule
12 showing this response post-vaccination. This is the
13 same vaccine studied in Germany showing this response
14 with a different schedule, a 2, 3 and 4 schedule. And
15 this is the MAPT study with the U.S. population
16 showing an intermediate result.

17 Now I would like to turn over to look at
18 the redundancy and protective antigens providing
19 synergistic effect of the vaccine. And for that I
20 would like to draw data from the Sweden I household
21 contact study. Now we heard about this several times
22 today. And for construction of this table, what the
23 investigators have done is that they stratified the
24 pre-exposure antibody levels of the population in the
25 household contact and from that constructed data to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 show -- from regression analysis data to show that a
2 level of 5 ELISA units for each of PT, fimbriae and
3 pertactin reduces the risk of pertussis. In addition,
4 they also noticed that levels above 5 does not enhance
5 protection any further. They also noticed that for
6 the pertactin or fimbriae, a level above 5 provides
7 good protection against pertussis. When the level of
8 pertactin and fimbriae are both above 5 ELISA units,
9 we have even higher protection here. When these two
10 antigens are not above 5 but the pertussis itself is
11 above 5, we have intermediate protection.

12 Similar observations were shown also for
13 mild disease. Now the table I showed you before is
14 for WHO definition or typical disease. This is for
15 mild disease. And here you see similar observations,
16 but it shows also more importance here for pertactin
17 and fimbriae in the mild disease as compared here for
18 the vaccine efficacy of that.

19 Now I would like to mention one comment
20 here. This is not vaccine-specific. This is the total
21 population in that household contact.

22 The importance of pertactin and fimbriae
23 was also noticed in Sweden II. Here we are showing the
24 results of Sweden II after two immunizations and
25 showing the high efficacy of the whole cell vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and the HCPDT five-component vaccine here, relative
2 efficacy. And you will notice here the responses to
3 fimbriae and pertactin in both of those vaccines.
4 Even with the lower response to PT, we have this high
5 efficacy compared to the three-component where you
6 have high pertussis toxoid response here and higher
7 pertactin but no fimbriae and the relative efficacy
8 was lower.

9 I'd like to draw your attention here to
10 the U.S. Bridging, and you see that the results here,
11 as stated earlier, were higher than the Sweden II
12 HCPDT after two doses.

13 Now this now shows maybe that we have the
14 efficacy after three doses. But is this efficacy --
15 will this efficacy be maintained up to the booster
16 immunization? For that we draw data from long-term
17 protection as well as antibody decay. This is a slide
18 that you have seen earlier from Dr. Decker showing the
19 persistence of the protection for the CPDT vaccine.
20 We actually extended this per the technical report of
21 the principle investigators up to the end of the
22 follow-up period. Here it shows that throughout this
23 follow-up period, the CPDT maintained high efficacy
24 against pertussis.

25 This now also allowed us -- or allowed the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 investigators to construct antibody decay rates by
2 taking sera samples at various periods of time during
3 this follow-up period. So after one month following
4 the 2, 4 and 6 immunization, this was the level of
5 antibody response to each of the antigens. At 13
6 months, we show a lower level of responses to the
7 antigens. And towards the end of the follow-up period,
8 we have here quite a dramatic decline of the antibody
9 response. However, even with this decline, as I
10 mentioned earlier, the efficacy was maintained up to
11 that point.

12 This allowed the investigators now to look
13 at antibody decay rates and we calculated from that
14 antibody decay rates here for each of the antigens.
15 Now in order to validate this antibody decay rate,
16 what we have done for the U.S. Bridging Trial is made
17 an estimate from the antibody following the 2, 4 and
18 6 schedule and estimated now what the levels would be
19 up to the booster immunization in the U.S. schedule.
20 This is then the estimated pre-booster levels. We
21 then looked at the actual assay, the actual test data
22 from the sera pre-booster, and these were the levels.
23 We can see that reasonable similarity between the two
24 levels were achieved, telling us that these decay
25 rates may be used to estimate levels of antibody at a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certain period of time.

2 We used those decay rates to estimate in
3 Sweden II what the levels would be prior to the third
4 dose at 12 months of age. Now I would like to remind
5 you in Sweden II, they did not take antibody pre the
6 third dose. So we estimated that here and these would
7 be the antibodies estimated from the antibody decay
8 rates. And this slide also is showing for Sweden I
9 household contact pre-exposure antibody levels, and
10 you can see here these are them for PT, FHA, fimbriae
11 and pertactin. We also show here Sweden I at 23 months
12 after the third dose. These are the antibody levels
13 that maintained efficacy throughout the follow-up
14 period.

15 I now draw your attention to this right-
16 hand column for the U.S. Bridging. These are the assay
17 levels pre-booster. You can see that for each of the
18 antigens in the U.S. Bridging, the levels of antibody
19 were similar to three efficacy trials showing high
20 efficacy of the vaccine up to the booster immunization
21 in the U.S. Bridging Trial.

22 And then finally I would like to show you
23 immune response following the fourth dose booster. For
24 this we will draw data from four trials, phase II,
25 IIB, IIC in Canada, and the NIAID Cycle I. I will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 actually only show you data from the phase II trial,
2 because it had the larger number of kids. But you
3 will actually have in your handouts the data from the
4 other three trials.

5 In here, this is just the one table I
6 would like to show. These are the pre-booster levels
7 for each of the antigens and these are the post-
8 booster levels. And for each of the antigens, you can
9 see a significant boosting effect showing that these
10 kids were primed for all of these antigens and
11 responded with significant responses. Here these are
12 the fold increases. All of these responses were
13 higher than in Sweden I after three doses.

14 To summarize then this part of the
15 discussion, we show the immune responses that support
16 efficacy following three primary doses. The U.S.
17 Bridging Study results fall between Sweden I and
18 Sweden II after two doses. The presence of antibodies
19 to PT, fimbriae or pertactin alone or in combination
20 reduces the risk of typical and mild disease. Either
21 fimbriae or pertactin provides sufficient protection
22 against typical disease. This is data from the
23 household contact. And both fimbriae and pertactin
24 provide synergy against mild disease. The CPDT vaccine
25 provides sufficient immune response to all of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antigens, including pertactin, to afford protection in
2 U.S. children.

3 We also showed data to show that the
4 antibody levels prior to the fourth dose booster
5 provides sufficient protection against pertussis,
6 therefore comparable efficacy to the trials in Sweden
7 would be expected. Significant booster responses are
8 seen across studies indicating priming and long-term
9 protection.

10 And finally in this part of the
11 protection, I would like to show concomitant
12 immunization data. This is an overall experience for
13 the concomitant immunization with the CPDT vaccine for
14 the infant series. This here is safety data with IPV
15 and Hib, Hib/IPV and hepatitis B. And this is the data
16 base we drew the safety from. We also have data with
17 the HCPDT formulation at 2, 4 and 6 months of age as
18 well as the 3, 5 and 12 schedule. And again with IPV
19 and Hib, or Hib/IPV combined, and again showing a
20 large safety data base.

21 For the fourth dose booster, we have data
22 with CPDT or HCPDT with the IPV or Hib, again showing
23 a large data base with a concomitant immunization at
24 the fourth dose booster.

25 For the immune response, here we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 showing concomitant vaccination in the U.S. Bridging
2 Trial with Hib, polio and hepatitis B. And here we
3 are showing with at least one vaccine concomitant or
4 all vaccines concomitant. And without going through
5 all these results, we can see that these are the
6 expected results for a good vaccine.

7 For the fourth dose booster, we have data
8 here from the CPDT with PRP-T as well as HCPDT with
9 PRP-T. These are concomitant injection. We also did
10 separate injections 30 days apart. And you can see
11 that we have very good responses following the fourth
12 dose booster with good seroconversion rates.

13 For the polio type I, II and III at the
14 fourth dose booster with the HCPDT vaccine for IPV and
15 OPV, again you see geometric mean titers that are
16 respectable with good conversion rates --
17 seroconversion rates.

18 This is the summary of concomitant
19 immunization. And maybe I will just skip over to the
20 overall conclusion that will include conclusions for
21 the concomitant immunizations in any case.

22 For the conclusions then, we have shown
23 you the composition of this vaccine with multiple
24 protective antigens -- PT, FHA, pertactin and fimbriae
25 2 and 3. This is the slide that Dr. Decker showed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 earlier for the efficacy of this vaccine showing high
2 efficacy of the vaccine maintained for mild disease as
3 well as moderately high disease. This shows us that
4 this vaccine is protective for even mild disease,
5 which is important from a public health point of view.

6 The FDA has asked three questions related
7 to efficacy, safety and concomitant immunizations, and
8 I would like to conclude with remarks related to each
9 one of those.

10 So for the efficacy, we have convincing
11 efficacy data from the Swedish Trial, both Sweden I
12 and II. We draw data from immunogenicity for the U.S.
13 and Swedish Trials, and we showed antibody decay data
14 all supporting efficacy in U.S. children after 2, 4
15 and 6 months primary series and until the booster
16 dose.

17 We have shown you data from multiple
18 studies in Swedish and U.S. children showing the rates
19 of common side reactions as well as serious and
20 adverse events that are markedly lower for the CPDT
21 vaccine than for the whole cell vaccines. In
22 addition, we also showed you comparable data with
23 acellular pertussis vaccines licensed in the U.S. for
24 both the primary series as well as the booster dose.

25 And finally, for concomitant immunization

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Mahery, et cetera, to assure inclusion of the inner
2 city population?

3 DR. DECKER: The -- that is a great
4 question. The Mahery and Vanderbilt have had a fairly
5 strong tradition of collaboration, which has
6 strengthened over the last decade. That infrastructure
7 was not in place at the time this trial was designed.
8 And so unfortunately we didn't have the opportunity to
9 work with our Mahery colleagues on this study. On the
10 other hand, because the study was multi-center and
11 nationwide, there was good representation of minority
12 groups. For example, as you probably know from your
13 reference to Mahery, we have a substantial proportion
14 of blacks in the national enrollment and a large
15 proportion of Hispanics from Baylor and so on. And in
16 the overall trial publication, there were about a
17 dozen papers published as a supplement to Pediatrics
18 that I think you have. And if I remember correctly,
19 one of those papers actually focused on the question
20 of racial differences, if any, among the responses and
21 the data there were reassuring.

22 DR. FAGGETT: A follow-up. The question of
23 under-reporting, we as inner city physicians -- and
24 patient resistive. So you are seeing improvement in
25 that now? Is that what you are saying?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DECKER: I am sorry, I didn't
2 understand your question.

3 DR. FAGGETT: The question of under-
4 reporting was addressed as well?

5 DR. GRIFFIN: Under-reporting for what?
6 Adverse events?

7 DR. FAGGETT: Correct.

8 DR. DECKER: Well, these -- you know these
9 were solicited adverse events with close follow-up. So
10 I don't think that -- even if differential under-
11 reporting is a public health problem, I don't think it
12 is a problem in the context of a specific study like
13 this.

14 DR. GRIFFIN: Okay. Ms. Fisher?

15 MS. FISHER: In the Swedish trials
16 comparing whole cell and acellular pertussis vaccines,
17 including this product, there were certain excluding
18 health conditions which prevented certain children
19 from being included in the study. I understand from
20 the information we were given that children were not
21 included in the study if they had serious chronic
22 illness, including failure to thrive, progressive
23 neurological disease and uncontrolled epilepsy.
24 Children were withdrawn from the study if a previous
25 dose was followed by a seizure with or without fever,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 persistent crying, cyanosis, fever of 40 degree
2 Centigrade, hypotonic hyper-responsive episode and
3 allergic reactions. If those children were excluded
4 from this study, am I to understand that this product
5 has not been tested for safety when given to those
6 categories of children which in fact are the very
7 children in the United States who routinely get
8 vaccinated, including premature babies who are failing
9 to thrive and sick children and children who after
10 vaccinations suffer persistent crying, convulsions
11 with or without fever, fevers of over 40 degrees
12 Centigrade, hypotonic hyper-responsive episodes and
13 other kinds of reactions?

14 DR. DECKER: Well, as you know, the larger
15 question that you are asking has been a subject of
16 considerable debate for a while. And that is who
17 should be included in trials of pharmaceutical
18 products. For example, it has long been routine to
19 exclude women from trials and people question whether
20 that is appropriate. The exclusion is based in a
21 laudable motive to avoid any chance of injuring the
22 fetus, but then it denies you the data you need to
23 evaluate that. These trials were designed in
24 accordance with what were the accepted standards at
25 the time, and the accepted standard was to include

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 only children that appeared to be normal and healthy.
2 If there was any question, they were excluded. You
3 know, it is a very worthwhile question whether studies
4 should incorporate those, but it is a difficult
5 question and it is not one that we can answer in the
6 context of this study.

7 MS. FISHER: The reason I am asking the
8 question is that when this vaccine is licensed, I
9 think it is very important for it to be clearly
10 understood that the categories of children who were
11 excluded from the study have not been -- that this
12 product has not been judged to be safe to be given to
13 the children who were not included in the study. And
14 that, of course, is a policy decision, but I think
15 often it is not recognized at the time of licensure.

16 DR. DECKER: Well, as I said, this is an
17 important question. But I think we have to recognize
18 it as a broader question than this one vaccine. Your
19 comment is equally applicable to every other acellular
20 pertussis vaccine in the United States, and we are
21 going to have to look to larger answers to these
22 larger questions. With respect to this vaccine, we are
23 all going to rely on the recommending bodies as we do
24 with the other vaccines.

25 DR. GEBER: Could I just make a comment?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Ms. Fisher is quite correct that many of those
2 conditions --

3 DR. DECKER: I am sorry, I can't hear you.

4 DR. GEBER: Ms. Fisher is quite correct
5 that many of those conditions led to exclusion. But
6 just for the record, prematurity was not an exclusion
7 for either of the Swedish efficacy trials.

8 MS. FISHER: Yes, I was specifically
9 talking about prematurity failure to thrive.

10 DR. GRIFFIN: Okay. Dr. Stephens?

11 DR. STEPHENS: We are being asked to
12 comment today on the classic formulation of this
13 vaccine. A lot of the data, especially in the Sweden
14 II trial, has to do with the hybrid formulation. Can
15 you kind of comment on your feelings about those
16 combined data sets and reassure us, if you will, that
17 they are comparable vaccines?

18 DR. DECKER: The -- as I mentioned, the
19 two formulations were designed -- the reason there
20 were two formulations was that after the classic
21 formulation was designed, it was intended to include
22 the minimal level of each antigen necessary to produce
23 a protective antibody response. And then some data
24 became available from other studies of other products
25 early in the decade suggesting interference between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 acellular pertussis vaccines and other vaccines. So in
2 order to be prepared to move forward with a
3 combination vaccine that would escape that problem,
4 the hybrid formulation was developed with augmented
5 concentrations of some of the key antigens. Now as
6 you have seen from the antibody data we have shown,
7 the subsequent experience has shown that there is less
8 difference in the antibody response to the two than
9 you might expect. In fact, they are quite comparable.
10 So we think that given the small difference in the
11 vaccines compositions, the antibody data showing
12 comparability -- the very uniform efficacy data --
13 that these data can logically -- the HCPDT data can
14 logically be used in support of the CPDT application.
15 Clearly with respect to safety, the data ought to be
16 fully useful because it is not reasonable to think
17 that a vaccine with somewhat more antigen in it is
18 going to lead to misleadingly lower reactions. With
19 respect to efficacy, I think we have to look at the
20 antibody responses, which are really very similar.
21 Raafat, do you want to do --

22 DR. FAHIM: You captured everything I
23 would have said. In essence --

24 DR. GRIFFIN: Use the microphone, please.

25 DR. FAHIM: Sorry. The responses were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 similar, as I have shown in the slides showing the
2 responses being similar. The only antigen that was
3 different was the FHA. And we have seen from those
4 efficacy trials that the FHA antigens -- particularly
5 for this formulation in these settings -- was not a
6 significant contributor to protection.

7 DR. DECKER: It was something that wasn't
8 known at the time the design decisions were made, but
9 it has been shown both by the household contact study
10 and by the German researchers, Jim Cherry's group,
11 analyzing the follow-up data from their study. It is
12 that FHA surprisingly appears to be of low importance
13 in the context of vaccines that contain the other
14 components.

15 DR. STEPHENS: Just a quick follow-up
16 question. The amount of pertactin in this vaccine as
17 compared to other vaccines, how was that choice made?
18 Pertactin?

19 DR. FAHIM: The choice of pertactin was
20 actually made based on animal immunogenicity studies
21 at the beginning during the development of the
22 vaccine. As you can imagine, during the development
23 one would estimate the amount that you want to put in
24 each vaccine based on the animal immunogenicity
25 studies. And we put that based on those studies.

1 DR. GRIFFIN: We have Diaz, Katz and Kohl
2 and then a whole bunch of people on this side.

3 DR. DIAZ: If in fact the two formulations
4 are similar, you brought up some interesting
5 discrepancies in the HHE adverse events that were seen
6 with the Sweden II trial compared to the Sweden I.
7 And explanations that were put forth were along the
8 lines of over-reporting. And there was some supporting
9 evidence in comparison with the Italian trial that you
10 presented that showed less HHE in the Italian trial
11 and yet more when that vaccine was used in Sweden II.
12 I guess I question the opposite and perhaps wondering
13 if in Sweden I there was under-reporting per se by
14 virtue of not having a definition to work with to
15 document HHE. And if you could compare with me or
16 provide information about the Italian trial and some
17 of the follow-up Canadian and U.S. studies if in fact
18 a similar definition as the Sweden II trial was used
19 in those studies or if they also perhaps could have
20 been a factor of under-reporting in those studies.

21 DR. DECKER: Well, let me tackle one
22 aspect of it, because that is a very interesting
23 question and perhaps Dr. Fahim can tackle the other
24 part of it. Under-reporting or over-reporting. Yes,
25 both are probably true. It all depends upon your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 perspective. Particularly when you are dealing with
2 a relatively subjective phenomenon like HHE. The
3 characteristics of the definition used, and in
4 particular the way you instruct the researchers is
5 going to have a profound effect on how much you
6 collect. So from the point of view of the Sweden II
7 Trial, every other study ever done has under-reported
8 HHE. From the point of view of all the other studies,
9 Sweden II over-reported. Which is the true view? You
10 could argue endlessly about that. But the potency of
11 even a minor change was in fact shown to you on those
12 fever curves, where between the phase II and the phase
13 IIC studies, the change of two-tenths of a degree in
14 the lower limit of fever doubled or tripled the number
15 of kids that were included. And that is for an
16 objective measure like fever. So it is quite clear
17 that for a more subjective measure like HHE,
18 differences in the definition can have a profound
19 effect.

20 Now as far as evaluating the safety of
21 this vaccine we bring you today, I think the key
22 question not is which is the right definition, but how
23 does this vaccine -- what is the safety of this
24 vaccine in the context of our experience with other
25 vaccines. So the important point I was trying to make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with the Sweden II trial is not necessarily that they
2 were right or they were wrong in their definition, but
3 simply they were different. And we saw that all four
4 vaccines evaluated in Sweden II had a uniformly
5 elevated rate of HHE compared to the same vaccines
6 performance in other trials. Because the body of
7 experience of the people in this room is built largely
8 on the more numerous other trials that use the
9 definition that produced lower rates of HHE. Our
10 concern was that people would look at the rates from
11 Sweden II for our vaccine and say, why, it has got
12 more HHE. And that is not the case. It is not that
13 this vaccine has more HHE. It is that that study
14 definition detected more HHE for all the vaccines in
15 that study. So I want to separate those issues very
16 clearly, because it is a worthwhile question whether
17 perhaps all studies should use the Sweden II
18 definition. But that is a question distinct from the
19 question of whether this vaccine is safe for American
20 children.

21 DR. DIAZ: And just a quick follow-up. I
22 may have missed it. In your comparison of your
23 antibody responses between Sweden I and Sweden II,
24 which assays were used? Were they the latter assays,
25 the validated assays or the prior?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FAHIM: These two assays -- or
2 actually the results that you have seen here are
3 actually done in Sweden. Both of them were done in
4 Sweden. So these are the Swedish assays in the same
5 lab.

6 DR. GRIFFIN: Okay. Dr. Katz?

7 DR. KATZ: I was very interested in your
8 data on the concomitant or the separate injections.
9 In both of your studies, it seemed that there was an
10 advantage to the anti-PRP titers. The geometric means
11 were twice as high or greater if you gave them
12 concomitantly rather than separately 30 days apart.
13 How do you interpret that?

14 DR. FAHIM: Maybe it helps. We looked at
15 it and I didn't want to comment on it because it is
16 very difficult to interpret. But these are
17 observations that we made.

18 DR. KATZ: I think they are important.

19 DR. FAHIM: I think so too.

20 DR. GRIFFIN: Okay, Kohl and then Hewlett
21 and then Huang.

22 DR. KOHL: There is a recent interesting
23 paper by Dr. Reynolds, who I believe is in the
24 audience, looking at whole limb swelling after the
25 acellular vaccine. And in that paper, she was able to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 associate the degree of whole limb swelling with
2 increasing levels of diphtheria toxoid primarily. This
3 current vaccine that you are putting forth has
4 considerably more diphtheria toxoid than Tripedia,
5 which is your presently licensed vaccine. And I was
6 wondering if you specifically looked at whole cell
7 swelling in the populations that you looked for side
8 effects?

9 DR. DECKER: Dr. Fahim may have to augment
10 or correct part of my answer. But my memory is that
11 the observation of this whole limb swelling is more
12 contemporaneous than these studies and therefore that
13 wasn't a specific -- since those observations have
14 been made, every study looks for that. Prior to their
15 first being made, nobody thought to look for that. So
16 I don't think we have specific data on that question
17 from our earlier studies. But in Dr. Reynolds paper,
18 she looks at three adverse reactions -- swelling
19 greater than 50 mm, whole limb swelling, and if Peggy
20 is here she can help me. There is a third one she
21 looked at. What was the third one?

22 DR. GRIFFIN: Identify yourself, please.

23 DR. REYNOLDS: (Off microphone) Margaret
24 Reynolds, University (inaudible). I looked at the
25 entire upper -- I looked at entire thigh swelling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 post-dose four, entire upper arm swelling post-dose
2 four, and also the percentage of children with greater
3 than 5 cm of swelling.

4 DR. DECKER: Thanks, Peggy. And a number
5 of vaccines exhibited at least some children with
6 that. The vaccine we bring you today was among those.
7 But the incidence rate of this phenomenon for this
8 vaccine was lower than for other -- some of the other
9 U.S. licensed vaccines. It fell -- as with the other
10 adverse reactions we showed you, it fell more or less
11 in the middle of the pack.

12 DR. GRIFFIN: Okay. Dr. Hewlett?

13 DR. HEWLETT: I would like to follow up on
14 Dr. Stephens' question about the relationship between
15 the two vaccines without probing into company long-
16 term strategic planning. I am trying to understand the
17 decision process in this vaccine. I think it was
18 inferred at least somewhere in the written material
19 that the hybrid vaccine was for the purpose of
20 combined -- incorporation into combined vaccines, and
21 the classical one not. Are there countries in which
22 the hybrid vaccine alone is licensed and being used at
23 the present time and/or the classical vaccine?

24 DR. FAHIM: We have licensed, as Ms.
25 Minchella showed you, in 23 countries the CPDT vaccine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for which we are seeking license. There is only one
2 country in the world where the HCPDT is used and not
3 in combination. That is I think Taiwan or Hong Kong.
4 And that is because the licensing process is like
5 that. But we don't -- we are not licensing the HCPDT
6 alone for any of those countries, only in
7 combinations.

8 DR. DECKER: And let me point out that we
9 don't particularly support using it alone because
10 there is no benefit. You've got essentially equal
11 efficacy from the classic, which includes less
12 antigen, and one of the design philosophies here was
13 to use the minimum amount of antigen consistent with
14 efficacy. That was how it was designed.

15 DR. GRIFFIN: Dr. Huang?

16 DR. HUANG: Dr. Fahim, you have us a great
17 deal of data in a short time, and you may have given
18 the answer to the question I am going to ask. But
19 because we are focused on the use of surrogate immune
20 markers for efficacy in the United States, I would
21 like to just probe this whole area a little deeper.
22 You gave an interesting possible explanation for why
23 there is a difference in the immune response in U.S.
24 children versus Swedish children in relation to
25 pertactin by saying that the pre-immune levels of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these children were higher than for the Swedish
2 children. Did you also measure the pre-immune levels
3 for the other antigens and did they also -- were they
4 also higher? And also after multiple doses, did they
5 decline? I mean, they weren't lower later as we know.

6 DR. FAHIM: That is a very good question.
7 Actually, we did obviously look at the pre-
8 immunization level, and I showed one slide with that.
9 And if my memory serves correctly, there is only the
10 pertactin was the one with the highest pre-
11 immunization level in the U.S. versus the Swedish. It
12 was the only antigen that had higher levels in the
13 U.S. versus Sweden. Does that answer your question?

14 DR. HUANG: Yes, it does.

15 DR. FAHIM: Thank you.

16 DR. HUANG: Of course that leads to the
17 other question of why.

18 DR. FAHIM: Dr. Decker is adding that it
19 may be cross-reactive antigens with other things.

20 DR. FAGGETT: One more question. The fact
21 that the Swedish kids -- well, they didn't have
22 pertussis -- 1979 was when they stopped it, right? So
23 was that period from 1979 until the present study, was
24 there an effect there as well? Did that have an effect
25 on the study, the fact that there was no pertussis in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Sweden during that time?

2 DR. FAHIM: It could very well be that the
3 Swedish population was not immunized and therefore the
4 disease may be shifting to lower infants than in
5 adults. I don't think it is a unique observation for
6 countries that don't immunize against pertussis. Dr.
7 Decker, do you want to add?

8 DR. GRIFFIN: Dr. Goldberg?

9 DR. GOLDBERG: A comment on the Swedish II
10 Trial.

11 DR. GRIFFIN: Speak into your microphone,
12 please.

13 DR. GOLDBERG: Sorry. On the Swedish II
14 Trial, you switched control groups because the -- that
15 is the -- the DTaP3 was doing more poorly. I am
16 sorry, DTaP2 had a much poorer efficacy than your
17 purported control. It could just be that it was doing
18 harm in that study. So I think that basically -- that
19 analysis really adds nothing and in fact detracts from
20 the main focus of your presentation and your message.
21 I mean, what it does is improve the look of the HCPDT
22 and the DTaP3, but it really is an irrelevant analysis
23 and could be potentially misleading in this context.

24 DR. DECKER: I am sorry, I disagree. I
25 think it is neither irrelevant nor misleading, but I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think I must have failed to explain it well. Let me
2 take one more quick shot at it. First of all, we
3 didn't switch control groups. The --

4 DR. GOLDBERG: It is a secondary analysis.
5 I recognize that.

6 DR. DECKER: Once it was -- there was a
7 conflict right from the beginning because there was
8 simultaneously the desire to have a placebo or an
9 inactive control group to be able to calculate
10 absolute efficacy and a desire to offer all the
11 children pertussis vaccine. The decision to offer all
12 the children pertussis vaccine won out. And,
13 therefore, there was no inactive arm. But once it was
14 recognized half or two-thirds of the way through the
15 trial that the two-component vaccine was of low
16 efficacy, it was recognized that an analysis could be
17 made using a comparison to that vaccine's performance
18 as a pseudo-placebo. Now in a comparison to a true
19 placebo, you have a large number of cases in the
20 placebo group because they didn't receive an active
21 agent. And you are comparing that large number against
22 a small number in your vaccine group under
23 investigation. Here, the number of cases in this
24 pseudo-placebo arm is not as large as it would have
25 been had it been a true placebo. It is a reduced

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 number of cases. So a comparison to that is actually
2 a harsher comparison than against a true placebo.

3 DR. GOLDBERG: I guess we can agree to
4 disagree on this. However, from the perspective of
5 your planning -- and this follows on the question that
6 Dr. Fleming asked you earlier about the -- how you
7 designed the trial and whether it was really for
8 equivalence or for detecting an improvement. And it
9 seems to me that what this does is essentially make
10 everything look fine relative to something that is
11 worse than what you thought was a reasonable control.
12 This is a problem that plagues all active control
13 trials. However, very often what can happen is that
14 what you think is an active drug could be doing harm
15 in a specific context. So I am just pointing this out.

16 DR. DECKER: I think your comment is well
17 applicable to a pharmaceutical trial. But here for a
18 vaccine, I think it is unlikely that given the two-
19 component vaccine actually induced pertussis. So I
20 don't think it caused harm.

21 DR. GRIFFIN: Dr. Fleming?

22 DR. FLEMING: I would like to follow up on
23 some related issues focusing on the interpretation of
24 the Sweden II Trial, which the way you presented your
25 arguments is really pretty critical. Because you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in essence recognizing that the U.S. Bridging studies
2 are falling in-between Sweden I and Sweden II, and
3 hence one has to argue that there is convincing
4 evidence of efficacy in Sweden I to close this
5 argument. Let me lead into my comments, though, by
6 saying there are two fundamental questions on efficacy
7 that I hope this panel sometime over the next six
8 hours is going to address. Because for me to answer
9 the efficacy question, I have to know the answer to
10 this. The first is what is an adequate level of
11 efficacy for CPDT? The only answer that I have gotten
12 so far on that is from the planners of Sweden II who
13 said it is unacceptable for the relative rates to be
14 50 percent higher in the CPDT vaccine compared to the
15 whole cell vaccine.

16 The second fundamental question is once we
17 have that nailed down, what is the antibody response
18 that is needed to ensure that we can reliably conclude
19 that we have achieved that level of efficacy? Let me
20 leave these two questions for what I hope will be
21 extensive discussion, because it seems to me we have
22 to understand the answers to these in order to be able
23 to answer the FDA's first question.

24 Let me comment specifically though on this
25 fundamental argument of what we do know from Sweden I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and Sweden II and the consistency the sponsor has
2 presented that these results in Sweden I and Sweden II
3 are very consistent. That is a bit perplexing to me
4 because as I look at Sweden I and Sweden II, the one
5 thing that is in both studies is the whole cell
6 against the vaccine of interest, and I realize here I
7 am allowing you to do what you are suggesting, which
8 is to consider these two vaccines, your two products,
9 essentially as comparable. So I will go with that
10 assumption at this point. In the Sweden I Trial, the
11 relative efficacy of the CPDT against whole cell is 71
12 percent. The relative efficacy in Sweden II, i.e., the
13 relative risk is .85, is 15 percent. So there seems
14 to be an interesting discrepancy there that I would
15 like to understand if I am going to try to conclude
16 that these two are consistent.

17 The second issue that bothers me about
18 Sweden I versus Sweden II is Sweden I is active
19 surveillance. And as was recognized in the discussion
20 of the Sweden II article, it was looking at around an
21 8 percent per year incidence. Even if you adjust for,
22 as the Sweden II article indicated, a reduction in the
23 rate of pertussis over those two years in Sweden, it
24 doesn't begin to account for the striking reduction in
25 the actual passive surveillance identification of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cases, which is anywhere from 10 to 100-fold less
2 frequently detected in Sweden II compared to Sweden I.
3 So one is left with some very interesting
4 observations. In Sweden I, 95 percent of the cases
5 that were detected were detected after the third dose.
6 In Sweden II, 25 percent of the cases that were
7 detected were detected after the third dose. Dr.
8 Decker drew my attention to the point estimate when I
9 pointed out that Sweden II did not meet its primary
10 hypothesis, as Dr. Goldberg is pointing out, which is
11 a comparison against whole cell ruling out a 50
12 percent increase. Because that relative risk, I think,
13 was 1.79. But Dr. Decker said, but look at the point
14 estimate. It is .85. Well, that is true. There were
15 two fewer cases, 13 versus 15. But that is only
16 looking at the cases that occurred after the third
17 dose. It is reassuring that the point estimate is
18 favorable, but an under-powered trial with a positive
19 point estimate is inconclusive to the question that
20 the study was designed to address. What bothers me
21 even more is if we look at all the cases after the
22 first dose, there is actually an excess of cases. So
23 if you draw me to the point estimate, I will look at
24 the point estimate. The point estimate is 1.25 after
25 the first dose. It is not less than one, it is greater

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than one. And I realize the argument that is often
2 given. We are going to look after the third dose
3 because that is where the greatest sensitivity is. But
4 it doesn't mean that cases that occur after the first
5 dose aren't just as real to the children that have
6 those cases. When you look at the randomization, there
7 are more cases that are occurring after the first dose
8 on the acellular pertussis vaccine than on the whole
9 cell vaccine.

10 I am also greatly troubled here by this
11 under-reporting. Because if 75 percent of the cases
12 are occurring after the first dose, clearly that means
13 that where you are putting so much of your emphasis is
14 over a period of time where there is dramatic under-
15 reporting, only 28 total cases. But interestingly, you
16 have drawn our attention to the data after the second
17 dose. You have drawn our attention to that because
18 you were trying to get at the serological comparisons.
19 But if you in fact look at what happens after the
20 second dose before the third dose, there is an excess
21 of cases on the acellular pertussis vaccine over the
22 whole cell. I come back to the question to the
23 committee. What is it we are trying to determine here?
24 That there is any efficacy, or are we trying to rule
25 out that there is more than a 50 percent increase in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701