

1 clarification here. Because we are deciding between
2 three doses and four doses.

3 DR. GRIFFIN: No. Well, I think -- oh, you
4 mean for the --

5 DR. HUANG: For safety. Question number
6 2.

7 DR. GRIFFIN: Right.

8 DR. HUANG: Please specifically address
9 both the infant series and the fourth dose data.

10 DR. GRIFFIN: Right.

11 DR. HUANG: And I realize that what we are
12 seeing, much of the result -- most of the patients
13 only received three doses and that a small subset of
14 them got a booster or fourth dose. And we are judging
15 the fourth dose based on that?

16 DR. GRIFFIN: Right. Dr. Geber, I don't
17 know if you want to elaborate at all on exactly the
18 quality of the data.

19 DR. GEBER: Yes, I think that is correct.
20 It is a considerably smaller sample size for the
21 fourth dose than for the infant series. In fairness,
22 other acellular pertussis applications that have come
23 before this committee -- and I don't have the exact
24 numbers in front of me -- but the fourth dose data
25 have generally been somewhat smaller than the infant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 series. I can't provide you exact numbers to compare
2 as to how much smaller this might be or whatever. But
3 it is --

4 DR. KOHL: Remind us what numbers we are
5 talking about for the fourth dose.

6 DR. GEBER: Okay. For the fourth dose, it
7 is 637 infants. 526 of those received four consecutive
8 doses of CPDT. The other 111 had received whole cell
9 in the infant series.

10 DR. HUANG: And the FDA has no problems
11 with that in general?

12 DR. GEBER: I think that we invite your
13 comment.

14 DR. GRIFFIN: Okay. Yes, Dr. Livengood?

15 DR. LIVENGOOD: I personally think that is
16 a very small number of people. We recently have become
17 more aware of this whole limb swelling, and we can't
18 really judge what the possibility of that is. I mean,
19 we are aware that with the increasing number of doses,
20 the adverse events for acellular vaccines go up. So
21 the fourth dose, in fact, is sort of more critical to
22 us than perhaps it would have been when we were
23 licensing the first of these and we didn't notice yet
24 at that point that the trend was going to be as strong
25 for the fourth dose or subsequently for fifth doses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we have noticed. And certainly with just 500, and
2 I assume that that might be one of the things that Dr.
3 Fleming was going to mention, it is hard to make any
4 estimate about what the rate of really pronounced limb
5 swelling might be with just that number of
6 observations, even though it wasn't really noted here.

7 DR. GRIFFIN: Dr. Fleming?

8 DR. FLEMING: Yes. I think we have some
9 important insight, but I think we are also lacking
10 some important insight for the fourth dose. There is,
11 in fact, potential for increased risk that didn't
12 exist with the three doses. The 637 were in a position
13 to have a reasonable sense of what that increased risk
14 is for the more frequent types of events. For the rare
15 types of events, not suggesting that this would have
16 to be pre-marketing, but if this were approved, it
17 certainly would be important to have surveillance, and
18 it would take 10,000 -- surveillance of about 10,000
19 to begin to have confidence that you are picking up
20 the serious types of risks that could be occurring
21 with enhanced frequency with the fourth dose. And the
22 other thing that was noted by the FDA is the lack of
23 information on what the even more frequently occurring
24 safety risks might be in the fourth dose when it is
25 administered before 17 months. So those are the two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 features that I see that aren't yet flushed out. What
2 the frequent risks would be when the fourth dose is
3 delivered before 17 months, and then what the rare but
4 important risks would be that would be intrinsically
5 higher risks due to the administration of the fourth
6 dose, and that hasn't been studied, even though it has
7 been very carefully studied for the first three doses
8 in Sweden I and Sweden II.

9 DR. GRIFFIN: I think Dr. Katz is next.

10 DR. KATZ: I don't know if this is out of
11 order, and again I will only get away with this at one
12 meeting I realize. But as a new member, you have
13 sitting in the audience probably the one person in
14 this country who has the most experience with fourth
15 and fifth doses, and that is Dr. Peggy Reynolds
16 sitting behind Dr. Plotkin. Is it fair to ask her for
17 an opinion on this?

18 DR. GRIFFIN: We can ask her. Dr.
19 Reynolds will be asked to state all of her
20 affiliation.

21 DR. REYNOLDS: I conduct or am soon to
22 conduct vaccine trials sponsored by Merck, Aventis
23 Pasteur, Wythe and SmithKline Beecham. And I chair a
24 safety monitoring board for Aventis Pasteur. I am not
25 sure what the question is. But let me describe the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data we do know very briefly. When we became aware
2 that following the fourth dose -- the fourth
3 consecutive dose of DTaP, I with my colleagues did a
4 retrospective survey of the children enrolled in the
5 Multi-Center Acellular Pertussis Trial. There were
6 about 2,300 children. 150 of those had the same --
7 four doses of the same DTaP. Entire thigh swelling
8 was seen with nine of the twelve different vaccines,
9 and those nine different vaccines contained PT alone,
10 PT/FHA, three-component, four-component. It was
11 clearly a problem with the class of vaccines and not
12 any individual vaccine. The overall rate of swelling
13 reactions was 2 out of this 1,015 or about 2 percent.
14 It really was impossible to adequately compare one
15 vaccine rate to another because the numbers are really
16 small. You vary from per vaccine zero to I believe
17 four cases. And so you get a sense that there may be
18 some difference, but it is retrospective. It is not
19 valid.

20 The other thing one needs to know is that
21 the way we got these data was by examining all the
22 comment section of the parents' diary card. We didn't
23 expect the reaction, just like these people didn't, so
24 we didn't prospectively survey for it. So that is not
25 the best way to get data. It may be an under-

1 estimation.

2 I can tell you that this -- although I
3 don't remember the exact numbers and I regret I didn't
4 review them before I came. But I can tell you this
5 vaccine was not at the top. As I recall, it was
6 somewhere in-between. The other thing to be aware of
7 is that these reactions in general look worse than
8 they are. Because 40 percent of the kids were judged
9 by their parents to have no pain whatsoever. And so
10 the parents were unconcerned. Only three of the 20
11 were judged to be in severe pain defined as not
12 wanting to move the extremity. They all resolved by
13 about four days without any sequelae. Did that sort
14 of answer what you wanted to know?

15 DR. KATZ: Thank you.

16 DR. GRIFFIN: Thank you. Dr. Myers?

17 DR. MYERS: Like Dr. Fleming, I am not
18 sure that this is necessarily an issue that needs to
19 be addressed pre-licensure. But in the original
20 studies, there was a racial difference in both pain
21 and fussiness as well as serologic response. I think
22 that needs to be examined, particularly when we are
23 talking about pain.

24 DR. GRIFFIN: What do you mean by the
25 original study?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MYERS: In the --

2 DR. GRIFFIN: The Sweden --

3 DR. MYERS: In the Sweden I.

4 DR. GRIFFIN: Sweden I. Okay.

5 DR. MYERS: And I don't think we have
6 heard any data that would allow us to address that
7 issue.

8 DR. GRIFFIN: Okay. Other comments?

9 DR. KOHL: Was that Sweden I or the
10 original NIH studies in this country? Is that the
11 pediatric supplement?

12 DR. MYERS: Yes, the pediatric supplement.

13 DR. KOHL: Those are the NIH studies, I
14 believe.

15 DR. GRIFFIN: So it was the NIH studies in
16 the United States?

17 DR. KOHL: Right, in the early 1990's.

18 DR. GRIFFIN: All right. The same ones
19 that Dr. Reynolds was talking about. All right, other
20 discussion? I think what I am going to do, since we
21 have two questions that we are going to vote on, is to
22 go ahead and vote on this one, number 2, and go
23 around. And then we will move to the 1A and B
24 questions on the efficacy and then vote on those after
25 that discussion and then move on to the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questions. Okay. Can we start with you, Dr.
2 Stephens?

3 DR. MEADE: I am sorry, just a point of
4 clarification. As chair -- you are running the
5 meeting, so that was fine. I was just going back to
6 the comment Dr. Huang made. Again, the way the safety
7 may be viewed differently depending upon how the vote
8 comes on the first question. So, again, I wanted to be
9 sure that you were comfortable with the vote on the
10 safety prior to the discussion of the efficacy. I know
11 the efficacy is a little more difficult. But in terms
12 of the context and the way that the potential
13 responses to safety could depend upon how the efficacy
14 question is viewed. I just wanted you to consider that
15 first.

16 DR. GRIFFIN: Okay. Discussion? Do you
17 feel it would be more appropriate to -- I mean, I
18 really viewed them as two separate questions. But I am
19 certainly willing to go back to the original plan.

20 DR. FAGGETT: Diane, I personally like the
21 idea of safety going first. So often it is short-
22 thrift. So as a member of the committee, I would
23 support your recommendation that we discuss safety
24 first.

25 DR. GRIFFIN: Okay. We don't want to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 against protocol.

2 DR. MEADE: That is fine.

3 DR. GRIFFIN: All right, we are going to
4 be allowed to. So we are voting on question number 2,
5 which is the safety, and then also comments from each
6 of the individuals on what -- both on the three
7 dose/four dose issue and on what additional
8 information should be required.

9 DR. STEPHENS: It is always dangerous to
10 go first.

11 DR. GRIFFIN: I know.

12 MS. CHERRY: The question is are the data
13 adequate to support the safety of CPDT for starting.

14 DR. STEPHENS: I think the data are
15 adequate. The safety issues are comparable to the
16 currently licensed acellular pertussis vaccines. So
17 that is the kind of bottom line. Now I think that
18 there are two points that I think are important. One
19 is the issue of HHE's, which we have discussed at
20 length today, and I think we don't fully appreciate
21 what that syndrome is. But I think that the data do
22 suggest that at least for the classic vaccine that the
23 rates of HHE are not higher. But I still have some
24 reservations about that particular issue. And I think
25 that that deserves some additional study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The second has to do -- I am reassured to
2 some degree by the Canadian data that was presented,
3 the post-marketing data on safety. I think that was
4 very helpful. I am sorry that wasn't actually
5 submitted to the FDA prior to this meeting. I think
6 the other concerns I have have to do with the fourth
7 dose issue, which I think is not clarified. The
8 numbers, as has been pointed out, are small. And when
9 the doses were given -- the 17-month issue that was
10 raised is also. So I think with those caveats and with
11 those reservations, I think it is comparable to the
12 currently licensed acellular pertussis vaccines from
13 a safety perspective.

14 DR. GRIFFIN: Okay. Thank you. Dr. Estes?

15 DR. ESTES: Dr. Stephens has really hit
16 most of the points that I have. I think the -- I am a
17 little concerned about the numbers being small. I
18 think in particular for the fourth dose, I think we
19 need more numbers. I think that the data do suggest
20 that this is a safe vaccine. I am also -- I am not
21 totally convinced that the HHE won't be a little bit
22 higher if there were more numbers to look at. And I
23 think ultimately we are going to need more data from
24 other special groups, perhaps from other minority
25 groups.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: Thank you. Dr. Katz.

2 DR. KATZ: I too believe that I would vote
3 affirmatively, yes, on both issues with my caveats
4 being that we continue to enlarge the sample sizes
5 with post-licensure surveillance.

6 From the issue of safety, I would point
7 out an issue that hasn't even been mentioned today
8 that is certainly prominent in the media, and that is
9 thimerosal. This vaccine has 2-phenoxyethanol and does
10 not have thimerosal, which makes it a safer vaccine
11 from some people's perspective than the licensed
12 vaccines previously.

13 DR. GRIFFIN: Dr. Huang?

14 DR. HUANG: I have been noisy enough. So
15 I am not going to repeat what has already been said
16 here. I believe that the -- what has been provided
17 today supports the safety of CPDT. And I go along
18 with what has been said about the third and fourth
19 doses.

20 DR. GRIFFIN: Dr. Kohl?

21 DR. KOHL: I concur with the rest of the
22 committee members so far.

23 DR. GRIFFIN: Thank you. Dr. Manley?

24 DR. MANLEY: I continue to have concerns
25 about the small size of the sample as well as the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 continuing issue that is being raised about the lack
2 of racial differences here, represented even in the
3 small samples. I would concur with the committee on
4 the safety of the -- in the presentation. But I
5 certainly think that we need to give more attention to
6 the numbers and sample size.

7 DR. GRIFFIN: As a post-marketing -- if it
8 gets to that point?

9 DR. MANLEY: Well, I haven't gotten to
10 that point.

11 DR. GRIFFIN: Okay. All right. Dr. Diaz?

12 DR. DIAZ: I likewise concur with my
13 colleagues and what they have stated in terms of the
14 safety overall and also the concerns that have been
15 raised on a third/fourth dose and a lack of
16 representation of minorities, et cetera. Nonetheless,
17 I do think it is comparable to currently licensed. For
18 post-marketing issues, in terms of surveillance, I
19 think that it is extremely important that we, from a
20 national standpoint, begin to define more solidly
21 definitions, for instance, for HHE and focus on some
22 even more population-based post-marketing surveillance
23 than we currently do have in trying to look at these
24 studies from a much larger perspective. Because as has
25 been pointed out, the rare events are much more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difficult to pick up in some of the smaller studies.

2 DR. GRIFFIN: Thank you. Ms. Fisher?

3 MS. FISHER: I really think there needs to
4 be a large trial done in the United States in
5 genetically diverse populations, with particular
6 attention paid to better understanding the biological
7 mechanisms of HHE with this vaccine as well as host
8 factors which could make some children more
9 susceptible. And that there be longer term follow-up
10 of the serious adverse events. And one of the reasons
11 I am concerned about this is that I know that if this
12 vaccine is licensed that the children who get this
13 vaccine are not going to be the ones that were studied
14 in this population unless that is stipulated. But it
15 just seems as if there needs to be more study done in
16 genetically diverse populations.

17 DR. GRIFFIN: So is that a no vote?

18 MS. FISHER: That is a no.

19 DR. GRIFFIN: Dr. Faggett?

20 DR. FAGGETT: I am -- I would be a lot
21 more comfortable if we did have more data from a more
22 diverse population so we could better anticipate any
23 adverse events. But I think the point that other
24 members have made that we need to look at the fourth
25 dose -- this might be an opportunity to have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 additional clinical trials which could be more
2 inclusive. So that we would get what a lot of the
3 committee members are asking for. I would like to
4 abstain at this point in terms of safety.

5 DR. GRIFFIN: Dr. Goldberg?

6 DR. GOLDBERG: I would vote yes for safety
7 with the stipulation that a careful post-marketing
8 surveillance program is implemented and put in place.

9 DR. GRIFFIN: Dr. Fleming?

10 DR. FLEMING: I think I have a similar
11 sense. I am impressed with the care that was given in
12 the assessment in the Sweden Trial I to providing what
13 I would see to be very encouraging evidence. For me
14 safety is a lot easier issue to address here than
15 efficacy.

16 DR. GRIFFIN: That is the reason I chose
17 it first.

18 DR. FLEMING: And I think in particular if
19 we are looking at a relative to the whole cell, that
20 is really where some of the best, most encouraging
21 evidence is coming forward. Specifically to the three
22 dose regimen in the Swedish population, my biggest
23 interest would then be to hope to see an expansion of
24 this safety experience for the four dose regimen. To
25 the extent -- the Alberta data could certainly have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some relevance here, although this is something I am
2 not fully convinced, given that as I understand it, it
3 is a fourth dose following whole cell vaccination. But
4 in any event, I think the FDA should be -- I would
5 recommend that they would seriously consider ensuring
6 that there is adequate safety data gathered for the
7 four dose experience to be able to reasonably address
8 the impact on events such as HHE events, which are
9 more on the order of 1 per 1,000. So we are talking
10 experiences that would require possibly in post-
11 marketing surveillance 10,000 or more.

12 DR. GRIFFIN: Dr. Myers?

13 DR. MYERS: I think I agree with most of
14 the previous comments. I think there is clearly
15 adequate safety data for the three dose regimen. I am
16 not certain that there is adequate safety information
17 for the fourth dose. Although the vaccine has been
18 used widely elsewhere in the world. I would agree with
19 the previous two speakers. I think post-marketing
20 evaluations to include much more diverse populations
21 would be critical of both the three dose and the four
22 dose level and active surveillance post-marketing.

23 DR. GRIFFIN: Yes, Dr. Livengood?

24 DR. LIVENGOOD: I would agree that the
25 data are adequate to support the safety of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine given at 2, 4 and 6 months of age. I think the
2 data are marginal at best at 17 to 18 months of age --
3 17 to 20 months of age. And non-existent and 15 to 16
4 months of age. And I would hope that the FDA would
5 address that in terms of the licensure.

6 I am sensitive to the idea that we are
7 perhaps asking for more fourth dose data than what we
8 did with previous acellular vaccines, but I think that
9 frankly the situation has changed. We have a better
10 understanding of what is going on now with the number
11 of doses going up and the possibility of these whole
12 limb swellings, even if they turn out to not be of
13 particular importance. So I am not as willing to just
14 say, yes, I would support it. Because in the past I
15 supported licensure of vaccines at fourth dose at
16 about this same number of immunized children.

17 DR. GRIFFIN: Dr. Hewlett?

18 DR. HEWLETT: Certainly things are getting
19 more complicated with more information as we go along.
20 I think that the data are adequate also. I would like
21 to make a comment about HHE, upon which we are
22 focusing here. It is a very interesting phenomenon and
23 one that in talking to Ms. Fisher before, given the
24 dramatic reduction in local reactions that occurred
25 with acellular vaccines by reducing the endotoxin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentration and the endotoxin dose by 10 to 100-fold
2 and by eliminating active pertussis toxin, there
3 hasn't been as dramatic a reduction in the HHE as one
4 might have expected. If you look at these data, they
5 are not dramatically decreased -- not 10-fold
6 decreased from the whole cell vaccine. And I think
7 that is something that we need to continue to pay
8 attention to. It certainly is not an issue for which
9 we have an animal model or any good way to address
10 other than in humans, which really comes back to the
11 possibility of follow-up studies.

12 DR. GRIFFIN: Okay, thank you. And for the
13 record, I would agree that the safety data are
14 adequate for the three doses, but with the new
15 information on fourth dose, I would like to see more
16 data there. All right. Yes, Dr. Kohl?

17 DR. KOHL: Just one comment. On the post
18 -- in terms of the fourth dose. If it is post-
19 surveillance or if it is pre-licensure, and I am not
20 sure how the committee --

21 DR. GRIFFIN: Well, I think that in some
22 ways we've got to discuss the efficacy issue before we
23 are talking post-licensure or anything else.

24 DR. KOHL: No, that is not my point.
25 However it is done, I think it has got to be done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compared to another acellular vaccine. Because we --
2 as Dr. Reynolds pointed out, we at this point don't
3 have good prospective careful data on what some of
4 these new side effects are. In order to make any sense
5 out of these side effects in a new vaccine, we have to
6 know what the baseline is in some of our currently
7 accepted products.

8 DR. GRIFFIN: Good point.

9 DR. GEBER: So -- it may just be me. So am
10 I -- do I understand then -- so we aren't voting on
11 the -- we, not me -- on the fourth dose just yet? Or
12 for the safety --

13 DR. GRIFFIN: Well, I think there is a
14 consensus on the three doses. But there also appears
15 to me to be a consensus on not thinking there is
16 enough data for the fourth dose. Is that a fair
17 summary? No? Go ahead.

18 DR. KOHL: I think there is a consensus
19 that there is not enough data. But whether it should
20 be post-licensure or pre-licensure hasn't been settled
21 in the committee's mind, I don't think.

22 DR. GRIFFIN: Right.

23 DR. KOHL: I heard some people say post-
24 licensure, which I would agree with.

25 DR. GRIFFIN: So can we defer that until

1 we at least discuss the efficacy issue?

2 DR. GEBER: Sure. I just --

3 DR. GRIFFIN: Licensure may not be an
4 issue if it is not efficacious.

5 DR. GEBER: Sure. Absolutely.

6 DR. GRIFFIN: Then we can -- then maybe we
7 can round out the overall opinion. Okay.

8 DR. MEADE: I would just like to agree. It
9 is an extremely important clarification on the fourth
10 dose.

11 DR. GRIFFIN: Okay.

12 DR. MEADE: Distinguishing pre versus
13 post-marketing.

14 DR. GRIFFIN: Okay. We will come back to
15 that.

16 DR. MEADE: That is an important
17 clarification.

18 DR. GRIFFIN: All right. So we will come
19 back to that part of question 2 after we have
20 discussed question 1 and we have reached hopefully
21 some sort of consensus on efficacy. So now we will
22 begin the discussion on efficacy. And the question
23 that we are addressing is are the data adequate to
24 support the efficacy of the acellular pertussis
25 component of a CPDT when administered to infants and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 children in the U.S. as a four dose series? If not,
2 what additional information should be requested? So
3 I will open it up to whoever wants to begin. It seems
4 to me from a person who is coming from outside the
5 pertussis field and who therefore has read the
6 literature in preparation for this meeting plus
7 listened to people that there are two or three
8 different issues that maybe the discussion can help us
9 focus on. One is that this vaccine is being brought
10 to licensure in a little different climate than
11 previous ones were. That the trials outside the
12 United States are necessary. That is the only way you
13 can get efficacy data, because those were the only
14 places you were going to see enough pertussis to be
15 able to know whether it was efficacious or not. That
16 those trials cannot be done in the United States
17 because there is a high degree of immunity to
18 pertussis. Therefore, we will never get efficacy data
19 within the United States. That as a part of moving
20 data from outside the United States to the U.S.
21 population for consideration by the FDA, that the
22 criteria of equivalency has been applied to vaccines,
23 and I think maybe that is part of what needs to be
24 clarified is exactly what is meant by that.
25 Particularly when you are talking about many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 components to a vaccine, does every single one have to
2 be equivalent? I think this is not law. My
3 understanding is that this is not written down as
4 code. So that these will become judgment issues for
5 this committee to determine. And in this case, we
6 have a component where there is not equivalency. Where
7 the component -- there is some data to suggest that an
8 immune response to this component is an important part
9 of the efficacy of other vaccines. Is that fair from
10 the people who really know this field? Okay. Now the
11 people who really know the field.

12 DR. HEWLETT: I think that is a good
13 summary of where we are. There is no doubt in my mind
14 that this is an efficacious vaccine in the Swedish
15 population in which it was tested. That is very clear.
16 What is complicated is going from there -- and I am
17 going to be dependent on Dr. Livengood about this
18 contract that we have established because I don't know
19 all the precedent there. But the lesion is translating
20 that information back to the U.S. population. And the
21 barrier that has been imposed is the recent
22 identification of a relationship -- recognition of a
23 relationship between pertactin and fimbriae and also
24 pertussis toxin and antibodies against those molecules
25 and protection. Although there is some order given to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, there are no absolute and there is no threshold
2 with which we can say this is or isn't. It is not like
3 tetanus toxoid, which you can say if you have this
4 amount, you are assured of being protected.

5 There also -- Bruce Meade summarized this
6 very well -- it certainly is possible that these
7 antibody levels are surrogates for something else. We
8 can't tell that for sure at the present time. So I am
9 really concerned that we -- I personally can't get
10 away from looking at the other array of vaccines that
11 are available on the market. I know we are supposed to
12 do this based simply on these data, but I can't get
13 away from thinking about it that way. I believe -- we
14 talked about what if you take pertactin away from this
15 or any of these vaccines. And I know that the
16 SmithKline vaccine, the two-component vaccine is not
17 identical to the Infanrix that is on the market. But
18 they are very similar products and they are very
19 different in efficacy with and without pertactin. So
20 from all the cumulative data, personally I am a big
21 believer in we are adding more and more antigens. The
22 point that was made is we are reconstructing the whole
23 cell vaccine in a manner of speaking, and now we are
24 asymptotically approaching the point where the
25 incremental additions are very small.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I do think that pertactin is important.
2 But I also believe that we don't have the data to say
3 that if there is a marginally decreased pertactin
4 response in the population that that is enough under
5 these circumstances to judge this vaccine inadequate
6 to be used in the U.S. population. And we could easily
7 run around this circle for a long time.

8 DR. GRIFFIN: That is what we would like
9 to avoid.

10 DR. HEWLETT: Yes. I think we could do
11 that. My personal opinion is from the data that are
12 available, this is an efficacious vaccine and that
13 this particular observation that has been made from my
14 perspective is not enough to suggest that it shouldn't
15 be licensed.

16 DR. GRIFFIN: Okay. Other comments? Yes,
17 Dr. Kohl?

18 DR. KOHL: Dr. Hewlett, or your expert
19 colleagues, can you think of a biological reason why
20 this vaccine should be less efficacious in children in
21 this country versus children in Sweden? Now one
22 possibility is racial differences, and that hasn't
23 been addressed. The data that I know of from the NIH
24 studies suggest that in the small number of black kids
25 that it was tested on, they actually had higher

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antibody levels. But can you think of another
2 plausible biological explanation?

3 DR. HEWLETT: I cannot. In fact, the data
4 that were present here about maternal antibodies and
5 higher pre-immunization titers resulting in not as
6 good a response, I wasn't -- I didn't understand
7 exactly why they ended up being sort of dismissed.
8 Because that looked relatively convincing to me as a
9 possible explanation.

10 DR. KOHL: And would that -- do you think
11 that might then affect the efficacy of the vaccine?
12 We know that in measles, for instance, that is the
13 case. High maternal antibody levels would decrease
14 the efficacy of the measles vaccine. Do you see that
15 as a problem with this vaccine?

16 DR. HEWLETT: I think it depends entirely
17 on when the vaccine is given and when the person is
18 challenged with pertussis. So I can't predict.

19 DR. GRIFFIN: Dr. Katz?

20 DR. KATZ: I hope these remarks won't be
21 taken as facetious. But I think that if we are really
22 going to talk about ethnic, genetic and racial
23 disparities, you've got to talk about an Asian
24 population, you've got to talk about a Hispanic
25 population, you would have to talk about a Caribbean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 American versus African American. It just seems to me
2 that you are going to have to decide -- and this may
3 be FDA's job -- how many different groups are you
4 going to have to examine. Are the Mong people in
5 Minneapolis different than the Koreans in Los Angeles?
6 They are very significant large populations. And I
7 don't know that this particular vaccine should be held
8 to that sort of examination at this point. But it is
9 the sort of thing that anthropologists and
10 demographers and geneticists can do if you license
11 these vaccines or when you license these vaccines.

12 DR. GRIFFIN: Other comments on the
13 efficacy issue? Yes, Dr. Livengood.

14 DR. LIVENGOOD: Let me go back and try to
15 clarify a bit about what I was talking about about our
16 sort of informal agreement, if you will, to license
17 things based on similar immunogenicity. If pertactin
18 had come out the same as in the Swedish children, we
19 wouldn't be sitting here having this meeting right
20 now. So clearly there is enough of sort of our belief
21 that our agreement was good to license based on that
22 to at least convene this committee to look at that. I
23 am not saying necessarily that that was the wisest
24 decision. I mean, it was a decision -- it certainly is
25 not the most scientifically valid, because even if the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antibody titers are the same, does that mean the
2 protection is the same? We don't know. As we have
3 heard, we don't know what about the vaccine protects.
4 We never knew what about whole cell vaccine protected,
5 or else we wouldn't be trying to rebuild the organism
6 through different strategies now.

7 So I think that there is really fairly
8 good evidence though that we could set aside that
9 previous agreement and look at this. And that is one
10 of the reasons we are here. I think the reason we are
11 asked the question about the fourth dose in particular
12 is because there are data that after the fourth dose
13 the antibody profile in American children looks like
14 that after three doses or better than the Swedish
15 children. So therefore even by this sort of informal
16 agreement, we would have to sort of follow into
17 agreeing that the data support efficacy after four
18 doses. I personally would rather us focus on the
19 three dose because in my past I represented the
20 National Immunization Program and I am not
21 particularly interested in the concept of really
22 needing a four dose series and potentially leaving
23 children suboptimally protected between six months and
24 17 months of age with this vaccine, and then how would
25 you do it in our pluralistic society where children

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get multiple different vaccines and multiple different
2 types of vaccines.

3 So I'd like to see us really try to focus
4 in on the three dose and whether we think that the
5 pertactin difference, which appears to be real, but
6 does it mean anything. And if it doesn't mean
7 anything, then try to deal with it in that manner. I
8 am not necessarily saying I think that it is an
9 important difference. It is a difference and I hope
10 that we could in some ways try to deal with the three
11 dose series instead of reconceptualizing this vaccine
12 as a four dose basic series for program
13 implementation.

14 DR. GRIFFIN: Dr. Midthun would like to
15 help clarify the situation here.

16 DR. MIDTHUN: I just wanted to get some
17 clarification with regard to what you meant with
18 regard to the agreement.

19 DR. GRIFFIN: Well, I was going to ask the
20 same thing. I think it would help all of us to know
21 what -- because partly to know whether we are setting
22 some precedent if we say we think this vaccine is --
23 despite the fact that it is not equivalent. Or what
24 the historical context is in which we are working.

25 DR. LIVENGOOD: Well, I think there was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lot of concern very early on that we would do these
2 trials abroad and then the FDA would say, we need
3 trials in the United States to know if this is
4 effective in American children.

5 DR. MIDTHUN: Right. So I think what you
6 are basically saying, and if this is what it is, I
7 would agree. I mean, I think that there was an
8 understanding that efficacy trials would be performed
9 and that then because one wasn't able to do an
10 efficacy study in this country that there would be a
11 mechanism to bridge those efficacy data to the U.S.
12 population and that those would be by way of obtaining
13 good safety data and also by looking at the
14 immunologic responses. I think that -- so if that is
15 what you mean by agreement, I think certainly that was
16 the way that we thought we would evaluate these data.

17 DR. LIVENGOOD: Yes. I don't mean to
18 interpret -- to suggest by using some of the language
19 that there is a formal written agreement or law. I am
20 just saying that there was then an assumption that
21 that is how the FDA would proceed. And I think that if
22 there were no difference in this pertactin, we
23 wouldn't be debating whether this was efficacious. We
24 probably would have -- we would have come, but we
25 would have been out of here by lunchtime probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 instead of obviously the large amount of work the
2 staff and the sponsor put into doing lots of other
3 analyses trying to allay any concerns we might have
4 because of that. So that is what I think the major
5 issue is. And it would help me come to a decision
6 about this if I could hear what some of the other
7 members felt about that. Because frankly, I don't
8 think from the world of pertussis that there is a lot
9 -- you know, there is no special expertise that
10 somebody is going to stand up and put a graph up and,
11 oh, that is it. It is not pertactin at all, it is a
12 ratio. It could be anything and we don't know what it
13 is. And we are just going to have to make a decision
14 at some point as to whether this one difference in one
15 component is in fact evidence of a potential or a real
16 difference in efficacy between the Swedish population
17 and the American population.

18 DR. GRIFFIN: Dr. Fleming?

19 DR. FLEMING: I'd like to go back maybe to
20 the questions that I am troubled by that I would like
21 to have whatever guidance and insights the committee
22 could provide. That certainly would help me in
23 answering this efficacy question. I think of three
24 issues that we have talked about. One is when we say
25 is there adequate efficacy, exactly what do we mean by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that? I can think of three examples of what might be
2 meant, and there are probably numerous others. One is
3 evidence to be able to conclude that you can rule out
4 no efficacy. Well, if it is that simple, I think the
5 answer is yes. I am comfortable that the answer is
6 yes. We can rule out no efficacy. On the other hand,
7 typically we have asked for more than that for
8 vaccines. So maybe it is evidence to rule out
9 efficacies less than 70 or 80 percent. Well, if that
10 is the question, I think the answer is yes for the
11 three dose vaccine in Sweden based on the quality
12 Swedish Trial that has been done.

13 Another is asking for non-inferiority
14 relative to a good whole cell vaccine. And there I
15 would say the answer is not established. We have non-
16 inferiority relative to a whole cell vaccine in
17 Swedish I, but I am told that is not one that counts
18 because that is not a particularly good whole cell
19 vaccine. We don't, however, have adequate non-
20 inferiority established even by what was a clear pre-
21 specification of the standard that was set for the
22 Swedish II Trial. And in particular, in addition to
23 the fact that we didn't hit the pre-specified relative
24 risk -- being able to rule out relative risk of 1.5,
25 we also have what troubles me greatly, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 significant under-reporting post-dose three.

2 So I would like to see if any of those
3 three definitions are what we should be using or is
4 there something else? So that is question one.

5 Question two is --

6 DR. GRIFFIN: Do you have a suggestion?

7 DR. FLEMING: Let's come back to that.

8 DR. GRIFFIN: All right.

9 DR. FLEMING: In fact, before giving a
10 suggestion, I really would like to hear others. I have
11 given three, one of which is easy to answer, but it is
12 not one that would satisfy me, which is ruling out no
13 efficacy.

14 The second issue is the Swedish versus --
15 the bridging issue, the Swedish versus the U.S.
16 population and what is the bridge. What is the
17 measure? Is it some type of antibody activity? Is it
18 cell mediated immune response? Is it memory? Is it
19 what antigens? What difference is meaningful? I
20 would like to have a sense of what that answer is, the
21 statistician in me coming out here, if I am going to
22 then answer the question, yes, these data do or don't
23 establish that level of effect on that specific
24 bridging measure. What is the bridging measure and if
25 at all possible what is the argument for this being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the best bridging measure?

2 The third issue is I hope maybe an easier
3 one to answer, and that is if we are being asked for
4 efficacy about four doses as well as about three, and
5 we obviously don't have the data on four doses, can we
6 all more or less assume that when you go from three to
7 four, the question is are you enhancing safety in a
8 way that is a concern? But when you go from three to
9 four, if you have established efficacy for three, you
10 are confident that the efficacy for four would be at
11 least as large as three? If the answer to that is
12 yes, that is at least going to make that third issue
13 easier to address. So I actually would like to hear
14 what others think about these three issues.

15 DR. GRIFFIN: Okay, why don't we start
16 with issue number one, which is what kind of --

17 DR. FLEMING: What do we mean by efficacy?
18 What is the standard that we would expect or that we
19 would wish to be able to conclude exists when we say
20 there is efficacy? Is it a non-inferiority comparison
21 to a good whole cell? Or is it a comparison to a
22 placebo that establishes a given level of protection?

23 DR. GRIFFIN: Would anyone like to offer
24 an opinion from a general point of view on which of
25 those -- which of those two really that we are trying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to establish here?

2 DR. HUANG: I will tell you what I have
3 learned on this committee over the years, and that is
4 that if you have an absolute efficacy, that you are
5 looking for something like 70 percent or better. And
6 certainly if you are in the 80 percent, you are pretty
7 comfortable with that.

8 Let me address the second issue, which is
9 these immune markers. I have to say that I walked in
10 here being very worried about the use of the marker
11 and I was very worried about the antigen that did not
12 elicit a response in American children. And I wish
13 that we didn't have that data to look at. Because if
14 I just had the Swedish I to look at, I would say fine.
15 This is a great study. We need it. We can use this
16 here. But given the fact that we now have this extra
17 information, it made me look back on what I knew about
18 viral vaccines and most of the mechanisms of the viral
19 vaccines are really unknown. They work. They really
20 do protect. And in fact, we will be seeing coming down
21 the road HIV vaccines that elicit a huge amount of
22 antibody but don't protect and HIV vaccines that
23 elicit no antibodies and are now beginning to see as
24 if they are protective. So I think that as we go down
25 this road more and more in looking at vaccines, we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to throw away those immune surrogates and use
2 them only if they positively correlate. But if they
3 negatively correlate, we are not going to use them.

4 DR. KATZ: I don't know. Diane and I may
5 disagree with you.

6 DR. GRIFFIN: Right.

7 DR. KATZ: I think you can't lump
8 microbes. I mean, if you take your example, if it is
9 an enterovirus, antibody is everything. If it is
10 measles virus, it is CD4 cells and cell mediated
11 immunity. We use antibody as a surrogate because most
12 laboratories aren't going to measure cell mediated
13 immunity, other than research laboratories. You can't
14 send a specimen off from your hospital lab or your
15 public health lab and get cell mediated immunity done.
16 So that antibody is a more pragmatic surrogate, but
17 not necessarily pathogenetic. It is only reflective.

18 DR. GRIFFIN: But also not necessarily
19 unrelated to the protective efficacy.

20 DR. KATZ: It absolutely can be related.
21 But in the absence of antibody, as in the congenital
22 A-gamma patients, the X linked A-gamma globulinemics,
23 they can handle a measles infection, but they can't
24 handle an enterovirus infection. I think it is just
25 a good example. If we are talking about bacteria here,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not viruses. I would like -- maybe John Livengood can
2 comment about this. We are talking about a moving
3 target. When you compare Sweden and Germany versus the
4 United States, you have already discussed why the
5 studies were done there. They weren't using pertussis
6 vaccine and they had disease. We don't have disease.
7 But we do have disease, and if you look at the age
8 groups in which disease occurs -- and here is where I
9 need John to keep me honest -- it is the young infants
10 under a year of age and it is adolescents and adults
11 who are getting pertussis today. And I think the
12 moving target is, one, we are looking at vaccines that
13 might be effective in boosting adolescents and adults
14 because we know none of the pertussis vaccines produce
15 enduring or life-long immunity. It may be 7 to 10
16 years.

17 Secondly, you've already mentioned
18 repeatedly that we are looking at vaccines that are
19 licensed and widely used that have no pertactin at
20 all. And we are holding this hostage to a different
21 standard with no evidence that it is in any way a
22 liability. It is only a hypothetical or theoretical
23 one.

24 DR. STEPHENS: Can I clarify an issue that
25 is -- we are talking largely about pertactin as an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immune response. But I thought the data suggested that
2 those individuals who had a lower response to
3 pertactin, the entire range of antigens was in fact
4 decreased. Is that not correct?

5 DR. MEADE: Yes, I did put up one slide
6 that suggested that the other -- there was a trend for
7 lower responses to the other antigens in those
8 individuals. Again, we did a stratification based on
9 again an arbitrary cutoff. But the sponsor did the
10 same thing for other cutoffs. So the answer is, yes,
11 they generally did have a lower response.

12 DR. STEPHENS: And was that correlated at
13 all with a maternal antibody -- that particular group
14 of individuals?

15 DR. MEADE: I would have to defer to the
16 sponsor to see if they did look at that. Again -- so
17 the answer is I don't know that. I mean, I should --
18 since I have the microphone, I should comment on the
19 one comment that Dr. Hewlett made. Again, in the one
20 study that was presented in detail this morning by the
21 sponsor, there was -- appeared to be a significant
22 negative correlation between maternal antibody of
23 pertactin and the subsequent response. But in the NIH
24 multi-center trial, they did a similar analysis. And
25 there there was no significant relationship. So we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 faced with one study where it correlated and one where
2 there was no significant correlation. We really didn't
3 -- it seems like a generalization that based on what
4 we know now is not possible. So that was the
5 observation. I think it certainly needs to be explored
6 because it is probably multi-components that either
7 AHN or maternal antibody or any other factors that we
8 haven't investigated. And it should be looked at
9 further.

10 DR. GRIFFIN: Dr. Kohl, did you have your
11 hand up?

12 DR. KOHL: I did, but I am starting to get
13 cold feet waiting, which is unlike me. I just leap in.
14 Efficacy -- efficacy is disease prevention. And there
15 is no question in my mind that this is an effective
16 vaccine based on the Sweden I study in particular, but
17 also I think corroborated by Sweden II. The third
18 question you asked was the fourth dose, I believe.
19 The efficacy, I think you asked, of the fourth dose.
20 To my knowledge, there are no efficacy on fourth
21 doses. All the efficacy data is in the primary
22 series. So when we are talking about fourth dose, what
23 we are really asking efficacy-wise is what is the
24 increased time of protection that the fourth dose
25 gives you. And there are no data in any pertussis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine, except possibly whole cell, and I don't even
2 know that for a fact, on that. So that is a question
3 that we can't answer. And that has never been used to
4 license the fourth dose. So I think that question is
5 moot.

6 And then the most important or at least
7 the hang-up question here is the bridge question. And
8 I would agree with I think Sam in terms of not having
9 this very effective vaccine held hostage to the
10 response to one component, which is not included in
11 two license vaccines, and which I am not sure what its
12 role is in a multi-antigen vaccine. So I feel
13 comfortable with the bridging data as it stands. Also,
14 again corroborated by some of the data showing that
15 after two doses, albeit with a different vaccine
16 slightly, that after two doses the immune response was
17 essentially equivalent to after three doses in the
18 U.S. But I am not using that as my primary reasoning.
19 I am using it more of my biological understanding of
20 this vaccine. I'll stop.

21 DR. GRIFFIN: Okay. Other?

22 DR. MEADE: I think I need to, again, make
23 a couple of clarifications. Again, I am trying to go
24 through this. I may ask Dr. Midthun to fill in since
25 she is familiar with some of the specific points on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some of the trials. But you commented that all of the
2 trials used a -- were based on three dose series. The
3 trial for the Acel-Immune product did include a four
4 dose. And much of the data and the data in the
5 labeling related to for the Acel-Immune is based on a
6 four dose -- is based on the efficacy as demonstrated.
7 There was estimates of the efficacy after three doses.
8 But the primary -- as I recall, the primary outcome
9 was after four doses. And then the other issue, and
10 again it relates to one of the other license products
11 relates to the -- and again, I am going to ask Dr.
12 Midthun to fill in on the issue regarding the labeling
13 of Certiva and the issues related to that product.

14 DR. MIDTHUN: I think for perhaps a little
15 bit of clarification about the way the question has
16 been stated, I don't think that we were asking about
17 efficacy per se after four doses. Because you are
18 right, we don't have any data on that. I think the
19 question had to do more with if there was not quite
20 the ability to bridge with regard to pertactin to a
21 three dose schedule in U.S. infants, but there was
22 actually a higher level of pertactin antibody achieved
23 after the fourth dose was given to U.S. children, how
24 would that be viewed in terms of trying to extrapolate
25 data after three doses in Sweden to a four dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 schedule in U.S. infants. But to get to the issue
2 that Bruce had raised or Dr. Meade had raised, we had
3 a situation with Certiva, which was another acellular
4 pertussis vaccine, which is just a monocomponent
5 pertussis toxoid vaccine, and it was evaluated in a
6 Swedish efficacy trial also, but it was administered
7 only on a 3, 5 and 12 month schedule. So that the only
8 efficacy data we had from that study was on that
9 particular schedule. And that was obviously difficult
10 because in this country the infants get vaccinated at
11 2, 4 , 6 and then usually a booster given to the
12 toddlers. And what was done in that particular study
13 was that there was actually a bridging study first
14 done in Sweden where they either gave infants vaccine
15 at 3, 5 and 12 months or at 2, 4, 6 and 15 months of
16 age. And they looked to see what the antibody
17 responses were after the third dose. And what they
18 found was the antibody response was significantly
19 lower after the third dose given on a 2, 4 and 6 month
20 schedule as compared to after a third dose on a 3, 5
21 and 12 month schedule. However, if you looked at the
22 responses after the fourth dose on a 2, 4, 6 and 15
23 month schedule, that was very similar to what you saw
24 after a third dose on a 3, 5 and 12 month schedule.

25 There was a study then done in the U.S.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where again they also looked at the antibody responses
2 and they found that really 15 months after the fourth
3 dose, 15 months on the U.S. schedule, that you were
4 very comparable to where you were after a fourth dose
5 in Sweden on the 2, 4, 6 and 15 and also after the
6 third dose at 3, 5 and 12, which is where the efficacy
7 study lay. So it is sort of a complicated bridge that
8 we had to resort to in that study because that is the
9 only efficacy data we had was with that particular
10 schedule. I don't know, maybe I have confused things
11 more than I have helped things.

12 DR. GRIFFIN: Well, you have reassured us
13 that it is complicated. Dr. Faggett?

14 DR. FAGGETT: I think it might be helpful
15 to us as a committee -- it sounds like we have a -- I
16 am comfortable with the three dose. We might have a
17 consensus pretty much that that looks like an
18 effective regimen. Let me just register one comment
19 from Dr. Manley, who had to leave. She was very
20 concerned that we do need clinical trials data from
21 the U.S. before we can really make a decision. She
22 would not be comfortable to support going forward
23 without that data. She was very clear on that. So that
24 is from her. But would it be possible --

25 DR. KOHL: Clinical efficacy trials?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FAGGETT: Say again?

2 DR. KOHL: Clinical efficacy trials?

3 DR. FAGGETT: No, just clinical trials.

4 DR. KOHL: What kind of clinical trials is
5 she talking about? Did she tell you what kind of
6 trials?

7 DR. FAGGETT: She didn't say what -- she
8 didn't specify. But her concern was that the Swedish
9 data was not -- was not as impressive to her in
10 applying it to the U.S. population. So she is
11 really --

12 DR. GRIFFIN: I guess a problem for
13 efficacy trials is that we are not going to be able to
14 do those in the United States.

15 DR. FAGGETT: Right. But I think -- well,
16 let me just -- that was just her reservation. She
17 asked me to state that for her.

18 DR. GRIFFIN: Okay. Other comments or
19 questions? Yes?

20 DR. KATZ: My question is for John
21 Livengood again. Are they still using whole cell --
22 is whole cell still the vaccine in the United Kingdom?
23 DTwP? And what is their schedule? They only use a
24 three dose schedule, don't they?

25 DR. LIVENGOOD: They are currently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evaluating the possibility of instituting a fourth
2 dose. But they only use a three dose primary series,
3 yes. They are talking about a fourth dose in toddlers
4 right now, but they haven't come to a real conclusion
5 on that.

6 DR. GRIFFIN: And it is a whole cell
7 vaccine? Is that right?

8 DR. LIVENGOOD: It is not a 2, 4 and 6.
9 It is a 2, 3 and 4 month.

10 DR. GRIFFIN: Okay. Dr. Meade?

11 DR. MEADE: Whole cell was the predominant
12 product used in the UK until fairly recently. But I
13 understand that is very much in transition. And
14 someone from the UK would have to respond to that. I
15 don't think that is -- my understanding is whole cell
16 vaccines are not currently available in the UK and
17 they are making a transition to acellular. Someone who
18 is more familiar with the situation should comment on
19 that. But certainly up until recently, whole cell was
20 the product used in the UK.

21 DR. GRIFFIN: Okay. Anything else? Do
22 people feel ready to vote on this issue? Yes?

23 DR. MEADE: I am wondering if I should
24 comment -- make sure again I reinforce Dr. Midthun's
25 comment. Again, the wording of these questions is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 extremely complicated and we always never quite hit it
2 right. Because we are asking very complicated
3 questions. But I think it is important to clarify --

4 DR. GRIFFIN: Would you like to restate
5 your question in 1A?

6 DR. MEADE: I will try to explain the
7 question that we know how to answer or that at least
8 we think there are data to address. I mean, that is --
9 the question 1A really is are the data -- are there
10 data -- for this product for which there is efficacy
11 data, is there evidence that the efficacy was shown in
12 Sweden. But we have asked the question for the U.S.
13 And so the question is are the data that are available
14 in the U.S. indicative that the data that we are
15 seeing in Sweden would be applicable to the U.S. And
16 I think we have asked it intentionally as a two-part
17 question. First is did they meet the criterion after
18 four doses? And then if that question is answered yes,
19 then we need discussion on what conclusions can be
20 draw after three doses. So I think it is important to
21 clarify that the fourth dose question relates to have
22 they, based on the efficacy data in Sweden combined
23 with the bridging data in the U.S. and the
24 immunogenicity data in the U.S. shown sufficient
25 evidence that the efficacy in the U.S. after four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses would be comparable to what was observed in the
2 Swedish Trial.

3 DR. GRIFFIN: Okay. Dr. Goldberg, did you
4 have another comment?

5 DR. GOLDBERG: I just had a question of
6 clarification. I don't know if this was done or I
7 missed it. When you presented the data on the maternal
8 -- on the age of immunization and the maternal titers,
9 was there any point where you looked at -- for a group
10 that was exactly -- if you took the group that was in
11 the U.S. bridging study that had ages comparable to
12 the population in Sweden, what the results were?
13 Because it is possible since these titers change with
14 age at immunization that if we restricted the U.S. --
15 if we stratified the U.S. bridging data by age and
16 looked at the exactly comparable group, it would be
17 interesting to see what that looked like, and that
18 might clarify some of this. I wonder if you have that
19 data available or anyone has looked at that?

20 DR. GEBER: I think perhaps the sponsor
21 can address that for the U.S. Bridging Study. I think
22 other than what Dr. Meade mentioned previously, that
23 it was borne out in one study but not the other. But
24 in addition to that, as Dr. Meade mentioned, the
25 Canadian studies where the lower pertactin level was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 observed were almost universally given to children of
2 an older age group comparable to I believe what was
3 given in Sweden. We did not see analyses presented for
4 those studies comparing maternal antibodies, and I
5 think that the FDA's conclusion that we could not make
6 too much of those data in terms of causality were
7 based on those observations. Not that it doesn't play
8 a role.

9 DR. GOLDBERG: I just think it might
10 inform this discussion because the populations are so
11 obviously different. But there is overlap. So it
12 would be just an interesting way to cut the data to
13 see if that could clarify any of this.

14 DR. GEBER: But apparently the Canadian
15 children were immunized at a age that was similar to
16 the Swedish children, right?

17 DR. GOLDBERG: No, I understand that. It
18 is just that we are trying to build this bridge with
19 the U.S. data. Can the sponsor address this?

20 DR. XIE: My name is Fang Xie from Aventis
21 Pasteur. We have looked at the data stratifying by
22 age. We stratified for both the U.S. Bridging Study
23 and the Swedish Trial I with less than 50 and above
24 70. And when you looked at the stratification for the
25 age greater than 70 days at the first immunization,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the U.S. Bridging Study virtually has the same
2 antibody level as in Sweden. However, the U.S.
3 Bridging Study has much fewer numbers.

4 DR. GOLDBERG: I understand.

5 DR. GRIFFIN: How about when you look at
6 it the other way around? If you look at the youngest
7 children in Sweden?

8 DR. XIE: Well, unfortunately, there
9 aren't many younger children. Most of them are under
10 -- sorry, above 60 days at the first immunization.

11 DR. GOLDBERG: Well, what if you just took
12 it from 60 days and you went --

13 DR. GRIFFIN: Please use the microphone.

14 DR. GOLDBERG: What if you looked at it
15 from 50 or 60 days in the U.S. on the above?

16 DR. GRIFFIN: That is what he just --

17 DR. GOLDBERG: You just gave me
18 information about 70 days.

19 DR. XIE: Right. when you look at above 60
20 days for both populations, you see the -- for the U.S.
21 population, you see the same phenomena as you see in
22 Canada, which overrode the antibody responses lower.

23 DR. GOLDBERG: Thank you.

24 DR. GRIFFIN: Okay. Dr. Fleming?

25 DR. FLEMING: I have a question kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 following up and maybe a question for Steve. But I
2 guess in the process of asking my question, I will be
3 giving my answer at least as I see it right now to
4 this efficacy issue.

5 DR. GRIFFIN: Okay.

6 DR. FLEMING: I still see it as two
7 potential standards that we might be asking to be
8 achieved. One of those standards, and this is my sense
9 of what you were saying -- one of my standards could
10 be is there reasonably adequate evidence to establish
11 that we have the efficacy that other marketed
12 acellular pertussis vaccines have? And so if we go to
13 the Swedish Trial I, where we see 85 percent efficacy
14 against 58 percent efficacy for the two-component
15 vaccine, and we say even though we have this pertactin
16 question, is it still highly plausible that we are at
17 least maintaining the 58 percent efficacy -- if that
18 in essence is your argument, I think that is rational
19 to argue that that is the case. On the other hand, if
20 we are saying we are in essence looking at a whole
21 cell pertussis vaccine, a good one, that is
22 potentially though a vaccine with safety risks that we
23 would like to be able to reduce, typically we would
24 say but only allowing a certain amount of efficacy to
25 be given up, and I will use the standard that Swedish

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 II set, 50 percent higher risk is all we would
2 tolerate. If that is our standard, then I believe we
3 haven't established adequately that we can rule out
4 with reasonable confidence that there isn't one-and-a-
5 half or more fold increase in transmission risk. I
6 would argue that first of all because the Swedish
7 Trial in its own right doesn't establish that even in
8 Sweden, without even getting into the issue of
9 uncertainties with the relationship of efficacy in the
10 U.S. versus efficacy in Sweden. So I guess if I were
11 answering the question, I am going to toss it back to
12 the FDA as yes, if, but no, if. Yes, if it is enough
13 to say this is highly plausibly as effective as other
14 acellular vaccines that are out there already
15 licensed. But, no, if we want to be able to say with
16 adequate confidence that we are not meaningfully worse
17 than a good whole cell vaccine.

18 DR. GRIFFIN: Okay. Any other comments?
19 Do you have an opinion about which of those two
20 questions we should be -- I think we are going to have
21 to -- people are going to have to target their
22 answers. Or they are going to have to explain when we
23 go around, I guess, and vote, what the standard is
24 that they are assuming or what their criterion is for
25 -- maybe that is the easiest thing to do rather than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 try to reach some consensus.

2 DR. KOHL: Could I remind Tom -- that
3 whole cell vaccine is a European whole cell vaccine.
4 That is not licensed in this country. So if you want
5 that whole cell vaccine, you are going to have to get
6 that licensed in this country. Right now we have
7 acellular vaccines that are licensed.

8 DR. FLEMING: Which makes it even harder
9 to answer the second question yes to my way of
10 thinking.

11 DR. GRIFFIN: Okay. Are people ready to
12 vote? Okay. I am going to start at the other end of
13 the table. Dr. Hewlett?

14 DR. MEADE: Can you -- I think it is
15 important to be sure that the question -- again, make
16 sure that the question we are asking for a vote on is
17 whether or not -- is the question 1A. Would it be
18 helpful if I read that to be sure? Again, I think
19 there is -- and that is, are the data adequate to
20 support the efficacy of the acellular pertussis
21 component of CPDT when administered to infants and
22 children in the U.S. as a four dose series? And if
23 not, what additional information should be requested.
24 So I think we are asking specifically the first
25 question is whether or not after four doses in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 U.S. that they have met the criterion. And basically
2 we are asking for comparability for the bridging
3 criterion to Sweden for when this vaccine was actually
4 evaluated directly in an efficacy study.

5 DR. KOHL: And Bruce, after four doses the
6 pertactin antibody levels were high.

7 DR. GRIFFIN: Right.

8 DR. MEADE: Correct. They were -- we
9 broke them out and they were in all cases --

10 DR. GRIFFIN: I think that -- I mean, I
11 guess part of the -- as you say, it is always hard to
12 structure these questions. But this becomes a two --
13 this question has two components to it in a way.
14 Because we are not all saying that the antibody data
15 itself is equivalent to efficacy. But in a way, that
16 is what you are asking in this question, I think.
17 Right?

18 DR. KOHL: But I don't know what the
19 question means. Because if we answer 1A yes --

20 DR. GRIFFIN: That is what I mean. It is
21 two things. Efficacy is one thing and antibody
22 response as being the same is --

23 DR. KOHL: What I mean is if you answer 1A
24 yes but 1B no, where does that leave you?

25 DR. MIDTHUN: I think that maybe one way

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to look at the question -- and I think you are right,
2 Dr. Griffin. I guess one question is was efficacy
3 demonstrated in Sweden 1. That is one question. And
4 then the second part of that question is do you feel
5 that these efficacy data from Sweden I can be
6 extrapolated to the United States based on the data
7 you have seen. And the first part of that question is
8 assuming that you would be vaccinating these infants
9 and 2, 4, 6 and 16 to 20 months of age or whatever. So
10 I think maybe if we can think about breaking it down
11 like that, whether that might be helpful. No?

12 DR. GRIFFIN: How about if we answer the
13 very first question that you just said. Was efficacy
14 demonstrated in the -- for this vaccine in the Swedish
15 Trial? Then the second part of that question is are
16 the bridging data adequate if we are dealing with four
17 doses? Because that is what I take your second half
18 of that to mean. Okay?

19 DR. MIDTHUN: Yes, that is correct.

20 DR. GRIFFIN: All right.

21 MS. CHERRY: Let's give them a moment to
22 formulate their answers and then ask the second part
23 of the question.

24 DR. GRIFFIN: Oh, sure. Excuse me. We have
25 a procedural thing. You are almost ready. We have --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on the agenda are two open public hearings. So I need
2 to ask if there is anybody else who would like to
3 address the committee in open public hearing before we
4 vote. Seeing no one, we may proceed.

5 DR. HEWLETT: Now I forgot my answer.

6 DR. GRIFFIN: Worse, you have probably
7 forgotten the questions.

8 DR. HEWLETT: I think the way that it was
9 just -- the way that Karen just put it simplified
10 things. I believe that data from the Sweden I Trial
11 is adequate to show efficacy. And if we talk about the
12 fourth dose, which as Dr. Kohl pointed out results in
13 high titer anti-pertactin antibodies that are
14 comparable to or I believe higher even than what was
15 demonstrated after three doses in Sweden, then that
16 makes -- for four doses, that makes that problem go
17 away and I think that is adequate.

18 DR. GRIFFIN: Thank you. Dr. Livengood?

19 DR. LIVENGOOD: Yes. I would agree with
20 that. I believe the Swedish Trial, which the FDA also
21 concurs, demonstrated high efficacy of this vaccine
22 sufficient to warrant its licensure in the United
23 States. The bridging data through to the four doses
24 are also adequate to show that we can produce antibody
25 titers in children in America. But that is all the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bridging study can do. But that is what we asked it to
2 do and I would agree with this.

3 DR. GRIFFIN: Thank you. Dr. Myers?

4 DR. MYERS: I agree with them.

5 DR. GRIFFIN: Dr. Fleming?

6 DR. FLEMING: I certainly commend the
7 sponsor for having done an outstanding study with
8 Sweden Trial I that I think clearly establishes
9 efficacy in Sweden. And as I had mentioned before, I
10 believe if we are essentially only meeting to conclude
11 that efficacy in the U.S. would be at least comparable
12 to that of a marketed acellular pertussis vaccine in
13 this country, I think it is adequately plausible to
14 conclude yes as well. If, on the other hand we are
15 looking for adequate evidence to establish non-
16 inferiority to a wholesale pertussis vaccine, I don't
17 believe the evidence for that is adequately strong.
18 And I am jumping ahead, but because I have difficulty
19 in even interpreting the serology data, I think the
20 answers that I give will be the same for the three or
21 four dose.

22 DR. GRIFFIN: Okay. Thank you. Dr.
23 Goldberg?

24 DR. GOLDBERG: I think that the Swedish
25 Trial does establish efficacy for an acellular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pertussis vaccine. And the serology data also still
2 troubles me. So I really don't know what to say. I am
3 going to hold off.

4 DR. GRIFFIN: Okay. Dr. Faggett?

5 DR. FAGGETT: I must have been having a
6 senior moment there, Steve. Thanks for trying to get
7 me back. I think first Dr. Manley really said that we
8 needed more efficacy studies for the U.S. She did not
9 accept the Swedish studies as being applicable. So she
10 would vote no for the first question. We do not have
11 enough data based on that opinion.

12 I personally -- it sounds like we do have
13 a new standard with pertactin now. Are we indeed
14 really now saying that that is a requirement to be an
15 effective vaccine? So it is a whole new ballgame.
16 But it does sound to me -- my opinion now -- that we
17 do have some demonstrated efficacy from the Swedish
18 study. I think the fact that we don't have data in
19 terms of the fourth dose, I have real questions. But
20 I have to defer. I will defer in this case to some of
21 my more knowledgeable colleagues and go along that
22 this should be an effective vaccine for the U.S.
23 population.

24 DR. GRIFFIN: Okay. Ms. Fisher?

25 MS. FISHER: If you don't understand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 qualitatively and quantitatively which components of
2 the vaccine or individual host factors are responsible
3 for immunity, just like if you don't understand which
4 components and host factors are responsible for
5 reactions, I don't think you really understand what
6 you are doing. For example, if you begin to see
7 pertussis in a highly vaccinated population, which is
8 being seen in some European populations, it is going
9 to be very difficult to understand why and how you
10 need to change the vaccine to make it more effective.
11 And I think at some point we are going to have to stop
12 grandfathering in vaccines using old standards that
13 are more based on assumptions and lack of
14 understanding than on scientific knowledge. So I
15 really feel like with the first question with safety
16 that we have to hold a trial in this country and start
17 to answer the outstanding questions.

18 DR. GRIFFIN: Dr. Diaz?

19 DR. DIAZ: I would agree with the first
20 aspect, which is that the Sweden I study does
21 demonstrate efficacy in Swedish children. Without a
22 doubt in my mind based upon the parameters that were
23 set at the times that the children were looked at and
24 also in terms of outcome. The bridging study I would
25 also agree with one of my other colleagues that made

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the comment that it definitely shows that this vaccine
2 can develop and produce antibodies in children in the
3 United States. I am a little uncomfortable in that I
4 don't quite -- obviously, we don't have all the
5 answers or again we wouldn't be sitting here today.
6 And how much the reduced pertactin antibody response
7 in the United States children really plays into
8 efficacy, we don't know.

9 DR. GRIFFIN: Are you talking now after
10 four doses or three doses?

11 DR. DIAZ: I am talking after three even.
12 I would probably again say I realize that after four
13 doses, the antibody response to pertactin was greater
14 than in the United States, at least it was adequate
15 most definitely. So that wasn't as much of an issue.
16 And yet again as Dr. Kohl pointed out, efficacy is
17 disease prevention. And a large number of our cases of
18 pertussis occur in children under the age of six
19 months, who perhaps have the opportunity to receive
20 one or two doses of a vaccine. So I don't know, and
21 I don't have an answer. And yet, I am not sure how to
22 interpret the bridging data because of those issues.

23 DR. GRIFFIN: Dr. Kohl?

24 DR. KOHL: As Jack Nicholson said, this is
25 a good as it gets. So in the year 2000, I would vote

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yes, yes.

2 DR. GRIFFIN: Dr. Huang?

3 DR. HUANG: I certainly believe that the
4 answer to the first question of the Swedish studies is
5 that it is -- it has shown efficacy. For the second
6 one, I am impressed by the uniform increase in immune
7 response to pertactin after the fourth dose. And using
8 that as the only criteria that we really have right
9 now and as a positive correlation, I also vote yes on
10 that.

11 And finally, I would like to make just one
12 comment about diversity issues. As a member of a
13 minority on one side of the Pacific and a majority on
14 the other side of the Pacific, let me just say that I
15 think that scientifically when we look at our own
16 genetics that we are all somewhat mongrelized and that
17 our immune response and our host defenses are much
18 more in line with our HLA type rather than to our skin
19 color.

20 DR. GRIFFIN: Dr. Katz?

21 DR. KATZ: I vote yes, yes on both issues.
22 My caveat would be that Dr. Livengood get busy
23 reevaluating the entire U.S. immunization schedule for
24 the first two years. We are giving too many vaccines.
25 Maybe he can teach us how to give fewer doses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: Dr. Estes?

2 DR. ESTES: I vote, yes, that efficacy was
3 demonstrated in the Sweden I Trial. I have
4 reservations and actually vote, no, I am not convinced
5 that this can, based on the bridging data, is adequate
6 to come into the United States for a four dose
7 immunization. I really would have liked to have seen
8 data from four dose -- more data from four doses.

9 DR. GRIFFIN: Dr. Stephens?

10 DR. STEPHENS: There is also a danger in
11 being last.

12 DR. GRIFFIN: Well, you can't have it --
13 give me your preference.

14 DR. STEPHENS: Very quickly, I think the
15 data from Sweden is solid and that answer is clearly
16 yes. I think the issue of the fourth dose is a little
17 bit of a quandary since we don't have -- given the
18 safety issues that we have discussed earlier. But I
19 think that from the standpoint of immunogenicity that
20 I would prefer to see -- I think a four dose regimen
21 is -- so the second part is yes as well.

22 DR. GRIFFIN: Okay. And for the record, I
23 would also vote yes, yes. Now we need to address --
24 many of you have addressed this as you went around.
25 But now I think maybe just specifically to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question 1A, which is not really for a vote. I don't
2 know, do we need more discussion of this? I think
3 everybody can go around and say what they think about
4 the third dose. This is not really for a vote. But we
5 will give --

6 DR. MEADE: I think we would like to have
7 -- again, for anyone who hasn't commented, to comment
8 on the --

9 DR. GRIFFIN: The three dose issues.

10 DR. MEADE: The three dose issue, yes.

11 DR. GRIFFIN: Yes. Okay. So we will start
12 again with -- I don't know, do you want to start?
13 Let's start with Dr. Stephens first.

14 DR. STEPHENS: Sure. I am troubled by the
15 three dose issue. I think certainly the Swedish data
16 is very persuasive. Both the Swedish I study and the
17 Swedish II study, even though the Swedish II study is
18 using a slightly different version. But the -- I am
19 a bit -- well, I am bothered by the immunogenicity
20 data of the three dose regimen in this country. And I
21 think that I have -- I would vote no for that
22 particular aspect.

23 DR. GRIFFIN: Dr. Estes? Probably your --

24 DR. STEPHENS: Let me just comment. I
25 think this is -- this is an excellent vaccine. It is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 probably potentially better than some we already have
2 out there. But I think the immunogenicity data is
3 bothersome and I would like to understand that better
4 before voting yes.

5 DR. ESTES: I don't have anything to add.

6 DR. GRIFFIN: Okay. Dr. Katz?

7 DR. KATZ: I am comfortable with the
8 vaccine on a three dose schedule, and I think that
9 many of the things we don't know relate to obviously
10 the immunologic maturation that is going on in those
11 first six months of life and what happens when a
12 youngster who has whatever the titer may be is
13 challenged. Do you have infection or do you have
14 infection and illness? Do you have memory recall or so
15 called reinforcement or booster dosage? I think there
16 are a lot of questions to be studied and answered.
17 But given what we know today, I am very comfortable
18 with it as a three dose schedule.

19 DR. GRIFFIN: Dr. Huang?

20 DR. HUANG: I walked in thinking that I
21 would vote no on this issue. But I have heard enough
22 discussion and they are pro and con and I feel now
23 comfortable with the three dose.

24 DR. KOHL: I want to take an old person's
25 prerogative to welcome Dr. Katz to this committee. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is just wonderful to have an absolute giant in the
2 field of immunization on the committee. I wanted to
3 make him blush too. I am honored to vote in the same
4 vein as Dr. Katz did, yes. I feel quite comfortable
5 with this as a very effective vaccine, and I see no
6 biological reason why it won't be as effective in this
7 country.

8 DR. GRIFFIN: Dr. Diaz?

9 DR. DIAZ: I don't really have anything to
10 add. As far as the three dose, I would just abstain
11 from the standpoint that -- the comments I made before
12 about being somewhat uncomfortable. I don't have the
13 historical perspective to perhaps put things in
14 context to sway myself one way or the other.

15 DR. GRIFFIN: Okay. Dr. Fisher or Ms.
16 Fisher?

17 MS. FISHER: I will let my comments stand.

18 DR. GRIFFIN: Okay. Dr. Faggett?

19 DR. FAGGETT: I will abstain.

20 DR. GRIFFIN: Dr. Goldberg?

21 DR. GOLDBERG: I will abstain in line with
22 the comments I made before.

23 DR. GRIFFIN: Dr. Fleming? Three doses?

24 DR. FLEMING: Yes. I think similar to what
25 I have been saying. If we take what I would consider

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a fairly lenient stance of definition of efficacy,
2 which is let's say is it at least comparable to the
3 efficacy of the two component, I am persuaded by some
4 arguments of colleagues that, yes, if I take the
5 standard that I think I might have come in here with
6 and still very much would find to be very defensible,
7 which is non-inferiority relative to a good whole
8 cell, I would say no.

9 DR. GRIFFIN: Dr. Myers?

10 DR. MYERS: I am very comfortable with it.

11 DR. GRIFFIN: Dr. Livengood?

12 DR. LIVENGOOD: I am troubled by the
13 difference in the immunogenicity data, but I don't
14 know what to make of it. I am not particularly worried
15 one way or the other whether it is age of immunization
16 or maternal antibody status. Because we will immunize
17 our population the way we find them and whatever the
18 cause of this is, we will likely see this as a real
19 true finding in the population. I don't take any real
20 comfort in the two dose data from Sweden II with a
21 different vaccine, which I don't find very applicable.
22 So I am stuck with a finding that I can't understand.
23 And the way I read this question, are the data
24 adequate to support efficacy, I would have to say no
25 for three doses. I don't doubt that it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 efficacious, but I don't believe the data are adequate
2 to demonstrate it.

3 DR. GRIFFIN: Dr. Hewlett?

4 DR. HEWLETT: I am afraid I am sort of in
5 the same boat. I am a proponent of this approach and
6 I believe that we are in a bind here, simply by virtue
7 of the circumstances that we are in. I believe that
8 this is a -- I know it is an efficacious vaccine under
9 the circumstances which it has been tested. But from
10 the information that we have here, can I prove that it
11 will in fact work exactly the same way in our
12 population? I don't think that we have that
13 information. It is frustrating because I believe if I
14 just had to go with my gut reaction, I think that it
15 is probably fine. But the question says are the data
16 that we are looking at adequate to support that, and
17 there are some gaps there. And that bothers me because
18 it is counter to my intuition.

19 DR. GRIFFIN: Well, I would actually
20 agree. I am bothered by the differences in the
21 antibody and I am concerned that pertactin might be
22 important and having an immune response to pertactin
23 might be important. And I guess what I would like to
24 ask is if there is a mechanism for trying to figure
25 this out. Either whether if it is a post-marketing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 kind of thing, if there is surveillance or case
2 finding for cases with pertussis and documenting what
3 kind of vaccines they had gotten or whatever one might
4 be able to do from an epidemiologic perspective to
5 determine the importance of this component, I would
6 like to see done. Do you have a comment?

7 DR. DIAZ: I was going to save it for the
8 comment section, but I was going to bring up some of
9 those issues. Maybe we can wait, unless you want me
10 to make them now.

11 DR. GRIFFIN: All right. Okay, anything
12 else on -- does this help you? Okay. All right.

13 DR. KOHL: Could you summarize how the
14 committee voted? I couldn't keep track of that. Was
15 there a vote?

16 DR. GRIFFIN: I think it was probably
17 about 50/50. Nancy can give us --

18 MS. CHERRY: Yes, I think it was. If you
19 give me a moment, I will do a -- I would rather do a
20 whole count.

21 DR. KOHL: I am just interested.

22 DR. MEADE: As I said, we had requested a
23 formal vote on 1A.

24 DR. GRIFFIN: But not on 1B. So it really
25 doesn't -- it doesn't count. But they want feedback

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basically.

2 DR. KOHL: Strike that question.

3 DR. GRIFFIN: Right. Okay. Now they also
4 want comment -- and this is again not for a vote. On
5 question 3, please discuss the adequacy of the data to
6 support the concurrent use of CPDT with other vaccines
7 administered according to the recommended schedule of
8 infant and childhood immunizations. Please discuss
9 additional information, if any, that should be
10 requested. So, yes, Dr. Estes?

11 DR. ESTES: Well, I think -- in my
12 opinion, the data certainly is not adequate, in
13 particular with the changes in the polio immunization.
14 There are no data. And so I think that is certainly
15 something that is important to get some data.

16 DR. KATZ: Unless I misunderstood, I
17 thought that what Dr. Mills presented to us was with
18 inactivated polio. Is that correct? IPV?

19 UNIDENTIFIED SPEAKER: (Off the
20 microphone.)

21 DR. GRIFFIN: That is a combination
22 vaccine, right.

23 DR. KATZ: With their combination vaccine,
24 which was DTaP, hemophilus influenza B conjugate, and
25 inactivated polio. Am I interpreting -- so that was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 combination that looked highly successful. Okay. So
2 I am comfortable. I think more needs to be done
3 obviously and I think we need to increase our data
4 base in the United States and there are studies
5 underway with various groups. But I was comfortable
6 with the Canadian data.

7 DR. GEBER: Just for point of
8 clarification, those data have not been reviewed and
9 are not really officially on the table. So perhaps we
10 could limit our comments to the data -- concurrent
11 immunization and the vaccine under consideration
12 today, which is the classic formulation CPDT.

13 DR. GRIFFIN: Dr. Livengood?

14 DR. LIVENGOOD: I was trying to write down
15 -- the numbers were extremely small. Like in the
16 fourth dose it was 29 Hib and --

17 DR. GEBER: 135 in the fourth dose
18 received concurrent immunization with hemophilus B
19 conjugate vaccine and 505 received it concurrently
20 with OPV. In Sweden Trial I, there were data on
21 safety for concurrent immunization with IPV with the
22 second and third doses on two-thirds, and there were
23 data for concurrent immunization with hemophilus B
24 conjugate in second and third doses on a third, but no
25 immunogenicity data. All of the immunogenicity data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 come from the infant series from the U.S. bridging
2 study, and there were -- it was presented by vaccine
3 lot. So I believe there were approximately 120 or 130
4 per lot -- lot 006 and lot 009 of CPDT from whom
5 immunogenicity data were available with Hib conjugate.
6 For OPV, their numbers were somewhat smaller, I
7 believe an 80 to 70 per lot, so for a total of 150.
8 And for hepatitis B, we were talking total if you
9 combined the two lots of 80. There were no
10 immunogenicity data with IPV, varicella and MMR or
11 Prevnar.

12 DR. GRIFFIN: Dr. Meade?

13 DR. MEADE: I am sorry, I think I need to
14 go back and make sure we have addressed the safety
15 questions or issues on the fourth dose. I mean that
16 was --

17 DR. GEBER: we were just discussing that
18 we did want to get back to the issue of safety with
19 the four doses. But we were having a discussion about
20 whether just to continue along with the immunogenicity
21 and then perhaps revisit the other.

22 DR. GRIFFIN: Come back and reformulate
23 the question. Okay.

24 DR. MEADE: That is fine. I just wanted to
25 make sure that we didn't --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: Forget this.

2 DR. MEADE: Forget to comment more
3 specifically. We requested on the fourth dose whether
4 the safety data would be needed pre-licensure or post.
5 It could be evaluated post-licensure, which I think is
6 an important issue to come back to.

7 DR. GRIFFIN: Okay. Any other comments
8 first on the immunogenicity in combination with other
9 vaccines? It sounds like --

10 DR. DIAZ: Are we voting on this?

11 DR. GRIFFIN: No.

12 DR. DIAZ: Oh, we are not.

13 DR. GRIFFIN: No. So it is just general
14 comments and feedback. Yes?

15 DR. DIAZ: I recognize that there weren't
16 a lot of fourth doses given, and yet I was a little
17 bit disappointed not to see any data in combination
18 with MMR in particular, and likewise potentially with
19 varicella, although the precedent has not been to look
20 at other acellular vaccines with varicella to this
21 point. But nonetheless, I would want to see some data
22 on the fourth dose in combination with MMR since a
23 large number of our children, despite the fact that
24 getting an MMR is recommended at 12 months of age tend
25 to in essence get it at the same time they get their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fourth dose of DTP.

2 DR. GRIFFIN: Yes, Dr. Myers?

3 DR. MYERS: Because this is such a big
4 issue, particularly as we get to subsequent vaccines,
5 combination vaccines and so on, I have to say I think
6 there is really insufficient data on the concomitant
7 use of other vaccines -- varicella and MMR.

8 DR. GRIFFIN: Okay. That seems to be the
9 consensus.

10 DR. GEBER: I am wondering -- we didn't
11 ask for a vote, but I am wondering whether the
12 committee members would comment on whether they feel
13 that these data should be obtained prior to licensure
14 or in post-marketing studies.

15 DR. GRIFFIN: Okay. Dr. Estes?

16 DR. GEBER: Perhaps that could be answered
17 along with the fourth dose issue.

18 DR. ESTES: My own opinion is that they
19 should be obtained prior to licensure.

20 DR. KATZ: I guess I would like to hear
21 from the FDA folks how much data were required of the
22 other acellulars as they were licensed in regard to
23 combinations? Is this quite disparate or were there
24 similarly small data which were enlarged post-
25 licensure?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GEBER: I think that the number of
2 doses -- the number of doses of concurrent
3 immunizations that were given were small. I think
4 that our thinking has changed over the years and I
5 think one of the difficulties we find ourselves in is
6 this was an application developed early in the 1990's
7 and submitted in 1996 and coming up for licensure
8 today. So I would say that for the other acellular
9 vaccines licensed several years ago, the numbers were
10 smaller -- were small.

11 DR. KATZ: It seems to me there are two
12 issues. Of course, one is the safety issue and
13 reaction. If you have a "unfavorable reaction", to
14 what do you attribute it when you are giving multiple
15 vaccines. And that is going to be an issue that is
16 going to haunt us increasingly as we do better with
17 combination vaccines. That is not a simple one. That
18 is not going to be answered with a couple of hundred
19 or a couple of thousand. That is going to be post-
20 licensure with tens of thousands. The other issue is
21 immunogenicity. Is there any interference so that with
22 one or another you diminish the immunogenicity of one
23 or another product. And given the success of the
24 currently licensed acellular vaccines, I don't see
25 anything that we have heard that would lead me to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concerned that this product would be more likely to
2 interfere than the others. So I would vote for post-
3 licensure surveillance.

4 DR. GRIFFIN: Dr. Huang, would you like to
5 comment on these post-licensure/pre-licensure issues
6 with respect to I think we are talking about really
7 both safety and immunogenicity issues as far as its
8 use in combination with other vaccines, which kids are
9 increasingly getting more of.

10 DR. HUANG: We only saw data with
11 diphtheria and OPV. Any others? I think with those
12 I am pretty comfortable that the indications are that
13 there are no problems in combining them. However, we
14 haven't heard a thing about the others. And I think
15 that I would prefer to hear something about it, no
16 matter how small the population, before it is combined
17 with many of the other vaccines that others have
18 mentioned.

19 DR. GRIFFIN: Dr. Diaz?

20 DR. DIAZ: I think for the immunogenicity,
21 I would want to see some data prior to licensure
22 regarding MMR and other vaccines that we typically use
23 in this country. This safety, I think -- I don't have
24 any real reason to feel that that needs to be pre-
25 licensure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: So post-licensure
2 monitoring. Ms. Fisher?

3 MS. FISHER: Oh, I think absolutely you
4 have to have pre-licensure data. I mean as more
5 vaccines and new vaccines are coming up, the public
6 expects to have more data about safety and efficacy.
7 And I think, again, my earlier comment, at some point
8 we are going to have to move beyond the old standards
9 into new standards. And I think they are going to have
10 to be higher standards. So I would say pre-licensure
11 definitely.

12 DR. GRIFFIN: For?

13 MS. FISHER: For the simultaneous
14 administration of this vaccine with other vaccines.
15 There is just such little data really that they
16 presented. And in some cases no data. Children in
17 this country sometimes get all the vaccines on one day
18 -- 10 and 11 vaccines in one day. We have to have this
19 information.

20 DR. GRIFFIN: Dr. Faggett?

21 DR. FAGGETT: Yes, I think we do have to
22 have pre-licensure data obtained both from an
23 immunogenicity as well as safety standpoint. I think
24 the fact that pertactin is a whole new element in this
25 whole ballgame, we need to see just how that plays

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out. So I would say, yes, pre-licensure.

2 DR. GRIFFIN: Okay.

3 DR. GOLDBERG: The immunogenicity data
4 should be pre-licensure. The safety can be post-
5 monitored.

6 DR. GRIFFIN: Okay. Dr. Fleming?

7 DR. FLEMING: I am in agreement. I
8 definitely think the immunogenicity data for
9 combinations should be enriched and it should be done
10 pre-marketing. The other question that we are going to
11 be asked to address, which is the issue of enhanced
12 understanding of safety, as I see it a big part of
13 what we need there is the large scale experience that
14 will allow us to address rare but important events.
15 And I see that coming predominantly in post-marketing.

16 DR. MYERS: I think the immunogenicity
17 data needs to be pre-licensure, particularly for IPV,
18 varicella and MMR -- but particularly the IPV. As to
19 the safety data, I think the amount of safety data
20 available for the fourth dose is really marginal and
21 so I think that needs to be pre-licensure. I think the
22 other safety issues that we talked about could all be
23 post-licensure.

24 DR. GRIFFIN: Okay. Dr. Livengood?

25 DR. LIVENGOOD: I would go along with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that. I would just add the pneumococcal vaccine.

2 DR. GRIFFIN: Okay.

3 DR. MYERS: I would add it to mine too.

4 DR. GRIFFIN: All right.

5 DR. FLEMING: I agree.

6 DR. GRIFFIN: Okay. Does that give you the
7 feedback that you are looking for? Okay, question
8 number 4 is please identify any issues that should be
9 addressed by post-marketing studies. So other things
10 that should be taken into consideration when this
11 vaccine is licensed other than the things we have
12 already discussed. I think the one thing that we
13 hadn't -- that I just commented on and I think Dr.
14 Diaz was whether we could build into any post-
15 marketing studies some enhanced ability to understand
16 what is protective and the role of pertactin
17 antibodies or immunity in protection. Someone could
18 study that. Other things that people would like to
19 make sure are on the table for post-marketing studies?
20 Okay. Well, thank you all very much for an interesting
21 -- oh, excuse me. Just a moment. We have one more
22 comment here.

23 DR. DIAZ: I thought we were going to
24 comment upon those issues.

25 DR. GRIFFIN: Oh, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DIAZ: If I could for just a second.
2 I think it is very important that we work towards
3 strengthening our post surveillance, not just for
4 perhaps this vaccine, but for all of the pertussis
5 vaccines. There is still a significant amount of
6 disease that does occur. And some of that disease
7 occurs, obviously, in vaccinated children, albeit one,
8 two, three or four doses. And although attempts are
9 made, I think stronger attempts need to be made to
10 really with disease to very much identify the type of
11 vaccine that is given. And it is very difficult
12 actually in case investigations to work back and
13 sometimes even identify which, if there is only one,
14 and sometimes multiple types of DTaP that have been
15 given to an individual child. Additionally, I think
16 that just bears in mind the need for stronger areas
17 like registries and the ability to monitor things in
18 that setting perhaps would be enhanced.

19 There are other issues I think that are
20 coming to light. We have talked a lot about the
21 antibody responses in the individual. There are also
22 issues with the organism itself and the polymorphism
23 of pertactin and pertussis toxin that has been
24 investigated and recently reported. And how that will
25 play into this country in terms of vaccine efficacy in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the long run is another issue that I think we need to
2 start addressing in some of the surveillance systems
3 that are already being developed in addition to saving
4 isolates and looking at them very carefully when there
5 is vaccine failure or disease period in addition to
6 trying to get antibody and serologic studies on those
7 children who fail vaccine.

8 DR. GRIFFIN: Okay. Other comments? Yes.

9 DR. HEWLETT: That is a very good point.
10 I agree there is a lot of -- skepticism is not the
11 right word. It is not clear exactly why the disease is
12 occurring in Europe. Some people have put forth the
13 hypothesis that it is the heterogeneity of some of
14 these antigens. There is some skepticism about that.
15 But absolutely we need to find out. And that is an
16 appropriate thing to be doing in this context of post-
17 marketing or whatever level of surveillance along with
18 the safety and immunogenicity data to be looking at
19 those kinds of issues. Do people make antibodies that
20 correspond to an organism but that doesn't correspond
21 to the vaccine?

22 DR. GRIFFIN: Okay. Dr. Midthun?

23 DR. MIDTHUN: I just wanted a
24 clarification for the record. But if others were still
25 going to comment, I will wait until the end.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: Okay. Any other comments?
2 Dr. Huang?

3 DR. HUANG: We do know that the Swedish
4 population is relatively homogeneous. I think it would
5 be useful in trying to follow up as to the differences
6 in immune response. If it is related to any of the
7 factors that we know about, and one of them I
8 mentioned before, HLA. I think that is -- if we can
9 understand that, that would be quite helpful.

10 DR. GRIFFIN: Any other comments before
11 Dr. Midthun seeks clarification?

12 DR. MIDTHUN: I know that on the last go
13 around a lot of people specifically stated both for
14 immunogenicity and for fourth dose safety data whether
15 it would be required pre or post-licensure. But I am
16 not sure that I heard that regarding the fourth dose
17 safety data from everyone.

18 DR. GRIFFIN: Okay. Are we missing --

19 DR. GEBER: I think we were going to come
20 back and address whether the size of the fourth dose
21 data base was adequate to support safety. And perhaps
22 some of us are unclear whether that specific -- in the
23 absence of concurrent immunization.

24 DR. MIDTHUN: Just in and of itself, is
25 the size of the fourth dose data base adequate? Is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the safety for fourth dose adequate? The safety data
2 base for fourth dose. As I say, some people
3 specifically addressed that.

4 DR. GRIFFIN: Okay. We will have everybody
5 address that question specifically. Dr. Estes?

6 DR. ESTES: My answer was no.

7 DR. GRIFFIN: That is what I thought. Dr.
8 Huang?

9 DR. HUANG: My answer was yes.

10 DR. GRIFFIN: Dr. Diaz?

11 DR. DIAZ: Yes.

12 DR. GRIFFIN: Ms. Fisher?

13 MS. FISHER: No.

14 DR. FAGGETT: Dr. Faggett votes no.

15 DR. GOLDBERG: Yes.

16 DR. FLEMING: I am on the fence, but I
17 believe I am inclined to think it is all right,
18 although I recognize that it is really limited for
19 those that receive it before 17 months.

20 DR. MYERS: No.

21 DR. LIVENGOOD: I would prefer to see more
22 data pre-licensure.

23 DR. HEWLETT: I thought that this was
24 perhaps going to be made moot by the requirements for
25 other immunogenicity data. I think the data are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 limited. I think they are probably okay, especially
2 since they are not inconsistent with other vaccines.
3 But it will be important at one level or another to
4 have additional data. I think post-licensure is
5 satisfactory.

6 DR. GRIFFIN: Okay. So I think you have
7 a split vote.

8 DR. MIDTHUN: Yes, we do.

9 DR. GRIFFIN: And for those of you who are
10 interested, there was asked for a tally on the three
11 dose regimens. It was four people no, five people yes
12 and five people abstained. So we are sorry we are so
13 equivocal, but I think maybe that is what the data are
14 leading to. Okay. Any other issues that you would
15 like further clarification of? Okay. Then thank you
16 very much for everybody for participating. We will see
17 you whenever.

18 (Whereupon, at 4:05 p.m., the meeting was
19 concluded.)
20
21
22
23
24
25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of:

Vaccines and Related Biological Products
Advisory Committee

Before:

DHHS/FDA/PHS/CBER

Date:

November 3, 2000

Place:

Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


