

4324 '00 AUG 25 P12

PUBLIC MEETING
ON
THE SAFETY OF DIETARY SUPPLEMENTS
CONTAINING EPHERDINE ALKALOIDS
VOLUME I of II

Date: August 8, 2000
Pages: 1 through 298

DHHS OFFICE ON WOMEN'S HEALTH DOES NOT
GUARANTEE THE ACCURACY OF THE TRANSCRIPT.

00N-1200

TRI

PUBLIC MEETING
ON
THE SAFETY OF DIETARY SUPPLEMENTS
CONTAINING EPHEDRINE ALKALOIDS
VOLUME I of II

Tuesday, August 8, 2000

U.S. Public Health Service
Cohen Building Auditorium
Washington, D.C.

The meeting in the above-entitled matter
was convened, pursuant to notice, at 9:00 a.m.

A G E N D A

Public Meeting: Safety of Dietary Supplements Containing
Ephedrine Alkaloids

Tuesday, August 8, 2000

- 8:00 am Registration
- 9:00 am OPENING
Wanda K. Jones, DrPH, Director
Office of Women's Health
- 9:10 am Ephedra-containing Compounds: Historical and
Pharmacologic Context
Harry H.S. Fong, Phased, University of
Illinois, Chicago
- 9:50 am BREAK
- 10:05 am Adverse Event Reports: Database and Clinical
Studies
Lori A. Love, MD, PhD, FDA
Julie G. Bietz, MD, FDA
George Ricaurte, MD, PhD, Johns Hopkins
University School of Medicine
Raymond L. Woosley, MD, PhD, Georgetown
University School of Medicine
Cynthia Culmo, Texas Department of Health
- 11:50 am Q&A
- 12:10 pm LUNCH (on your own)
- 1:10 pm Adverse Event Reports: Ephedra Education
Panel of Experts Review
Steven E. Kimmell, MD, MSCE, University of
Pennsylvania
Theodore M. Farber, PhD, DABT, Toxicchemica
International
Norbert P. Page, DVM, MS, Toxicchemica
International
Grover M. Huchins, MD, Johns Hopkins
University School of Medicine
Steven B. Karch, MD, City of San Francisco
John W. Olney, MD, Washington University
Medical School
Edgar H. Adams, MS, SCD, Harris Interactive
- 2:55 pm Q&A
- 3:15 pm BREAK

Public Meeting: Safety of Dietary Supplements Containing
Ephedrine Alkaloids

3:30 Abstract Session I
3:30 Barbara J. Michael, HEAT
3:45 Q&A

3:50 James S. Turner, Swankin & Turner
4:05 Q&A

4:10 Linda Golodner [Brett Kay], National Consumers
League
4:25 Q&A

4:30 Col. Esther F. Myers, PhD. RD, FADA
U.S. Air Force
4:45 Q&A

4:50 Adraine Fugh-Berman, MD National Women's Health
Network
5:05 Q&A

5:10 Michael McGuffin, President, American Herbal
Products Association
5:25 Q&A

5:30 Robert M. Stark, MD, FACP, Yale University
5:45 Q&A

5:50 Public Comment Session A

5:50 1. Samieh Wood, Private Citizen
5:53 2. Hanna K. Zewchzer, Private Citizen
5:56 3. David Molony, American Association of
Oriental Medicine
5:59 4. Pablo Francisco Semiao, Private Citizen

6:02 p.m. Adjourn

LISTENING PANEL

Chair: **Wanda Jones**, Dr. P. H.
Deputy Assistant Secretary for Health (Women's Health)
Director of the Office of Women's Health
U.S. Department of Health and Human Services

Aaron H. Burnstein, PharmD
Clinical Pharmacokinetics Research Laboratory
Clinical Center Pharmacy Department
Building 10, Room IN-257
Bethesda, MD

Paul Coates, PhD
Director
Office of Dietary Supplements
NIH

Harris Lieberman, PhD
Supervisor Research Psychologist
US Army Research Institute of Environment Medicine
42 Kansas Street
Natick, MA 01760-5007

Rossanne M. Philen, M.D., MS
Health Studies Branch
National Center for Environmental Health
Centers for Disease Control and Prevention
1600 Clifton Road, NE
Mailstop E-23
Atlanta, GA 30333

Mary Ann Richardson, Dr.P.H.
Program Officer
National Center for Complementary and Alternative Medicine
National Institutes of Health

Marcel Salive, MD, MPH
Prevention Scientific Research Group
Division of Epidemiology and Clinical Applications
National Heart, Lung and Blood Institute
National Institutes of Health

Berne Schwetz, DVM, PhD
Acting Deputy Commission for Food and Drugs
Food and Drug Administration
5600 Fishers Lane
Rockville, MD 20857

P R O C E E D I N G S

[Time noted: 9:00 a.m.]

DR. JONES: Good morning, everyone. My name is Wanda Jones. I am the Director of the Office on Women's Health. We are here to address the available scientific information on the safety of dietary supplements containing ephedrine alkaloids.

During the course of this two-day public scientific meeting, we hope to have four specific questions addressed by the many individuals and organizations who have come to provide information. These questions are: First, what positive and adverse physiologic actions would be expected of ephedra based on its known constituents? Does the available information show an association between the use of dietary supplements containing ephedrine alkaloids and adverse events, that is cardiovascular, center nervous system, psychotropic, or other events when used as directed.

Second, are there any circumstances for which there are well-established indications for the use of dietary supplements containing ephedrine alkaloids? What dose and duration of use are needed for those indications and what is the quality of any data to support such use?

1 Third, how would one characterize the
2 seriousness and/or severity of the risks of ephedrine
3 alkaloids labeled for weight loss and exercise
4 enhancement taking into account issues such as user
5 demographics, age, sex, or race ethnicity, the amount
6 consumed across the population, use with other natural
7 or synthetic stimulants, such as caffeine, synephrine,
8 yohimbine, or the added stress of exercise and
9 individual sensitivity to these types products?

10 And, fourth, are the outcomes associated with
11 these products affected by dosage, by user
12 characteristics such as age or predisposing health
13 conditions, or behaviors such as combining use with
14 other stimulants or other compounds? Is it affected by
15 the duration of exposure or by others means?

16 Well, you may be wondering why we in the
17 Office of Women's Health, at the Public Health Service,
18 Office of Public Health and Science is convening this
19 forum. Well, many women take these products as dietary
20 supplements which makes this issue central to the
21 immediate and broader public health concerns of the
22 Office on Women's Health.

23 In addition, since the purpose of the meeting
24 is informational and not regulatory, it is more
25 appropriate for this meeting to be held outside of a

1 regulatory context.

2 Of course any data obtained during our
3 discussions over the next two days will help the Food
4 and Drug Administration in it's ongoing assessment of
5 ephedra. And so we'll deposit a record of this meeting
6 in the FDA docket.

7 As we begin our discussion today, it may be
8 helpful to provide a brief historical overview of the
9 key events that have brought us here. In 1997, FDA
10 published a proposed rule that addressed the safety of
11 dietary supplements containing ephedrine alkaloids.
12 This proposal mainly suggested limits on dosage and
13 use. A copy of this Federal Register proposal is
14 provided on the information table in the registration
15 area.

16 In response to the Federal Register
17 announcement, FDA received numerous comments from the
18 public. In addition the General Accounting Office
19 audited the agency's procedures in developing its
20 proposed rulemaking. The audit raised a number of
21 issues about how the FDA had arrived at its proposed
22 dose limits and about the openness of the process.

23 In addition to the audit, new information
24 collected by FDA, and the interest expressed by
25 consumers, manufacturers, and health care providers led

1 FDA to withdraw a large part of the 1997 proposal this
2 past spring.

3 The agency reopened the comment period to
4 provide an opportunity for discussion and assessment of
5 the information related to the safety of these
6 products. And that has led to this public forum.

7 The purpose of our forum is not regulatory
8 management, but rather an effort to obtain and assess
9 all available information related to the safety of
10 these products used for weight loss and energy. And to
11 that end, the Office on Women's Health has brought
12 together a panel of federal experts in pharmacokinetic,
13 epidemiology, toxicology, nutrition, and behavior,
14 preventive medicine, and clinical pharmacology to
15 assist us.

16 The role of this panel is to listen, to guide
17 the discussion within the context of the four specific
18 questions we have asked and to seek clarity where
19 needed.

20 Let me take a moment to briefly describe the
21 format and logistics for the meeting.

22 This morning and right after lunch
23 presentation will focus on issues concerning the safety
24 assessment of dietary supplements containing ephedrine
25 alkaloids. Then we will have our first abstract

1 session followed by a few minutes of public comment.
2 Tomorrow morning opens with public comment then there
3 are abstract sessions, before and after lunch, followed
4 by more public comment.

5 The speakers during public comment are
6 numbered in your agenda. Tomorrow particularly, where
7 there are a lot of you, there are numbered seats toward
8 the front of the middle section and it will help move
9 things along if you find the number that corresponds
10 with the number that is preceding your name in the
11 agenda and have a seat in that chair so that we can
12 help move you forward as the public comment period
13 proceeds.

14 It is my goal to start and the meeting and
15 all presentations including the public comment as
16 scheduled. We will be timing presentations, we have
17 lights and signs that will be visible to the audience
18 and the speakers to queue them about their remaining
19 time.

20 We will try to flash the green light or show
21 the sign when there are approximately two minutes left
22 and give you a warning so you can wrap up, and the end
23 on time. And a flashing red will mean stop. We want
24 to be sure that everyone who has registered for time to
25 speak has that some available to present his or her

1 views.

2 All speaker shown in the agenda should state
3 for the record -- all speakers, no matter where you're
4 from -- state for the record their name, affiliation
5 source of funding for their activities, as well as
6 source of funding for their travel here. Please be
7 ready to come to the podium as your time approaches.

8 Because we had limited time for additional
9 open comments, I would like to remind you that FDA
10 welcomes your written comments and has reopened the
11 public docket as of August 10th, 2000 through September
12 30th. This docket will display all of the information
13 that the Agency has received including the information
14 presented at this meeting.

15 We have also requested this meeting be
16 transcribed. The meeting transcripts will be available
17 to the docket as quickly as possible. We expect within
18 15 working days. Information about how to access the
19 public docket and submit your comments is included with
20 registration materials and certainly is on the table
21 ins at the registration area.

22 Before we begin, I would like to extend a
23 very special thank you in advance to everyone who has
24 come to share their views with us. I am glad to see so
25 many of you here this morning. I think I've talked to

1 virtually every one of you on the telephone. It has
2 really been one of the most exciting things I've done
3 in recent years.

4 We have attempted to assemble an agenda with
5 a full spectrum of interested parties, and everyone, no
6 doubt, has very strongly held views and very useful
7 information for all us to consider.

8 We have relied in part on umbrella
9 organizations including consumer organizations,
10 professional societies, and trade groups to represent
11 their members and to identify for us, panelists and
12 speakers for this meeting. And we are very grateful
13 for your cooperation.

14 I would also like to extend my thanks to all
15 of the other people within the Department of Health and
16 Human Services an the Public Health Services agencies,
17 the National Institutes of Health, the Food and Drug
18 Administration, the Centers for Disease Control and
19 Prevention, and the staff of my office who have
20 contributed a great deal of time and energy to planning
21 and making this forum possible.

22 And, now, a little housekeeping. No food
23 and beverages are allowed in this auditorium, and I'm
24 sorry, that's the bad news of the meeting. The
25 restrooms are in the long corridors. If you exit the

1 auditorium, turn right, and go to that main cross area,
2 turn right or left, and you'll see signs directing you
3 to restrooms.

4 And then for lunch, we have a map available
5 of places where you can grab a quick bite for lunch the
6 are very close by. You'll need to wear you name badge
7 at all times in the building, and to enter the
8 auditorium.

9 For the deaf and hard of hearing we have
10 interpreters with us today, Yvonne Robison, here in
11 front of me, and Lisa Beth Schaefer, who will be
12 providing sign language interpretation. So, if you do
13 need interpretation please feel free to move forward so
14 you can see them better because the lighting will not
15 always be this strong.

16 If you have a medical emergency please let
17 staff know. They are around and we can get you
18 attendance. There is a very close by a clinic that we
19 can be sure that your needs are taken care of.

20 And finally, I would ask that you turn off
21 cell phones and beepers, or at least set them on silent
22 alert for the duration of the meeting.

23 So let me underscore, we are here to listen,
24 to guide the discussion within context of the four
25 questions described earlier, and to clarify.

1 So, now, let me introduce the members of the
2 expert panel who are with me here on stage. Starting
3 at the far end, Dr. Paul Coates from the Office of
4 Dietary Supplements at the National Institutes of
5 Health; Dr. Harris Lieberman from the U.S. Army
6 Institute of Environmental Medicine at Natick,
7 Massachusetts. Next to him, Dr. Aaron Burstein from
8 the Clinical Center at the National Institutes of
9 Health; Dr. Mary Ann Richardson from the National
10 Center on Complementary and Alternative Medicines at
11 the National Institutes of Health; Dr. Berne Schwetz
12 from the Office of the Commissioner and the Office of
13 Science Administration from the Food and Drug
14 Administration. And I am hiding here, Dr. Marcel
15 Salive from the National Heart, Lung and Blood
16 Institute at the National Institutes of Health.

17 Now, we do expect one other Panel member to
18 join us but we will proceed until she arrives.

19 Without further adieu, I know that you're
20 waiting for our first speaker this morning. Is that
21 microphone work now?

22 Good.

23 It's my pleasure to Invite Dr. Harry S. Fong
24 now to open the meeting with a background presentation
25 on Ephedra-Containing Compounds or their Historical

1 and Pharmacological Context. And we've asked him to do
2 all of this in about 30 minutes so that we can ask him
3 questions if need be following his presentation. So it
4 is a Herculean task.

5 Dr. Fong, welcome and thank you.

6 DR. FONG: Thank you very much Secretary
7 Jones. I know this is politically incorrect, I was
8 going to say my inspiration for public speaking is
9 Elizabeth Dole the way she walks around. But this may
10 not be politically correct and I guess -- well, I'll
11 try to stand up.

12 I really appreciate being asked to come and
13 talk to you. As Dr. Jones said we look at the
14 historical perspective in pharmacology and I would like
15 to point out that I come from a very unique place, the
16 University of Illinois in Chicago and NIH Center for
17 Botanical Dietary Supplement Research. I think we are
18 one of two and Dr. Coates up there has something to do
19 with us getting some funds. So let me make my public
20 thanks and advertisement.

21 When Dr. Jones asked me to speak, she was
22 under the impression that I am some kind of an expert.
23 I will not disagree with her that I am some kind of
24 expert, but at this point I think I should tell Dr.
25 Jones what kind of an expert that I am. And expert by

1 definition is a person from out of town who is willing
2 and able to say, "Have Slides Will Travel."

3 [Laughter.]

4 DR. FONG: With that out of the way, let me
5 get down to my presentation. Is this mike working?
6 Good.

7 Let's define what is ephedra. Ephedra is the
8 dry area part of ephedra seneca or other related
9 ephedra species. Ephedra has many names, vernacular
10 names, the most famous of which is ma huang, a Chinese
11 name. As you know it literally means -- the "ma" means
12 the astringent action on the tongue; "huang" is the
13 yellow color of the drug. Here is a stem of the
14 ephedra seneca for the few of you who might not know
15 what it looks like. I'm sure everyone here knows what
16 the plant looks like.

17 In terms of geographical distribution there
18 were more than 30 species distributed throughout the
19 world particularly in Asia and even some in North and
20 Central America; there are about 10 species in the
21 Americas.

22 Try to give you a slight brief history. As
23 we all know ma huang has been used for more than 5000
24 years in China as traditionally China's medicine for
25 the treatment of asthma, congestion, colds, and so on

1 and so forth.

2 And ephedrine itself was isolated binding
3 guide in Japan in 1887 and five years later or six
4 years later Merck isolated pseudoephedrine from the
5 same species in Europe. So you've got a world apart
6 with the two alkaloids the two major alkaloids being
7 isolated from the same plant.

8 In my opinion the classical and pioneering
9 work which leads to the use of ephedrine was conducted
10 by Dr. Kay K. Chen at the Peking Union College back in
11 the early 20s and the introduction of this particular
12 drug into Western medicine was followed the work
13 publication in 1924 and 1930 by Chen and Schmidt.

14 In an effort to look for a more active or
15 less toxic compound amphetamine was synthesized using
16 ephedrine as the model in 1927. Then we jumped ahead
17 to the early 1990s, significant to me is the promotion
18 of ephedra and ephedrine for use as a weight reduction
19 dietary supplements or as alternative street drugs.

20 Twenty-five years ago we had the pleasure of
21 Dr. Kay K. Chin visiting us and giving us a very, very
22 detailed recapitulation of his work in this area so I
23 thought I would share a pitcher with you. Chemically
24 speaking ephedra contains more -- could be up to more
25 than 2 percent of alkaloids and the major alkaloids are

1 three stereoisomeric pairs, Ephedrine, pseudoephedrine,
2 Norephedrine, Methylephedrine and Methylpseudoephedrine
3 with ephedrine being 40 to 90 percent of the total
4 alkaloid.

5 Let's look at the structure so that we are
6 all in sync of what we are talking about. Ephedrine
7 differs from pseudoephedrine by the stereo chemical
8 configuration of the hydroxy group at a carbon 3 and a
9 sci chain, and no ephedrine and no pseudoephedrine
10 again the same difference as methylephedrine and
11 methylpseudoephedrine and one note that the difference
12 between ephedrine and norephedrine is the lack of the
13 methyl group in norephedrine methylephedrine has a two
14 methyl group so one look at the basic skeleton there it
15 is all the same.

16 So one would expect that the biological
17 activity or pharmacological effect are basically
18 similar. There may be differences in the degree and
19 the specific effects.

20 The occurrence of the different alkaloids
21 vary from species to species. And this is just some
22 example. I don't expect you to read this slide from
23 way back in the room. I just want to emphasize in
24 ephedra seneca, ephedrine account for about 60 percent
25 of the total alkaloids and 65 percent of

1 pseudoephedrine being the second largest. In this
2 particular slide the only species that has more
3 pseudoephedrine than ephedrine is ephedra intermedia.

4 Now, what are the primary uses for ephedra,
5 and it is proven effective as a nasal decongestant and
6 treatment of various types of associated ailments and
7 is a very good broncho dilator in the treatment of
8 asthma. And a secondarily one can use it for treatment
9 of -- narcolepsy and partial hypertension. But the
10 primary use, I would like to stress as a nasal
11 decongestion bronchial dilation.

12 Looking at an overview of the pharmacology of
13 this type of compound, ephedrine and pseudoephedrine
14 are major constituents, as I said before, and they
15 along with the related compounds of potent
16 sympathomimetic that act directly by stimulating the
17 Alpha Beta 1 and Beta 2 receptors of adrenergic
18 receptors and more commonly by indirect stimulating the
19 release of norepinephrine from neuron stores. And so
20 it primarily acts like release of norepinephrine and
21 then have some direct effect.

22 If one looks at the structure of epinephrine
23 and norepinephrine compared its structures to ephedrine
24 and pseudoephedrine one sees the basic carbon skeleton
25 and the amino group being the same. The difference

1 being the hydroxy group in a side chain and the extra
2 methyl group. So it's not surprising that they do act
3 directly on the same receptors and as we said,
4 ephedrine and pseudoephedrine act primarily to induce
5 the release of norepinephrine.

6 Now, I would like to emphasize that
7 pseudoephedrine and ephedrine have the same spectrum of
8 activity except that pseudoephedrine is a weaker
9 compound when it comes to its hypertensive effect and
10 the CNS stimulant effect.

11 Either compound on repeated dosing can lessen
12 the biological effect because of the depletion of
13 norepinephrine from the stores. So continuing use does
14 not necessarily mean you will have the same effect.

15 In terms of women's health, the reason I
16 think I was invited to women's health, ephedrine does
17 effect the uterine muscle and consequently is being
18 used to relieve the pain of dysmenorrhea. Every
19 professor is allowed one slide where people can read.
20 Dysmenorrhea unlike the red color to emphasize the
21 condition, by you can't really see the red. So I'm
22 sorry about that.

23 Ephedrine also stimulates the alpha adrenergic
24 receptor in the bladder, small muscles, and one does
25 increase the resistance to the outflow of urine so this

1 would be good in the management of incontinence or
2 aneurin. But, unfortunately, for the few of us male in
3 the audience one experience BPH and for those of us in
4 that category one should refrain from using this type
5 of compounds; it is tough enough as it is.

6 In the terms of cardiovascular effects they
7 have the same action as epinephrine but ephedrine the
8 action persists ten times longer than that of
9 epinephrine and it is orally effective. The activities
10 mediated by the release of norephedrine and the
11 activation of both the alpha and beta receptors.

12 The alkaloid will stimulate the cardiac rate
13 output and increase the peripheral vascular resistance
14 and that produce a blood pressure rise. Elevate both
15 this systolic and diastolic pressures and the pulse
16 pressure as well. Most importantly is stimulates the
17 systemic razor restrictions and one gets hypertension
18 from overdose or excessive use.

19 Broncho dilation effect. The compounds
20 ephedrine especially as a very potent branchial dilator
21 because of its activation of the Beta adrenergic
22 receptor in the lungs. The effect is less pronounced
23 than epinephrine but it's so much to sustain longer
24 both ephedrine and pseudoephedrine are useful as nasal
25 decongestants, and due to the alpha adrenergic receptor

1 mediated razor constriction effect.

2 However, continued use of this type of
3 material, let's say after more than three days
4 continued use one can have a rebound effect. And if
5 one relies on nasal decongestants as a medical aid, I
6 don't know of too many nasal decongestants that do not
7 call rebound effect. So one must use it judiciously.

8 In terms of the central nervous system effect
9 ephedrine is already active as I said earlier. The
10 important thing of ephedrine and related compounds
11 stimulate the release of dopamine from the nucleus
12 accumbens area of the brain. As the dopamine is
13 released it then binds with the D1 and D2 receptors
14 which then gives us the feeling of a well-being and
15 give us a high.

16 The ephedrine compound also activates the
17 adrenergic receptors located in the CNS which also
18 contributes to our feeling of well being.

19 Now, another CNS effect is with anorectic or
20 weight and appetite suppression. Hence one has also a
21 product like that.

22 Now, as I said earlier, the promotion of
23 ephedrine and ephedra for weight loss was in the early
24 1990s. In terms of efficacy I have a question mark
25 because I haven't read too many clinical reports. Of

1 the ones I could get hold of the effective weight loss
2 is somewhere between four to ten pounds used over two
3 to six months and some of the clinical studies also
4 include a restricted diet, and so, make one wonder, is
5 it the ephedrine or is it from the diet. But it
6 definitely had some effect; how long it last I don't
7 know.

8 Theoretically the ephedra type alkaloids is
9 effective in that it has two actions. It has central
10 enuretic effects suppressed to appetite which is one of
11 the side effects of this kind of medication, it also
12 has the peripheral thermogenic effect to burn up the
13 fats, -- the triglycerides and so on and so forth.

14 I would like to make note that caffeine is a
15 more potent thermogenic agent and perhaps that's the
16 reason why they are put together. I would like to
17 digress a little bit and look at the relative strength
18 of the DCNS stimulant effect of various amines. As we
19 said methamphetamine and dextroamphetamine will
20 synthesize for a better drug.

21 And methamphetamine is greater then the
22 ephedrine and pseudoephedrine and norephedrine in terms
23 of the CNS effect. And, coincidentally, all of these
24 compounds have been used as in weight reduction
25 formulas one way or another.

1 I would like to point out that
2 methamphetamine and amphetamine was really prescribed
3 in the 1950s and '60s as an anorectic agent and they act
4 primarily as to appetite suppression but the tolerance
5 to appetite suppression develop very quickly. In the
6 '50s and '60s I was a student at that time, a lot of us
7 was overweight, so a lot of us did take the amphetamine
8 but a lot of us also did not lose weight, a lot of us
9 ate just as well as before. Not naming names or
10 anything like that.

11 And phenylpropanolamine is another compound
12 obtained from ephedra and it's been used since the
13 1980s as weight reduction with or without caffeine.
14 Again, the effectiveness come in a question, in my
15 opinion, because after three months of use they may not
16 work anymore.

17 In terms of ephedrine and caffeine
18 formulation the theoretical mechanism of action have
19 scientific base because the ephedrine has the central
20 anorectic effect, whereas, caffeine has the greater
21 peripheral thermogenic effect so the combination should
22 work. However I would like to point out that this type
23 of combination product also have potentially a lot of
24 adverse events of CNS and other anomic peripheral
25 system events and it may have been synergistic CNS

1 stimulation between the two.

2 There's a study on the content of alkaloids
3 and various diet products and one of the things that
4 intrigued me was that there is a great variation of the
5 content of alkaloids from one product to another even
6 within the same product -- I don't know whether this
7 product, herbal ecstasy, is still available or not, but
8 you get two lots. One has a total different profile
9 than the other and the contents of the ephedrine
10 alkaloids are also quite different. You draw your own
11 inclusion what this means.

12 Adverse events the principal adverse event to
13 us is the CNS stimulation particularly the therapeutic
14 index is very low. At two times the does one can have
15 adverse events. Most of the adverse conditions are
16 mild, particularly dosages 30, 60 milligram or whatnot
17 one can have nervousness like I am having now even
18 though I didn't take ephedrine this morning; one can
19 have headaches, insomnia, dizziness, palpitation, skin
20 flushing, tingling, tremors and urinary retention, so
21 on and so forth. Personally I can't take decongestion
22 of this of product. If I take and pseudoephedrine or
23 ephedrine, my heart will be pumping a mile a minute.
24 So there are idiosyncratic reactions.

25 The most severe reaction is hypertension.

1 That is the most important event caused by high dose or
2 prolonged use. Arrhythmia and tachycardia also results
3 and in the case of tachycardia and potentially death
4 these events in our opinion used to be associated with
5 the concomitant administration of other drugs like
6 caffeine and antihistamine, and particularly then you
7 dump in phenylpropanolamine along with ephedrine or so
8 on and so forth. One can have an adverse event.

9 Now, the potential drug interactions of this
10 drug ephedra, ephedrine is a drug. It's a CNS
11 stimulant. Of course it should be not coadministered
12 cardiacthycal like digitalis heart patients should not
13 be taking this kind of stuff, people on sympathomimetic
14 agents or among amino oxidase inhibitors among others
15 totally contraindicated for that sort of thing.

16 Now, in conclusion, I would like to make a
17 couple observations. Ephedra and ephedrine alkaloids
18 are useful and can be very useful short-term
19 sympathomimetic agents particularly in bronchial asthma
20 as decongestants. The effectiveness as a weight
21 reduction agent, I keep saying that it's a question
22 mark because I have to see more clinical papers to
23 convince me in terms of the risk to benefit ratio. It
24 does work; people have lost a few pounds, but is that
25 loss worth it.

1 In terms of mild/severe reactions it can be
2 manifested as I said from both individual sensitivity
3 or prolonged use or overdose.

4 One thing I absolutely do not recommend is
5 the use or production of this product as street drugs.
6 Thank you very much for your attention.

7 [Applause.]

8 DR. JONES: Thank you Dr. Fong.

9 Let me turn first to panel members, if you
10 have questions for Dr. Fong.

11 [No response.]

12 DR. JONES: Let me turn to the floor. If you
13 have questions for Dr. Fong there are microphones. If
14 you would proceed to a microphone and ask your
15 question, brevity is the should of wit for all of us.

16 Please proceed. Yes sir. Identify yourself
17 if you would please the record.

18 MR. REINHART: Jeffrey Reinhart, People for
19 Pure Foods.

20 Dr. Fong, would you comment or clarify on the
21 stereo specificity difference between
22 phenylpropanolamine and the plan of ephedrine?

23 DR. FONG: This high-tech stuff is good if
24 you know how to do these things. Some of it just
25 doesn't work the way I want it to work.

1 Phenylpropanolamine is low ephedrine, okay.
2 The phenylpropanolamine and ephedrine has the same
3 stereo chemistry. Is that what you are asking?

4 MR. REINHART: I was asking about the racemic
5 situation with phenylpropanolamine. My understanding
6 is that phenylpropanolamine used in commerce is
7 plus/minus racemic mixture whereas in the plant it is
8 minus.

9 DR. FONG: Yeah, okay. And you think that is
10 a tremendous difference in pharmacological effect?

11 MR. REINHART: I think it needs to be
12 elucidated, given the thalidomide issue.

13 DR. FONG: I'm sorry, I really can't at this
14 particular moment in time I don't have the answer to be
15 able to differentiate the difference between minus
16 norephenephrine or the racemic phenylpropanolamine.
17 But, you know, my conviction is that the difference of
18 activity in terms of the at least the CNS stimulation
19 and the cardiac effect will be not that great in terms
20 of one look at the adverse effect and whatnot.

21 Now, I am not saying that phenylpropanolamine
22 is not safe. I'm just cautioning not to use those
23 things over a prolonged period of time or overdose.

24 Jerry, can you comment on the racemic versus
25 minus? I have a colleague who used to be a professor

1 then he decided to go make money.

2 DR. McLAUGHLIN: Yes, I'm Jerry McLaughlin
3 from Nature Sunshine products, now it's an herb
4 company. See if my pointer will work here, here we go.
5 So look at here, there are two asymmetric carbon atoms
6 in the structure of ephedrine. Okay? Now, we can make
7 different structures if we just switch one of those
8 bonds around. Okay. So the ephedrine series are what
9 we call erythrol, that is, both of these substitutions
10 are going off in different -- actually in the same
11 direction if we put all the carbons in a line. T
12 pseudoephedrine have them going three out, or they go
13 in different directions. Okay.

14 Now, one can go one way, and one the other so
15 that makes four possible isomers of ephedrine if look
16 at that. Now, we further complicate the structure by
17 taking the methyl off, you see it's gone over here.
18 Nor means that the methyl is gone. Okay? So, we end
19 up with the nor servers. Remember, Harry also talked
20 about the methylephedrines which puts another methyl on
21 the structure of ephedrine here. So we end up with a
22 huge mixture of all these compounds; and the plant
23 doesn't just make one compound. It makes all these
24 guys; okay.

25 So I think actually the structure of

1 phenylpropanolamine which is essentially this
2 structure, but without defining the stereo chemistries
3 in here giving us not only a racemic mixture of one
4 carbon, but, you know, potentially more isomers all
5 right; so you have at least four potential compounds in
6 phenylpropanolamine. So I'm not really sure what
7 phenylpropanolamine is; but if it does have a mixture
8 of materials -- being synthetic it probably does --
9 it's probably going to somewhat mimic the plant because
10 the plant makes a mixture of materials.

11 DR. JONES: Other questions either from the
12 floor or from the panel?

13 [No response.]

14 DR. JONES: Seeing none.

15 DR. BRAY: Yes, good morning, I'm George Bray
16 from Baton Rouge, Louisiana, interested in the problem
17 of weight. My reading of the ephedrine caffeine
18 studies that Arne Astrup, has published from Denmark
19 would suggest that there is a statistically and
20 clinically significant effect of the combination in his
21 randomized, placebo, double-blind trial. I wondered if
22 you comment why you put so many question marks because
23 I thought that trial was about as nicely designed and
24 executed as you could ask for in a clinical trial.

25 DR. FONG: Okay. That study that you're

1 talking about, yes, I have the question mark as a
2 personal thing. The study that you're talking about is
3 a valid study. My only question was that I think that
4 be total weight loss was about five or seven kilogram
5 of body weight lost as compared to the placebo. Now in
6 the 33.5 kilo as compared to be placebo group there is
7 statistics significance. My question mark isn't
8 whether it does reduce weight. My question mark is how
9 long the patients weight is taken off and maintained;
10 and is five or six pounds worth the risk of adverse
11 events over prolonged periods of time. Okay?

12 So I know I'm getting into a hornet's nest in
13 this discussion. But it's just that for those of you
14 in the audience, I was a member of the FDA ad hoc
15 meeting about five years ago on regulatory aspect and
16 my position was even ephedra and ephedrine are good
17 medication and should not be banned from regulatory
18 status. But on the other hand as a pharmacist and as a
19 professor in pharmacology I questioned that using
20 an agent that has a low therapeutic index of two to
21 three, usually I like to see an agent with therapeutic
22 index of at least 10 to 1, this is my question mark not
23 the statistical significance of the study.

24 DR. JONES: Further questions?

25 [No response.]

1 DR. JONES: I would note then that we are
2 concluded on time. Dr. Fong it does appear that you
3 have done the impossible and laid out a nice background
4 for us for the day. I would send this now we're
5 scheduled for a 15-minute break. It will give you a
6 chance to get acquainted with the lay of the facility,
7 to pick up the lunch map and everything.

8 When we come back after the break we will
9 hear from our first panel that will look at adverse
10 evening reports the database and the clinical studies
11 as they appear in the docket that FDA has made
12 available.

13 Thank you very much for your attention. We
14 will see you in 15 minutes. We will be starting on
15 time at 10:05 a.m. Thank you.

16
17 [Brief recess at 9:45 a.m]

18 DR. JONES: Dr. Julie Bietz, Dr. George
19 Ricaurte, Dr. Ray Woosley, and Cynthia Culmo, shown in
20 your agenda with discussion of the database and
21 clinical studies and adverse event reports. Thank you.

22 DR. LOVE: Dr. Jones, panel members, and
23 guests, this morning I will present an overview on the
24 ephedrine alkaloid containing dietary supplements
25 including new information in FDA's recent assessment of

1 the public health risk associated with the use of
2 ephedrine alkaloid-containing products.

3 The complete documents from this assessment
4 are available publicly in FDA docket No. 0091200.

5 As you can see from the slide, FDA concerns
6 about the safety of dietary supplements goes back a
7 long time. We first became aware of this issue because
8 we received a report of a death in a young man who had
9 been using a number of products for enhancement
10 purposes, for athletic enhancement purposes.

11 In trying to evaluate the significance of
12 this report, we noted that among the products he took,
13 there were ones whose ingredients we were unfamiliar
14 with. Ingredients like ma huang, for which we now know
15 is a Chinese name for ephedra and contains alkaloids
16 which were refer to as ephedrine alkaloids that are
17 very potent sympathomimetic in means, as Dr. Fong has
18 just explain for all of us.

19 Besides becoming aware that these ingredients
20 were in these products, we reviewed the scientific
21 literature which also raised our level of concern; and
22 the other thing that we did was to look in available
23 databases to see if there were other adverse events
24 with this same product or with other products that
25 contained this ingredient and we found cases of these.

1 So in 1993, I'm not sure if I know how to use this.

2 1993 was when FDA first indicated its
3 concerns about the safety of these products. In 1994
4 we held a formal health hazard evaluation board that
5 examined the safety of a particular product for which
6 we had received a large number of adverse events. This
7 was ephedrine alkaloid caffeine containing combination
8 which was felt to represent a significant health
9 hazard.

10 As Dr. Jones mentioned this morning, we have
11 had a number of public meetings where we have discussed
12 the data that are available on these. In 1995 we had a
13 public meeting where we convened a special ad hoc
14 working group of scientific experts that served as a
15 working group to our Food Advisory Committee which is
16 the general scientific advisory committee that we have
17 in FDA. And at this time we really evaluated all
18 available information. There really was almost a
19 virtual absence of information at that time and I think
20 still today that would indicate the safe use of these
21 types products.

22 And it was the members' feeling at this
23 working group that they agreed the use of certain
24 dietary supplements known to contain or suspected to
25 contain ephedrine alkaloids may cause consumers to

1 experience serious adverse events.

2 In the following year, in 1996, because of
3 new information which included an increasing number of
4 adverse events and product analyses indicating that the
5 levels of ephedrine alkaloids were below the 25
6 milligram limits that had been suggested by certain
7 members of the working group. The full Food Advisory
8 Committee was convened. And, again, they reviewed all
9 but available data information and provided their
10 opinion on, and a rationale for specific ways that FDA
11 could attempt to address the public health concerns
12 with these products.

13 Over half the Food Advisory Committee members
14 stated that, based on the available data, no safe
15 levels of ephedrine alkaloid could be identified for
16 use in dietary supplements and consequently they
17 recommended removal of these products from the market.
18 Other members of the Food Advisory Committee suggested
19 that the agency establish conditions of use that would
20 reduce the risk of adverse events including
21 establishing reasonable safes, per serving, and daily
22 use levels for both ephedrine alkaloids and ephedrine
23 as well as other requirements.

24 Out of these public meetings came FDA's
25 proposed rule in 1997, June 4th, 1997, and this

1 proposed rule had a number of features including a
2 limitation on the dose which was less than 8 milligrams
3 of ephedrine alkaloid per serving and it required
4 certain label warning statements and conditions of use.

5 Considerable amounts of data and other
6 information were presented at these public meetings and
7 were placed in our public docket. This included
8 information about products containing ephedrine
9 alkaloids, the sources of the alkaloids, as you heard
10 this morning, the known physiological and
11 pharmacological effects of ephedrine alkaloids and
12 finally the adverse effects of ephedrine alkaloids as
13 derived both from the scientific literature as well as
14 adverse event reporting.

15 What are the products that we are talking
16 about today? There are a wide variety of ephedrine
17 alkaloid-containing products. As you've heard from Dr.
18 Fong, there are traditional medicines that use these,
19 they are primarily for respiratory conditions and under
20 the direct use health-care practitioner there are drugs
21 both prescriptions and OTCs mainly for allergy, cough
22 and cold preparations, as well as asthma. You have
23 heard about the street drug alternative, but we're not
24 talking about any of these type of products today. We
25 are only talking about dietary supplements that contain

1 ephedrine alkaloids.

2 These products are widely sold in the United
3 States. Almost all the products contain multiple
4 ingredients. They are usually combined with other
5 stimulants including caffeine. Sometimes yohimbine as
6 well as vitamins and minerals, et cetera.

7 The primary uses that we see they are
8 marketed for are weight loss and energy, fitness and
9 bodybuilding, and a general category of other which may
10 include four lung purposes et cetera.

11 This is just a picture showing the wider
12 variety of products. We estimate that there are
13 somewhere between 3 and 400 different types of products
14 that are now on the market and again they fall into
15 groups for weight loss and energy and ergogenic
16 bodybuilding type products.

17 The sources of ephedrine alkaloid can be
18 ephedra species or Ma Huang as Dr. Fong said, but other
19 botanical sources are also possible. These include
20 seta cordifolia, pinoyella; however, it is most common
21 that extracts from botanicals are listed as the source
22 of ephedrine alkaloids, and finally there can be
23 synthetic sources of a these alkaloids.

24 The major alkaloids as you have heard from
25 Dr. Fong are ephedra, pseudoephedrine, norephedrine,

1 methylephedrine, norpseudoephedrine and
2 methylpseudoephedrine. The L forms are what occurs in
3 nature in you don't see racemic mixtures or salts in
4 nature.

5 The sources of our information come from a
6 wide variety of areas. They come from medical
7 practice. You heard Dr. Fong state that in traditional
8 medicine there's been a these five centuries of use.
9 In allopathic medicine the ephedrine alkaloids have
10 been used at least 75 years now. There is a
11 ever-growing medical scientific literature based on
12 research done in the area and there is postmarketing
13 surveillance. And in the case of dietary supplements
14 containing ephedrine alkaloids this is voluntary
15 reporting.

16 Along with the sources of evidence there are
17 different types of evidence. These include the
18 preclinical which are usually animal and in vitro and
19 give us a mechanistic type of information to
20 observational, epidemiological, and clinical trials.
21 And clinical trials have kind of been the gold
22 standard. But these are usually probably are usually
23 the most infrequent. A well-controlled clinical trial
24 usually has only several hundred patients in it, and is
25 usually for a relatively short duration. So the most

1 information that we learned on possible adverse events
2 occur in the postmarketing period where we use adverse
3 event monitoring which would be considered a type of
4 case reports.

5 And just for an example, a clinical trial to
6 detect a relatively common adverse event, one occurring
7 at 1 percent rate would require at least three to 500
8 patients to be able to reliably detect that. The
9 clinical trials that are in the published literature
10 now on ephedrine alkaloids for the most part do not
11 have this many subjects in any of them.

12 As Dr. Fong mentioned we know a lot about the
13 characteristics of ephedrine alkaloids. These are
14 amphetamine-like sympathomimetic amines, they have
15 direct and indirect effects, they primarily affect the
16 cardiovascular and nervous system. The exact effects
17 depend upon the alkaloid and I've already talked about
18 the L forms.

19 The pharmacology and mechanism of action he
20 also spoke about, but I am just going to quickly
21 review. In the cardiovascular system it can cause
22 basal constriction and cardiac stimulation, including
23 increased contractility, increased heart rate, and
24 increased stroke volume, central nervous system
25 stimulation, and other effects including

1 bronchodilation and effects on skeletal and smooth
2 muscle and various organs and glands.

3 From these known effects derived most of the
4 adverse effects that we see associated with ephedrine
5 alkaloids. So the adverse effects that we see affect
6 predominantly the cardiovascular and the nervous system
7 and in the cardiovascular system we have hypertension,
8 angina, cardiac ischemia and infarction cardiomyopathy
9 and cerebral vascular events including hemorrhage any
10 infarction.

11 In the nervous system we have central
12 stimulant effects as well as mania and psychosis
13 seizures and I have drug abuse here but it should just
14 be abuse, dependence, and addiction.

15 And finally there have been reports of
16 myopathies, uropathies, including urinary retention,
17 effects on the GI system, including vascular effects
18 and dermatologic reactions.

19 This slide is a reminder just in what context
20 do we evaluate dietary supplements? And it is really
21 no different than other products that we look at. The
22 considerations for use including the population, the
23 pattern of use, and the type of products and
24 ingredients. The population for these dietary
25 supplements includes both the general population but

1 special subpopulations. The pattern of use include the
2 amount, frequency, and duration, as well as particular
3 conditions of use in which it may be used, including
4 hard, physical exercise, caloric restriction, et
5 cetera, and finally the type of products and
6 ingredients. These are sympathomimetic amines that
7 have known effects.

8 Further they are often combined with other
9 stimulants ingredients which can cause an interactive
10 or synergistic affect.

11 This is just to again compare and contrast
12 what we know traditionally about ephedra to what we
13 know in dietary supplements today. Historically
14 ephedra was only considered a medicine. It with
15 health-care practitioner prescribed, used predominantly
16 for respiratory disorders; the formulation of herbs
17 with health-care practitioner selected they were very
18 defined herbal combinations that are different than the
19 combinations that are used today, and the duration of
20 use very short term. The current use of dietary
21 supplements products in the U.S. is that these are
22 dietary supplements; the consumer selects the products;
23 they used for different purposes than had been
24 previously used, including weight loss, energy,
25 bodybuilding; the manufacturer selects the ingredients

1 and the combinations that are used which are again
2 different than what had been used previously; and,
3 finally the duration of use is undefined but can be
4 prolonged.

5 Just to get us back to where we were on our
6 little evolution of safety, from the proposed rule, GAO
7 actually did a study and evaluated our use of the data
8 for this proposed rule. And I'm sure that all of you
9 have heard what has been said. There were many
10 criticisms about the use of the data; but, what isn't
11 heard is that GAO really did support FDA's use of the
12 data for its reason. And I just want to quote for you
13 "FDA based its proposed rule on numerous reports of
14 adverse events associated with products thought to
15 contain ephedrine alkaloids. It also used evidence
16 from scientific literature indicating that ingestion of
17 ephedra alkaloids adversely impacts some individuals.

18 The number and types of errors warranted
19 FDA's consideration and steps to address safety issue.
20 However," and there's their 'however' "we have concerns
21 about the strength of some of the information FDA used
22 to support to aspects of the proposed rule, the dosing
23 level and the duration of use limits."

24 Besides this, they also indicated that we had
25 not performed a causal analysis of individual adverse

1 events; that many of the cases lacked documentation;
2 and that we did not have criteria to determine which
3 event should be considered serious.

4 And believe me, we have heard these
5 criticisms. So we are now to the point of this public
6 meeting and we really want to talk about what has
7 happened since the proposed rule. There have been a
8 number of efforts. Certain states and industries have
9 taken efforts concerning the dosage and instructions
10 for use; there have been new articles in the medical
11 scientific literature that impact on the safety these
12 products; FDA has commissioned a number of outside
13 expert scientific reviews to answer some of the
14 questions with that were considered deficiencies by
15 GAO; and, finally, we have had a continuing receipt and
16 evaluation of spontaneous or voluntarily reported
17 adverse events. And these too we have sought outside
18 experts reviews besides the in-house review.

19 In the medical scientific literature there
20 are many more articles, abstracts, monographs on the
21 effects of ephedrine alkaloids available in this time
22 frame. And this time the information is specific in
23 some cases to the effects of dietary supplements or
24 pseudoephedrine or alkaloids. We are seeing that other
25 patterns of injury are emerging besides cardiovascular

1 and nervous system stimulant-related effects. And
2 there is information on potential pathogenic
3 mechanisms.

4 For these last two bullets, one of the things
5 that have been of interest is the thought of abuse
6 potential of these as well as the suggestion that there
7 may be direct neurotoxicity in the central nervous
8 system from use of these products.

9 There have been a number of published
10 clinical investigations on ephedrine alkaloids. The
11 first of these is the Yale PPA study which was a case-
12 controlled study of persons 18 to 49 looking at the end
13 point of hemorrhagic stroke in PPA. And this was a
14 very large study that showed that there was any
15 increased risk, particularly in products used for
16 weight loss. This has been put in an FDA docket and
17 will be the subject of a public meeting to address the
18 issues that are specific to PPA.

19 In the ephedra botanical dietary supplement
20 area, in 1999, in FASEB there was publication of an
21 abstract from Columbia St. Luke's on a double-blind,
22 randomized, placebo-controlled 8-week efficacy trial of
23 a ma huang guarana containing-product in obesity.
24 Sixty-seven people were randomized, only 48 completed.
25 In this trial indicated while there appeared to be some

1 efficacy there also were adverse effects including
2 hypertension and palpitations seen.

3 And, finally, recently Calman, et. al, in
4 2000 have published a study another double-blind,
5 randomized, placebo-controlled eight-week trial of
6 effect in obesity of an ephedrine, synepherin caffeine
7 salicin product, but with only 30 test subjects and 30
8 controls.

9 I mentioned that we had commissioned a number
10 of outside scientific and clinical reviews. One of
11 these was by Dr. Enchiosa, that looked at the
12 pharmacokinetics, pharmacodynamics properties in
13 relative toxicities of botanical ephedra versus
14 ephedrine alkaloids.

15 The question has always been that somehow the
16 botanical sources are not as potent or don't cause the
17 problems that the synthetic sources cause. And Dr.
18 Enchiosa was able to look, because of the number of new
19 publications that have addressed the issue directly of
20 pharmacokinetics and pharmacodynamics of the dietary
21 supplement product is that there really are no
22 differences in these properties when you compare
23 botanical sources or dietary supplements sources to
24 synthetic products.

25 There was a study by Dr. Quib Ray, looking at

1 the use of ephedra in traditional Chinese medicine;
2 and, again, it was commonly used short term under a
3 health-care practitioner's practice, but, not used for
4 the purposes of weight loss and energy.

5 And, finally, by Dr. Walker we had an
6 assessment of the likely reporting rates of adverse
7 events and dietary supplements containing ephedrine
8 alkaloids. And from this review the conclusion was is
9 that these were very much underreported and the
10 reporting rate was probably far less than 1 percent.

11 In the interim of this time FDA has continued
12 to receive adverse events. If you look at this
13 cumulative events, his is by report day, these are all
14 the other dietary supplements, these are ephedrine
15 alkaloids containing dietary supplements. We have had
16 a fairly consistent proportion about a 40 percent
17 proportion of all our adverse events in dietary
18 supplements have been due to those that are associated
19 with ephedrine alkaloids.

20 This is looking at the data little bit
21 differently, from 1997 to the current time, you can see
22 the number of adverse events reported for ephedrine
23 alkaloids containing dietary supplement year 2000. It
24 is just through the first part of August, but if you
25 calculated this out based on receipt today this number

1 would be somewhere in here. So, we are continuing to
2 receive serious adverse events.

3 What's different about these adverse events?
4 Well, we heard what GAO and others have said about the
5 documentation, et cetera of these adverse events, so we
6 have made very concerted efforts to get better
7 documentation on these cases including additional FDA
8 investigations that can give us information about how
9 the consumer used the product, medical records, product
10 labeling, and labeling. And then we have performed a
11 number of in-depth clinical evaluations including
12 in-house for the clinical research and review staff in
13 the Center for Food Safety and Applied Nutrition, from
14 our colleagues in the Center for Drug Evaluation and
15 Research, and by a number of outside experts. These
16 include Dr. Woosley, Dr. Benowitz, Dr. Ricaurte and Dr.
17 Stolle.

18 And you will hear some of this, this morning.

19 What we did is say what was different about
20 these cases and how should we study them. So we picked
21 a time frame, and we picked June 1st, 1997, I have a
22 typo there, 07. Which is very close to the time that
23 we published the proposed rule. and we took it through
24 a 22-month period so that we would have time to
25 actually look at these so the cut off was March 31st,

1 1999, with any follow-up that could be received
2 in-house by the end of December 1999. Typically it
3 takes us anywhere from three to six months or longer to
4 be able to investigate these adverse events and to get
5 information that we need for evaluation.

6 There were at least 140 adverse events
7 reported in this time on at least 143 consumers and we
8 evaluated from demographic information, product use,
9 clinical course, diagnoses, outcome, classification of
10 adverse events, amount of documentation, and reason for
11 using product.

12 This is our cut on these reports. Of the 140
13 reports we considered that eight were unevaluable.
14 These were cases of multiple unidentified patients,
15 cases where the event being reported we decided was not
16 an adverse event, cases where we couldn't tell it the
17 product with the dietary supplement or if it was a
18 drug. In one case there was an incorrect temporal
19 relationship and there was in one case there was
20 confounding by the co-ingested product which was GBL.

21 The other cases we did an in-depth evaluation
22 as I stated, and then screened these into not
23 supporting of a causal relationship including those
24 that had insufficient information to make assessments.
25 They were 48 where the signs and symptoms were not

1 consistent with ephedrine alkaloids; there were nine
2 cases where so many products had been used that it was
3 impossible to ascribe any of the effects ephedrine
4 alkaloids, there were two cases. Cases where there
5 were too many confounding or complicating factors, 11;
6 or cases of intentional misuse or abuse, there were
7 two.

8 So this was 55 percent of our population.
9 The other 45 percent we used a formal structured
10 attribution analysis scheme that we could look at them
11 and we divided these into attributable and supporting
12 of a causal relationship.

13 Now, we've been asked many times what is the
14 difference between association and attribution or
15 causality? Well, association means there is really
16 some link there and I'm using this quote from Dr. Hill
17 from 1965, which is nine viewpoints as to whether
18 association means causation. It doesn't mean causation
19 automatically, and that's the strength of the
20 association, the consistency of the association, the
21 specificity of the association, temporality, the
22 biological gradient, plausibility, coherence,
23 experiment, and analogy.

24 I should mention also that there are many
25 such schemes and there's really no consensus or no gold

1 standards on what is the best schema to use for adverse
2 event monitoring whether its for spontaneous reports,
3 voluntary reports, or that associated with clinical
4 trials. This is what we did though, for attribution
5 analysis, we checked the temporal relationship between
6 the products use and the adverse event. We wanted to
7 know if medical care had been sought for the adverse
8 event and whether there was health-care professional
9 attribution of the adverse event, whether there was
10 evidence of dechallenge, i.e., the consumer got better
11 when the product was discontinued, and if the symptoms
12 -- signs ad symptoms came back when the person again
13 took the product.

14 How the product was used. Was it used per
15 direction or was it used, misused or abused? Were
16 other products used at the time of the adverse event?
17 What was the reason that they were using these
18 products; were they weight loss, energy products, or
19 were they bodybuilding products or were they others?
20 Were the underlying conditions or product use a more
21 likely explanation of the adverse event? This is
22 looking at alternatives or alternates explanations, and
23 finally, is the event consistent with the known affects
24 of ephedrine alkaloids or likely extensions of the
25 known physiological or pharmacological effects of

1 ephedrine alkaloids.

2 This was all done, as I said, with a very
3 structured data form which is available in the docket
4 as well as the individual results on each case. And
5 these are the results that we see and this is from the
6 full case series. Overall more adverse events are
7 reported for women which could be expected since more
8 of the products that we see all the weight loss and
9 energy product. Although we are seen more adverse
10 events being reported for men; 39.8 percent than we saw
11 in our previous serious.

12 The age range again is mostly young adults;
13 64 percent of the injured persons were under 39 years
14 of age; and 16 percent of the injured persons were 19
15 or younger.

16 When we evaluated consumer characteristics
17 that may have impacted on the adverse event, we noted
18 that most consumers reported that they use the product
19 according to the directions on the label and labeling
20 and most of them saw a health-care practitioner and the
21 majority of them were temporally related closely
22 temporally related to the use of the product.

23 In looking at duration of use there was a
24 wide duration of use it ranged from under one day, or
25 even on the first time of use on up to very chronic

1 use, and you see the spread; approximate 30 percent are
2 associated with short-term use which we defined as less
3 than or equal to one week meaning that 70 percent were
4 longer-term use.

5 When we looked at the amounts of
6 documentation that we have, a lot of adverse events are
7 reported by consumers. And in fact if you look at just
8 the flow of how they come into the center, you know,
9 about a third of them come through our field
10 investigators, a third come through Medwatch which are
11 predominantly health-care professionals reporting, and
12 a third come directly to the center. So, approximately
13 36 percent overall are from health-care professionals.
14 In looking at all of the cases that we have evaluated,
15 over 50 percent has some kind of additional information
16 including medical records and many of them had copies
17 of label and labeling.

18 We clinically classified the adverse events
19 as we've done previously into serious cardiovascular,
20 serious nervous system, and other adverse events. We
21 also class them according to not serious or in cases
22 that we couldn't tell as being unclear. The
23 overwhelming majority of adverse events that we saw in
24 this new case series were four serious adverse events.
25 And this probably reflects a bias in reporting more

1 serious adverse events than less serious.

2 Cardiovascular system adverse events included
3 myocardial infarction, ischemia, stroke, dysrhythmias
4 and severe hypertension. And in this slide, the first
5 slide that I am just showing the differences between
6 the total group for serious cardiovascular adverse
7 events and what I'm calling the attributable
8 supporting; both groups would be attributable or
9 supporting attribution; the group that had insufficient
10 data to be able to further assess.

11 The serious nervous system effects included
12 seizure, depression, psychoses, and addiction was also
13 reported as an adverse event and was seen across all of
14 these groups.

15 Other serious adverse events that weren't
16 cardiovascular or nervous system included neuropathies,
17 gastritis, hepatitis, rebnomyallises, and a case of
18 nephrogenic diabetes insipidus.

19 We also evaluated whether certain product use
20 factors could impact on the adverse event. One of the
21 first things we noticed is that men and women use the
22 products for different reported uses. And I think this
23 could be expected, but many more of the women use it
24 for weight loss and the men use it for fitness
25 bodybuilding purposes.

1 However, when you look at the classification
2 of adverse events by gender you also note that there's
3 a different pattern based on gender. In women you have
4 many more cardiovascular adverse events, whereas in
5 men you have more nervous system adverse events.

6 And if you further subset this, looking at
7 serious adverse events just in the men, but by use, in
8 looking at the weight loss category it's really very
9 similar to what you see in women, in that the
10 cardiovascular system is what is predominantly
11 involved. But in the fitness group you have a very
12 high proportion of nervous system type of facts.

13 It appeared that strenuous exercise besides
14 possibly being involved with this group also impacted
15 on a cardiovascular adverse events in that we had at
16 least seven reports of serious cardiovascular adverse
17 events that were associated with strenuous exercise.

18 In addition to looking at all of the other
19 information, we in the interminable and supporting
20 cases, looked at all the information that was available
21 on the product label and labeling, and all of these
22 contained information on how to use the product
23 including the dose frequency and durations. Almost all
24 of them contained warnings or precautionary statements.
25 Many stated the actions that consumers should take in

1 the event of an adverse event, and all were multi-
2 ingredient products. The overwhelming majority of
3 these had caffeine and other sources of stimulants in
4 them.

5 Again I said that we specifically looked at
6 the likelihood of association in the subset where we
7 could evaluate that; and all of them are temporally
8 related because that was, of course, a criteria, and in
9 a very high proportion of them there is health-care
10 attribution that the adverse event was caused or
11 contributed to from the use of the ephedrine alkaloid
12 containing products.

13 The majority of these were for weight loss
14 purposes. And almost all of the consumers improve when
15 the product was discontinued indicating positive
16 dechallenge. In much smaller proportion of them there
17 was evidence of positive rechallenge.

18 Looking at other alternative explanations for
19 the adverse event, you know, a very high proportion of
20 these consumers used other dietary supplements and
21 other medications at the same time that they were using
22 a dietary supplement product containing ephedrine
23 alkaloids.

24 Furthermore, many of them had certain types
25 of underlying health conditions. However, it was the

1 opinion of the clinical reviewers that these other
2 factors, the use of dietary supplements other
3 medication use or the underlying health condition was
4 not a more likely explanation of the adverse event.
5 And the dietary supplements containing ephedrine
6 alkaloids were thought clinically to have caused or
7 contributed to the adverse event in all these cases.

8 And, finally, we evaluated whether the
9 observed signs and symptoms were consistent with the
10 effects of ephedrine alkaloids and/or were likely
11 extension of their pharmacological activity. And again
12 we had a very high rate where there was agreement.

13 So just to briefly summarize, the current FDA
14 data are consistent with the scientific literature
15 concerning the effects of ephedrine alkaloids, and
16 previous information from adverse events. These
17 adverse events can be predicted from the known
18 physiological and pharmacological actions of ephedrine
19 alkaloids and, therefore, should be anticipated if
20 consumers are going to be using these products.

21 FDA believes that the current availability
22 and use of dietary supplements containing ephedrine
23 alkaloids continues to be a serious public health
24 concern, but we are here in a listening mode. All
25 along in all of our evaluations and presentations at

1 public meetings we have been very open in soliciting
2 any information that could be available to impact on
3 our evaluation and assessment of safety. And we're
4 hoping we hear some today, thank you.

5 [Applause.]

6 DR. BIETZ: Dr. Jones, panel members and
7 guests, this morning I will present an analysis of
8 adverse event reports for ephedrine alkaloid containing
9 dietary supplements.

10 DR. JONES: F the record, Julie, please
11 identify yourself.

12 DR. BIETZ: I'm sorry?

13 DR. JONES: Give your name -- just for the
14 record, for the recorded record.

15 DR. BIETZ: Oh, certainly. My name is Julie
16 Bietz, I'm with FDA CEDER, Office of Postmarketing Drug
17 Risk Assessment.

18 I will present an analysis of the adverse
19 events that were conducted by CEDER's office of
20 postmarketing drug risk assessments this past February
21 and the complete review document that we prepared is
22 available for public inspection under Docket No. 00N
23 1200.

24 CFSAN provided our office with the following
25 materials for review; adverse event reports that were

1 received by CFSAN on 139 cases from June of 1997
2 through March of 1999. As you've heard CFSAN conducted
3 extensive follow-up investigations to obtain additional
4 information on many of these cases, and this
5 information was made available to us for our review.

6 In addition, CFSAN provided us with summary
7 tabulations of 1,176 adverse event reports that they
8 received from 1990 through November 1999. These
9 tabulations included some demographic information, but
10 only limited clinical information. The above-mentioned
11 139 cases are included among these reports.

12 The remainder of the presentation will focus
13 on the clinical review of the 139 cases. Thirty-one
14 cases were excluded from further review for the
15 following reasons: 11 cases were confounded by the
16 concurrent use of other products that may have
17 attributed to the event. In nine cases it was not
18 possible to ascertain whether an EADS product had been
19 used. There were six consumer reports of events that
20 were not readily evaluable. Three cases were
21 confounded by an underlying disease, and in two reports
22 several individuals were named but no unique consumer
23 could be identified. This left 108 cases.

24 The median age of these 108 remaining cases
25 was 35 years with a range of six days to 67 years.

1 More cases involved women than men. Most cases
2 involved the cardiovascular system or central nervous
3 system. There were 49 hospitalizations or emergency
4 room visits reported and there were nine deaths.

5 At least 45 different EADS products were
6 identified among the 108 cases. Most were combination
7 products that also contained caffeine from a variety of
8 sources. Most individuals reported taking the EADS
9 product as directed without apparent misuse or overuse.

10 Given the amount of information contained in
11 the reports, it was not possible to estimate either a
12 daily EADS dose or the ephedrine alkaloid content of
13 the products that we found in these reports.

14 Forty-six cases reported cardiovascular
15 events. The median age of these cases was 39 years
16 with a range of 15 to 64 years. More cases occurred in
17 women than in men. All cases were reported to have
18 occurred during the use of an EADS product. Seventeen
19 cases were report within one week of product use with
20 the range of one does to over one year of product use.

21 In 30 cases the EADS product was being used
22 weight reduction. Hospitalization occurred in roughly
23 two-thirds of cases and there were seven deaths
24 reported from cardiothoracic arrest, sudden cardiac
25 death, or stroke. Both health-care providers and

1 consumers reported these events in roughly equal
2 numbers.

3 Cardiovascular events included cardiac
4 arrest, cerebral vascular events including stroke,
5 cardiac ischemia, hypertensive events, and cardiac
6 rhythm disturbances. This table shows how the 46
7 cardiovascular events were categorized and the number
8 of cases within each category that appear to have no
9 known risk factors. Overall one-half of cases had no
10 known risk factors such as an underlying illness or
11 concurrent use of another product that could have
12 contributed to the event.

13 The age range for the 41 central nervous
14 system cases was 15, I'm sorry -- central nervous
15 system conditions included central stimulant events,
16 psychiatric events with or without central stimulant
17 effects and seizures. This table shows how the 41
18 central nervous system events were categorized and the
19 number of cases within each category that appear to
20 have no known risk factors.

21 Again, overall one-half of cases had no known
22 risk factors such as underlying illness or concurrent
23 use of another product that could have contributed to
24 the event.

25 The age range for the 41 central nervous

1 system cases was 15 to 51 years. More cases occurred
2 in women than in men. The onset of central stimulant
3 effects were shortest with longer durations of use
4 reported for psychiatric events and for seizures. The
5 EADS products were predominantly used for weight lost
6 or as enhancers for bodybuilding. Unlike
7 cardiovascular events hospitalization was reported less
8 frequently and there were no deaths reported. More
9 central nervous system events were reported by
10 consumers than health-care providers.

11 The remaining events included
12 gastrointestinal, musculoskeletal, renal and
13 hematologic events. This table shows how these events
14 were categorized and the number of cases within each
15 category that appear to have no known risk factors.

16 Roughly 40 percent of these cases had no
17 known risk factors such as an underlying illness or
18 concurrent use of another product that could have
19 contributed to the event.

20 So in summary then, CEDER conducted an in-
21 depth review of 139 cases that had been reported to
22 CFSAN between June of 1997 and March of 1999. In
23 general, these cases describe young adults,
24 particularly women, who were using EADS product for
25 weight loss. Cardiovascular and central nervous system

1 adverse events were most common. Based on information
2 available roughly one-half of the cases had no known
3 risk factors such as underlying illness or concurrent
4 use of other products.

5 An association with EADS products with these
6 adverse events as strongly suggested, given the
7 presence of ephedrine alkaloids in all products
8 evaluated in this review. The similarity between these
9 events and the known pharmacological events of
10 ephedrine alkaloids, the close temporal proximity of
11 EADS product use and adverse events that were reported,
12 and the absence of known risk factors in half the
13 cases.

14 In addition pre-existing risk factors may
15 have played a role such as hypertension, such as a
16 prior history of hypertension or cardiac arrhythmia;
17 may have played a role in some of the more serious
18 events that were reported; and a voluntary nature of
19 adverse event reporting implies that the actual number
20 of events occurring in the general population would be
21 higher.

22 DR. JONES: Is Dr. Ricaurte here?

23 DR. RICAURTE: Dr. Jones, members of the
24 panel, ladies and gentlemen, good morning. My name is
25 George Ricaurte. I am an associate professor of

1 neurology at the Johns Hopkins University School of
2 Medicine where I direct movement disorders clinics on
3 the Bayview campus and where I also direct a research
4 laboratory on neurotoxicology.

5 I am a board certified neurologist and I hold
6 a Ph.D. in pharmacology. I have conducted research on
7 the neurotoxicity of amphetamine and related substances
8 for greater than 15 years.

9 Today I will be address points one, two, and
10 four of the ephedra questions predefined by the Office
11 of Public Health and Science of the U.S. Department of
12 Health and Human Services for today's discussion.

13 To avoid confusion, I would like to begin
14 with a definition of terms. As shown on the first
15 slide, ephedra as I will be using the term today is
16 meant to refer to plant derive material containing
17 ephedrine and related alkaloids. These include
18 ephedrine, pseudoephedrine, norephedrine and
19 methylephedrine.

20 As I believe has been discussed previously by
21 Dr. Fong, ephedrine is generally regarded as the main
22 active ingredient in ephedra, although there are also
23 other active substances. Ephedrine, as has been
24 mentioned, is known to exist in four stereo isomeric
25 forms in two corresponding racemic mixtures.

1 Structurally and pharmacologically ephedrine
2 is best characterized as a sympathomimetic amine.
3 Other sympathomimetic amines shown on this slide
4 include dopamine, norepinephrine, epinephrine,
5 phenylpropanolamine, and ephedra. Like those of other
6 sympathomimetic amines the effects of ephedra are those
7 that would be predicted to occur following stimulation
8 of the central and sympathetic nervous systems, either
9 directly or indirectly. To varying degrees
10 sympathomimetic amines typically produce increased
11 mental arousal, increased sense of well-being,
12 increased heart rate and blood pressure,
13 vasoconstriction, cardiac stimulation, bronchial
14 dilatation, and decreased appetite.

15 Depending on the particular circumstances a
16 number of these effects might be considered positive
17 and indeed have been exploited medically over a number
18 of years, but usually with due regard for adverse
19 effects.

20 Adverse effects of ephedrine alkaloids
21 generally, although not always, represent an
22 exaggeration of their milder pharmacological effects
23 and may include sleep disturbance and anxiety,
24 agitation, mania, psychoses, drug dependence,
25 hypertension, stroke, seizures, cardiac arrhythmia,

1 myocardial infarction, and excessive weight loss or
2 anorexia.

3 These adverse effects are typically seen in
4 individuals who take excessive doses of ephedra, but
5 can also occur in some individuals who use ephedra-
6 containing products as directed.

7 Clearly adverse effects of ephedra alkaloids
8 can be serious and indeed fatal. While very little is
9 known about the potential influence of gender, race, or
10 ethnicity, and the risk of developing serious
11 complications of ephedra-containing compounds there are
12 several population that appear to be at increased risk.
13 These shown on this slide and include populations with
14 certain neuropsychiatric disorders and those with
15 significant cardiovascular disease. The latter would
16 include people with -- individuals with hypertension,
17 coronary arteries disease, occult or known aneurysms,
18 or arterial venous malformations, and possibly obese
19 subjects since these individuals are more likely to
20 suffer from atherosclerotic vascular disease.

21 Persons with a family, or family history of
22 anxiety mood disorder may also be at higher risk for
23 developing neuropsychiatric complications of ephedra.
24 Finally, it is possible that individuals with a history
25 of drug dependence are more likely to abuse ephedra-

1 containing products.

2 Ironically, the very same group of
3 individuals that are targeted for use of ephedra-
4 containing products are often those at highest risk for
5 developing serious complications. For example,
6 deconditioned, overweight individuals would be expected
7 to be more most susceptible to the cardio and cerebral
8 vascular complications of ephedra. This may also may
9 be true for individuals who are engaged in vigorous
10 activity since exercise itself increases sympathetic
11 tone.

12 Unfortunately, very little controlled
13 research has been conducted regarding risk associated
14 with long-term use of ephedra by these groups of
15 individuals. Similarly little is known about the
16 propensity for individuals to develop tolerance to the
17 effects of ephedra. This too is unfortunate because
18 with the development of tolerance escalating doses are
19 the rule, and high doses are more likely to lead to
20 more serious complications.

21 Thus far I have detailed some of the adverse
22 cardiovascular and neuropsychiatric effects of ephedra
23 that can potentially occur. I will now devote the
24 remainder of my time to a less well-known and more
25 insidious potential adverse effect of ephedrine, CNS

1 neuro injury or neurotoxicity.

2 As indicated previously ephedrine is the most
3 abundant and the main active ingredient in ephedra. As
4 shown on the next slide, ephedrine is closely related
5 and structured to another sympathomimetic amine,
6 methamphetamine shown on the right. As you know,
7 methamphetamine is a well-known drug of abuse.

8 In addition to the remarkable similarities
9 and structure ephedrine and methamphetamine have two
10 other similarities and I would like to bring to your
11 attention. First, and I must confess, contrary to my
12 initial expectation when I first considered the
13 structure of ephedrine which unlike methamphetamine has
14 a hydroxyl group which is somewhat difficult to see,
15 but it's a hydroxyl group that's located on the beta
16 carbon of the molecule, a moiety that one might
17 anticipate would interfere with the crossing of
18 ephedrine through the blood brain barrier.

19 Based on that structural difference, it was
20 my initial impression that in ephedrine would be
21 largely devoid of central effects. As I alluded to
22 earlier this expectation of mine -- initial expectation
23 of mine proved incorrect. This is perhaps best
24 illustrated in an early study of Martin and colleagues
25 where they looked at the physiologic and subjective and

1 behavioral effects of a number of amphetamines
2 derivatives including ephedrine and methamphetamine.

3 Somewhat to my surprise, what these
4 investigators showed in this early study which, as you
5 can see, was published in 1971 what these investigators
6 found is that -- an I'm afraid you don't see the upper
7 portion of the panel, but methamphetamine is shown here
8 in the open circles, ephedrine are the open squares.
9 And having to find this slide if you could move down
10 just a tad I'd appreciate it. Because what this is
11 intended to show you it is that once you account for
12 the difference in potency between methamphetamine and
13 ephedrine by simply increasing the dose, the efficacy
14 of these two sympathomimetic amine's in this case in
15 raising blood pressure is nearly equal.

16 The second interesting and important
17 observation from this early study was that as was the
18 case with the sympathomimetic effect of ephedrine with
19 regard to the psycho stimulant affect once you adjusted
20 for differences and potency, again by increasing the
21 dose of ephedra, the psycho stimulant effect of
22 ephedrine was indeed comparable to that of
23 methamphetamine.

24 The other similarities between ephedrine and
25 methamphetamine that I would like to bring to your

1 attention today has to do with potential of these two
2 compounds to produce brain dopaminergic neurotoxicity.

3 Research carried out in various laboratories
4 over the last two decades or so has yielded very strong
5 evidence that methamphetamine has the potential to
6 damage brain dopamine neurons. Dopamine neurons as you
7 may be aware are neurons that originate in the
8 substantial nigra shows schematically here at the level
9 of the brain stem, and from there these nerve cells
10 send axon projections to primarily to the striatum, a
11 region of the brain involved with estral paramedial
12 mobile function.

13 These are the nerve cells that degenerate in
14 Parkinson's disease indeed is the degeneration of these
15 nerve cells in Parkinson's disease that accounts for
16 the movement disturbance that patients with Parkinson's
17 disease experiences.

18 Before presenting to you some recent data
19 that we collected on the neurotoxic potential of
20 ephedrine I would like to briefly summarize for you
21 what we already know about the neurotoxic potential of
22 methamphetamine. Hopefully this will help place the
23 ephedrine neurotoxicity data in its proper context.

24 As shown on this slide there are a number of
25 indicators that methamphetamine has the potential to

1 damage brain dopamine neurons. In summary the evidence
2 for methamphetamine neurotoxicity comes from both
3 chemical and anatomic studies. The chemical studies
4 indicate that a number of unique markers for brain
5 dopamine axon terminals are markedly reduced in animals
6 with previously administered doses of methamphetamine.

7 The anatomical evidence or structural
8 evidence indicates that the loss of these dopamine
9 axonal markers, the long-term loss of these dopamine
10 axonal markers is due to degeneration of dopamine axon
11 and axons terminals.

12 Notably the toxic effect of methamphetamine
13 is highly selective since it does not involve
14 noradnergic, cholinergic neurons, gabaergic neurons,
15 or other neurons thus far analyzed. With the single
16 exception certain of seratononic neurons which are
17 affected in some species.

18 The next few slides depict representative
19 data on methamphetamine induced dopamine neurotoxicity
20 in animals collected in my laboratory. In these
21 studies baboons were given various doses of
22 methamphetamine ranging from .5 milligrams per kilogram
23 up to 2 milligrams per kilogram. These doses were
24 given systemically at two-hour intervals, a total four
25 doses were given. The animals were then allowed a two-

1 week drug-free period so that we might measure
2 long-term toxic effects of methamphetamine as opposed
3 to acute pharmacological effects of the drug.

4 Two weeks after treatment we measured a
5 number using postmortem tissue from these animals;
6 specifically striatae tissue, we measured dopamine,
7 dopa, as well as the dopamine transporter here labeled
8 with a compound called Win35428 and the vesicular
9 monoamine transporter here labeled with a dihydro --
10 attriated dyhydrotetabenazine. Regardless of which are
11 these dopamine axonal markers we measured, as you can
12 see here in the data before you, what you can see is
13 that there is a dose-related reduction in each of these
14 axonal markers that's produced by methamphetamine in
15 the baboons striatum. Notably, even the lowest dose
16 that we tested produced significant effects.

17 Collectively the chemical, an as I will show
18 you in a second, the anatomic data that we and other
19 laboratories have collected, collectively that data
20 strongly indicates that methamphetamine has the
21 potential to damage dopamine axons and axon terminal is
22 shown schematically here. And it is that damage or
23 destruction of these terminals that leads to loss of
24 dopamine in the various other dopaminergic axonal
25 markers.

1 The toxicity of methamphetamine can be
2 detected with imaging techniques as well. Shown here
3 are the results of two different types of imaging
4 studies carried out in the same baboons that I just
5 showed you the neurochemical data from.

6 In other words, after -- bear with me here
7 for a minute because this is a somewhat complicated but
8 important slide. There are three panels, the top, the
9 middle, and the bottom. Just focus on the middle and
10 the top. The middle simply represents a postmortem
11 quantitative autoradiographic study where we are now
12 using tritiated label when to label the dopamine
13 transporter the control animal is shown on the left,
14 the methamphetamine treated animal is shown on the
15 right, and you can see essentially what you saw with
16 the chemical data. And that is, that there is a
17 profound loss of dopamine transporters in the striatum
18 of the methamphetamine treated animal.

19 Now, please focus on the top panel. What's
20 important and I think interesting about this panel is
21 that these are the results of a study carried out with
22 carbon 11 labeled Win35428. As you may know carbon 11
23 emits positrons and thus permits the execution of
24 positron emission tomographic studies. These are
25 elegant studies that allow for direct visualization of

1 dopamine terminals at the levels of the striatum.

2 DR. JONES: Dr. Ricaurte, you have about 30
3 seconds. So if you would move towards a summary and
4 conclusion.

5 DR. RICAURTE: Very good. And what you see
6 simply here is that during life this animal shows loss
7 of dopamine transporters that is confirmed in
8 postmortem studies. Armed with that information with
9 PET imaging, what we've done is conducted a study of
10 human methamphetamine users, compared them to controls,
11 and to patients with Parkinson's disease and you can
12 see that the human subjects previously exposed to
13 methamphetamine shows evidence of loss of dopamine
14 transporters.

15 What does this have to do with ephedra? To
16 directly compare the neurotoxic potential of ephedrine
17 with that of methamphetamine, we have recently done
18 some studies in squirrel monkeys, where monkeys are
19 given fivefold higher doses of ephedrine to accommodate
20 for the difference and potency. And what this slide
21 simply shows you is that ephedrine like methamphetamine
22 produces a loss of dopamine transporters -- of dopamine
23 and dopamine transporters.

24 In summary, what I've covered today are, I've
25 discussed the cardiovascular and neuropsychiatric

1 complications of ephedrine-containing products, their
2 abuse liability, I've touched upon, and I've also
3 discussed the potential for neurotoxicity. Due to
4 limitations of time, I cannot address some of the
5 limitations that I recognize are in our animal studies.
6 But the reason for presenting them today is to simply
7 highlight for you the neurotoxic potential that
8 ephedrine has for dopamine neurons in the brain. Thank
9 you.

10 DR. JONES: Thank you, Dr. Ricaurte. We
11 would invite you to put the full text of your remarks
12 into the record. We would welcome full text.

13 [Applause.]

14 DR. RICAURTE: I will. I will then submit a
15 complete record of the written remarks, thank you.

16 DR. JONES: Very good, thank you.

17 Dr. Woosley.

18 DR. WOOLSEY: Dr. Jones, members the
19 committee, and guests, I am, as shown here, Raymond
20 Woosley, Professor of Pharmacology and Medicine at
21 Georgetown University where I am chair of the
22 pharmacology department. I have a Ph.D. in
23 pharmacology. I am also board certified internist and
24 board certified clinical pharmacologist, and currently
25 president of the American Society for Clinical

1 Pharmacology and Therapeutics.

2 I am a consultant for the FDA, a special
3 government employee, working with CFSAN for the last
4 few years, but today I appear as an unpaid volunteer
5 for presenting to you this information today.

6 I have no financial ties to any other
7 products involved and hope that I can give you an
8 objective analysis of the 140 cases that were presented
9 to me about a year so ago.

10 I will be giving you my opinion of those
11 cases. Dr. Neil Benowitz, a clinical pharmacologist
12 also and colleague performed a similar analysis and
13 came to the same results. I think though, as I have
14 watched the other presentations of those same cases, it
15 is interesting that in 140 cases that were very complex
16 it's always there are differences between the different
17 approaches that people take. And I will today give you
18 my approach based on the following background or biases
19 however you want to look at them; but my experiences.

20 My experience began as a clinical
21 pharmacologist 25 years ago studying the variable
22 factors that contributed to the response of drugs
23 trying to identify those factors which would explain
24 variability. Often studying drug action, many times
25 studying, unfortunately, drug toxicity.

1 I was co-director of the cardiac arrhythmia
2 suppression trial. This was a trial that ended in
3 1988. It was the study of drugs intended to save
4 lives, but the study was stopped prematurely because
5 the antiarrhythmic drugs under study actually were
6 taking lives. And that began my interest in cardiac
7 toxicity.

8 It was heightened in 1990 when I saw a case
9 of seldane-induced sudden cardiac arrest and began a
10 series studies often with funding from the NIH and the
11 FDA to study the factors responsible for that fairly
12 rare cardiac complication. So in reviewing -- actually
13 in 1995, 95 cases of medwatch reports of ephedrine
14 products that had been reported to the FDA, and the 140
15 cases that I have more recently reviewed, it was
16 heavily influenced by my experiences with the cardiac
17 drugs in the antiarrhythmic trials and the cardiac
18 actions of antihistamines and many other classes of
19 drugs over this period of time.

20 So I guess in those cases we were looking at
21 the medwatch reports often of prescription drugs where
22 we often knew some of the pharmacology, but not all of
23 it. And in this case, it is very different. We are
24 looking at the pharmacology or cases where the
25 pharmacology has been known for centuries. And we are

1 looking at the drug in a different use than its usual
2 prescription use. So it is a different situation and
3 people come at this with different backgrounds and
4 different approaches.

5 But I used the following scoring system. I
6 said -- I went through the cases and gave them a score
7 of one to five. And five was used when the reaction
8 reported is generally accepted as a medical consequence
9 of the sympathomimetic amines, such as ephedrine, it
10 was temporally related to the administration of the
11 product or the report included a dechallenge with
12 resolution of the symptoms associated with
13 discontinuation of the product, and the product
14 contained the information necessary, and the report
15 contained the information necessary for a reasonable
16 evaluation of causation excluding other likely causes.
17 So that would get a score of five, or would be very
18 similar to the reactions generally expected, it was
19 temporally related, but the report may have lacked
20 dechallenge or some of the information necessary for a
21 reasonable evaluation.

22 So it was a slight reduction in the amount of
23 evidence that was available in the report. And as was
24 said earlier, unlike the reports in 1995, these
25 included intensive evaluation, affidavits from

1 witnesses, family members, and sometimes victims or
2 people injured in the report.

3 A score of three was that it was generally
4 accepted as a medical consequence, but there lacked a
5 great deal of the information necessary for complete
6 evaluation of causation.

7 Two, there may have been other cases or other
8 causes that might have explained it. And a score of
9 one, that the report was just too incomplete to allow
10 one to reasonably assess the report.

11 And causation in my case was assessed by view
12 of copies of the medical records, affidavits of the
13 patients and their family members, analytical chemistry
14 reports which were often available of the biological
15 fluids in ephedrine-containing products and postmortem
16 reports.

17 Well, in doing this, I was heavily influenced
18 by many of the structures that you have already seen,
19 and I will go quickly through these because you've seen
20 this already. But I think it is important to repeat
21 that in analyzing these reports you have to -- I had to
22 take into account all that I had learned about the
23 pharmacology of sympathomimetic amines. And the
24 structural similarities between ephedrine and
25 amphetamine is shown here; the simple difference of a

1 methyl group and hydroxyl group here.

2 Methamphetamine was talked about a second
3 ago. The very similar chemical structure, the fact
4 that phenylpropanolamine is also a metabolite of
5 ephedrine. It's pseudoephedrine is chemically very
6 similar but by changing the stereochemistry to pick an
7 isomer that has all the same properties but a different
8 sensitivity, a different dose response curve.

9 The message that I got from looking at all of
10 this is that all of these compounds can do the same
11 thing but at different doses and different
12 sensitivities, different potencies, sorry. And of
13 course they were acting as adrenaline often does
14 because it is a catecholamine which has the same
15 phenethylamine backbone which allows it to interact with
16 alpha and beta receptors and stimulate the sympathetic
17 nervous system. And there are products on the market
18 like the phenylephrine which can constrict blood
19 vessels and have medical use.

20 Well, these stimulant amines will affect the
21 heart and blood vessels, increase blood pressure, and
22 increase cardiac work and when any of these compounds
23 have been looked at including, ephedrine carefully over
24 the centuries, and in more recent years in clinical
25 reports those sympathomimetic amines are known to be

1 associated with stroke, heart attack, arrhythmias, and
2 sudden death.

3 So this was known, and I used that fact in
4 analyzing the under 140 cases. And if you look at the
5 104 cases of the 140 that I've scored as four five you
6 find that the fives are shown here, the fours are shown
7 here, there were seven cases that I felt that there was
8 very strong evidence that the sudden death was caused
9 by or associated with the use of an ephedrine-
10 containing product. Three were rated as a four.
11 Arrhythmia such as atrofibration, ventricular
12 tachycardia, palpitations to arrhythmias and cardiac
13 awareness, syncope, dizziness, chest pain, and
14 myocardial infarction were all seen. These are the
15 effects that you would expect with any sympathomimetic
16 amine given to a large population of people.

17 And the brain stimulate affect would be
18 expected for this class of compounds to lower the
19 seizure threshold, to cause psychoses and cause anxiety
20 and other CNS symptoms. And when I reviewed the 140
21 cases I found that five -- that I gave a score of five
22 to ten cases of seizures, tremors occurred in seven,
23 personality change one of the most frequent reports
24 that I gave a score of five, 24 cases, and a score of
25 four was given to four cases here.

1 Severely increased blood pressure, six were
2 given a five, and there were 12 severe strokes that I
3 felt were clearly associated and probably the result of
4 the use of an ephedrine-containing product.

5 Well, what are the factors which caused these
6 kinds of events to occur? I think clearly dosage can
7 be involved, but in almost all the cases, in fact all
8 the cases that I reviewed, dosage was not a factor.
9 These drugs were -- and I said "drugs" as Harry Fong
10 said earlier over and over, these are drugs and the
11 drug dosage for these compounds was as recommended on
12 the labeling. So dosage can't explain all of this.
13 And I think one of the things that we've learned with
14 terfenadine and many drugs now, is that there is
15 receptor diversity. We know that there are ion
16 channels in the heart, for example, that a simple
17 change of one amino acid in the protein can alter the
18 sensitivity to a drug. And that mutation or that
19 polymorphism in a receptor or an ion channel can be
20 responsible for an untoward response supersensitivity
21 to this kind of drug.

22 So, I believe that what we know about the
23 receptor diversity and the receptor polymorphism that
24 existed, beta receptors and ion channels are enough to
25 explain the exaggerated cardiovascular response that

1 are occurring in many individuals.

2 At the same time many individuals can take
3 these compounds and never have a serious reaction. It
4 is this receptor diversity that I believe is
5 responsible for this disconnect, where some people can
6 tolerate these products without any problem, but an
7 occasional person will have a stroke or heart attack.

8 Why is ephedrine not in use in medicine
9 today? Well, there are more safe and effective drugs
10 that are available. And medicine has moved past a
11 drug, as Harry Fong said earlier, only a two -- a
12 therapeutic index of only two. We now have many other
13 products with therapeutic tendencies of 10 and 20 which
14 can be used clinically and not cause reactions in the
15 supersensitive population that is out there today.

16 So I conclude that ephedrine, although safe
17 for some healthy people, causes stroke, heart attack,
18 seizures, sudden death, and other less serious adverse
19 effects in susceptible individuals, or a normal people
20 giving excessive dosages.

21 I think the warning labels will not be
22 effective in preventing the harmful effect of ephedrine
23 because the individuals often do not know that he or
24 she is susceptible to the adverse effects of ephedrine
25 until they take their first few doses. And for me

1 there is no acceptably safe dosage of ephedrine when
2 used as a dietary supplement, because, it has no proven
3 nutritional or even medical value using current
4 standards of evidence to offset its known harmful
5 effects, adverse effects, expected to occur in some
6 people. So to me the risk benefit ratio is just
7 unacceptable. Thank you.

8 [Applause.]

9 MS. CULMO: My name is Cynthia Culmo. I am
10 employed by the Texas Department of Health, but I am
11 here today on behalf of the Association of Food Drug
12 Officials who has paid for my travel expenses to this
13 meeting.

14 In light of the time, and my nervousness, I'm
15 going to speak fast so I should get through in plenty
16 of time. I am here to present a state's regulatory
17 perspective on this issue. I don't have a lot of
18 impressive credentials, but now I have a the
19 unsolicited long-term on-the-job knowledge and
20 experience with this category of products.

21 I'll give you a background on the Association
22 of Food Drug Officials, herein referred to as AFDO, and
23 I am pleased to offer comments on this important issue.

24 AFDO is a 104-year-old organization that
25 represents federal, state, and local government,

1 regulatory officials, and industry associates. Many of
2 whom are involved in food safety efforts focusing on
3 dietary supplements. AFDO strongly supports the Food
4 and Drug Administration's desire to develop strategies
5 for achieving effective regulation of dietary
6 supplements.

7 AFDO wishes to comment on a few of these and
8 more specifically on the issues of this docket. We had
9 consistently, through testimony and in numerous written
10 comments, placed a high priority on product safety and
11 provision of adequate label information to help educate
12 the consumers on safe and appropriate use of dietary
13 supplements.

14 Although the safety of dietary supplements is
15 often equated to conventional foods, many contained
16 concentrated extracts of botanicals that have profound
17 physiological and pharmacological affects that result
18 in added health risk when compared to conventional
19 foods and the whole unextracted botanical.

20 AFDO continues to support fast tracking of
21 specific good manufacturing practices, particularly
22 those requirements that address critical safety areas
23 related to consistency and purity of the ingredients
24 and dosage. This is particularly important for all
25 dietary supplements.

1 On several occasions AFDO has expressed its
2 concern regarding deficiencies and the labeling
3 information for safe use; including information
4 regarding contraindications, problematic drug or
5 product interactions, and restrictions for which safety
6 has not been established by clinical studies or
7 historical uses in at-risk preparations such as young
8 people.

9 Today's docket addresses the safety of
10 dietary supplements containing ephedrine alkaloids.
11 The traditional medical use of these products, the use
12 of the products as dietary supplements labeled for
13 weight loss and exercise enhancement, and the known
14 physiologic and pharmacological actions of ephedrine
15 alkaloids, including their use in combination with
16 other stimulants.

17 The following are responses to the specific
18 questions posed for this forum with regard to
19 additional AERs and the information that was made
20 public by the FDA this past spring. In regards to the
21 first question -- and I am not going to read the
22 questions -- the known and expected physiological
23 actions of ephedrine are well-documented, as you have
24 heard the previous speakers allude to. The beneficial
25 actions include bronchial dilation and decongestant

1 effects. Another positive action might be weight loss
2 and overweight or obese persons due to appetite
3 suppression and thermogenesis.

4 Serious adverse physiological actions are
5 also expected and known for this potent stimulant
6 including cardiovascular neurologic and other types of
7 serious adverse events that have included elevated
8 blood pressure, cardiac arrhythmias, heart attacks,
9 seizures, stroke, psychosis and death.

10 Not surprisingly, each of these adverse
11 events is represented in the AERs, reported to the FDA
12 and several states. Since all the products in the
13 reports contain ephedrine and since all the adverse
14 events are consistent with ephedrine toxicity, there is
15 clearly an association between the use of dietary
16 supplements containing ephedrine alkaloids and the
17 adverse events.

18 And just as in the first series of AERs
19 reported by FDA in 1997, this new series documents that
20 over 90 percent of the injured consumers took the
21 ephedrine-containing dietary supplement products as
22 recommended, or at lesser amounts, than as directed by
23 the manufacturer on the labeling.

24 Not to go over each of the external reviewers
25 as well as CFSAN and CEDER there was an evaluation

1 performed by mention -- and I apologize if I
2 mispronounce names -- an RPH and MS, Claudia Kowalski,
3 a PharmD within the FDA's Center for Drug Evaluation
4 and Research, CEDER, who describe the adverse events as
5 possibly related to the use of ephedrine alkaloid-
6 containing products.

7 CEDER's division of Drug Risk Evaluations'
8 more conservative evaluation may reflect its
9 traditional frame of reference in evaluating adverse
10 reactions from prescription drugs where well-controlled
11 clinical trials have established the drug safety
12 effectiveness, contraindications, and most likely the
13 adverse effects. It also reflects their expectations
14 that physicians familiar with the patient's medical
15 history prescribed the drugs and report the adverse
16 events through a well-established system.

17 Dr. Janet Woodcock summarized CEDER's report
18 as follows, and I quote: "we nonetheless believe it is
19 most likely that ephedrine alkaloid-containing dietary
20 supplements, EADSs are causing these adverse effects.
21 The primary reason for our belief is" -- she lists,
22 one, and two. I'll quote three: "the similarity
23 between the adverse events and the known pharmaco
24 dynamic properties of ephedrine alkaloids."

25 Additionally although many EADEs may contain

1 other ingredients the most cogent interpretation of
2 these data focuses on the common element, the presence
3 of ephedrine alkaloids. In other words, the fact that
4 the adverse events are associated with ephedrine
5 alkaloids containing a variety of other constituents,
6 taken with the fact that we are not at the same level
7 of adverse events associated with the other
8 constituents on the EADes, it supports the causal
9 relationship between ephedrine alkaloids and the
10 adverse events.

11 Under question two, DSHEA, the Dietary
12 Supplement Health and Education Act, dietary
13 supplements or more regulated as foods rather than the
14 stricter regulatory requirements of drugs. And they
15 are intended to supplement the diet to affect or
16 maintain normal structure and function of the body or
17 produced general well-being.

18 The central nervous system stimulation of
19 ephedrine alkaloids may play a role in suppressing
20 appetite and increasing perceived energy levels. An
21 increased metabolism produced by ephedrine particularly
22 in combination with caffeine, it may increase the rate
23 of weight loss for individuals on a low-calorie diet.

24 These effects are drug effects, and there are
25 significant risks associated with taking the products

1 for energy or weight loss, particularly when use for
2 long periods of time. The bronchodilation and the
3 cardiovascular stimulation produced by ephedrine
4 alkaloids had no role in maintaining normal structure,
5 function, or well-being in healthy individuals.

6 Dr. Wei from the School of Medicine expressed
7 that ephedra is used in its traditional Chinese
8 medicine, TCM, for short-term treatment of medical
9 conditions such as cough, nasal congestion, asthma,
10 emphysema, and bronchitis. These conditions are either
11 diseases or characteristics of diseases in dietary
12 supplements and are not intended to diagnose, treat,
13 cure, or prevent any disease. Ephedra is not used in
14 TCM to lose weight, pump iron, or to fight fatigue.

15 Considering dose and duration studies have
16 demonstrated that obese women on a low-calorie diet
17 taking 20 milligrams of ephedra, three times a day,
18 with or without 200 milligrams of caffeine for six
19 months experienced increased weight loss compared with
20 women taking the placebo.

21 We are not aware of data evaluating lower
22 doses of ephedrine for weight loss or data
23 characterizing the effective dose and the duration of
24 use for ephedrine for increasing energy or
25 bodybuilding. The question addresses efficacy and not

1 safety.

2 The states health departments, pharmacies and
3 agricultural departments, as well as the FDA, have long
4 been concerned with the safety aspect of ephedrine
5 alkaloid-containing dietary supplements. Both state
6 programs and AFDO have testified to this concern.
7 During comment periods in public hearings,
8 representatives of industries have promised to publish
9 in peer review journals the results of on going,
10 double-blind, placebo studies that will show that
11 ephedrine-containing dietary supplement products were
12 safe.

13 The promises were sometimes delivered at the
14 eleventh hour and have been instrumental in delaying
15 passage of any restrictions to improve safety by the
16 regulatory agencies on more than one occasion.

17 Six years have passed and the touted safety
18 studies still haven't been produced. What happened?

19 The financial cost of a large clinical trial
20 to determine safety should not pose a burden for
21 manufacturers that claim billion dollars in sales for
22 ephedrine-containing diet products alone. Have the
23 studies suffered due to the small numbers of subjects,
24 the short duration of the studies, or the number of
25 subjects that dropped out or were eliminated due to

1 significant side effects? If the studies participants
2 were subjects that were screened in as healthy
3 individuals to be part of a carefully controlled
4 physician-monitored, clinical study, what were the
5 reasons for noncompletion of those studies?

6 It appears that the studies, to the extent
7 that they lasted, document the pattern and the types of
8 adverse events reported and known for sympathomimetic
9 stimulant agents even among apparently healthy persons.

10 Dr. Woosley so adequately summarized in his
11 review of the adverse events a great deal of discussion
12 and deliberation has sought to identify a safe dose of
13 ephedrine in dietary supplements. However, a safe dose
14 assumes that there is safe medical value to taking
15 ephedra as a dietary supplement. The lack of proven
16 medical benefit of dietary supplements containing
17 ephedrine alkaloids makes the risk benefit ratio these
18 supplements unfavorable.

19 The occurrence of serious side effects makes
20 the use of ephedrine-containing products as a dietary
21 supplement at dosages they can increase the blood
22 pressure and heart rates in susceptible individuals
23 unacceptable without medical supervision.

24 The adverse events reported to FDA in a
25 series and in a previous series on dietary supplements

1 containing ephedrine alkaloids, represent only the tip
2 of the iceberg of the number of adverse events
3 occurring from these products.

4 The evaluation by Dr. Walker, M.D. PhD of
5 Harvard School of Public Health, concluded less than
6 one percent of the serious adverse events caused by
7 dietary supplements is reported to the FDA. The true
8 proportion may well be smaller by an order of magnitude
9 or more.

10 In the 20 months from June 1, 1997, to March
11 31, 1999, FDA received 140 reports of adverse events;
12 60 of which could be clearly attributed to ephedrine-
13 containing dietary supplements, or supported a role of
14 ephedrine in producing these adverse events.

15 Adverse events included deaths, permanent
16 disabilities from cerebral vascular disruption, cardiac
17 arrest, heart attacks, seizures and psychosis. If Dr.
18 Walkers estimates of reporting rates are correct, 300
19 to 3,000 or more individuals a month may be suffering
20 serious, and in some cases, disabling or fatal adverse
21 events from dietary supplements containing ephedrine
22 alkaloids. Risk from dietary supplements containing
23 ephedrine alkaloids are serious, and the consequence is
24 are devastating for some affected individuals and their
25 families.

1 Are the outcomes associated with the use of
2 these products affected by dosage? Outcomes may be
3 affected by dosage. Data currently available indicates
4 the dosage of products currently marketed for weight
5 loss of bodybuilding and enhancing energy propose a
6 significant risk of life-threatening adverse events.
7 But these data do not indicate an expected safe dose.

8 In addition, over half of the members of an
9 advisory expert panel convened by the FDA in 1996, the
10 Food Advisory Committee concluded that based upon the
11 available data, no safe level of ephedrine alkaloids
12 could be identified for use in dietary supplements.

13 The growing number and the consistency of the
14 AERs associated with dietary supplements containing
15 ephedrine alkaloids and the continued lack of safety
16 data must lead one to the same conclusion today.

17 Mario Enchioso, from Newark Medical College
18 stated in his conclusions in his review, "I believe
19 that these relationships are of importance in relation
20 to whether one can identify a safe dose of ephedrine
21 for supplements.

22 In the absence of clinical indication that
23 would provide some basis for risk to benefit
24 consideration, it would not be possible to recommend a
25 safe dose of ephedrine considering user

1 characteristics. Strenuous to moderate exercise
2 appears to increase the risk of serious adverse effects
3 from dietary supplements containing ephedrine alkaloids
4 in both men and women."

5 Dr. Benowitz commented, "several individuals
6 in our view suffered adverse events during exercise.
7 Exercise results in activation of the sympathetic nervous
8 system which increases blood pressure and heart rate.
9 Ephedrine and/or caffeine could augment the
10 cardiovascular stress of exercise which could be
11 another mechanism for difference in the individual's
12 susceptibility."

13 He also concluded that the three types of the
14 adverse events reported in a AERs he reviewed were
15 consistent with the known stimulant properties of
16 ephedrine and caffeine.

17 In addition, it is well-established that the
18 combination of stimulants has a synergistic effect with
19 increased risk for adverse events.

20 As Dr. Love noted, it could have a
21 potentially positive impact on thermogenesis that may
22 also account for the increased adverse effects seen
23 with a combination of these agents.

24 Another negative result documented with
25 taking ephedrine-containing dietary supplement products

1 is a positive urine screen for amphetamines. This
2 could be particularly problematic for athletes and
3 military personnel. Most amateur collegiate, and
4 professional organizations now prohibit the use of
5 ephedrine-containing products by their athletes.

6 Serious adverse effects from dietary
7 supplements containing ephedrine and these AERs, the
8 last series of the AERs and the AERs reported to the
9 states occurred from the first day of use to after
10 months or years of use.

11 Safety of these products cannot be assured by
12 limiting use to days or weeks. Life-threatening
13 adverse events occurred in young individuals with no
14 identified, preexisting medical conditions. Label
15 warnings directed to individuals at increased risk
16 because of medication or medical conditions would not
17 protect these individuals.

18 The states and the FDA have ample evidence
19 why these products are being consumed for weight loss,
20 performance enhancers, and energy boosters. This is
21 easily demonstrated by FDA's review of the products and
22 other marketing advertising and labeling received by
23 the states. An example of the consumers' perception of
24 these products is exemplified in petitions received
25 during Texas Department of Health's rule-making

1 procedures that said sign up today, save the Chinese
2 speed, and please sign to keep the Chinese speed.

3 It's virtually impossible for an individual
4 state to bring about meaningful restrictions on sales
5 or labeling these nationally distributed products. And
6 many states have delayed individual action because they
7 are expecting and waiting for FDA to provide leadership
8 and finally act with meaningful restrictions.

9 DR. JONES: Could you please wrap up or get
10 to a conclusion?

11 MS. CULMO: Okay. I need to wrap up here.

12 In conclusion, being a representative of many
13 involved or regulatory oversight, I am compelled to
14 address the regulation of these products. AFDO
15 encourages the FDA to act expeditiously.

16 There have been two included advisory
17 committee reports, two series of external reports,
18 scientific medical specialties have been utilized, and
19 most have concluded that dietary supplements containing
20 ephedrine alkaloids present significant or unreasonable
21 risk of injury or illness under conditions recommended
22 by the manufacturers.

23 It's time to place to politics and the money
24 aside and act responsibly as the public health agency
25 relied upon by the general public to protect their

1 safety. Recent polls demonstrates that FDA is held in
2 high regard by the general public and is viewed as
3 doing a good job.

4 To continue that trust FDA must act in these
5 needless injuries. We would like to thank the USPHS
6 for this opportunity to comment, and we look forward to
7 working with all interested parties to address this
8 important public health issue. Thank you.

9 DR. JONES: Thank you, Ms. Culmo.

10 [Applause.]

11 DR. JONES: And thank you to all panel
12 members for staying on time even if I needed to prod a
13 couple times.

14 We want to open for questions and answers
15 first from the panel. I will take the prerogative,
16 since I have a microphone, of asking the first just of
17 the panel, do any of you have any data whatsoever on
18 the role of the thyroid as an underlying condition
19 since an estimated 20 -- some say as many as 40 percent
20 of women from about midlife to later might have an
21 undiagnosed thyroid condition which obviously can have
22 cardiac and other effects. Any suggestions of the role
23 that that might contribute as the underlying conditions
24 and interaction with these compounds?

25 DR. WOOSLEY: I think clearly the hypothyroid

1 individuals are known to be at increased risk for
2 sympathomimetic amine administration. I don't recall
3 in 140 cases if there were any. I seem to call that
4 there were, made Lori knows, but hypothyroidism is also
5 a potential complication in that these people are at
6 increased risk of arrhythmias as are the hyperthyroid.

7 So, I think we don't have data that I'm aware
8 of to quantify that group any more than we have any
9 other group. But we would, based on the pharmacology,
10 based on the disease itself or the illness, I would say
11 that those would be another group that often are
12 unaware of their condition who might be at increased
13 risk.

14 The other aspect of it is, there were some of
15 these cases that were taking thyroid supplements and if
16 that dosage wasn't adjusted perfectly at the time they
17 took the sympathomimetic amine that could be another
18 risk factor.

19 DR. JONES: Thank you. Dr. Coates.

20 DR. COATES: Thanks very much. I have --

21 DR. JONES: For the record, Paul, would you
22 state your name?

23 DR. COATES: I'm Paul Coates, from the Office
24 of Dietary Supplements at NIH. I have two questions --
25 brief questions for Dr. Love, and one brief question

1 for Dr. Ricaurte.

2 Dr. Love would you -- maybe I missed it but
3 would you be able to provide the references for the St.
4 Luke's Roosevelt study and for the Calman study which
5 you referenced on your slide? You noted that the St.
6 Luke's Roosevelt study was in abstract form, do you
7 know if it's been published since?

8 DR. LOVE: I have not heard that it's been
9 published. It was published in abstract form in FASEB
10 in 1999.

11 DR. COATES: And the Calman study?

12 DR. LOVE: The Calman study, I forget the
13 journal it was just recently published in 2000.

14 DR. COATES: And then I wonder whether -- the
15 number were going by fast before me. I was concerned
16 to be sure that I understood that the adverse event
17 reporting system represented, was there a difference
18 between the adverse event reports that occurred among
19 those who were using the products primarily for weight
20 loss as opposed to those who was using the products
21 primarily for bodybuilding for want of a better term?

22 DR. LOVE: From our data more men, of course,
23 appeared to be using it for the fitness bodybuilding
24 purposes. An in that population looking at the
25 classification of adverse events there were more

1 central nervous system type of effects. Particularly
2 if you looked at it there were more cases of seizures
3 in some of these individuals, but also strenuous
4 exercise did impact on just the cardiovascular events
5 that that you could see irrespective of what the
6 product was used for.

7 DR. COATES: And if you do not mind I will
8 ask one question of Dr. Ricaurte. Dr. Ricaurte, you
9 provided a tantalizing approach towards the end when
10 you said that the methamphetamine studies on
11 dopaminergic neurons in the baboon could be reflected
12 in similar studies in humans and then you ended with
13 the tantalizing brief remark about ephedrine studies
14 and monkeys. Can you give me a little bit more insight
15 into how you think that the studies that were done in
16 monkeys could draw you to the same conclusion that you
17 had about methamphetamine in the baboon and in the
18 human?

19 DR. RICAURTE: Well, as you know, the closing
20 remarks were not meant to be so tantalizing brief and I
21 apologize. I think the data on the neurotoxic
22 potential of ephedrine compared to that of the
23 methamphetamine although it is at a very early stage;
24 there is a paucity of studies.

25 That data clearly indicates to me that like

1 methamphetamine, ephedrine -- this is the minus isomer
2 of ephedrine, that ephedrine has the potential to
3 damage dopamine neurons in the primate central nervous
4 system.

5 I think that that's where we are with the
6 current studies. What we don't know as yet is, what
7 are the lowest doses of ephedrine that produce the
8 neurotoxicity in the primate brain. We don't know
9 whether or not the data in monkeys extrapolates to
10 humans.

11 With the methamphetamine subjects I can tell
12 that when you look at issues of dosage, the doses that
13 produce the toxicity in nonhuman primates are on the
14 orders of those used by some humans. We don't know if
15 that's also the case for ephedrine.

16 DR. JONES: I have questions, two more
17 questions from the panel, Dr. Schwetz and then Dr.
18 Lieberman.

19 DR. SCHWETZ: Berne Schwetz from the FDA. I
20 have a question for Dr. Woosley. How much of an
21 adjustment is normally made to account for the
22 tolerance that develops? And are these adjustments
23 more likely or less likely to be made by people who
24 would have the receptor to polymorphism?

25 DR. WOOSLEY: It is an excellent question and