

1 have no real studies on hepatotoxicity on HIV  
2 medications at this point.

3 That's sorely needed. I don't see it  
4 being even really discussed very fervently.

5 DR. JOLSON: That's a fair point.

6 ACTING CHAIRMAN GULICK: Why don't we stop  
7 discussion at this point. We'll come back to these  
8 points. I would like to introduce our final speaker  
9 of the morning, Dr. Katherine Laessig from the  
10 Division of Antiviral Drug Products, to speak, to  
11 really summarize the public response and regulatory  
12 perspective.

13 THE STUDY OF ANTIRETROVIRAL AGENTS IN

14 HEAVILY PRETREATED HIV INFECTED PATIENTS:

15 A REGULATORY PERSPECTIVE

16 DR. LAESSIG: Good morning. The  
17 organization of my presentation is as outlined here.  
18 I would like to begin by defining some terms that are  
19 relevant to today's discussion.

20 Next I will summarize the responses we  
21 received to our request for public input regarding  
22 study components, including the patient population,  
23 study regimen, endpoints, and study duration, as well  
24 as review of specific study designs: historical  
25 controlled, open label, and blinded

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 intensification-type trials, concentration-controlled,  
2 and dose-response, and factorial.

3 Then I will elaborate on three potentially  
4 useful designs which were suggested. These include an  
5 add-on-type design, a two-part hybrid, and modified  
6 factorial. And, finally, I will end with some  
7 regulatory conclusions.

8 The heavily treatment-experienced therapy  
9 refers to a new or recycled drug regimen that is used  
10 to treat patients who have experienced therapeutic  
11 failure for either efficacy or safety reasons.

12 It is unlikely that a signal new drug will  
13 suffice as salvage therapy. However, for regulatory  
14 purposes, the contribution of a new drug to the  
15 regimen is what is of interest. This is in contrast  
16 to clinical management strategies, where the regimen  
17 is the entity of interest.

18 And, as previously defined for this  
19 meeting, the heavily treatment-experienced patients  
20 are those who have had previous therapy with more than  
21 or two HAART regimens containing greater than or equal  
22 to one agent from each of the currently approved drug  
23 classes.

24 I would like to differentiate between a  
25 drug of last resort versus one that might have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 broader use. This is a crucial distinction because it  
2 impacts the overall drug development plan.

3 A drug of last resort would have activity  
4 but might be restricted for use only in the heavily  
5 treatment-experienced because of toxicity, a less  
6 desirable route of administration, or other reasons.  
7 This is in contrast to a first-in-class or a  
8 next-in-class agent that could be used for both early  
9 or later treatment-experienced patients.

10 Now I will begin discussing the summary of  
11 the public responses. Regarding the patient  
12 population, aside from the definition we have already  
13 arrived at, it was felt that there should be broad  
14 representation of these patients, including those with  
15 low CD4 counts of less than 50 and high viral loads of  
16 greater than 100,000 copies.

17 In addition, aside from including patients  
18 who may have failed previous regimens due to  
19 resistance, patients who have failed due to  
20 pharmacokinetic tolerability or adherence reasons  
21 should also be included because they need new regimens  
22 as well.

23 Regarding stratification, which has  
24 historically been based on viral load and CD4 count,  
25 it is probably unnecessary for extensive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 stratification because well-powered and randomized  
2 trials should control for the inherent heterogeneity,  
3 although examination of patient subsets may be useful  
4 for exploratory analyses.

5           Regarding study regimens, there was  
6 general agreement that resistance testing should be  
7 used to construct background regimens, expanded access  
8 agents should be allowed, and pharmacologic enhancers  
9 should be included. There should be flexibility in  
10 the number of background agents, and pharmacokinetic  
11 enhancers should not be included in the total number  
12 of background agents.

13           There is a caveat, certainly, that  
14 MegaHAART may decrease tolerability in adherence and  
15 increase overlapping toxicities, drug interactions,  
16 and number of dropouts.

17           Regarding the study duration, traditional  
18 approval has been based on demonstration of durability  
19 of virologic suppression to 48 weeks or longer, and  
20 there was no feeling that this should be changed.  
21 However, for accelerated approval, which has been  
22 based on 24-week data, there were suggestions that  
23 earlier assessment of antiviral effect should be  
24 considered for this population with longer-term  
25 safety.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So, therefore, I pose the question to the  
2 Committee that: When should we make the determination  
3 of an antiviral effect for these patients?

4                   Regarding the endpoints, specifically  
5 virologic, proportion undetectable may not be a  
6 feasible endpoint except when using multiple  
7 investigational or highly potent agents.

8                   Some alternatives which have been used in  
9 the past and may be appropriate in this setting  
10 include mean change from baseline and viral load,  
11 proportion with a greater than X log drop in viral  
12 load, area under the curve minus baseline. And we  
13 would gladly entertain any other suggestions.

14                   With respect to non-virologic endpoints,  
15 specifically clinical, these have been previously new  
16 CDC Class C events, which equate to about 20  
17 conditions.

18                   Some suggested alternatives were to  
19 include fewer Class C events, specifically those that  
20 occur later in the disease process, such as CMV and  
21 MAC. Another possibility is a composite endpoint of  
22 efficacy and safety toxicity.

23                   Our perspective is that there needs to be  
24 better collection and adjudication of clinical  
25 endpoints, regardless of the primary endpoint or the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient population.

2 It is also difficult to weight toxicity in  
3 a composite endpoint. For example, how would one  
4 weight nausea versus CMV? And the agency needs to  
5 examine efficacy and safety separately to make  
6 risk-benefit assessments. Now I will move to a  
7 discussion of specific study designs.

8 There was general agreement regarding  
9 historical controlled trials such that historical  
10 results were not obtained in equivalent populations.  
11 This is due to the inherent heterogeneity and  
12 progressive heterogeneity of the heavily  
13 treatment-experienced patients.

14 In addition, there is an evolving standard  
15 of care. And one could argue that there is actually  
16 no consensus on how best to manage these patients.

17 In addition, there is probably incomplete  
18 data from historical cohorts to allow for adequate  
19 evaluation and comparisons, although the natural  
20 history of these patients on failing or currently  
21 available salvage therapy regimens is not disputed.

22 Our position regarding historical control  
23 is that when there is a concurrent control that is  
24 feasible, a single arm trial is not advocated.  
25 However, use of a concurrent observational cohort may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be possible.

2 With respect to blinded versus open label  
3 trials, there was general agreement that blinding all  
4 drugs in a study regimen is difficult due to a large  
5 pill burden, unavailability of placebo, and a  
6 consensus that resistance testing should be used to  
7 design optimized background regimens. Therefore,  
8 partial blinding of test and control is sufficient.

9 There are also multiple statistical  
10 considerations for open label studies, specifically  
11 that blinding reduces bias. And some of the source of  
12 the bias is that patients and physicians have  
13 expectations when treatment assignments are known.

14 There is a potential for differential  
15 dropout due to switches of treatment or loss to  
16 follow-up, which need to be accounted for in the  
17 analyses.

18 One method to assess the potential for  
19 differential dropout that has been used successfully  
20 is to monitor subsequent enrollment of patients in the  
21 clinical trials for a given investigational agent who  
22 discontinue that trial into that drug's expanded  
23 access programs.

24 Regarding intensification trials, which  
25 were defined as adding on a new agent to a preexisting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 regimen with incomplete viral suppression, there were  
2 concerns on all sides that this may promote resistance  
3 because it is essentially mono therapy and it may  
4 exhaust an option that could have been used later.

5           However, it may be potentially useful if  
6 resistance to an agent develops slowly. In any event,  
7 the duration of intensification should be short and  
8 include an early escape option for suboptimal  
9 virologic response.

10           Regarding concentration-controlled  
11 dose-response trials, the community feedback was  
12 generally favorable because this avoids suboptimal  
13 levels. And higher drug levels may overcome resistant  
14 mutants.

15           There are multiple industry concerns,  
16 including that real-time reporting for dose adjustment  
17 in trials is difficult. There is high intra and  
18 inter-subject variability. And patient adherence may  
19 impact results.

20           In addition, it is unclear which specific  
21 exposure measurement is best correlated with response.  
22 There was also some feeling that the maximally  
23 tolerated dose should be used in this population.

24           Next. Regarding the dose-response trials,  
25 these have previously been used for registration of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 antiretroviral agents. However, to discern a  
2 treatment effect is necessary to study doses on this  
3 deep part of a dose-response curve. Unfortunately,  
4 some participants may receive suboptimal doses.

5 We certainly agree that higher doses may  
6 be necessary to suppress resistant virus. Some of  
7 these points are illustrated by the following slide,  
8 which compares the dose-response curves of the  
9 wild-type, intermediately resistant, and a resistant  
10 virus to drug X. The x-axis shows log of the  
11 concentration of drug X, and the y-axis shows percent  
12 of virologic suppression.

13 For the wild-type and intermediately  
14 susceptible virus, dose one and two will show a  
15 treatment response because you are on the steep part  
16 of the curve. However, for the resistant virus, you  
17 won't see a treatment effect. It's not until you get  
18 to dose three that you will actually see a treatment  
19 effect.

20 The problem lies that we may not  
21 necessarily know how far to the right the  
22 dose-response curve has shifted for a resistant virus.  
23 This emphasizes the need for appropriate dose  
24 selection in the heavily treatment-experienced  
25 population.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Concentration-controlled trials have not  
2 to date been used for registrational purposes for  
3 antiretroviral agents. We agree at this time that  
4 there are assay considerations such that they are  
5 unapproved and not widely available, although this may  
6 change with time.

7 Regarding a true factorial design, the  
8 industry had concerns about the potential for drug  
9 interactions overlapping toxicities, the difficulty of  
10 ensuring a timely availability of drug supply, and the  
11 ultimate ownership of IND and data.

12 Although the community and FDA are in  
13 favor of this approach, the industry concerns are  
14 valid but certainly not insurmountable. The factorial  
15 design can be modified to be useful. And a factorial  
16 design is a randomized trial that participants are  
17 more likely to complete because they may be able to  
18 receive more than one investigational agent.

19 An additional benefit is that expenses for  
20 one trial can be shared by two or more companies. And  
21 since the company is already collaborating, blinding  
22 and provision of placebo should be easier.

23 Now I will discuss three suggestions of  
24 potentially useful trial designs. The first two are  
25 non-collaborative studies of single investigational

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 agents. The first is an add-on to optimized  
2 background regimen. The second is a so-called  
3 two-part hybrid. Then the third design is a modified  
4 factorial, which is a collaborative design for more  
5 than one investigational agent.

6 So the add-on is optimized background  
7 regimen plus or minus placebo versus optimized  
8 background regimen plus study drug. Randomization in  
9 blinding is preferred. However, this is a less  
10 desirable design than modified factorial due to the  
11 fact that some patients will be randomized to receive  
12 placebo and some will only receive one investigational  
13 agent. However, the risk of patients is lessened by  
14 having an early escape option for suboptimal and  
15 virologic response.

16 This is the second design, which is a  
17 so-called two-part hybrid design. It's designated  
18 two-part hybrid because for the first ten days, the  
19 patients are randomized to one of three arms. And the  
20 second part is a prospectively designed cohort, where  
21 all patients receive the same treatment.

22 The x-axis shows time and days out to day  
23 ten and then a break in the axis in weeks out to  
24 week 24. The y-axis is log viral load.

25 Patients are randomized to one of three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 arms: drug X plus their previous regimen, optimized  
2 background regimen, or their previous regimen alone.

3 Next slide. Since the trial is  
4 essentially uncontrolled after day ten, the  
5 contribution of the study drug to the treatment effect  
6 can be demonstrated by incorporating evaluation of an  
7 indirect dose-response through the use of  
8 prospectively defined phenotypic cohorts. In this  
9 example here, patients with more susceptible virus  
10 achieve a better virologic response, which provides  
11 evidence of the activity of the study drug.

12 So, again, the two-part refers to an  
13 initial randomization and then a prospectively defined  
14 observational cohort. In addition, it refers to the  
15 determination of the antiviral effect, which is  
16 directly assessed during the first ten days and then  
17 indirectly via correlation with baseline phenotype for  
18 the remainder of the trial.

19 Although the ten-day chosen for this  
20 example is just arbitrary, the assumption is that the  
21 lead-in period is brief enough so that patients on  
22 their preexisting regimen plus study drug X don't  
23 develop resistance to drug X during that period and  
24 patients continuing their preexisting regimens don't  
25 have adverse consequences due to not changing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 therapies sooner.

2 Clearly the lead-in period needs to be  
3 long enough to assess an antiviral effect and to  
4 demonstrate that. This type of design probably would  
5 provide supportive evidence in an NDA package.

6 The third design is a modified factorial  
7 design; in this example, a four-arm trial for three  
8 investigational drugs, A, B, and C. Arm 1 is  
9 optimized background regimen plus A plus B. Arm 2 is  
10 optimized background regimen plus A plus C and so on.

11 The assumption is that optimized  
12 background regimen or optimized background regimen  
13 plus a single study drug alone is inferior. A major  
14 benefit of this type of design is at the end, it is 33  
15 percent less than would be needed for three separate  
16 trials, this because the same active arm is compared  
17 against three control arms.

18 Now the unavoidable regulatory  
19 conclusions. The focus of today's meeting is  
20 drug-specific and not centered on regimens or  
21 management strategy. We need to determine what the  
22 contribution of a given drug is to safety and efficacy  
23 in broad patient populations as well as in the heavily  
24 treatment-experienced.

25 Some caveats about these trials is that we

1 need to know the drug interactions up front and that  
2 dose selection is very important and may be different  
3 for the heavily treatment-experienced. In addition,  
4 the baseline resistance testing is useful for  
5 construction of the optimized background regimens and  
6 also for outcome analysis.

7 Some additional points to consider are  
8 that multiple agents make determination of adverse  
9 event causality for drug toxicity difficult. Trials  
10 of shorter duration may adversely affect the safety  
11 database.

12 Resistance may develop to first-in-class  
13 agents and compromise later virologic response to  
14 next-in-class agents. Therefore, we need to provide  
15 data for a spectrum of patients, particularly the  
16 heavily treatment-experienced or first-in-class or  
17 promising next-in-class drugs.

18 It is important to consider the overall  
19 strength of an NDA package. One controlled study plus  
20 other well-designed studies in the heavily  
21 treatment-experienced may be preferable to two  
22 identical studies and naive in less  
23 treatment-experienced patients.

24 Lastly, all study designs must take into  
25 account targeted use of the drug, heavily

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 treatment-experienced versus all patients.

2 Now I will turn it back over to Dr.  
3 Gulick.

4 ACTING CHAIRMAN GULICK: Thank you.

5 Are there clarifying question for Dr.  
6 Laessig before we launch into our discussion? Ms.  
7 Dee?

8 MS. DEE: Just one comment. You know, in  
9 that Slide Number 3 about the three investigational  
10 drugs, for instance, we could use Kaletra as one of  
11 those drugs. Even though it is approved, there are a  
12 lot of patients who haven't yet had the opportunity to  
13 use this drug. So this is not always dependent on  
14 three investigational drugs per se.

15 QUESTIONS TO THE COMMITTEE

16 ACTING CHAIRMAN GULICK: Okay. Thanks.

17 The way I think we should approach our  
18 discussion is to recognize a couple of things. We are  
19 going to do the discussion actually in two parts: one  
20 prior to lunch and then one after a few presentations  
21 in the open public hearing after lunch. So we will  
22 probably get through some of the questions but not all  
23 prior to lunch.

24 Secondly, just note from the questions  
25 that the questions for the morning really are trial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 design issues. And then we're going to make a switch  
2 after the open public hearing to endpoint issues. And  
3 it would be helpful to try to leave the endpoint  
4 issues for the afternoon after some formal  
5 presentations.

6 The last request I have is that Dr.  
7 Laessig outlined three potential study designs that we  
8 will discuss as a group and others around the table  
9 have other study designs they will present.

10 I think before we jump into specifics, it  
11 is appropriate to address Question Number 1 first  
12 before we get into the specifics of the individual  
13 studies. And here we have it.

14 So the question before the Committee, the  
15 most broad question, about treatment in heavily  
16 experienced patients is: What type of information  
17 would you most like to see from studies conducted in  
18 treatment-experienced patients, both adults and  
19 children?

20 And then, as a general question, more  
21 specifically comment on the use of studies in these  
22 populations to support the efficacy for registration  
23 versus their use for supportive information for  
24 addressing more focused questions, such as drug  
25 interactions, dosing, and resistance issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   So who would like to start us off? Dr.  
2 Saag?

3                   DR. SAAG: I think that, even though the  
4 meeting has defined what the treatment population is;  
5 that is, at least two HAART regimens in all classes,  
6 still begs the question of those highly experienced  
7 patients who have really gone through all options  
8 because I sense that there is some confusion about  
9 what optimized based background therapy is.

10                   For example, optimized background therapy  
11 is more likely to work somebody failing their second  
12 HAART regimen versus their eighth HAART regimen. I  
13 think that has to be taken into consideration when we  
14 talk about designs later.

15                   The bottom line I think we need to talk  
16 about is: What are the objectives of treatment, just  
17 in the clinical situation and then about it from a  
18 trial situation?

19                   At least in our clinic, it's a lot  
20 different maybe than Dr. Ward's. We're having a lot  
21 more treatment failures than he presented. We're  
22 having at least a death a week now back again. So we  
23 have actually more than that. We have 70 deaths this  
24 year out of our 1,000 patient population, which is  
25 maybe different than what other people are seeing.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Perhaps that's because we were using protease  
2 inhibitors early, early on, but whatever the case is,  
3 it's what we're seeing.

4 In our situation, what we are finding is  
5 that we are having to redefine what our goals of  
6 therapy are for those patients. So that's what I want  
7 to present as a point.

8 In those patients who have really, really  
9 far advanced in multiple HAART regimens, we were far  
10 away from the cure paradigm. So, therefore, treating  
11 to the level of detection is not even discussed. It's  
12 not what we're going for.

13 Rather, what we shoot for is some decrease  
14 in viral load below their highest set point value that  
15 we can define. Usually that is easy to get because  
16 they have had a holiday somewhere along the line where  
17 they have bounced back up to, let's say, 400,000. And  
18 then we're happy just to get them a .5 log below where  
19 they started.

20 I think that in that scenario, what we're  
21 really doing here is -- I am not really as concerned  
22 about prevention of resistance. They have already got  
23 that. What I am concerned with is prevention of  
24 clinical progression.

25 I think that in my sense of coming to this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 meeting, when I'm thinking about a salvage situation,  
2 that's the population that I'm thinking of.

3 So preventing resistance is a secondary or  
4 tertiary objective at this point. The goal is to keep  
5 people alive and living well. In that regard, I would  
6 propose that what we ought to think about is getting  
7 patients to at least a .5 log below where their  
8 highest viral load has been and sustaining that for as  
9 long as we can. And whatever drugs or strategies  
10 accomplish that I think is a key point.

11 so what type of information would I like  
12 to see from studies? I would like to see regimens in  
13 this salvage population, the highly advanced  
14 treatment-experienced patients, to sustain at least a  
15 .5-log reduction in viral load from their baseline and  
16 sustain that for as long as possible.

17 ACTING CHAIRMAN GULICK: Dr. Deeks?

18 DR. DEEKS: I entirely agree with  
19 basically everything that Mike just said. I would  
20 like to add onto that and speak to some of the  
21 comments that Dr. Schechter had made earlier regarding  
22 the heterogeneity of our patient population.

23 I think as the way we define the heavily  
24 treatment-experienced patient population right now,  
25 it's very heterogeneous. I have patients who have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 failed 3 classes of drugs who have 500 t-cells, a  
2 viral load of 5,000, and are doing very well.

3 So across all patients, there is a huge  
4 amount of heterogeneity in this group of patients, but  
5 I do believe that this patient population is  
6 ultimately moving toward less heterogeneity.

7 And we have and Mike does as well, I  
8 think, a small group but a growing number of patients  
9 who have very high viral loads, very low CD4 t-cell  
10 counts, an RT protease genetic background that is  
11 inconsistent with any real good antiviral response to  
12 any drugs now.

13 I would agree with Mike that these  
14 patients are now getting very sick. We have actually  
15 a large number of deaths in our clinic as well, and  
16 many of these patients are now dying from clear  
17 HIV-related complications.

18 So when I think of salvage therapy, I have  
19 a very different mind set. Like Mike does, I'm  
20 thinking now of that group of patients who have ten  
21 t-cells and who have run out of options for whom a  
22 study that was a single arm and had only one drug; for  
23 example, T-20, would be something that would be highly  
24 desirable to me, something that my patients would be  
25 very much interested in going into.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I would prefer to have access to those  
2 studies for that patient population, in contrast to  
3 the A plus B versus B plus C-type stuff, which I think  
4 is more designed for patients who are less desperate  
5 earlier on who might be able to wait for that type of  
6 study.

7           So, actually, the bottom line is I think  
8 that there is less heterogeneity in this very, very  
9 heavily treated patient population than some of the  
10 presentations which exist.

11           ACTING CHAIRMAN GULICK: Dr. Schapiro?

12           DR. SCHAPIRO: First of all, since this  
13 deals with resistance assays, I would like to add to  
14 my disclosure that I received financial support from  
15 Visible Genetics and research support from ViroLogic,  
16 both that deal with resistance assays.

17           I think, to touch on the points that Mike  
18 and Steve mentioned, we mentioned drug, but I think we  
19 should realize that the way we use drugs makes them  
20 different drugs.

21           We categorize a drug based on a certain  
22 approval on the studies that were done, but I think we  
23 should realize, I think a lot of the work done by the  
24 previous speakers, shows us that the drugs are really  
25 different drugs when you use them at different doses

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at different schedules.

2 And although we may say this patient now  
3 has no options, we're really looking at the data on  
4 the way the drug was used in naive patients. And we  
5 should keep that in mind.

6 When we try to compare, you know, we  
7 stratify for resistance to this drug, resistance is  
8 relative. It's completely relative. Drugs are not  
9 resistant or susceptible. They become more and more  
10 resistant or less and less susceptible as mutations or  
11 full change phenotypic resistance occurs.

12 So we probably have to add for dimension  
13 drugs are not black and white. We probably have to  
14 consider the dosing of the drugs. I think we have the  
15 most data for protease inhibitors, but we might find  
16 as we learn more about the other drugs that in other  
17 classes of drugs, we may have ways of boosting them as  
18 well.

19 There may be metabolic ways of boosting  
20 NRTIs, which are around the corner. But definitely  
21 for protease inhibitors, if we consider how we are  
22 using them in the salvage patient, we may actually  
23 find that the same drug is a different drug when we  
24 use it in naive patients, where we will look for  
25 considerations of easy adherence, minimal toxicity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And when we have the patients, I think  
2 that Mr. Hogan described earlier that Steven and Mike  
3 touched on patients that in clinics are dying now  
4 again.

5                   For those patients who will look at the  
6 drug entirely differently, we'll be willing to  
7 administer the drug more frequently and possibly much  
8 more toxic doses.

9                   We're really not going to get all beat up  
10 about some metabolic changes if the patient isn't  
11 dying. And I think when we make this discussion, we  
12 should consider the fact that the drugs are relative.  
13 We cannot put down how many mutations affect this drug  
14 without considering what exposure the drug is getting.

15                   Therefore, I think in some of these study  
16 designs, we should keep in consideration that we have  
17 to be looking all the time not only at resistance but  
18 at exposure. And we cannot make sweeping conclusions  
19 about drugs and resistance but how we are going to use  
20 them.

21                   And since basically these patients don't  
22 have options, we have to be creative. We're saying,  
23 "Oh, these are patients who have no options. So how  
24 are we going to optimize therapy if they have no  
25 options?" There is no optimizing therapy. We have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 take into consideration that we may have to be  
2 creative.

3 I think what it will probably mean is  
4 using different doses, and we will have sort of a  
5 sliding degree of toxicity and no exposure.

6 ACTING CHAIRMAN GULICK: Dr. Pettinelli?

7 DR. PETTINELLI: What I would like to see  
8 in this patient population is a comprehensive  
9 approach. I think that there is space for  
10 registrational trials. There is also the possibility  
11 for other trials.

12 I totally agree with Mike and Steve. This  
13 patient population is very heterogeneous. In the one,  
14 there is maybe a possibility for some of them to build  
15 up to what we call an optimized regimen on the base of  
16 the resistance, phenotypic resistance assay, genotypic  
17 or phenotypic resistant assay. If we can do that,  
18 then we could indeed do some of the trial that has  
19 been proposed in our standardized optimal therapy  
20 versus one or two new drugs.

21 For others, that will not be possible. I  
22 hope that during today we will discuss the different  
23 possibilities for these different patient populations.

24 Also, I think what I would like to see,  
25 really, to understand what is the long-term efficacy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 of whatever intervention we do, one may be that 24  
2 weeks is enough for accelerated approval. I don't  
3 think it is really enough to understand how we are  
4 going to use these drugs.

5 So definitely we should have a longer-term  
6 follow-up. And then, additionally, we really should  
7 understand what happens when those patients are  
8 failing. Now we're talking in certain standards the  
9 earlier failure. When those patients fail those  
10 regimens, what are the options afterwards? We might  
11 have a drug that's very powerful but then limited  
12 successful options.

13 Those are things I think we should discuss  
14 today.

15 ACTING CHAIRMAN GULICK: Could people  
16 comment on testing the activity of a new agent in this  
17 patient population? In other words, what are you  
18 looking for? And what kind of duration would you call  
19 for to demonstrate that activity?

20 Currently -- correct me if I am wrong, but  
21 regulatory-wise 24 weeks of activity data is what you  
22 like to see for an accelerated approval. Is that  
23 different in this patient population? Dr. Eron?

24 DR. ERON: Well, I think there are a  
25 couple of issues. I mean, I agree with both Mike and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Steve and having clinic populations that are probably  
2 similar. But where it gets dicey is: At what cutoff  
3 of, for example, CD4 cell count do you consider a  
4 patient unable to wait for a combination of agents?  
5 And that's something that I think would take some  
6 discussion to limit the heterogeneity of that group as  
7 much as possible, though I think it can be done.

8 I think what you were suggesting was a  
9 single arm study. I actually think that if you can  
10 define the group well enough -- and I think there are  
11 enough patients now that you can.

12 I think, to try to get to Trip's question,  
13 for other than that kind of single arm study, I think  
14 what is different in the salvage setting is the amount  
15 that is kind of Phase II work that one has to do  
16 before approaching a larger Phase III trial when you  
17 talk about what happens at 24 weeks or whatever.

18 I think in the population that you're  
19 going to test, you want to define the activity of the  
20 drug because there may be two logs over two weeks in  
21 naive patients, but it may be half a log over two  
22 weeks in the population you're after. And in order to  
23 design a study, you need that type of information. I  
24 think that's a little bit of what Jonathan was saying,  
25 depending on the background resistance.

1           So before you embark on figuring out  
2 whether it is 16 or 24 weeks, I think the drugs, each  
3 of them, need to be defined very carefully. And, in  
4 addition, we have lots of examples of kind of these  
5 whoops examples, where "Whoops. We didn't know about  
6 that PK interaction of efavirenz and amprenavir." I  
7 think we have to minimize those kinds of things.

8           So while the second part of the question  
9 deals with what I am talking about, I think those are  
10 things that have to be carefully defined first in  
11 order to address the first part of the question.

12           As far as duration in a larger trial, I  
13 think, again, Steve is probably as equipped as anybody  
14 to talk about that in the issue of transient viral  
15 load changes accompanied with positive CD4 responses.

16           One of the earlier speakers mentioned no  
17 viral load change in a positive CD4 response. I've  
18 not really seen that before. There is always some  
19 change, even though it's transient.

20           So I think that for the purposes of taking  
21 care of very advanced patients, the amount of time  
22 that the antiviral effect has to last does not have to  
23 be very long, 8 weeks, 16 weeks, something like that,  
24 provided it's accompanied by an immunologic benefit.  
25 So I think you would actually want the immunologic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 change to be actually part of your primary evaluation.

2 ACTING CHAIRMAN GULICK: Dr. Jolson?

3 DR. JOLSON: I just wanted to slightly  
4 rephrase this first question to make certain that we  
5 really stay focused. Again, it's recalling the  
6 distinction between trials that are done in the course  
7 of drug development for a specific drug versus  
8 treatment strategy studies.

9 What we would really like to hear your  
10 thoughts on are the former, the studies that could be  
11 done which would allow a sponsor to make a specific  
12 other efficacy or safety claim about their drug when  
13 used with other drugs. It's probably unlikely that is  
14 going to be accomplished through a single orb study  
15 unless there is an appropriate historical control  
16 group or there's some other indirect analysis  
17 incorporated into the design.

18 It's not to minimize the importance of  
19 those strategy trials, but it's a slightly different  
20 research agenda than what pharmaceutical companies  
21 need to make lawful claims about their drugs.

22 So I would reword the question to say,  
23 "What types of information should be in drug-specific  
24 labeling that would help it when making treatment  
25 decisions to use a particular drug in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 treatment-experienced adults and children?"

2 ACTING CHAIRMAN GULICK: So you're asking  
3 us to consider: How do you document safety and  
4 efficacy of a new drug in this patient population, a  
5 single new drug?

6 DR. JOLSON: Yes or safety and efficacy in  
7 a broad way, which would include drug interactions and  
8 all of those things, how you develop a drug, develop  
9 a new drug, to make certain that at the end of the  
10 day, you don't have all of your data derived from  
11 treatment-naive patients.

12 ACTING CHAIRMAN GULICK: Dr. Saag?

13 DR. SAAG: Yes. Just to follow up and  
14 answer the question directly, I don't think you need  
15 necessarily a single arm study, but you can look at  
16 early versus deferred access to that new agent.

17 I mean, I'm being very specific. The  
18 population whom you're questioning, as Carlton was  
19 saying, whether even to treat them at all, whether  
20 it's any benefit to the control therapy, then what you  
21 do is you take them, you create an optimized  
22 background regimen, and you randomize, indeed, or get  
23 access to the new drug or the optimized background  
24 regimen initially and then you watch for a response.  
25 I mean, we can debate about what the cutoff is, but

1 let's say a half a log below baseline.

2 Then for the group who got their optimized  
3 background regimen and did not have that response,  
4 that would be failure. And it would go into access to  
5 the drug, which is like an expanded access program  
6 anyway.

7 If they did have a response, then you  
8 measure the length of response. You also measure the  
9 safety. You get safety out of both populations, and  
10 it's early versus deferred.

11 Again, we have to be very precise about  
12 who is going into this study. It's a study of someone  
13 who has very few other options and is at risk of bad  
14 things happening in the short term.

15 It's I think ethical because they get  
16 access to the drug. It's just a question of careful  
17 monitoring and making sure you, if you will, rescue  
18 them from a failing regimen if they are failing  
19 quickly. So it requires frequent evaluation so you  
20 don't put them at high jeopardy.

21 ACTING CHAIRMAN GULICK: Dr. Wong?

22 DR. WONG: I think that what Mike  
23 suggested is really quite a good design. I mean, we  
24 yesterday, just yesterday, voted unanimously to  
25 recommend approval of a drug for which there were no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concurrent controls and for which the only controls  
2 were a flawed historical subset.

3 So this design that you're proposing is  
4 superior to that. I think that would convince me if  
5 someone came in and showed data that there was a clear  
6 difference between those groups.

7 ACTING CHAIRMAN GULICK: And another  
8 common thread, just to point out, between yesterday's  
9 discussion and today's, is a very ill patient  
10 population who have few therapeutic options. That's  
11 what they have in common.

12 Dr. Murray?

13 DR. MURRAY: I just wanted to comment on  
14 one of the comments I guess from Dr. Deeks and maybe  
15 came up from Jules about maybe not preferring a  
16 factorial design in even doing a one drug versus a top  
17 of optimized background versus optimized background.

18 I guess I am not quite clear on that  
19 because, I mean, if you could possibly have access to  
20 two or three drugs, no matter how desperate, I think  
21 that even if you were more desperate, if your t-cell  
22 count was low, that you would want access to more  
23 drugs than just one.

24 And I think this is something that we have  
25 been pushing and I think we would like to hear more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 feedback to push industry to collaborate together. It  
2 seems like I've heard a bit of the opposite why you  
3 would -- is there any reason not to want a possible  
4 factorial design where you get access to more drugs  
5 for these patients?

6 ACTING CHAIRMAN GULICK: Dr. Mellors?

7 DR. MELLORS: Would it be too much to show  
8 an overhead about the factorial design and its major  
9 limitation?

10 ACTING CHAIRMAN GULICK: Knock yourself  
11 out.

12 MR. LEVIN: Are we talking now about  
13 studies for deep salvage or just treatment studies  
14 past the first-line regimen?

15 DR. JOLSON: The intent was really not to  
16 limit the discussion to what you're saying is deep  
17 salvage because we think there is really a range of  
18 patients who do need options and we want to see drugs  
19 developed across that range.

20 So this would be part of the discussion  
21 but hopefully will not be all of the discussion  
22 because the considerations are going to be different.  
23 And I think both groups of patients need attention in  
24 terms of drug development.

25 ACTING CHAIRMAN GULICK: While we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 waiting for that to warm up, a couple of other people.  
2 Dr. Cunningham?

3 DR. CUNNINGHAM: I just wanted to make a  
4 comment about the issue of factorial design. I think  
5 when people are talking about what people have now  
6 termed the "deep salvage" patients, I think that's a  
7 group where the factorial design might not be the best  
8 approach because those patients really need to have  
9 every option available.

10 But I think for the people to have "time  
11 to cruise," I think was the term that was used in one  
12 of the earlier talks, they certainly have failed one  
13 or two regimens, but they're not desperate. That's a  
14 situation where I think factorial designs might be  
15 very useful and might also look at the issue of  
16 whether or not you're better off cruising for a little  
17 while before you go into and wait until there are  
18 multiple regimens available and can test that  
19 hypothesis.

20 ACTING CHAIRMAN GULICK: Dr. Mellors?

21 DR. MELLORS: Yes. I think these points  
22 have been made, but I think they need to be  
23 emphasized. For a desperate patient population, a  
24 factorial design for registrational study is a bad  
25 idea because if you look at the way it's set up, --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and Martin pointed this out -- the number of cells  
2 required is  $2^n$ ,  $n$  being the number of drugs you're  
3 studying.

4 So if you study 2 new drugs, it's 4; 3 new  
5 drugs, it's 8; and 4 new drugs, it's 16. The number  
6 of cells that get all of the new drugs is one to 4;  
7 one to 8, one out of 8; and one out of 16.

8 So if you study 4 new investigational  
9 agents together, in a desperate population, only  
10 one-sixteenth of the people enrolled get what you  
11 would like to do in clinic, which is give them as many  
12 new drugs as possible. Okay.

13 And that's just shown here nicely for the  
14 two by two, where this is the cell you want to be in,  
15 two news. You really don't want to be in three news.  
16 You don't really want to be in this and this. And by  
17 "desperate," I would define desperate by the CD4 count  
18 because that is the most important predictor next to  
19 viral load of short-term events. These arms are  
20 probably less satisfactory than the nothing arm  
21 because they use up one option at a time.

22 So I don't think factorial design  
23 accomplishes what we want to do for our patients. It  
24 may tease out the individual components, but I think  
25 that's more efficiently done in the standard approach

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's been offered for registrational trial, which is  
2 you identify a qualifying population, you do  
3 resistance testing to see if they qualify, and then  
4 with that resistance testing, you optimize the  
5 background therapy. And I have some comments about  
6 what that means. And then you randomize to optimize  
7 background plus the investigational agent plus  
8 placebo.

9 By optimized background therapy, I think  
10 there needs to be some kind of guidance. You need to  
11 calculate the phenotypic or genotypic sensitivity  
12 score of the selected regimen and possibly stratify  
13 enrollment by the PSS or GSS and clearly allowed  
14 expanded access agents, have endpoints that are most  
15 clinically relevant, change in viral load, change in  
16 CD4, and the proportion that develop resistance to the  
17 investigational agent.

18 Then when you cross this over, it  
19 addresses the issue of safety. There's always a  
20 tension between the time of or the duration of the  
21 trial and the accuracy of the safety information.

22 I just have one more to show, and I think  
23 this is what Mike was talking about. I favor the  
24 design that a registrational trial should be comparing  
25 one new investigational agent to really optimize

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 background. That way it's easiest to sort out the  
2 information you want to know about safety,  
3 pharmacokinetics, drug interactions, and the  
4 relationship between exposure to response and the  
5 relationship between exposure baseline susceptibility  
6 and response. When you start to do that in an  
7 eight-cell factorial design, it becomes much more  
8 complicated.

9 The strategy trials, which should  
10 complement the registrational trials, are to compare  
11 multi-drug regimens that increase the likelihood of  
12 success. And this is what Mike was talking about,  
13 taking the most desperate population and comparing  
14 multiple drug regimens with new investigational agents  
15 versus the best approved or expanded access regimen  
16 that a clinical can put together. These should be  
17 relatively short with crossover at an arbitrary time  
18 but closer to 16 weeks than 48 and/or a clinical event  
19 and CD4 and RNA response.

20 So I think that the strategy should  
21 satisfy our need to treat patients with the most  
22 available expanded access or investigational agents.  
23 The registrational trial I think has a hopeless  
24 problem when you want to treat the most with the most  
25 number of investigational agents.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   ACTING CHAIRMAN GULICK: Dr. DeMasi and  
2 then Mr. Hogan.

3                   DR. DeMASI: Yes. I just had a couple of  
4 general comments. First, I wanted to reinforce John's  
5 comments about the factorial design. Obviously one of  
6 the advantages of factorial design is being able to  
7 get multiple investigational agents to patients in a  
8 particular study but in a balanced two-by-two  
9 factorial, as John just pointed out, the number of  
10 patients or percentage of patients that have access to  
11 both investigational agents is 25 percent.

12                   If you do allow the use of investigational  
13 agents as concomitant to antiretroviral medications as  
14 part of the optimized background regimen and you add  
15 a component, such as a two-to-one randomization, to  
16 the investigational agent of interest, if two-thirds  
17 of the patients are on that agent and because of the  
18 patient population approximately 50 percent of the  
19 patients have access to the other agent, 35 percent of  
20 the patients have access to 2 agents. Therefore, it's  
21 actually higher than what you would have in the  
22 two-by-two factorial design.

23                   So the combination of a two-to-one  
24 randomization and the use of investigational agents I  
25 think is a way to promote optimal use of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           investigational agents in a single study.

2                       The second point I wanted to make is just  
3           one of the distinctions between the efficacy of the  
4           regimen and the activity of the individual drug.  If  
5           you look at multiple drugs that have intrinsic  
6           antiviral activity, as measured by 10 to 14-day  
7           responses, for example, in RNA, and you combine those  
8           in a multi-drug salvage regimen, you are most likely  
9           going to get efficacy of the regimen beyond 2 weeks.

10                      That's something that could be confirmed  
11           but just to reinforce the distinction between the  
12           efficacy of a salvage regimen versus the activity of  
13           an individual drug that is being studied for potential  
14           approval, submission and approval.

15                      The third point I wanted to make is  
16           regarding the types of the clinical designs, clinical  
17           trial designs, that we're actually seeing.  Because of  
18           the heterogeneity of patient population in terms of  
19           the baseline factors resistance profile, I think that  
20           a one-size-fits-all strategy in terms of development  
21           of salvage drugs may not be appropriate and that there  
22           should be flexibility in the design of pivotal  
23           registrational trials in terms of selection of the  
24           patient population, the design itself, the duration of  
25           therapy, and other factors.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN GULICK: Mr. Hogan?

2 MR. HOGAN: I would like to politely but  
3 very strongly disagree with Dr. Mellors that the  
4 desirable thing is to pile on as many new drugs as  
5 possible. I think I can speak with some authority to  
6 this issue because it was prior to the era of HIV RNA  
7 testing, but I have experienced facing a single  
8 t-cell. You know, it's a very serious clinical  
9 situation. So I think I can extrapolate how I would  
10 feel in that situation.

11 I had always been on combination therapy  
12 from the days of ddI expanded access. Yet, I had no  
13 desire to pile on more drugs at that time.

14 I think, particularly with experimental  
15 drugs, you have some credible dilemmas if you pile  
16 them on all at once without some form of comparison  
17 between them.

18 For example, if I pile on three  
19 investigational agents and I have a novel toxicity,  
20 which one do I stop? How do I determine which one to  
21 --

22 DR. MELLORS: Can I respond to that?

23 ACTING CHAIRMAN GULICK: Yes.

24 DR. MELLORS: You determine that in a  
25 registrational study design that I outlined. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 individual characteristic of that drug is the cleanest  
2 way to do it. You then design strategy trials that  
3 incorporate the information from that into multi-drug  
4 combinations.

5 I would agree with you you don't blindly  
6 pile on investigational agents. I'm talking about  
7 agents that have proven activity and a reasonable  
8 safety profile when examined in addition to background  
9 therapy.

10 MR. HOGAN: I guess a key point is as a  
11 patient, my preference would be to take the minimum  
12 number of drugs that will achieve a satisfactory  
13 response. And I would like to see clinical trial  
14 designs that would establish that.

15 So that's one reason why I'm a fan of the  
16 factorial approach because it does allow you to look  
17 at the individual toxicities of drugs, to look at  
18 specific subcombinations, AB versus BC and so forth,  
19 and then it allows me to find out where I can get  
20 adequate antiviral bang without excess toxicity.

21 DR. MELLORS: We are talking about the  
22 people that are most desperate.

23 MR. HOGAN: I understand.

24 DR. MELLORS: And if you undershoot and  
25 come up with one drug too light in the regimen, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 may be it for that individual.

2 MR. HOGAN: Yes. Cardiovascular disease  
3 kills people as quickly as CMV.

4 DR. ERON: But, John, that I think is the  
5 fundamental problem of your study design for  
6 registration, actually. Just take a concrete example  
7 of T-20 and tenofavir. Let's say tenofavir is an  
8 expanded access in a couple of months.

9 The problem with your study design -- I  
10 think it goes to what Dr. Murray was saying -- is that  
11 if you're allowing expanded access agents in your  
12 control arm, then those people are getting exactly  
13 what you said you don't want them to have.

14 So if there is only one agent available  
15 which is going to prove anything, the optimized  
16 therapy really is just kind of scrambling around  
17 things. And you don't really know whether they're  
18 better or not.

19 DR. MELLORS: What's wrong with including  
20 the expanded access? By the time agents get to  
21 expanded access, the characteristics of the drug are  
22 fairly well-identified.

23 DR. ERON: No, but the point is that the  
24 people who get randomized to get the only one  
25 additional drug -- let's say in the expanded access

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 situation -- are ending up exactly in the situation  
2 you just said you wouldn't want someone to be in.

3 DR. MELLORS: There's an insolvable  
4 dilemma between the two.

5 DR. ERON: Well, we have to try to solve  
6 it. The potential might be for an optimized  
7 background or no change in therapy, as Mike was  
8 talking about, and sequential addition in very short  
9 order.

10 DR. SAAG: I would like to get out of this  
11 circle here because I don't think there's a lot of  
12 disagreement, actually. If we segregate out what's  
13 for registrational purposes versus what's for use in  
14 practice -- and they are two different issues. I  
15 don't think there's much disagreement here.

16 I think for the registrational purposes,  
17 you have to identify the activity of the drug because  
18 you can't approve it based on its activity in  
19 conjunction with other investigational drugs alone.  
20 You've got to have that individual.

21 I think that is what John proposed first.  
22 I think that still has to happen. What happens after  
23 that, maybe we shouldn't spend much time on because I  
24 think that that is going to bog us down.

25 MR. HOGAN: Well, here's I think a point

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of key disagreement.

2 ACTING CHAIRMAN GULICK: Let's take people  
3 in order. Go ahead.

4 MR. HOGAN: I don't think it's reasonable  
5 to say that by the time we get ready for these  
6 studies, that the activity and tolerability of the  
7 drugs is necessarily well-characterized. And, again,  
8 I would refer you to the multitude of toxicities that  
9 have propped up post-registration for various drugs.

10 DR. SAAG: I'm not disagreeing. All I'm  
11 saying is that fundamentally, no matter how we slice  
12 it, there is going to be a need to identify the new  
13 drug and its activity and its safety.

14 We have to have some way of doing it.  
15 That's a given. What happens after that, you're  
16 right. Those can be happening concurrently. And you  
17 want to have as much early information as you can with  
18 the intent for that to happen. But I think from the  
19 company's perspective, from the agency's perspective,  
20 there has got to be some way to tease out the activity  
21 and safety of a single drug. And that's what we  
22 really I think should focus on.

23 ACTING CHAIRMAN GULICK: Dr. Hammerstrom?

24 DR. HAMMERSTROM: Well, I would like to  
25 say that Dr. Mellors is basically 100 percent wrong in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the relative merits of the 2 registrational trials  
2 versus a modified factorial design.

3 In his proposal, 50 percent of the  
4 subjects get on the control arm, either against drug  
5 A or against drug B. The other 50 percent get only  
6 one active arm. In the modified control, factorial  
7 design, where there isn't any optimum background cell,  
8 you add both drugs or you add one drug, nobody gets no  
9 new drugs. And everybody gets at least one. More  
10 than a third get two new drugs because the optimal  
11 assignment just on statistical grounds is 14 to the  
12 double thing, to each 10 on either A or B.

13 So you either have nobody would be getting  
14 -- in the modified factorial, nobody gets background.  
15 There are ten subjects who get A only. For every ten  
16 subjects who get B only, for every 14 who get A plus  
17 B, factor --

18 DR. ERON: The problem is it may be worse  
19 to get A only or B only than --

20 DR. HAMMERSTROM: But if you do a  
21 registrational trial, everybody gets background or  
22 background plus A or background or background plus B.  
23 Nobody gets A plus B unless you do a modified  
24 factorial.

25 ACTING CHAIRMAN GULICK: Dr. Schechter?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SCHECHTER: Yes. I just want to echo  
2 that that, first of all, the bar has been changed. I  
3 think when we go into deep salvage, you're starting to  
4 move towards a tuberculous meningitis model, where the  
5 stakes are different.

6 I agree a single new drug tested in that  
7 population is entirely appropriate, especially if  
8 they're at such great risk that a second drug won't be  
9 available during this crisis period. So there's no  
10 disagreement there.

11 Simply put, if two companies are about to  
12 do registrational trials for drug A and drug B  
13 separately, then with the same number of patients from  
14 each company, they can do AB and AB and have two  
15 registrational trials and both drugs given for the  
16 same amount of money.

17 So I have to disagree with John. If he  
18 says only one-quarter of patients get the double  
19 therapy, if you do it separately, nobody gets it.

20 ACTING CHAIRMAN GULICK: Dr. Mellors,  
21 response?

22 DR. MELLORS: Yes. I think that the three  
23 cells that don't get the double --

24 DR. HAMMERSTROM: There aren't three  
25 cells. There are only two. There is no EBT cell.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MELLORS: You're talking about a  
2 different design. I'm talking about a --

3 DR. HAMMERSTROM: No one wants the  
4 factorial.

5 DR. MELLORS: Okay. While I made that --

6 DR. HAMMERSTROM: They only want the  
7 modified factorial.

8 DR. MELLORS: I made the point that nobody  
9 wants the straight factorial design. The modified  
10 factorial increases the number that get the double  
11 drug. And if there are two companies that want to do  
12 a registrational trial together, then I'm certainly  
13 not going to stand in the way.

14 But knowing we're talking about a  
15 desperate population, two investigational agents may  
16 not be sufficient. So we're talking about a third  
17 factor in the factorial. There's where it becomes  
18 much more --

19 DR. HAMMERSTROM: No, it doesn't, because,  
20 again, we use the modified factorial, which Dr.  
21 Laessig's slide put up there. You have A plus B, A  
22 plus C, B plus C, or you have all three. Those are  
23 the only four cells.

24 DR. MELLORS: But you're not able to tease  
25 out the --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. HAMMERSTROM: I am certainly able to  
2 tease out each contribution. I test the three A, B,  
3 C against BC. That's the contribution of A. I test  
4 the A, B, C against AC. That's the contribution of B.  
5 I test the A, B, C against BC. That's the  
6 contribution of A.

7 PARTICIPANT: That assumes there is no  
8 drug interaction.

9 ACTING CHAIRMAN GULICK: Let's take the  
10 people in order.

11 DR. DEEKS: One of the issues --

12 ACTING CHAIRMAN GULICK: Okay. One  
13 second. Several people have been waiting patiently.  
14 Ms. Dee and then Dr. Deeks. Then we'll pick up some  
15 of the others.

16 MS. DEE: Thank you. You know, I think we  
17 need to get a little bit real here. When you talk to  
18 companies about registrational trials versus strategic  
19 trials, they're not going to do the strategic trials  
20 if they don't have to. Some of them might. Most of  
21 them won't.

22 So what do we have? If we use Dr.  
23 Mellors' model, we have this everybody's switching  
24 around, getting expanded access drugs maybe, maybe  
25 not. I really wonder what we're going to know when we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 get down that trial in the long run.

2 We're looking at viral load measures that  
3 may be a measure of clinical benefit. Maybe they're  
4 predictive. And probably they're not as good as some  
5 other things.

6 As far as safety, you know, Mike keeps  
7 saying: Well, we need to know safety. And we'll know  
8 it in 8 weeks or 16 weeks or 24 weeks. That's just  
9 not true.

10 What we are experiencing in real life is  
11 one drug being ready, one drug being almost ready. I  
12 mean, we see this in real life over and over and over  
13 again. Why can't we do it together?

14 Carlton with one CD4, when is he going to  
15 get sick? What does "desperate" mean, that I just  
16 finished an OI, that maybe I'll get one in six months,  
17 that maybe I won't? I mean, we do not know the  
18 answers to how that really shakes out.

19 So we have a more intelligent population  
20 that often says: Well, wait a minute. I've been  
21 ruined re: resistance by you experimenting on me. If  
22 these two drugs are available and I may have a better  
23 chance in 20 hours, as opposed to 20 minutes. Why  
24 can't I have two of them? Why can't I have a better  
25 chance?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   Why can't we think outside the box for a  
2 change, instead of promoting what's going to be best  
3 for industry, without thinking of the long-term  
4 effects of that?

5                   ACTING CHAIRMAN GULICK: Dr. Deeks and Dr.  
6 DeMasi.

7                   DR. DEEKS: I just want to answer Jeff's  
8 question earlier about the factorial design and my  
9 problems with that. My problems are that I just don't  
10 see it happening. There are major practical  
11 limitations.

12                   I have dealt with each of these companies  
13 in trying to do similar types of studies before. I  
14 think it can happen. I just think it's going to take  
15 a long time to get three companies with three  
16 promising drugs to come together to do an A plus B  
17 versus B plus C versus the three-drug combination.  
18 The more likely factorial design looks at no drug  
19 versus one of the two agents versus both.

20                   For my patients who are not desperate and  
21 can basically continue to cruise on whatever they're  
22 doing, I would prefer to cruise than to enroll them in  
23 a trial where they might get sequentially mono  
24 therapy.

25                   For my other patient population who are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 desperate, I would definitely want to enroll them in  
2 such a factorial design if they had an option of  
3 getting one drug, but, again, it's the practical  
4 issues that I think will slow down that study.

5 My preference for the desperate patient  
6 population, CD4 counts less than 50, is for the  
7 companies with promising agents to move very quickly  
8 to the kind of study that Mike had discussed earlier,  
9 which is basically optimize your background therapy  
10 plus the new agent versus optimized background therapy  
11 with a very quick escape.

12 My preference for that study design in the  
13 very desperate population is really largely based on  
14 the fact that such a study can be done very quickly  
15 and is much more practical than these --

16 DR. HAMMERSTROM: The factorial design  
17 doesn't preclude a quick escape. I mean, in fact, we  
18 would assume that. If you're on background plus A and  
19 things go wrong, you get switched to open label  
20 background plus A plus B.

21 ACTING CHAIRMAN GULICK: Dr. DeMasi?

22 DR. HAMMERSTROM: It would be the same  
23 switch criterion as if you were only doing one trial.  
24 There would be no problem with that.

25 DR. MELLORS: But that presupposes that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you can switch in time to make a difference. And that  
2 is a major supposition.

3 DR. HAMMERSTROM: That is not a problem  
4 with the design. No matter how you --

5 DR. MELLORS: No, but it's a problem with  
6 the disease.

7 DR. HAMMERSTROM: Yes, but you don't  
8 introduce that problem by using a mild factorial.  
9 It's a problem, no matter what. You may not be able  
10 to identify in time to do anything. No matter how  
11 you're testing the drugs, the disease is what it is.

12 DR. MELLORS: Right, right.

13 DR. HAMMERSTROM: Trial design cannot  
14 correct it.

15 DR. MELLORS: But setting up for adding  
16 when you have the possibility of two companies who  
17 want to get together or three companies and then  
18 randomizing somebody to getting only one of those  
19 investigational agents.

20 DR. HAMMERSTROM: No. The only ones we're  
21 considering are -- well, if there is two, you would  
22 get one. And if there are three, you would get at  
23 least two.

24 DR. MELLORS: Okay.

25 DR. HAMMERSTROM: I don't think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 practically that the three-way drug is going to come  
2 about. I think that, not for statistical but for  
3 logistical reasons, is highly unlikely.

4 DR. MELLORS: Well, it's likely to. And  
5 so you're randomizing people to one. It's likely that  
6 two will become available more likely than three.

7 DR. HAMMERSTROM: Right.

8 DR. MELLORS: And you're randomizing  
9 people to one with this, quote, "quick bailout."

10 DR. HAMMERSTROM: That's better than  
11 randomizing to none.

12 DR. MELLORS: No, it's not, not  
13 necessarily.

14 ACTING CHAIRMAN GULICK: Dr. Jolson?

15 DR. MELLORS: You basically don't  
16 understand the pathogenesis of the disease. You only  
17 get one shot with the drug if resistance develops.

18 DR. JOLSON: Let me make --

19 ACTING CHAIRMAN GULICK: Dr. Jolson?

20 DR. JOLSON: -- just one point of  
21 clarification that I think needs to be understood that  
22 any time we're talking about optimized background  
23 therapy for either a factorial or a straightforward  
24 design, we are assuming that those patients have  
25 access to other expanded access drugs. That's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 implicit.

2 So when we say one investigational drug,  
3 that's not quite true because they have the  
4 opportunity to enroll in other expanded access  
5 programs. I think that's what some of the  
6 disagreement was about.

7 Let's just assume because we've gone on  
8 record as saying this that when we talk about  
9 optimized background therapy, we are assuming that  
10 it's your best combination of drugs with whatever  
11 resistance testing is available to help construct that  
12 plus whatever expanded access agents are available  
13 that are not the subject of the research question in  
14 the study.

15 ACTING CHAIRMAN GULICK: Dr. DeMasi,  
16 waiting patiently.

17 DR. DeMASI: I'll just make a point about  
18 the role of factorial designs in potential drug  
19 development and, again, distinguishing between a  
20 registrational trial and a strategy or three before  
21 even Phase II study in which you had a factorial but  
22 you were looking at the additive contributions of  
23 activities of regimens early in the treatment period,  
24 say one to two weeks.

25 The second point is that in terms of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 modified two-by-two factorial, if that was the case  
2 where you had AB and AB in the presence, one of the  
3 assumptions of the factorial designs is assumption of  
4 no treatment interaction.

5 So positive or negative interactions may  
6 complicate the interpretation of the main effects of  
7 drugs A and B. If this is a pivotal study, how would  
8 that be viewed in terms of the individual drugs?

9 DR. HAMMERSTROM: Well, most likely the  
10 interaction will be positive. You get more from A  
11 plus B than the sum of what you get from A and B  
12 alone, in which case there is no problem at all as  
13 long as A plus B beats either A -- if it beats A, then  
14 B is contributing something. If it beats B, then A is  
15 contributing something. That is all you need for  
16 registration.

17 ACTING CHAIRMAN GULICK: Mr. Hogan?

18 MR. HOGAN: I'll try to keep this very  
19 brief. I'm going to throw in a minority viewpoint.  
20 I realize this is very controversial. We all know  
21 that for most of the toxicities, your risk of a  
22 toxicity goes up as you progress in the disease.

23 Even in this era of sort of the resurgence  
24 of OIs, my physician is telling me he is still  
25 treating five toxicities for every OI. Keith Henry

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 went on record as saying he is doing 20  
2 hospitalizations for toxicities for every  
3 hospitalization for HIV-related condition.

4 So, to my mind, I think closing the door  
5 on that no-drug factor or that one-drug factor may be  
6 precipitous. I think that there may be some  
7 situations where we may examine whether actually  
8 taking drug is harming people. I think that is an  
9 important thing.

10 I am not speaking for the Coalition for  
11 Salvage Therapy. This is not their perspective. But  
12 I think it is important to actually look at what the  
13 minimum amount of drug it takes is, as opposed to the  
14 maximum amount of drug that can be tolerated.

15 ACTING CHAIRMAN GULICK: Dr. Schapiro and  
16 then Dr. Pettinelli.

17 DR. SCHAPIRO: I do think we have to make  
18 a little bit of a reality check here. Mike, of the 70  
19 patients who died, how many of those did not have  
20 optimized therapy?

21 DR. SAAG: At the time they died?

22 DR. SCHAPIRO: Yes.

23 DR. SAAG: Over half. I mean, actually  
24 probably 80 percent.

25 DR. SCHAPIRO: Were not optimized?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SAAG: Yes. I mean, in other words,  
2 those were people who have been through everything.  
3 It depends what you mean by optimize. They certainly  
4 are not below the level of detection. There were none  
5 of them. Well, I take that back. There were some who  
6 died of toxicities. So they were below.

7 DR. SCHAPIRO: In these study designs, how  
8 would you have optimized? You know, we're optimizing,  
9 then giving all of these things. We're fooling  
10 ourselves. You were doing, I would assume, as best  
11 you could and they were still dying.

12 I think most of us -- and I think we are  
13 going to see more and more of it over the next year or  
14 two -- are optimizing therapy. And, despite the fact  
15 that we're optimizing therapy, --

16 DR. SAAG: Oh, yes. And they don't  
17 respond.

18 DR. SCHAPIRO: -- resistance assays don't  
19 help us if in options.

20 DR. SAAG: Right.

21 DR. SCHAPIRO: So these patients I think  
22 probably in Steve's clinic, in my clinic, I try to  
23 optimize them. I can't because they are optimized and  
24 they still are in bad shape.

25 DR. SAAG: In which case in those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 situations, mono therapy may be an option, even though  
2 pathogenically it's not what you would want.

3 DR. SCHAPIRO: So I think we have to step  
4 one back. You know, I also have designs on my slide  
5 to say: We'll optimize and so on. But in real life,  
6 when we try to take it, maybe that's what Steve is  
7 saying is not going to happen because that's not  
8 what's happening.

9 The patients are optimized. We do use,  
10 many of us, resistance testing. And many may use it  
11 in the future. We're doing all of the other  
12 techniques we can, and they're still dying. So these  
13 designs look good. But basically for these heavily  
14 pretreated patients, they are optimized. That's not  
15 the issue.

16 The other issue is we may not have  
17 together three drugs. And we can't probably wait six  
18 months to get three drugs together. So if we want to  
19 get really real about it: one, the patients are  
20 optimized and, two, we don't have two or three agents  
21 to combine. I think that we should take into account.

22 I think to get back to Dr. Jolson's  
23 question, what do we want to look at for efficacy in  
24 these patients, I don't think we will be able to look  
25 at it well in these patients. I don't think you will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be able to get an answer in the heavily pretreated  
2 patient, which basically are the patients who we're  
3 most concerned about I think that Mr. Hogan also  
4 mentioned. You cannot do good studies.

5 Now, I think what characterized those  
6 patients most -- and many things characterize them --  
7 is the fact they have resistance. I think the one  
8 barrier that usually precludes our best management is  
9 the resistance. Adherence and toxicity are important,  
10 but I think if I could change one thing in a patient,  
11 I would give them a wild-type virus again.

12 I think we may need in some cases in those  
13 patients to use the resistance as a surrogate. So we  
14 will not be able to say, "Does this drug work well in  
15 heavily pretreated patients?" because we can't do good  
16 studies. You know, there's nothing to optimize and no  
17 three drugs to debate because they got them already.

18 What we will have to do is say in other  
19 patients we're maybe one step back or two steps back.  
20 Try to characterize how those drugs work with specific  
21 resistance patterns.

22 I would, again, you know, what I always  
23 plug, we have to see with which exposures they work.  
24 And then we'll be able to say we don't know  
25 specifically how this works in those patients, but if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you show me what their resistance profile there is,  
2 what fall change there, what mutations they have, from  
3 patients who are less experienced, we can tell you  
4 that if you give this dose, you will have some  
5 response.

6 And then we'll be able to say, as Mike and  
7 Steve -- I mean, you'll probably get half a log.  
8 That's good. I think in reality, for those heavily  
9 experienced, we may not be able to do better than  
10 that.

11 ACTING CHAIRMAN GULICK: Dr. Pettinelli?

12 MR. LEVIN: Are we going around or not?

13 ACTING CHAIRMAN GULICK: Yes. I'm keeping  
14 a list, Jules.

15 MR. LEVIN: We're not going around? We're  
16 just going by hands?

17 ACTING CHAIRMAN GULICK: That's correct.

18 DR. PETTINELLI: I think, again, the issue  
19 is the definition what is the heavily pretreated. For  
20 me, when I'm talking about optimized therapy, at least  
21 the patients should have access to two drugs for which  
22 the patient has sensitivity.

23 They could be new drugs. They could be  
24 old drugs. It also depends again on what is the viral  
25 load of the patient. A patient with 30,000 copies of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 virus must feel a very good response from their  
2 therapy.

3 So, really, I think there is the  
4 possibility to study the patient. We need to define  
5 what is the population. We might need to use probably  
6 the modified factorial design because, really, I'm not  
7 sure that all the time A plus B is better than A or B.  
8 That's a big issue for us. Combinations do not always  
9 depend.

10 Now, again, there may be overlapping  
11 toxicity. And there may be issues there.

12 ACTING CHAIRMAN GULICK: Dr. Mathews?

13 DR. MATHEWS: You know, there was a time  
14 in the development of HIV therapeutics where there was  
15 considerable reluctance for sponsors to study very  
16 late-stage patients.

17 And I remember some presentations at this  
18 Committee showing that you could actually measure  
19 clinical endpoints in people with less than 50 CD4  
20 cells and show that a drug worked.

21 We're beyond that by several years now,  
22 but in a sense, when you're faced with a patient who  
23 is resistant to everything on the panel and has a very  
24 low CD4 count, it's a high-risk situation, not only  
25 for the patients, who might be considering entering a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 trial, but I would think for a sponsor.

2 We have seen some examples where drugs  
3 which were not home run drugs that had moderate or  
4 modest activity could be severely compromised in their  
5 development programs by their performance and some  
6 pivotal trials of the nature of which the previous  
7 discussion has been focused on.

8 I don't for one think that patients should  
9 be trapped into enrolling in clinical trials simply to  
10 get access to drugs. And I think if we're realistic  
11 about it, both to meet the needs of industry as well  
12 as patients and their doctors, we would focus more of  
13 our efforts in the treatment-experienced populations,  
14 not to the people who have bars across the page on the  
15 resistance profiles for enrolling in salvage trials.

16 But I think people more along the line  
17 close to the definition that the agency put up who  
18 have some resistance have failed some regimens,  
19 perhaps some in all classes, but not completely  
20 exhausted all therapeutic options.

21 I think those patients should be  
22 immediately offered access through expanded access  
23 programs so that toxicity data can be collected and  
24 that the salvage trials should be focused on people  
25 where a measurable effect can be easily seen.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   ACTING CHAIRMAN GULICK: Dr. Saag and then  
2 Mr. Levin.

3                   DR. SAAG: I wanted to clarify a comment  
4 I made. What I'm saying, you evaluate for safety and  
5 activity. There is a tension between how long do you  
6 follow up before you let the drug be approved versus  
7 how long do you follow up afterwards. It's the point  
8 Carlton made about holding people's feet to the fire  
9 for long-term follow-up.

10                   So what I'm referring to is short-term  
11 safety. Lynda, I'm not talking about cardiovascular  
12 things or lipids necessarily because they may take  
13 longer to develop.

14                   The point is that you have to decide if  
15 the drug is active and it's relatively safe, then you  
16 get it out. But then there is an obligation to follow  
17 it up.

18                   The problem in my mind in the follow-up --  
19 and I realize I'm a little bit off topic, but I'll be  
20 brief. The problem with the follow-up is that in my  
21 opinion Phase IV studies in HIV are dead.

22                   You can't do them because by intent to  
23 treat, by the heterogeneity, you can't follow from  
24 that original new regimen that might last three  
25 months. How do you follow out for two years?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           What you need is cohorts. You need to  
2 follow cohorts carefully, accurately. In my opinion,  
3 that's where money ought to be spent, in capturing  
4 real world data on experience and exposure to multiple  
5 agents followed over years, hopefully decades. And  
6 then we can have some way of doing that.

7           So I think that is where industry ought to  
8 work together to establish mechanisms to follow these  
9 patients in that way. That's a whole separate topic,  
10 but it is germane because I think we do want the drugs  
11 approved quickly and get into expanded access quickly  
12 once their profile is determined, as least in the  
13 short term, that it's not doing harm and that there is  
14 some benefit.

15           ACTING CHAIRMAN GULICK: Mr. Levin?

16           MR. LEVIN: To be honest with you, I'm not  
17 sure what we're doing here today and, really, the  
18 productivity of this discussion. I agree. When we're  
19 talking about deep salvage here, people who have very  
20 little, if any, options left, I agree. I agree with  
21 Mike and with Steve and particularly -- is it Dr.  
22 Mathews? -- in his last comment. We're talking about,  
23 really, access to new therapies, no matter how you get  
24 it.

25           The only reason to do a study for a deep

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 salvage patient to go into a study is to get access.  
2 If we could get it through expanded access, well, that  
3 would be fine, but that's not happening.

4 Now, having said that, I also feel it's  
5 extremely important to try and capture toxicities and  
6 side effects. So I want to try and make a few  
7 comments here.

8 I think that what we need to do is to try  
9 and get -- the problem is here that you don't have A,  
10 B, and C, as already said by several doctors here.  
11 You don't have A, B, and C available. There is no A,  
12 B, and C.

13 Next year there will be hopefully DAPD.  
14 Right now we're talking about T-20. There's no A, B,  
15 and C at the same time. That's already been repeated  
16 by several people. I said that an hour ago.

17 There is no A, B, and C to even do the  
18 factorial design. There are no three new drugs  
19 available right now. Tipranovir is not available.  
20 DAPD is not available right now. We're talking about  
21 next year maybe.

22 DR. MURRAY: It could be. We're trying to  
23 get collaboration together. We're trying to bring  
24 forward drugs together. I would hate this meeting to  
25 end with sort of the companies getting off the hook of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 working together with drugs that are not available yet  
2 on expanded access.

3 There are lots of drugs in Phase II  
4 development. There are more than three drugs. Right  
5 now we don't have any expanded access. So I think if  
6 you have a lot of drugs in expanded access, a design  
7 like Dr. Mellors', maybe it would be preferable. But  
8 when you don't have expanded access and you want to  
9 bring Phase II-ish drugs out so that they can be  
10 studied together, I would think that there would be  
11 some benefit to a modified factorial design.

12 And companies would each benefit because  
13 they would potentially get to use that data to support  
14 registration. If they just have to give a drug to be  
15 a co-drug with another sponsor who is investigating  
16 their drug, I mean, what is their incentive?

17 What we're trying to do here is given  
18 incentive to get drugs out together in combinations in  
19 which they might not be used until expanded access.  
20 I think we're trying to do that sooner and we're  
21 trying to provide them incentive to do that.

22 I hope that we don't go away from the  
23 table today with pharmaceutical sponsors not hearing  
24 that. Is that what you want or not?

25 MR. LEVIN: Let me just finish. I agree

1 completely in a deep salvage situation. Let me try to  
2 answer the question a little bit that is being posed  
3 by the FDA. I want activity identified.

4 And then I agree with Dr. Mellors. I am  
5 a person with HIV for 18 years. I'm in the community.  
6 And my community perspective is that I don't want one  
7 new drug.

8 I understand there are concerns about  
9 toxicity and side effects and so forth. We have no  
10 perfect answer here, and maybe we need several  
11 studies. I don't agree with just adding on one new  
12 drug, and I'm not so sure.

13 So I think that for a deep salvage  
14 situation, we probably need two or three drugs. And  
15 what is available ought to be used by that person once  
16 we clearly identify activity, which is important to  
17 me.

18 ACTING CHAIRMAN GULICK: Dr. Jolson and  
19 then Dr. Pomerantz.

20 DR. JOLSON: I just want to follow up on  
21 a comment from Dr. Mathews and also Dr. Schapiro. It  
22 was never our intent in this meeting -- and, in fact,  
23 if you notice, we don't use the word "salvage"  
24 therapy. We're really focusing on drug development  
25 for treatment-experienced patients.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I just want to make certain that our  
2 entire focus today isn't on what has been referred  
3 "deep salvage," even though there is no question that  
4 those are patients in desperate need, because recall  
5 now that we have drug labels.

6 We have 15 approved antiretrovirals. And  
7 the kind of data that's in the labels is in  
8 treatment-naive patients or nucleoside-experienced or  
9 first PI failure. We don't even have the data that  
10 would fit the definition of what we're talking about.

11 So, even though it is not going to meet  
12 the needs of everybody, it would still be an  
13 improvement over currently available information to  
14 have data on the patient populations that would fall  
15 within our definition.

16 We really need help to do that because  
17 they aren't patients who maybe have exhausted every  
18 single option. They have exhausted, though, many  
19 options. And it's not clear because of the  
20 imperfections of resistance testing, particularly for  
21 PIs, you know, what are going to be viable drugs.

22 So I know everyone has focused on the  
23 worst case scenario because it is a desperate need,  
24 but we would be happy to see drug development as the  
25 next step to just include patients who have three-drug

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 prior experience.

2 ACTING CHAIRMAN GULICK: Dr. Pomerantz?

3 DR. POMERANTZ: Yes. Thanks. I was  
4 actually talking to Dr. Stanley. I thought I would  
5 say it into the microphone.

6 I saw a couple of hours ago this  
7 discussion start digressing into two groups that Mike  
8 and Dr. Mellors tried to pull back. And it came back  
9 again with Dr. Mathews now. People have been  
10 discussing at cross purposes there is clearly the  
11 group that the FDA had started our discussion with,  
12 which are those patients that have gotten two failures  
13 of HAART regimen and have seen all three classes of  
14 drugs.

15 Then there are the ones that are  
16 non-quantitatively described as either deep salvage or  
17 someone who has an Andromeda strain bug with diverse  
18 mutations that nullifies virtually everything that is  
19 available. Those are different.

20 I think that was the problem this morning.  
21 And I agree there was a problem. This is a very  
22 difficult discussion. It is also a terrible part of  
23 the literature to read. It is scientifically dirty  
24 because it is so complex. And we're just beginning to  
25 tease that out.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think it is very important that as  
2 people discuss how they want to design trials, they  
3 discuss whether it is the first group that the FDA  
4 started with or the last group that we digress to at  
5 times.

6 Those patients usually get what I call the  
7 end group that have nothing available to them, have  
8 any profoundly low CD4 count, and have a pretty high,  
9 whatever you want to define that, RNA load. They get  
10 a "Throw the kitchen sink at them" philosophy.

11 And that is no one is going to enroll  
12 those patients in the real world for a study if they  
13 think they have the advent of a horrible opportunistic  
14 infection or death within a certain period of time.

15 I think you have to decide how you want to  
16 treat the first group, which are those that are more  
17 amenable to study design. I like to see John shaking  
18 his head because the other group is going to be very  
19 difficult to study and will be studied later down the  
20 line when you know more about the toxicities if  
21 they're studied at all.

22 Now, the other point I wanted to make is  
23 why I think this is an interesting, yet scientifically  
24 dirty subject. And that is some of the stuff that Dr.  
25 Deeks has taught us has been really profoundly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 interesting. And that is the patients in this group  
2 that have failed a couple of times that then get a  
3 half a log effect on viral RNA but do well or the  
4 discordance that you see at times, even long-term,  
5 between CD4 and RNA levels.

6 I think about this like the Committee  
7 might have thought about resistance testing five or  
8 six years ago. Now we're dealing with possible  
9 different viral quasi-species, different strains.

10 No one has mentioned the bugaboo term of  
11 "fitness," but that has started to be developed as an  
12 indication of why certain of these people may do  
13 better than others at the same viral load.

14 Dr. Mellors does some of this work. Dr.  
15 Dequilla has had a nice study. And fitness is not  
16 just replication, but it should better be defined as  
17 virulence.

18 So I think that what you should try to  
19 dissect out of these studies is those that you can  
20 really study. Right now I think it is where the FDA  
21 put those terms at the beginning, which is going to be  
22 hard enough. At the same time, try to dissect out the  
23 scientific meaning for the dirtiness of the findings  
24 in this complex group.

25 ACTING CHAIRMAN GULICK: I'm going to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 take two more comments: Ms. Delph and then Dr.  
2 Mellors.

3 DR. DELPH: Thanks. I won't reiterate Dr.  
4 Pomerantz's point, which was going to be my first,  
5 that drug companies are not going to enroll patients  
6 who have no options left. It doesn't make sense, and  
7 they just won't do it. It is not going to be to their  
8 benefit.

9 My other point is that while I think it  
10 will be difficult to get companies to work together,  
11 I think we are here to discuss scientific issues and  
12 scientific validity. I think we are here to give the  
13 FDA advice on how to proceed, our best scientific  
14 advice, and to ask the FDA to take that scientific  
15 advice and try and get the companies to follow that  
16 scientific advice in their registrational studies and  
17 not simply to start off by saying, "Oh, well. The  
18 companies won't get together. They won't do it. So  
19 let's throw factorial designs out the window."

20 From what I have heard, factorial designs  
21 seem to be one of the better scientific options in my  
22 opinion, probably the best that I have heard, the  
23 modified factorial.

24 I think we would be failing in our duty if  
25 we simply threw it out the window, as scientifically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sound as it is, because we don't think we can get the  
2 companies to work together.

3 ACTING CHAIRMAN GULICK: Dr. Mellors?

4 DR. MELLORS: In an attempt to -- I mean,  
5 it's good to break apart and have differences of an  
6 opinion. In an attempt to form a better union between  
7 this side of the table and that, let me say that the  
8 factorial design -- Roger said this nicely. My  
9 comments about the factorial design were directed  
10 towards patients who have a limited life span and  
11 desperately need more than one investigational agent.  
12 Okay?

13 I don't want to see the agency say, "Well,  
14 you have to do factorial designs in this population."  
15 In the less advanced, less desperate population, the  
16 factorial design does provide some efficiencies,  
17 particularly the modified factorial design.

18 And I would just like to throw it back at  
19 the agency. Are you totally comfortable with a  
20 modified design that excludes the, quote, "double"  
21 placebo arm or control arm that you can tease out the  
22 individual toxicity of each component in the trial if  
23 there is an interaction and the gating interaction  
24 potentially between toxicities in the A plus B cell?

25 DR. MURRAY: It's no different from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 looking at something that might include low dose or  
2 ritonavir. Who knows what that is going to do to the  
3 PK and the toxicity or any other drug, for that  
4 matter, a delavirdine or efavirenz, which might, you  
5 know, induce?

6 The problem is not unique to factorial  
7 design. It's a problem of combination studies. There  
8 is a risk of not being able to tease out. There is a  
9 risk of any study failing. But I don't think it is  
10 more in the factorial than in any other combination  
11 study.

12 DR. HAMMERSTROM: All of the designs we've  
13 been doing since the first two or three drugs came on  
14 have always been new drug A plus X where X is a  
15 collection of drugs that have already been approved  
16 versus X alone or versus X plus Y, where Y is a known  
17 active agent, whether you're doing superiority or  
18 equivalence.

19 So it has been a long, long time since we  
20 have ever had an ability to look at only what new drug  
21 A does in the absence of anything else. There is  
22 always something.

23 Everybody for the last four or five years,  
24 all the trials, drug A has always been added onto  
25 other drugs, most of which are known to have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 toxicities and have contributions. And, in fact, the  
2 inference we're making is that, let's say, if you add  
3 nelfinavir to 3TC plus AZT and you get a benefit  
4 relative to what AZT and 3TC alone would give you,  
5 then when you add nelfinavir to other combinations  
6 that may not include either AZT or 3TC, we expect you  
7 will probably get a benefit. But that inference is  
8 not based on observational data.

9 Certainly the FDA does not require the  
10 study of every conceivable one of the -- there is now  
11 2<sup>15</sup> power with 15 agents -- actually more of that  
12 because the number of different two, three, four-drug  
13 combinations you can make now with approved agents is  
14 somewhere like a million. So we don't study all of  
15 them.

16 DR. JOLSON: Just as a final point, it  
17 would be rare for us to look at a study in isolation.  
18 We're going to look at it kind of in the collective  
19 database.

20 For many drugs, there may be other study  
21 designs that would be more straightforward that could  
22 provide additional safety data. This would just be  
23 one more piece.

24 ACTING CHAIRMAN GULICK: Let me try to  
25 summarize the discussion briefly. There was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 consensus around the table that treatment options in  
2 this particular patient population are very much  
3 needed.

4 We recognize that this is a heterogeneous  
5 population. It is an advanced population in some  
6 cases, quite challenging, critically ill, and in some  
7 cases with a high risk of mortality and few options  
8 for treatment.

9 Several people noted that there are  
10 subsets of patients within this patient population  
11 ranging from people who do have options to those who  
12 have no options at all. It is worth pointing out that  
13 often the group with no options is the one that needs  
14 the options the most.

15 As an objective for our studies, we want  
16 to identify drugs or strategies which result in  
17 virologic and immunologic improvements, but our  
18 ultimate goal is really to improve clinical endpoints;  
19 that is, survival and health. Another objective is  
20 maximal access to agents which could have these  
21 positive effects on virologic, immunologic, and  
22 clinical endpoints.

23 Testing in this population is challenging.  
24 And there is a basic conflict which I think came out  
25 in the discussion between trying to show the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 individual drugs' efficacy and safety versus coming up  
2 with a strategy which will actually have benefits in  
3 this challenging patient population.

4 I think we agreed around the table that  
5 you need to start by optimizing the background  
6 antiretrovirals as much as that is possible using  
7 resistance testing and allowing access to all  
8 available agents, both approved and expanded.

9 There was a feeling that it may be  
10 reasonable to tolerate a higher incidence of  
11 toxicities in this patient population. And it was  
12 pointed out more than once that weighting for new  
13 drugs may not be an option for some members of this  
14 population.

15 In terms of a conventional registrational,  
16 people agreed that we still wanted to see antiviral  
17 efficacy. Some suggested as short as 10 to 14 days  
18 with a drug would be sufficient as mono therapy to try  
19 to decrease the emergence of resistance.

20 Safety. People felt that longer data was  
21 needed, 24 weeks being standard or even more. Along  
22 with registrational development, people felt a  
23 comprehensive approach was appropriate, that some of  
24 the supportive data that we would like to see is  
25 increasing doses in this patient population, a frank

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dose-responses curve using PK enhancements to try to  
2 overcome resistance, defining drug-drug interactions  
3 earlier in drug development, particularly with these  
4 patients who are on multiple other agents, and  
5 assessing viral fitness in this particular patient  
6 population.

7           Some of the novel designs that we talked  
8 about today: single arm, with or without historical  
9 controls; an early versus delayed introduction of a  
10 new agent; using a crossover design with the same  
11 early versus delayed; differential randomization,  
12 either two to one or three to one, among certain arms.

13           An early switch if the drug is shown to be  
14 ineffective was another strategy mentioned. We spent  
15 a lot of time talking about factorial and modified  
16 factorial designs. I won't reiterate the points made  
17 there. And, finally, long-term cohort studies in this  
18 patient population were all mentioned.

19           Finally, I think there was a consensus  
20 around the table that we would like to see some  
21 pressure put upon the pharmaceutical companies to work  
22 together, particularly in this patient population,  
23 that the number of drugs available at any one time may  
24 be limiting, although, as was pointed out, there are  
25 many in Phase II of development; and, finally, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 expanded access may be the only hope for many of these  
2 patients and that we should encourage earlier  
3 development of these programs.

4 With that, I would like to have us break  
5 for lunch. It is 25 of 1:00. We will reconvene at  
6 1:30.

7 (Whereupon, a luncheon recess was taken  
8 at 12:36 p.m.)  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:35 p.m.)

3 ACTING CHAIRMAN GULICK: We'll get started  
4 again. Welcome back from lunch. We would like to  
5 start the afternoon session. Dr. Jolson would like to  
6 make a couple of clarifying remarks based on the  
7 discussion this morning.

8 DR. JOLSON: The discussion this morning  
9 was fascinating. It's probably worth mentioning that  
10 you all are here at a two-day meeting. We realize  
11 that there is a lot of material to cover. We would  
12 have even had this as a two-day meeting except for the  
13 fact that there was an NDA that needed to be discussed  
14 yesterday. We thought that three days would be kind  
15 of dicey at the beginning of January. So it doesn't  
16 surprise me that it really took several hours to work  
17 through and highlight some of these dilemmas.

18 I just want to, though, just reorient us  
19 because I think we have identified both population  
20 differences and differences in clinical needs for  
21 different populations and again ask you as you think  
22 through the next series of questions to perhaps  
23 broaden your discussion to include patients who are  
24 treatment-experienced but who aren't necessarily the  
25 very illest patients and because we believe that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 current drug labels and future drug labels would be  
2 greatly improved by inclusion of those clinical  
3 trials. We think that those trials are more likely to  
4 be done, particularly for registrational purposes.

5 And while we totally acknowledge the need  
6 for treatment options for the illest patients, we also  
7 agree with some of the comments earlier this morning  
8 that it would be extraordinarily difficult to do  
9 comparative trials.

10 So that isn't necessarily where we as  
11 folks who advise on drug development are necessarily  
12 putting all of our attention. There is plenty of room  
13 for improvement in current drug labels. And we ask  
14 you all for your thoughts on clinical trials that  
15 would provide that sort of information.

16 ACTING CHAIRMAN GULICK: Thank you.

17 OPEN PUBLIC HEARING

18 ACTING CHAIRMAN GULICK: We're now going  
19 to enter the open public hearing portion of the  
20 meeting. We're going to take the listed speakers  
21 somewhat out of order. The first speaker will be  
22 Emmanuel Trenado, who is a member of the Coalition of  
23 AIDS Organizations in France. I just spoke to him.  
24 So I know he is here. Oh, there he is.

25 MR. TRENADO: Good afternoon to all of



1 you. I am here only to commend the EMEA proposal on  
2 the new points to consider to register new drugs for  
3 patients who fail all existing regimens.

4 So I only have four slides, and I'm going  
5 to be very short and will leave it to Daniel Vittecoq,  
6 who is a member of the EMEA, to present you the points  
7 to consider.

8 The background in Europe is a bit  
9 different than what you have been experiencing here in  
10 the U.S. In Europe, expanded access programs because  
11 we are so many different countries are run very  
12 differently from one country to the other.

13 For example, a drug such as Ziogen took a  
14 year and a half. It had a year and a half delay  
15 compared to access in the U.S. for countries such as  
16 Italy. France was faster, but some of the European  
17 countries, it takes longer to open up those expanded  
18 access programs. And there is this particular  
19 situation.

20 We all share this common dramatic  
21 situation where we are in great need to have access to  
22 new drugs. And this is particularly the case in  
23 Europe.

24 The new points, the AIDS community in  
25 France and in Europe have taken on both the new points

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to consider as a way to accelerate access to new drugs  
2 in the whole of Europe.

3 Next slide. So we have commented on the  
4 proposals. And we made a few remarks. We have a  
5 proposal to make to the EMEA. The first remark  
6 concerns the mono therapy phase trials.

7 You will see in the details what the EMEA  
8 is proposing, that the community feels that the mono  
9 therapy trial should be differentiated according to  
10 the drug that is being investigated. And it should be  
11 as short as possible.

12 Then you will see that the EMEA has come  
13 up with the idea of selecting refractory and  
14 non-refractory patients who are in need of salvage  
15 therapy. And they feel that registration trials  
16 should only be run in patients who have treatment  
17 options. So they are called the non-refractory  
18 patient. And we agreed to that proposal. We have  
19 seen it this morning. We think it is very difficult  
20 to run ethic trials in patients who are in deep  
21 salvage situations.

22 Next slide, please. So the proposition we  
23 would like to make to the EMEA is that while the  
24 industry might be selecting the patients to enter  
25 those Phase III trials, it's like on the resistance

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 testing to do so. And they are separate, the patients  
2 in two groups: the refractory and the non-refractory  
3 patients.

4 We feel that the patient who will be  
5 labeled "refractory" should have access if they want  
6 to to the drug that is being investigated outside of  
7 the clinical trial in an expanded access program.

8 And we feel that this should be made  
9 compulsory to the industry, and it should be inserted  
10 in the registration package, that the drug should be  
11 made available in refractory patients who have tried  
12 to enter the Phase III trials. And they couldn't get  
13 around saying, "There's no drug. We could not open up  
14 this expanded access," et cetera. So this is a  
15 proposal, the main proposal the community is making to  
16 the EMEA.

17 The last point is on pharmacovigilance.  
18 We know that in some European countries,  
19 pharmacovigilance is not running very well. And we  
20 feel like that it should be an occasion to really  
21 rectify and to set up a European plan to improve  
22 pharmacovigilance in Europe.

23 Thank you very much.

24 ACTING CHAIRMAN GULICK: Thank you.

25 Our second speaker is Dr. Otto Ah Ching

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from Oxo Chemie. He will be using a remote mike.

2 DR. CHING: I would like to thank the  
3 Committee for the opportunity to be able to speak with  
4 you. I don't have slides today. Basically I would  
5 like to address some questions.

6 Oxo Chemie now is engaged in a Phase III  
7 trial currently with our IND and with the FDA  
8 currently. I have three specific questions I would  
9 like to address to the Committee if possible, one  
10 being historical data with HAART as standard of care  
11 has shown that CD4 and viral loads in these  
12 populations with AIDS demonstrates some significance  
13 with little sustained changes. Should the Committee  
14 consider a more prognostic measure or marker? Heaven  
15 knows we don't need another surrogate marker. But  
16 would they be open to considering CD38 as a possible  
17 prognostic marker in salvage therapy?

18 The second question is immune-based  
19 therapy. In salvage therapy, what standards will  
20 determine efficacy and safety if these therapies are  
21 not antivirals? Should we then look to more of the  
22 clinical benefit and clinical endpoints weighing  
23 heavily more on the clinical benefit for these  
24 patients, rather than surrogate markers or lab  
25 surrogate markers?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And the last question is: In salvage  
2 therapy, should these studies be open label and  
3 comparative with historical data as standard of care  
4 measuring induction period to onset of primary SAE or  
5 AIDS-defining event and resolution?

6           I think these are just basically three  
7 questions that we as a company wanted to present to  
8 the Committee and get some feedback on. And that's  
9 all I have to say.

10           ACTING CHAIRMAN GULICK: Thanks very much.  
11 I hope to address some of your questions in the  
12 discussion period later this afternoon.

13           The third speaker is Mr. Michael Marco  
14 from the Treatment Action Group.

15           MR. MARCO: Good afternoon. I am Michael  
16 Marco from the Treatment Action Group.

17           This morning I heard a rumor that Dr.  
18 Jolson was going to be leaving the agency. I wanted  
19 to let Dr. Jolson know that TAG has a job opening and  
20 that you can see me after the meeting for an  
21 application.

22           (Laughter.)

23           MR. MARCO: We do like your work. And TAG  
24 thanks you and your division for putting together this  
25 meeting. The last time I was here and talking to this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Committee was at the adefovir hearing. That was a sad  
2 day. As we know, it was the first time that the  
3 agency did not approve an HIV drug.

4 Today is a much better today. It's a  
5 brighter day because now we will be looking at trial  
6 designs and hopefully agree on some trial designs  
7 where we can help salvage patients, the ones who most  
8 need it.

9 Today is also a day where industry can  
10 stop saying that the FDA does not give them a clear  
11 message. Time and time again they tell the community  
12 "We don't know what the FDA wants. We get mixed  
13 messages." Hopefully they will hear loud and clear  
14 and through more discussion, things in writing that  
15 the agency puts out, they will know what to do for  
16 trial designs.

17 Most of you have the position paper that  
18 TAG wrote. At least I know the Committee does. Some  
19 of you in the audience do. I have a few extra.

20 The position paper will be available on  
21 the TAG Web site. It will be available on Monday.  
22 And the Web site address is basically  
23 [www.treatmentactiongroup.org](http://www.treatmentactiongroup.org). It's all one word,  
24 [treatmentactiongroup.org](http://treatmentactiongroup.org).

25 I won't go through the position paper.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 It's four pages. You can all read it. It's very  
2 clear. I will tell you that we come away with  
3 supporting the modified factorial design. We do  
4 believe that it is an excellent design. I think we  
5 will hear a little bit from Dr. DeGruttola, who will  
6 explain why it can be so beneficial in this patient  
7 population.

8 Today we heard that there are not that  
9 many drugs or really no drugs or only one drug and so  
10 how could we do modified factorial design. Well, I  
11 know of at least four drugs that are around Phase III  
12 right now. We're in Phase III. We have the three  
13 T-drugs, and we have the BMS protease inhibitor.

14 These companies are rapidly developing HIV  
15 drugs. That's why they need to be getting together  
16 now or even before they go into Phase III so that we  
17 can get them together to agree on a modified factorial  
18 design.

19 And the FDA will need to give clear  
20 incentives. The FDA will need to help them out and  
21 also probably be somewhat gentler in the labeling  
22 because we won't be able to tease out all of the  
23 various toxicities.

24 In the modified factorial design, it is  
25 important to note that a lot of patients won't be able

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to go in the study and a lot of patients won't be able  
2 to go into any of the studies that the FDA presented  
3 earlier. Many of these patients, especially the ones  
4 that Dr. Deeks talked about, only have ten CD4 cells.  
5 They cannot put together an optimal background  
6 regimen.

7 Many of the studies now that use the term  
8 "optimal background regimen" say you need to be  
9 susceptible to at least two drugs. For these  
10 patients, we need to make sure that they are the ones  
11 that first get the drugs that are out on expanded  
12 access. And industry needs to be a little more  
13 proactive and work more with the community in getting  
14 drugs on expanded access earlier, not a month or two  
15 months before the drug is approved.

16 As far as efficacy is concerned, we do  
17 believe that for a drug that shows great activity,  
18 shows great promise, possibly a home run, if there is  
19 such a thing, that 16 weeks might actually be enough  
20 for efficacy compared to the 24 weeks that we use now  
21 for accelerated approval.

22 We would say that for safety, we  
23 definitely want at least 24 weeks of safety for  
24 accelerated approval. And we still want the 48 weeks  
25 for full approval.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           Lastly, we do need to make sure that,  
2 especially for the modified factorial design, PK  
3 studies are done. And they need to be done ahead of  
4 time with a decent amount of patients. We don't want  
5 the debacle that we had with ACTG 359.

6           I appreciate you having me speak. And,  
7 again, I thank the agency. And I hope that the  
8 afternoon is just as contentious as the morning was.

9           ACTING CHAIRMAN GULICK: And I think you  
10 can count on that. Thank you, Mr. Marco.

11           The last two speakers will be using slides  
12 with their presentations. And that is why we have put  
13 them last. Next is Dr. Vittecoq from the EMEA in  
14 France.

15           DR. VITTECOQ: Mr. President of the  
16 Advisory Committee, members, Mrs. Jolson, and Jeff,  
17 thank you very much to provide the opportunity to the  
18 EMEA in Europe to give you the guidelines which have  
19 been adopted or will be adopted in the next month by  
20 Europe.

21           Maybe just before starting, for the CPMP  
22 members, you have to know that the research for such  
23 drugs, which is very important from a public concern  
24 in Europe, is not a national procedure nowadays. It  
25 is in Europe. This is orchestrated by the European

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 drug agency and a special committee, which is a CPMP.

2 So there was a debate at the CPMP last  
3 year about the evolution of the AIDS epidemic. And it  
4 was concluded that, despite substantial improvement,  
5 there was still an increase in the number of patients  
6 who failed the treatment. And this was where the  
7 public was concerned.

8 As it was told previously by Emmanuel  
9 Trenado and as a problem in Europe is the availability  
10 in the early access to drugs in different countries.  
11 France has quite a performance at this time, which is  
12 a temporary authorization of use of drugs. And most  
13 countries do not have any access to drugs. So this is  
14 an eventuality which is politically not acceptable.

15 Another point which was a matter of debate  
16 to the CPMP is the length of the trials. In 48 weeks,  
17 approval for promising new drugs is not ethically  
18 acceptable for patients who are failing.

19 The last conclusion was that companies are  
20 not very inclined to implement clinical trials in  
21 advanced patients for various reasons. It's more  
22 difficult to reach endpoints. And, secondly, the  
23 tolerance of the drugs is not very good in these  
24 populations.

25 So the CPMP asked the French Agency for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the Safety of Health Products to address a proposal  
2 which has been a matter of discretion six months ago.  
3 And the final paper will be accepted in the next  
4 month.

5 Next. So a few considerations before we  
6 improve in the evaluation of treatment because we have  
7 given a particular place to very different parameters.  
8 And this is a reality that cannot change.

9 Antiretroviral drugs are not similar to  
10 antiviral drugs. Antiviral drugs are not even  
11 comparable to all other anti-infective agents, even  
12 tuberculous meningitis. New figures of the  
13 development of antiviral agents seems to be more  
14 closely similar to antineoplastic agents.

15 In our way of thinking, a patient in  
16 failure is like a patient with fatal status. And  
17 adding concern, we have to promote the development to  
18 reach limited indications in patients with fatal  
19 status. And then indications may become broader later  
20 on with the case.

21 The situation of the epidemic in Europe is  
22 very different nowadays. This is a perfect relief  
23 sentence, but AIDS belongs to the past. That's not  
24 where we come as physicians. I know AIDS is 20 years.  
25 So some patients are still dying, but the number of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients and the way to die in AIDS is clearly  
2 different.

3 And I should say as the guy from the CPCRA  
4 this morning, I do suggest that we change the term of  
5 "salvage therapy," which is not appropriate.

6 Salvage therapy in AIDS is not a new  
7 faction. Salvage therapy started as soon as it was  
8 registered, delavirdine, which was 15 years ago. And  
9 as soon as patients have failed delavirdine,  
10 didanosine was the first salvage therapy. And as soon  
11 as patients had received didanosine, optimal  
12 modification had been performed.

13 So, really, the situation now is at  
14 treated patients, which can be defined as good  
15 responders and poor responders. We have to focus our  
16 attention on patients who are poor virological  
17 responders.

18 I do believe in the next future, that we  
19 will have to take into account another picture, which  
20 is immunological response. Some patients with a good  
21 virological response are poor immunological  
22 responders, and some are not.

23 The aim of the proposal is to improve the  
24 quality of the registration package, which is not  
25 always performance. And the second point is that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have to anticipate the way the drug will be used. It  
2 is not acceptable nowadays to have a drug like  
3 saquinavir, which has been used as a mono therapy of  
4 protease inhibitors, as it has never been used without  
5 ritonavir. And I should nearly the same with  
6 amprenavir.

7 Next. So, to improve the way to treat the  
8 patients in these kinds of failures, we have to start  
9 I think from the drug. We have a new drug. An  
10 applicant is asking the questions, "What can I do if  
11 these aren't antiretrovirals?"

12 We have to insert the main question, which  
13 is: Is it a major interest in terms of resistance  
14 profile or pharmacokinetic parameters, which is in the  
15 Phase I and II trials?

16 You have two answers, "Yes" or "No." If  
17 the answer is no, there is no modification of the  
18 guidelines, which are quite performance. And that  
19 would suggest maybe to enhance the length of  
20 evaluations. Forty-eight weeks maybe is not enough.  
21 And maybe in the next future, we have to enhance the  
22 follow-up of the patients up to 96 weeks due to a  
23 better package for safety.

24 If the answer is yes, we have to speed up  
25 marketing authorizations in antiretroviral-experienced

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient populations. New registrational clinical  
2 design, such as identification substitutions, and the  
3 time of assessments from our point of view -- I would  
4 speak about it -- is less than four weeks. And  
5 durability is 12 to 16 weeks.

6 Next. Major interest regarding resistance  
7 profiles nor of few cross-resistance with other drugs  
8 in the same family and the unique resistance profile,  
9 of course, due to new mechanisms of action is quite  
10 easy to understand.

11 What is important from our point of view  
12 is the necessity to test the virological activity on  
13 a sufficient number of strains coming from pretreated  
14 patients, which is very important and very commonly  
15 not enough in the package at time of registrations.

16 Next. Major interest we're getting from  
17 communities when there is no activity since profile.  
18 Highly developed plasma and intracellular  
19 considerations, of course, may allow to recover in  
20 antiviral activity situations. This has been  
21 well-demonstrated with ABT 378.

22 And it's necessary to compare the IC5090  
23 of the antiretroviral to do the other regimens from  
24 the same pharmacological class and of the same cell  
25 lines, which is not with performing in the package.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Next. Phase II studies. This is very  
2 important before you start Phase III studies. I think  
3 you have to have an examination of these points.  
4 Those examinations have to be well-established in  
5 naive patients or even today in uninfected patients  
6 and will have to be confirmed in Phase II or III  
7 trials through PK monitoring.

8           It is necessary to study the slope of the  
9 decrease of the viral load in relation to the  
10 pharmacokinetics. We have learned this with the  
11 parameters, of course. Interaction studies, in  
12 particular, we found antiviral drugs likely to be  
13 combined with the investigational agents.

14           Next. The choice of the target  
15 populations in Phase III trials, clearly we need  
16 flexibility. We have to provide guidelines, of  
17 course, but we need to be flexible. We have learned  
18 that as soon as we have marketed a drug, it will not  
19 be used as we had thought it would be used.

20           So the compromise is to be as much as  
21 possible as close to the clinical practice and the  
22 necessity to clear assess impact of the new  
23 antiretroviral drug. So we have chosen patients  
24 having failed at least the first line of a  
25 pharmacological class. And these patients have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 treated for a prolonged period with many  
2 antiretroviral agents.

3 Next. We have two possibilities. In  
4 early virological failures, we have detectable to  
5 moderately increased viral load, less than four logs,  
6 or non-responders, which means viral load higher than  
7 four logs.

8 Genotyping and phenotyping would be  
9 performed at this line. You have got to perform the  
10 loss of ability, of course, for the seven that exist  
11 and for the treatment with the other investigational  
12 drugs.

13 Next. The other target populations, which  
14 is the refractory to all the available therapy, which  
15 is, as we have told you, deep salvage, I think this is  
16 the sam thing. We know it is very difficult to  
17 perform studies in this population. So clearly  
18 efficacy is probably enough.

19 It is difficult to assess the efficacy  
20 magnitude of anyone in these populations. It is  
21 difficult for companies to perform studies in these  
22 populations with an urgent need of care. In these  
23 populations, clearly it is much more important for the  
24 safety than for the efficacy of the drug.

25 Next. The methodology of the Phase III

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 trial is that of superiority, not in equivalence, a  
2 superiority. Design is clear, closer to the current  
3 strategy in clinical practice for all  
4 treatment-experienced patients. There are two  
5 strategy substitutions or intensification trials.

6 Next. Inclusion criteria: patients  
7 treated with stable combinations for a significant  
8 period of time. First, we do believe that it is  
9 necessary to have a comprehensive failure which is  
10 less than four weeks or even less than two weeks but  
11 with agents which are likely antiretroviral agents,  
12 nucleoside, non-nucleoside parameters.

13 Of course, we need to have NSL before four  
14 weeks. With other drugs, such as different  
15 immunovirological agents, maybe longer time can be  
16 taken into account.

17 For the antiretrovirals that we know, if  
18 you have no response at four weeks, the drug is not  
19 active. So we can perform studies in addition of  
20 substitution of the investigational agents to the  
21 baseline regimen of authorizations.

22 And there is an optimization phase which  
23 can require 12 or 16 weeks, addition of the other  
24 agents in both groups based on registration test and  
25 strategic possibilities. Our strategy is to optimize

1 at baseline.

2 Next. Shortening the time of assessment  
3 allows to speed up marketing authorizations to avoid  
4 emergence of resistance in patients enrolled in  
5 clinical trials.

6 It is possible to assess efficacy in less  
7 than four weeks, like previously. And that is the  
8 same availability of the virological impact and safety  
9 profiles required at around 16 weeks.

10 Next. The endpoints, of course, it's the  
11 impact on the viral load, comprehensive percentage of  
12 patients that should be presenting at viral load, all  
13 demonstrations of an increased viral load between 0.5  
14 or one log between two groups.

15 Some other endpoints include: the  
16 maintenance of control of viral load; of course, the  
17 assessment of safety profile; the treatment as to be  
18 evaluated regarding the baseline viral load, of  
19 course; predictive value of genotypic and phenotypic  
20 resistance would be taken into account; and  
21 correlation between pharmacokinetic parameters and  
22 virologic agents has to be analyzed.

23 Next. Some points are very important if  
24 we speed up the procedure, of course, and if we limit  
25 the duration of authorizations. It is not acceptable.

1 This is very important for the applicants, all of you  
2 in this room.

3 Dropout rate should be very limited. In  
4 particular, a short time is not acceptable at this  
5 time. We have so many patients. We have lots of  
6 follow-up in clinical trials. So dropout rate has to  
7 be very, very limited. And explain, please.

8 Sensitivity analysis should be performed  
9 with facing data, of course. A PK/PD correlation  
10 should be performed to better understand the failure  
11 to treatment.

12 Next. About the combination of the  
13 investigational agents to other drugs, which is not  
14 currently reduced, of course, which is the expanded  
15 access drugs, it is not credited. It is currently  
16 performed on a baseline.

17 Some drugs are used on a compassionate  
18 basis, but you have to ensure from a methodological  
19 point of view, of course, that there is no added  
20 toxicity, that the integrity of the partner has to be  
21 clear, the drug interaction has to be known. No  
22 integrity, there is none to occur, of course. The  
23 partner has to be well-balanced in both arms. And,  
24 finally, we suggest strongly a stratification.

25 Next. In conclusion, a new approach in

1 the evaluation of anti-HIV drugs are allowed to  
2 increase the number of available drugs in patient  
3 populations, of course, to focus the research on  
4 antiretroviral-experienced patients.

5 When drugs will be reduced with limited  
6 indication at the beginning, one may assume that the  
7 indications will become broader later on, of course.  
8 This means close collaborations between companies,  
9 research in situations as authorities in patients, of  
10 course, implementation of Phase IV trials regimen  
11 strategies used, for example, in Phase IV  
12 pharmacokinetics monitoring.

13 And, to close, we have to have close  
14 collaborations, which is, of course, very, very  
15 important. And, of course, if there are close  
16 collaborations between Europe and the States, it is  
17 probably better.

18 Thanks.

19 ACTING CHAIRMAN GULICK: Thank you, Dr.  
20 Vittecoq.

21 The last person to speak at the open  
22 public hearing signed up to speak is Dr. Jim Rooney  
23 representing the Intercompany Collaboration, the ICC.

24 DR. ROONEY: Thank you, Dr. Gulick and  
25 Committee.