

1 reproductive toxicology studies where it was actually
2 looked for because of the significance of the effect in the
3 general toxicology studies and that was seen in the dog.

4 So, as I indicated before, all of the currently
5 approved product labels contain information which is
6 extracted from the nonclinical safety assessments for these
7 various agents and is not based on the human safety data
8 for the effects of any of these agents in maternal-fetal
9 pairs. Right at the moment, the information from maternal-
10 fetal pairs has not been deemed extensive enough to make
11 clear assessments of the safety to be included in the
12 product labels. For the antiretroviral pregnancy registry,
13 there are approximately 800 pregnancies that have been
14 enrolled. The follow-up is relatively short-term in this
15 study, basically to about the time of birth. It's a
16 voluntary enrollment and it addresses very distinctive
17 toxic and teratogenic responses because the follow-up is so
18 short.

19 The PACTG 219 is the rollover for ACTG 076 and
20 other PACTG trials. Again, it's a very limited sample size
21 with several hundred maternal-fetal pairs enrolled to date.
22 It's controlled but limited scope of follow-up again, as is
23 the antiretroviral pregnancy registry.

24 Then there are cohort and chart review
25 databases which again, for the most part, address

1 distinctive toxic and teratogenic responses that are seen
2 in the offspring at the time of birth. Follow-up is
3 generally incomplete. The samples are non-randomized to
4 the various treatment allocations and frequently the exact
5 treatment and the exact time of exposure of the fetus to
6 the various interventions is not known.

7 So, in conclusion then, all of the currently
8 approved antiretroviral therapies belong to pregnancy
9 categories B or C. All of the currently approved product
10 labels are based in their safety assessment on data
11 obtained from animal studies. The general and reproductive
12 toxicity studies are used to estimate safety for use by
13 maternal-fetal pairs, and the current human safety database
14 for maternal-fetal exposure to antiretroviral therapies is
15 considered limited in size and scope and for follow-up and
16 have not been included in the approved product labels at
17 this time.

18 So, with that, I'll end and ask for any
19 questions.

20 DR. HAMMER: Thank you very much.

21 Are there questions? Dr. Wong.

22 DR. WONG: Just a couple. One is that you
23 dealt with the animal safety data mostly in groups of drugs
24 or classes of drugs.

25 DR. MORSE: Right.

1 DR. WONG: There are a few that, I guess, are
2 of particular interest to our discussion today, AZT, 3TC,
3 and nevirapine. Is there anything special that we should
4 know that you know about these as opposed to any of the
5 others? That's my first question.

6 The second is, has anything been done in animal
7 toxicology for combinations of these drugs, particularly in
8 the reproductive arena?

9 DR. MORSE: Well, I think I'll actually answer
10 it in the reverse order from which you asked it. The
11 general toxicology and the reproductive toxicology studies
12 are not done in infected animals, nor are they ever done,
13 to my knowledge, in combination studies. The regulations
14 under which we operate do not specify that a sponsor would
15 need to conduct a trial in that way, combinations of drugs
16 or in infected animals. Of course, for HIV, the infection
17 models are extremely limited, to say the least.

18 In terms of specific drug products, you're
19 right. I've summarized the classes in order to try and
20 boil it down into a fairly brief presentation. Right at
21 the moment, there are about 15 products out there. Each
22 one of them has 4 to about 10 reproductive toxicology
23 studies that have been performed with that age and at a
24 variety of different doses, different exposures during the
25 fertility, fetal development, or fetal growth stages.

1 Given that fact, just the sheer volume of the data, I'd
2 rather not comment on any individual agent at this point
3 because there are so many data points in my head that I'm
4 afraid that I'd get them a little bit confused.

5 DR. HAMMER: Dr. Masur.

6 DR. MASUR: Can you speculate on why the monkey
7 is not as good a screen as the rodent? And if that's the
8 case, it would seem that in many cases sponsors are
9 encouraged to do studies in monkeys. Is that necessary if
10 the mouse is, quote, a more sensitive model?

11 DR. MORSE: Well, most of the concerns or
12 considerations I believe, when it comes to the predictive
13 ability of the monkey for the human condition, really
14 relates to the sample size that you're dealing with. The
15 feasibility of doing large enough studies in primates to be
16 able to obtain any kind of statistical significance, the
17 animals are so expensive, and for the most part they only
18 deliver a single offspring, that the ability to conduct
19 those studies is basically prohibitively expensive.

20 In the area of reproductive toxicology, we do
21 occasionally ask sponsors to conduct studies in primates,
22 although that usually is more focused mechanistic assays as
23 opposed to general screening assays, frequently relating to
24 things like changes in hormonal regulation effects
25 associated that might deal with the induction of abortions

1 and so forth, as opposed to directly with teratogenic
2 responses.

3 DR. MASUR: Can you just follow up on one
4 issue? Can you make some comment as to how specific or
5 reliable the findings in rodent models are, how often those
6 turn out, or do you get the opportunity to find out whether
7 or not those are relevant to the human condition?

8 DR. MORSE: The slide that I showed that dealt
9 with the predictive ability of the various species was
10 based on compounds that are recognized as being teratogenic
11 in humans and then working backwards into the animal data
12 set to try and define whether or not the animals showed a
13 corresponding effect to the human response.

14 Now, if you want to flip the question around
15 and look at whether or not the animal studies predict to
16 the human for a compound that's not known, you can't answer
17 that question for the most part. An agent that tests
18 positive, a significant positive response in an animal
19 study, would never under normal ethical considerations be
20 taken into the human to define whether or not it was going
21 to produce a similar type of effect.

22 DR. HAMMER: Would you comment on the dose
23 issues, because often in animal studies, obviously, the
24 doses are pushed to whether there's a lethal effect or some
25 serious effect, and how you interpret that for the human

1 | circumstance?

2 | DR. MORSE: Right. Well, for most of the
3 | reproduction studies and the general toxicology studies,
4 | there's a range of doses that are used. The normal top
5 | dose in any of those studies is designed or intended to
6 | develop frank toxicity. You're looking for what organ
7 | systems will be adversely impacted by the agent.

8 | When it comes to the reproductive endpoints,
9 | though, normally the assessment of adverse effects and
10 | prediction to the human condition is not derived solely
11 | from the high dose. You're looking for agents that produce
12 | adverse effects at the lower doses when maternal toxicity
13 | has not been demonstrated at that same dose, so that you
14 | can't essentially predict or associate the adverse effect
15 | in the fetuses as being an effect that was demonstrated by,
16 | let's say, changes in nutrient intake in the mom.

17 | I think that probably pretty much --

18 | DR. HAMMER: Another question. You were
19 | hesitant to talk about the specific drugs, but how has the
20 | data about efavirenz in primates affected the agency's
21 | thoughts? And are primate models required of all
22 | antiretroviral agents now?

23 | DR. MORSE: No, primate models not required of
24 | all of the agents. The normal spectrum of studies that are
25 | done in terms of the reproduction area deal with rodents

1 and one non-rodent species. It's really up to the sponsor
2 to select what species they want to use specifically. We
3 would comment if we felt that there was some significant
4 difference in the metabolism within one species, that that
5 species did not represent an adequate model to predict to
6 the human condition, but normally that really is up to the
7 sponsor and rarely do they voluntarily go out and conduct
8 their reproduction studies in primates.

9 As for current thought on the efavirenz, I'd
10 leave that up to Sandy Kweder to answer that question as to
11 whether it's had a significant impact on our clinical
12 thinking at this point.

13 DR. HAMMER: Dr. Pomerantz.

14 Do you want to respond to that now or should we
15 open discussion time?

16 DR. KWEDER: Open it up to discussion.

17 DR. HAMMER: Dr. Pomerantz.

18 DR. POMERANTZ: Since you took my main
19 question, which was a good one, if I might say --

20 (Laughter.)

21 DR. POMERANTZ: I want to follow up, though, a
22 little bit on Brian's question. I know you have a lot of
23 information in your head, but getting back to specific
24 antiretrovirals, rather than broad groups, are there some
25 that are falling out as worrisome? We read case reports in

1 | the literature, but what's your feeling about individual
2 | risk in pregnant females? The reproductive studies.

3 | DR. MORSE: Right. Well, for the reproductive
4 | sections of the product labels for all the currently
5 | approved agents, they fall out into two categories, B and
6 | C. There's a spectrum or a range of effects that have been
7 | seen within each one of the classes, some being far more
8 | active in terms of adverse effects on the offspring,
9 | whether it be a teratogenic effect or a growth retardation
10 | effect, and others showing extremely limited effects or
11 | effects only at clearly maternally toxic doses. Those that
12 | demonstrated adverse effects only at clearly maternally
13 | toxic doses are the more likely to have category B
14 | designation in the product label. Those that demonstrated
15 | adverse effects at doses which could not clearly be
16 | associated with toxic endpoints in the dams would be more
17 | likely to receive a C categorization.

18 | Now, for rare occurring events which have been
19 | reported in the literature recently, the evaluation of rare
20 | events is an extremely difficult one in toxicology. As I
21 | said several times during the course of my talk, most of
22 | these studies are powered to define adverse events that
23 | occur somewhere in the range of about 1 percent incidence.
24 | Rare events, you have to look at the exact timing of the
25 | exposure to the nature of the adverse effect that you're

1 seeing, the rate of that effect in an exposed population
2 versus a background incidence of that same effect in an
3 unexposed population. For many of these kinds of events,
4 whether they be seen in the animal studies or whether they
5 be seen in humans, the background incidence may be 1 in
6 10,000, 1 in 100,000, and that becomes extremely difficult
7 to tease out then as to whether or not it clearly is drug
8 associated. It becomes an issue of plausibility of
9 underlying mechanism and timing and incidence.

10 DR. HAMMER: Dr. Lipsky.

11 DR. LIPSKY: You had on a slide a potentially
12 intriguing observation. You said decrease in reproductive
13 performance in the F1 generation were the NNRTs. Perhaps
14 could you elaborate a bit on that?

15 Can you tell us what the state of the art is in
16 following out long-term effects in humans to the subsequent
17 generation? I know obviously there was the very famous
18 case with steroids, but can you tell us what's currently
19 being done and, therefore, what is the standard?

20 DR. MORSE: Well, actually in terms of the
21 clinical follow-up and the state of the art, I would hope
22 that probably the advisory committee would be providing us
23 with better insight of that as opposed to the opposite way
24 around.

25 DR. LIPSKY: No, but does the agency currently

1 receive information on that?

2 DR. MORSE: I think I'll actually turn that
3 over to one of my clinical colleagues, Sandy or Debbie or
4 Heidi.

5 DR. BIRNKRANT: I think at this point what we'd
6 like to see with regard to follow-up is something similar
7 to the 076 and other PACTG trials where participants are
8 then rolled over into a long-term follow-up study to assess
9 long-term safety.

10 DR. LIPSKY: Perhaps not what you'd like, but
11 what currently. Are there any drugs right now that you're
12 worried about teratogenicity or beyond that effects to
13 humans at the time of reproduction after potential in utero
14 exposure? Are there any drugs being looked at? I realize
15 that may be a horrendous undertaking. You're asking us,
16 but I'd like to know in what context currently what is
17 being done.

18 DR. JOLSON: I guess there are a couple
19 questions. One, are there drugs that we're particularly
20 concerned about versus the rest of the antiretrovirals? I
21 think there were some questions about efavirenz earlier
22 that Dave was asked to comment on, and I think that would
23 be a drug that we have a particular concern based on the
24 animal findings, that there appeared to be a cluster of
25 neurologically related abnormalities in a small number of

1 efavirenz exposed monkeys. That concerns us. It says that
2 there's a potential signal there.

3 It's very difficult, though, to follow up on
4 that. If the drug were needed to be used in a pregnant
5 woman, that would be up to the physician to decide. We
6 would hope that that exposure would be reported to the
7 Collaborative Antiretroviral Pregnancy Registry or some
8 other mechanism like that.

9 Short of that, we have very limited ways of
10 following up other than spontaneous reports that we would
11 receive through Med Watch. As Debbie was mentioning, if
12 there is a controlled trial that goes on, we would
13 encourage sponsors to follow up on those children as long
14 as possible, but out in practice it's very difficult for
15 us. I think we have to recognize that there's a gap in
16 what our knowledge is about the safety of the products that
17 are currently being used.

18 DR. LIPSKY: But throughout the agency, not
19 necessarily antiretrovirals, do you know of situations
20 where there are any drugs -- that the request is out there
21 to follow them, potentially 20 years out?

22 DR. JOLSON: There are several drugs where
23 there have been phase IV commitments of sponsors to somehow
24 track the safety of their exposure, usually through
25 pregnancy registries. That's something that the agency is

1 | actively encouraging sponsors to do. Is that the sort of
2 | thing ghat you --

3 | DR. LIPSKY: Exactly.

4 | DR. JOLSON: And that's on a wide variety of
5 | products.

6 | Another product in this division that this
7 | committee discussed about a year and a half ago was the
8 | combination of ribavirin with interferon. That was a phase
9 | IV commitment of the sponsor to do an active pregnancy
10 | registry. So, I think products where there is some
11 | particular concern or a very high use anticipated in
12 | reproductive age women, we would ask the sponsor to do a
13 | post-marketing pregnancy registry.

14 | Sandy, I don't know if you want to comment any
15 | more about the agency perspective on that.

16 | DR. KWEDER: Yes. There are a number of
17 | pregnancy registries for various products out there. The
18 | vast majority of them don't meet the standard of the
19 | follow-up to the PACTG studies where you have patients
20 | enrolled in a controlled trial. This is something that we
21 | grapple with all the time. I think it's fair to say that
22 | in the HIV area, we are rich with information about
23 | pregnancy exposures and outcomes compared to the vast
24 | majority of products that are widely used by pregnant
25 | women.

1 From an agency perspective, we've put forward a
2 draft guidance document to begin to outline a basic
3 standard for the situations under which we may require
4 sponsors to establish these kinds of studies post-marketing
5 and a baseline standard for what those data ought to look
6 like. But they can be very ambitious undertakings. We
7 recognize that. It's extremely difficult.

8 I think that the collaborative relationship
9 that some of the sponsors for antiretrovirals have
10 developed to try and put together a registry and the
11 difficulties they've encountered in doing that are quite
12 illustrative of that. I think it was pointed out earlier
13 that there are about 800 women for whom information is
14 available, and we know that far more pregnant women have
15 taken antiretrovirals than that since that registry was
16 established.

17 DR. HAMMER: Thank you.

18 Dr. Wilfert.

19 DR. WILFERT: You're learning about the
20 problems which a lot of people, including the PACTG and a
21 lot of other agencies, have been extremely concerned with.
22 Several thousand women a year receive one or more
23 antiretroviral agents. The vast majority of the children
24 born to those women are uninfected children as a result of
25 having been exposed to antiretroviral agents. The problem

1 | that confronts us is trying to see those children not when
2 | they're 2 years old, but when they're 20 years old or 30
3 | years old.

4 | So, when you take in your hands the concept
5 | that the long-range follow-up involves knowing who those
6 | children are and matching them to the existing registries
7 | which are named-based, social security number-based
8 | registries, we are confronted with the problems attendant
9 | upon privacy at the same time that we have an enormous
10 | obligation to try and determine in the long term if these
11 | drugs do anything or have adverse effects on the children
12 | who are spared HIV infection. And believe me, there have
13 | been at least four meetings I've been to trying to deal
14 | with the logistics and the ethics of this problem. We are
15 | very concerned. We are very interested. We have not
16 | solved this yet.

17 | DR. HAMMER: Thank you.

18 | On that note, I think we'll take a 20-minute
19 | break. Please return at 10 after 11:00.

20 | (Recess.)

21 | DR. HAMMER: Let's reconvene.

22 | Our next speaker is Debra Birnkrant who will
23 | speak on regulatory considerations in the development of
24 | drugs to prevent perinatal transmission of HIV.

25 | DR. BIRNKRANT: Good morning.

1 Previous speakers have highlighted the great
2 strides made in the prevention of perinatal transmission in
3 the United States, western Europe, and in developing
4 countries, and they have set the stage for a discussion of
5 regulatory considerations in the development of drugs for
6 the prevention of perinatal transmission of HIV.

7 In the next 10 minutes or so, I'll set the
8 stage for the question period that will follow my
9 presentation. We've already heard from speakers and from
10 the discussion this morning, and it's been very informative
11 to the division. We look forward to an equally informative
12 discussion with regard to the questions so that we can
13 provide advice to sponsors seeking to develop drugs for
14 prevention of perinatal transmission.

15 This slide shows some of the published trials,
16 including PACTG 076, the CDC Thai study, and others. I use
17 this slide just to highlight the point that only the PACTG
18 trial 076 had U.S. sites.

19 Before looking at some of the published trials
20 in more detail, however, I wanted to focus on the 076
21 regimen, as others have this morning. The 076 regimen
22 consists of a three-part regimen where zidovudine is
23 administered antepartum, intrapartum, and to the neonate.
24 As was said in introductory remarks this morning, it's
25 really the only antiretroviral approved for this indication

1 of the 14 antiretrovirals approved for treatment of HIV.

2 The antepartum part of the regimen is begun
3 after the first trimester, after 14 weeks gestation.
4 Intrapartum it's delivered intravenously, and it's
5 delivered to the neonate for 6 weeks.

6 This chart illustrates some of the details and
7 differences among the various published trials compared to
8 the PACTG 076 regimen, which you see at the top. Some of
9 the obvious differences are the control arms, presence or
10 absence of neonatal therapy, and whether or not breast
11 feeding was allowed.

12 So, if we look at the CDC Thai study, they
13 looked at an antepartum regimen of ZDV beginning at 36
14 weeks, consisting of a dose of 300 milligrams b.i.d. and
15 then intrapartum 300 milligrams every 3 hours. This was
16 placebo controlled, neonatal therapy was not given, and
17 this was not conducted in the breast feeding population.

18 Compared to the ANRS 049 trial where they
19 looked at a different zidovudine regimen beginning about 36
20 to 38 weeks antepartum, looking at a dose of 300 b.i.d. but
21 only a single intrapartum dose, this was also placebo
22 controlled and actually there was a week of antepartum
23 therapy in there, which is not depicted on this slide.
24 This was conducted in a breast feeding population.

25 Then as another example to highlight the

1 differences among the various zidovudine regimens used in
2 these trials displayed here, we have the HIVNET 012 study
3 which looked at nevirapine, a non-nucleoside reverse
4 transcriptase inhibitor, compared to zidovudine. This was
5 originally a placebo controlled study, but when the results
6 of the CDC Thai trial were made available, the placebo arm
7 was discontinued. So, we then have a two-dose nevirapine
8 regimen consisting of one dose intrapartum and one dose to
9 the neonate compared to an ultra-short regimen of
10 zidovudine not previously studied.

11 This is another way of looking at the
12 differences among the clinical trials with regard to timing
13 of administration of antiretroviral therapy. This is a
14 schematic. It's not really drawn to scale because the
15 intrapartum duration looks as long as the antepartum
16 duration just looking at it.

17 So, we have the PACTG trial 076 beginning after
18 14 weeks with the neonatal component. You can see the
19 various differences among the trials with regard to timing.
20 How do you apply this data to clinical practice?

21 Individual physicians and other health care
22 providers obviously must use their judgment. As I said
23 before, only one antiretroviral is labeled for prevention
24 of mother-to-child transmission. So, therefore, they have
25 to seek other information when they make their decision to

1 | treat. We know that many antiretroviral regimens are being
2 | used in clinical practice, and this is to provide a balance
3 | between preventing perinatal HIV transmission and
4 | optimizing maternal health. Well, we seek that balance as
5 | well between optimizing maternal health and preventing
6 | perinatal HIV transmission. Therefore, we encourage
7 | sponsors to update their drug labels to include safety and
8 | efficacy data where appropriate data exists.

9 | How feasible then is it to conduct trials
10 | solely in the United States for prevention of mother-to-
11 | child transmission of HIV? Well, based on the broad
12 | acceptance of the 076 trial, either alone or in combination
13 | with other antiretroviral therapies, with the use of highly
14 | active antiretroviral therapies, with improved prenatal
15 | care -- we talked a little bit about voluntary testing and
16 | counseling, and we mentioned the American College of Ob-Gyn
17 | recommendations for elective C-section based on a woman's
18 | choice. Well, all of these taken together have led to low
19 | rates of HIV transmission.

20 | This is depicted in this slide which comes from
21 | an article by Lindegren that appeared in the August issue
22 | of JAMA looking at trends in perinatal HIV transmission.
23 | It's a complicated slide, but I use it to illustrate a
24 | point. Here we have estimates of perinatally acquired AIDS
25 | and HIV births. They looked at observed births of infants

1 with AIDS, adjusted births of HIV-infected infants, and
2 predicted AIDS incidence. But I use it to point out, as
3 others have, that pediatric AIDS cases are decreasing, as
4 is the estimated incidence of perinatally acquired HIV
5 infection.

6 This is the accompanying editorial to the
7 Lindegren article that appeared in JAMA in August. Dr.
8 Mofenson raises the question, can perinatal HIV infection
9 be eliminated in the United States? I think the answer to
10 that -- that is, her answer to that, as well as others that
11 we may have heard today -- is that it may be possible. And
12 if that's the case, then we may have reached the conclusion
13 that we can't solely study this indication in the United
14 States, that we have to look outside the U.S. to obtain
15 some of our answers.

16 This is the Code of Federal Regulations as it
17 applies to foreign clinical trial data in support of a
18 marketing application. We've looked at this before for
19 other drugs, not necessarily related to HIV. I'll begin at
20 the bottom.

21 The third point on this slide is that for an
22 application to be based on foreign clinical data, the data
23 must be considered valid without the need for an on-site
24 inspection by FDA, or if FDA considers such an inspection
25 to be necessary and they are able to validate the data

1 through an on-site inspection or other appropriate means.
2 This becomes a review issue.

3 The second point is the studies have been
4 performed by investigators of recognized competence.
5 Again, this is something that we decide at the divisional
6 level as well. It becomes a review issue.

7 But the first point on the slide, which is the
8 subject of the question period that follows, is, are the
9 foreign data applicable to the U.S. population and to U.S.
10 medical practice?

11 Are they applicable with regard to the dosage
12 that's used, the timing of the dosage, and the route of
13 administration?

14 As we've seen, not all of the trials that have
15 been presented have had a neonatal component, and how
16 relevant or applicable is this to the U.S. population?

17 What about control arms?

18 How does breast feeding apply to the U.S.
19 population where recommendations exist for women who are
20 HIV-infected not to breast feed their children?

21 And we touched on the issue of how long should
22 follow-up be.

23 So, as I present the issues for discussion that
24 will follow, we'd like to have an informative discussion
25 again so that we can provide sponsors with appropriate

1 | advice on how to evaluate new regimens in the setting of
2 | the 076 trial which is approved and implemented in the
3 | United States. Again, we wanted to ask our committee and
4 | guests applicability issues; that is, how do we apply the
5 | data to different patient scenarios, whether or not a woman
6 | is currently on antiretrovirals, whether she presents in
7 | labor not on any therapy. How do we interpret data using
8 | different comparator regimens? How do we interpret data
9 | from a breast feeding population? How long should the
10 | follow-up be again to assess long-term safety, and how long
11 | should it be for efficacy? And we'll be asking the
12 | committee and guests for suggestions for alternate study
13 | designs.

14 | I'd be happy to answer any questions you may
15 | have at this point although, as we're running a little bit
16 | late, if possible, I'd like to move it along so we could
17 | get to the questions, which is the focus of this morning's
18 | discussion. Thank you very much.

19 | DR. HAMMER: Thank you.

20 | Any immediate questions?

21 | (No response.)

22 | DR. HAMMER: If not, we will move to the
23 | discussion. I would just mention that we are running late,
24 | but we'll have time to return to some of these immediately
25 | after lunch I think if we don't complete these in time. We

1 do need to give these full discussion, but I think we can
2 catch up after the lunch break.

3 I will read the first question. We'll do them
4 question by question. We won't necessarily go around to
5 hear everyone. I'll leave it somewhat open. I would
6 specifically, however, like to urge our special consultants
7 with expertise in the area to comment on each question
8 because I think the agency would like to hear those views
9 as well as any of our other recommendations.

10 The first question is, given the broad
11 acceptance of PACTG 076, please provide advice regarding
12 how new regimens should be evaluated. It's a rather easy
13 and narrow question.

14 (Laughter.)

15 DR. HAMMER: Who would like to start?

16 (No response.)

17 DR. HAMMER: All right. We'll move to the
18 second question.

19 (Laughter.)

20 DR. HAMMER: Yes, Dr. Wilfert. I was hoping
21 you would start. I was glancing over.

22 DR. WILFERT: Well, the traditional method is
23 by randomized, controlled clinical trials. Within the
24 framework of the developed world, there are probably some
25 questions which can be addressed by collaboration at many

1 sites, but the n's for these studies, depending upon the
2 question which is being asked, are several thousand on up.
3 So, there will be a limited number of those kinds of
4 studies that can actually be done.

5 Method number two is obviously to take the
6 opportunity to utilize data that are gathered in settings
7 where the trials are done well and they, in fact, have been
8 randomized trials and to look at those data for
9 applicability, which is what we're about to do.

10 But the third and final means is take advantage
11 through another mechanism of observation of those women
12 receiving therapy that are not randomized prospective
13 trials. There are thousands of women receiving various
14 regimens in the United States with an outcome of an infant
15 who is infected or is not infected, and we ought to think
16 about innovative ways to capture those data.

17 DR. HAMMER: Thank you.

18 Ms. Dennison.

19 MS. DENNISON: If the system isn't already set
20 up this way -- and I don't think it is -- it would be very
21 nice if patients who believe that they may be seeing an
22 adverse outcome would have a mechanism for reporting
23 directly and then follow-up being done with the provider
24 because often women have concerns that the providers don't
25 report.

1 DR. HAMMER: Thank you. That gets to a safety
2 and follow-up issue which is part of a later question, so
3 we should come back to that. That's an important point.

4 Dr. D'Agostino.

5 DR. D'AGOSTINO: I think if you're talking
6 about new regimens as meaning new drugs, the controlled
7 clinical trial is quite important. If you talk about new
8 regimens as variations of existing drugs, different
9 scenarios, then the randomized, controlled clinical trial
10 is obviously ideal now with a positive control.

11 But going beyond, there are other ways, just to
12 follow up on the first response to this. There are
13 epidemiological studies you can do where you can have very
14 careful recording of individuals as if it were a controlled
15 trial, but recording of individuals and their background
16 characteristics and extensive follow-up on them. You can
17 even include, if it's feasible, what we call a simple trial
18 where you basically do actual use, actual livelihood, but
19 you introduce a random component, and if that's feasible,
20 then you get an actual randomization if there's enough
21 variation in the regimen, a new regimen against a
22 previously existing regimen, with a little randomization.
23 These things are possible to do, and they have been done in
24 other settings.

25 Aspirin for children and so forth, for example,

1 was done with an epidemiologic study where there was a
2 randomization. It turned out to be very effective and very
3 clear cut.

4 There's even the case control modality where
5 you take an individual with the particular method that's
6 given, the new regimen, and get some controls possibly who
7 are using some other method, and again follow them.

8 So, you don't necessarily have to impose a
9 randomized, controlled trial. There are variations which
10 can be very productive. What you don't want to do is you
11 sort of leave it to whatever happens, collect whatever is
12 there, and sort of move on. You really need to have it as
13 if you're running a very careful study with very careful
14 instruments and very careful follow-up being done and this
15 sort of imposition of the new regimen. I think there are a
16 lot of possibilities.

17 DR. HAMMER: Dr. Pomerantz.

18 DR. POMERANTZ: Just two points. I think one
19 of the things that keeps being brought up is the difference
20 between these studies in the developed world or in the
21 United States and in the developing world. Sometimes, as
22 we've seen with nevirapine, it probably can be used to give
23 us information in both areas. But if you're going to talk
24 to companies, I think that there are going to be studies
25 that will only be useful or primarily be useful because of

1 the problems in the developing world, and they may not all
2 be interpretable to the changes that we're seeing here with
3 HAART therapy, with different access of care.

4 So, I think when you talk to companies, you
5 will have those studies that probably should be done
6 helping only the developing world that cannot be used with
7 the changing face or will have minimal impact here in the
8 United States. We'd like it to be both, but I don't think
9 it will always be the case, especially as the therapies in
10 the United States continue to evolve, as we know on this
11 committee.

12 The other thing, the second point, is this has
13 sort of come of age a little bit with the HIVNET study and
14 076 so that now we can ask more specific questions -- and I
15 think that would be the most important -- clinical
16 questions of what do you do specifically for women who
17 present in labor. And those will be hard to bring in large
18 numbers of patients, but I think that's where you have to
19 get to now is specific questions. What do you do with
20 people on HAART who have come into therapy? What do you do
21 for people who are presenting early in pregnancy but have
22 never been on anything? And then the question of
23 resistance, which I've said recently will continue to
24 evolve, will be something that you'll have to look at. Not
25 a problem now. I would imagine it may become one in the

1 future.

2 DR. HAMMER: Dr. Gulick.

3 DR. GULICK: It's interesting to observe a
4 parallelism with the situation with antiretrovirals in
5 pregnancy and what's happening in the field in general.
6 With the advent of so-called HAART, the field turned a
7 corner, and we now have a lot of different regimens that
8 can all work the same way. Rather than evaluating them so
9 much on the primary endpoint, which typically would be a
10 reduction in viral load, we're continually now addressing
11 other issues about the regimens. And I see a parallel in
12 pregnancy here. With 076 we literally turned a corner with
13 reducing the primary endpoint, the percentage of
14 transmission.

15 But now it strikes me that it's time to look at
16 some of the secondary issues that have been mentioned,
17 complexity of the regimen, how that impacts on adherence to
18 the regimen. I asked about tolerability before because I'm
19 still amazed with how well tolerated these doses,
20 particularly with zidovudine, drugs are in pregnancy.
21 Feasibility has been mentioned, using intravenous
22 formulations or not. Resistance has also been mentioned.
23 So, now that, it occurs to me, the primary endpoint is sort
24 of taken care of in a way, it's time to look at secondary
25 issues to evaluate similar regimens.

1 DR. HAMMER: Although the primary endpoint is
2 taken care of for the United States -- not completely taken
3 care of for the United States because I think, as Dr.
4 Wilfert mentioned, there are women presenting without
5 prenatal care, but there is responsibility, obviously, to
6 the rest of the world even if this committee and this
7 agency deals with drug approvals in the United States.
8 That's why we're having, I think, this meeting today.

9 I might just say something and then continue to
10 maybe stimulate some additional discussion. I think the
11 charge, of course, for this committee is to see new drugs
12 maybe used in new combinations and also to look at expanded
13 indications of already approved agents. So, what that
14 means, in order for data to be developed, although there
15 are different levels of studies that are accepted by the
16 agency, is that for the most part you want prospective,
17 randomized, controlled trials. To some extent, you accept
18 other types of controls, but trials are going to be the key
19 issue for expanding indications or for new drugs. Given
20 the diminution in the rate of transmission in the United
21 States, it automatically means, as is obvious from the
22 presentations this morning, that those studies have to be
23 international because you won't ever see the numbers. That
24 raises a lot of ethical issues.

25 But I think one other thing it brings up is

1 that we talked this morning about the developed and the
2 developing world. The developing world is not one entity,
3 and as we have seen, there are different regimens that are
4 applied. In fact, the 076 regimen is being studied in
5 Thailand. So, there are ranges in the developing world
6 where, in fact, one can think about areas where we still
7 might be able to do studies that have controls or that are
8 close to the 076-like regimen as opposed to totally having
9 to extrapolate from control regimens which give rates of
10 transmission that are unacceptable in this country at the
11 time and were really not standard control arms by U.S.
12 standards. So, I think we have to look across the
13 international framework to say where these studies can be
14 done and put appropriate control arms in place that are
15 ethically acceptable and regionally specific.

16 We will then still be left with, I think,
17 extrapolating from that because if A versus B comes up with
18 some result, we'll have to then say, well, B versus C was
19 such and such in another study. I think in this field
20 we're going to be left with comparisons across studies and
21 what those implications are for drug approvals in the
22 United States. I think that's going to be part of the
23 problem, as well as extrapolating those results, because
24 many of those control arms would not be what we would
25 accept as standard, but again I'd say there's the potential

1 still to put relatively standard regimens in place.

2 And also, as was already mentioned, there's the
3 opportunity and the need to study different lengths of
4 regimen, whether it's short course, prenatal, and
5 intrapartum regimens or at delivery and postpartum
6 regimens. Those need to continue. They need to be done in
7 some kind of rational framework as we develop new agents
8 and combinations. It's going to get increasingly
9 complicated with the permutations, but that's why we have
10 organizations such as the PACTG and European and other
11 international clinical trials organizations to try to
12 organize these to work together.

13 Dr. D'Agostino?

14 DR. D'AGOSTINO: Just to follow up on that, the
15 baseline characteristics or the characteristics that make
16 the non-U.S. studies so hard to extrapolate seem to be
17 fairly well known. They were listed. There are probably
18 some surprises, but they were listed. While one doesn't
19 want to get involved in lots of subset analyses and so
20 forth, there are ways of extracting information about the
21 spectrum on a particular variable. There are a lot
22 computer simulation techniques of clinical trials now which
23 in fact can be used to sort out and to try to get at that
24 information. I think the call is for very careful design
25 of these studies and the realization that the non-U.S.

1 population is quite different, and what kind of sensitivity
2 analysis is needed to try to make those extrapolations so
3 that you can do it in a sensible way.

4 Before I give up the mike, in the comments I
5 made before, I gave sort of the positive. I think what
6 would be a dangerous thing to suggest is some sort of
7 retrospective registry as a way of sort of justifying
8 claims. It's a way of getting a sense of what studies you
9 might want to look at, but I think it would be a dangerous
10 way of actually getting at satisfying new claims.

11 DR. HAMMER: Dr. Wilfert.

12 DR. WILFERT: I think that there are two issues
13 which need to be addressed and could be addressed. One is,
14 as has been said, zidovudine is currently the drug with the
15 indication during pregnancy. Zidovudine and 3TC in
16 controlled clinical trials have been shown to be effective
17 and now nevirapine. So, the first issue would be the
18 demonstration that other antiretroviral agents do
19 effectively diminish perinatal transmission. I'm not
20 proposing randomized, comparative clinical trials, but an
21 attempt through controlled use of the information to derive
22 that information and encouragement of the sponsors to seek
23 that kind of approval.

24 The second area, I think, is that of virus
25 burden which has clearly been shown to be related to the

1 frequency of transmission. It has to be possible, from the
2 existing population of women receiving antiretroviral
3 therapy, to derive some very relevant information about
4 virus burden and the impact in the antepartum setting which
5 is clearly related to the United States and the developed
6 world.

7 DR. HAMMER: Dr. Masur.

8 DR. MASUR: A number of our comments seem to
9 focus on the fact that maternal transmission appears to be
10 at a very low level and our presumption is it's going to.
11 I guess one of the concerns I'm sure we all share is that
12 in the near future, as acquisition of drug-resistant HIV
13 becomes more and more common, we may well be faced with a
14 situation in which many women are likely to have nevirapine
15 and AZT-resistant strains whether because of acquiring that
16 type of strain or because of exposure at intermittent times
17 prior to delivery.

18 I guess my concern is just how we're going to
19 mind this observational database so that if we're in an era
20 where we have difficulty relying on AZT and nevirapine,
21 there may well be reasons to add them to the regimen. We
22 clearly need information, at least some idea, about the
23 efficacy and safety of all the other drugs that we're
24 using.

25 So, as Trip said, we have turned the corner,

1 but clearly with patients we've turned another corner in
2 which we're looking for more and more alternative regimens.
3 I would presume the same is going to be true here.

4 DR. HAMMER: Dr. Mathews.

5 DR. MATHEWS: I was going to make a similar
6 point. Besides the issue of breast feeding, the whole
7 issue of prior antiretroviral experience is a major
8 difference between studies in the developed world and the
9 developing world. There really is a niche for the kinds of
10 strategy trials which are being done to look at the impact
11 of resistance testing in non-pregnant populations during
12 pregnancy. Unlike the situation of post-exposure
13 prophylaxis during pregnancy, there is time to get these
14 kinds of results back and to fashion regimens with that
15 kind of information in mind. I don't know. We'd have to
16 look at what the numbers are. As people are improving
17 their health, gaining more and more antiretroviral
18 experience, a study which shows that a very vulnerable drug
19 like nevirapine is effective may mean absolutely nothing in
20 many of the populations that are going to be under
21 treatment in the near future.

22 DR. HAMMER: Dr. Lipsky and then Dr.
23 Handelsman.

24 DR. LIPSKY: Well, I think if you look at the
25 first question, in view of 076, provide advice how new

1 regimens should be evaluated, I presume new regimens for
2 the indication of preventing maternal-fetal transmission.
3 Well, okay. You have a complex situation here. You have
4 two patients and you have several outcomes, and you have to
5 divide that. You have to break that up. So, for instance,
6 you have the example -- and in different circumstances.
7 So, it's very complex.

8 So, if you have a situation where you're aware
9 early on in pregnancy the mother is HIV positive, are you
10 then saying or wish to state that the approved therapy is
11 monotherapy for the prevention of transmission? You're
12 saying no. Okay. But isn't that the question you're
13 asking? Be very clear.

14 Are you saying, okay, the approved therapy that
15 you have with an FDA indication is monotherapy, albeit
16 there are guidelines that say there should be a discussion
17 of whatever that would be. But if you're designing new
18 clinical trials, are you stating that you'd want to put a
19 new regimen up against what is the standard? I mean, that
20 is the issue. People are shaking their heads no.

21 Well, let's look at what people are doing and
22 do epidemiologic, et cetera. Well, that can be good, I
23 guess, sometimes. It can be a little muddled sometimes.

24 But I think that one is going to have to be
25 clear. What is it going to be for the situation where you

1 know that someone is pregnant? What would be the study
2 that you'd want to design? What is ethical? What is
3 appropriate? What is going to scientifically work? I
4 don't know if in the next 45 minutes this committee can
5 answer that question.

6 The same way on the other end. For the short
7 course for a child in the United States, for the neonate,
8 we have a recommendation of 6 weeks. We're aware of other
9 studies where, as you pointed out, there are shorter
10 courses. What are you going to do on that end? Is it the
11 gold standard and should it be a comparison always against
12 initially against the 6 weeks that you have out as the
13 official treatment, official protocol? But what if you
14 know early on the child is positive? What does that do?
15 And certainly wouldn't there be testing?

16 It seems like there has to be detailed analysis
17 of all the scenarios. That's just talking about this
18 country and about the fact that you have knowledge early
19 on. But there also questions about what if you don't have
20 -- what is the situation where the mother shows up at the
21 time of delivery without any and you're aware that the
22 mother is HIV positive? What do you do at that situation?
23 That's different. You don't have to worry about the first
24 part and what is going to be the gold standard there and
25 then go on. Well, where is that? In United States. Then

1 | you will have further follow-up and further information.
2 | That again will apply a bit to what I was saying about the
3 | short course for the baby. Obviously for the mother after
4 | delivery, there are standards of care which obviously this
5 | is not the indication and you don't worry about.

6 | So, I think that one has to do a detailed
7 | analysis of what the scenarios are, what are the
8 | circumstances that are currently appropriate, and what are
9 | the ethics of what you're doing, even for this country.
10 | Because I think we have a situation. It would be
11 | interesting to poll the people around there. You have a
12 | mother at 12, 16 weeks gestation. What is the best therapy
13 | for that mother at the time? We have this discussion, but
14 | it might be interesting just to find out what people say.
15 | What is the best? I can ask the Chair since you're the
16 | expert. What is the best therapy for the mother?

17 | DR. HAMMER: I'm going to defer that for now
18 | because, although we have to think about the care of the
19 | mother, we're actually talking about a specific issue of
20 | mother-to-child transmission. We have to take into account
21 | the best care for the mother, but I don't think we should
22 | go into specific recommendations at the moment. I'll defer
23 | that.

24 | Dr. Handelsman.

25 | DR. HANDELSMAN: I think in response to the

1 prior commentor, a lot of those analyses are being done in
2 many different places by maternal and pediatric HIV
3 specialists.

4 In terms of what studies are applicable to
5 providing advice regarding preventing perinatal
6 transmission here, I think international studies are
7 definitely applicable because just as the developing
8 countries are not a uniform population, neither is our
9 population. I think we have certain subsets of our
10 population for which studies, such as HIVNET, such as the
11 PETRA studies, are certainly applicable.

12 I think we also need to recognize that we do
13 have a very large portion of our population that is on
14 HAART, and I think prospective enrollment studies looking
15 at these are certainly doable in terms of the population,
16 in terms of the numbers. It takes a lot of funding to do
17 those, but in terms of comparing different HAART regimens,
18 I think we should be attempting that.

19 DR. WILFERT: I want to be sure that what I
20 said about the guidelines is clear, and that is that the
21 guidelines say on the first line that a woman should
22 receive therapy for her infection according to the same
23 recommendations as people who are not pregnant.

24 Second, as a consideration, if she is pregnant,
25 then the regimen that would best affect transmission needs

1 to be considered. Those are written as two separate parts
2 of the guidelines with the baseline recommendation being
3 that women receive therapy according to their own disease.

4 DR. HAMMER: Thank you.

5 Last comment on this question. Then we'll move
6 on. Dr. D'Agostino.

7 DR. D'AGOSTINO: Just to elaborate a bit on the
8 term "epidemiological" in this sense, it means exactly what
9 you said, that you have a priori a prospective protocol
10 identifying the different types of subjects, pregnant women
11 who may come at different stages, and that there's a
12 careful follow-up on them, but also a clear, not a
13 retrospective trying to sort out what they had, but a
14 prospective anticipating what situations you're going to
15 have so then when you follow them, you in fact do have the
16 right condition identified. There's also this imposition
17 that once you identify them and put them in the right
18 category, the idea of a large, simple, randomized,
19 controlled trial where "simple" means that at this point
20 you might be able to do some randomization into one of the
21 different regimens and then you follow from that point as
22 opposed to a detailed clinical trial. So, there's a lot of
23 thought that has gone into these things, and they have been
24 successful in other arenas. I think this is one that might
25 work well also.

1 DR. HAMMER: I think it's worth mentioning the
2 pediatric 316 trial which is trying to look at the
3 nevirapine question in this setting where other
4 antiretroviral regimens and combinations are used. That's
5 a situation in which it's a good design to try to tease out
6 that one question about adding something intrapartum and
7 intermediately postpartum. That's a design that we need to
8 think about, but I think as one gets into very complex
9 regimens in the United States, the multiplicity of regimens
10 -- you'll be able to answer the question antiretroviral
11 therapy, virus load, outcome. You will, I think, have a
12 lot of difficulty answering the question of specific
13 regimens or certainly any agent unless you do a very, very
14 large epidemiologic study, and the outcomes in this country
15 are going to be so small that it's still going to be
16 impossible to sort that out.

17 I think what we're dealing with here is the
18 question of new agents and extended indications that will
19 come before this committee or the agency, and that's part
20 of the study design issue. The epidemiologic questions are
21 critical as to what's happening with antiretroviral therapy
22 and prophylaxis for maternal-fetal transmission, but I
23 think for the committee's discussion, we should also try to
24 keep a focus on what the questions that will come drug-
25 specific and potentially regimen-specific before the

1 committee and the agency because that's what they're asking
2 us to comment on.

3 DR. D'AGOSTINO: As I said at the very
4 beginning, the new agents I think do need controlled
5 clinical trials. It's the variations on existing agents
6 that you can do something else, but for a new agent I think
7 you do need the controlled clinical trial.

8 DR. HAMMER: Let me move on to the second
9 question. We've already touched upon these and many of
10 these are interrelated. So, I'll read the second question,
11 some of which we've begun to answer, but let's be specific.

12 Please discuss clinical situations and special
13 populations in whom regimens containing all or part of the
14 PACTG 076 regimen are not feasible. A, specifically in
15 your experience, what is the frequency of an HIV-infected
16 woman without prenatal care presenting in labor? B, what
17 do you consider to be the optimal regimen for the
18 prevention of MTCT, maternal-to-child transmission, in an
19 untreated HIV-infected woman who presents in labor?

20 Do you want to start?

21 DR. WILFERT: I'm not an obstetrician, so I
22 think I should decline from answering in my experience
23 about the presentation of women.

24 I will say that 2 percent of women in the State
25 of North Carolina who are HIV positive have had no

1 antenatal care. So, that's not more than 2 or 3 women
2 because the whole population is probably 150 per year who
3 deliver.

4 The second question is about what to do when
5 you know a woman is positive who comes in at delivery. I
6 think I said this when I was speaking and that is that
7 there are two regimens which have been proven to reduce
8 transmission in that setting: AZT, 3TC started intrapartum
9 and continued in the infant; and nevirapine given as soon
10 as possible and a dose to the woman. So, whatever else
11 transpires as far as the antiretroviral part of this
12 regimen, I would think that one of those two things ought
13 to occur in women who present with the diagnosis and have
14 received nothing.

15 DR. HAMMER: Can I just ask you a question? In
16 translating these results, specifically to this question of
17 no previous treatment, you have the proven results. Again,
18 we're missing the obstetrician expertise here. But
19 wouldn't it be often a combination of these entities, given
20 the fact that we extrapolate and often use two, three drugs
21 at least in this situation to try to maximize the chance of
22 success even if we don't have the data to support it?

23 DR. WILFERT: I know. Because we don't have
24 the data, what I was trying to say is that I think that
25 that's the minimum, and what other drugs people might

1 choose to add would be interesting. Remember that if it's
2 a reduction in virus burden, it is unlikely that
3 administration of one dose of drug is going to accomplish
4 that prior to delivery, just as a problem, but it doesn't
5 remove considerations of adding regimens together, AZT,
6 3TC, and nevirapine, for example.

7 DR. HAMMER: Let me ask you to be somewhat
8 provocative, but in the United States population, for
9 example, the nevirapine regimen for a woman who presents
10 without prior treatment and the baby gets exposed to a week
11 or more of nevirapine because of its half-life but
12 subsequently is infected, in this country, when we now are
13 thinking about treatment of the baby and there are drugs
14 available and the issue of resistance emergence -- we don't
15 have the data because I've already asked that question.
16 But isn't that one circumstance where at least in a broad
17 fashion the use of the nevirapine-alone regimen would be a
18 concern in the developed world?

19 DR. WILFERT: Well, remember that the
20 nevirapine regimen is two doses, one to the mother and one
21 to the infant, with a half-life that allows it to persist
22 for as long as a week. I guess I would be balancing
23 something which I know has a beneficial effect in terms of
24 interruption of transmission at the time of delivery versus
25 the subsequent choice of therapy if an infant is infected

1 | despite that regimen.

2 | DR. HAMMER: I guess what I'm saying is
3 | wouldn't that push one toward combination therapy if one
4 | was going to use the nevirapine therapy in the United
5 | States.

6 | DR. WILFERT: Yes, but I mean, no data.

7 | DR. HANDELSMAN: Scott?

8 | DR. HAMMER: Dr. Handelsman.

9 | DR. HANDELSMAN: As a pediatrician, I do get
10 | consulted when a woman presents in labor, and in Brooklyn
11 | in our hospitals I would say we do have between 10 and 20
12 | women presenting as such per year.

13 | I think also with the advent of rapid testing,
14 | as Dr. Mofenson said earlier, New York State has just
15 | regulated that all women who present in labor without a
16 | known HIV result from this pregnancy be offered rapid
17 | testing. And by Dr. Wade's statistics, that will be about
18 | 500 women who may be HIV-infected per year that we will
19 | detect in New York State.

20 | Given that, I think that we do have this large
21 | population, and I think our recommendations would, again
22 | without data, clearly be a combination of IV AZT and oral
23 | nevirapine. I think also depending on the stage of labor,
24 | depending on whether membranes are ruptured, and depending
25 | on whether the woman is progressing rapidly or not, it

1 | would affect the obstetrician's decision whether to perform
2 | a cesarean section or not.

3 | DR. HAMMER: Thank you.

4 | Dr. Pomerantz.

5 | DR. POMERANTZ: Yes, in the same light. I
6 | agree with Cathy that obviously there's no data for the
7 | combination, and yet we do many things that have some
8 | teleological reasoning.

9 | As someone at least in Philadelphia who
10 | unfortunately gets these phone side consults a lot, we've
11 | had to think about that. AZT, 3TC, and nevirapine is a
12 | quite adequate HAART regimen regardless of pregnancy. So,
13 | we would suggest just what I heard at the end of the table,
14 | AZT, 3TC with IV AZT, whether that means anything or not,
15 | intrapartum, continued with the dose afterwards of at least
16 | the nevirapine, and again, more likely than not, get a C-
17 | section at least in our area. But again, looking at the
18 | data, it's not that clear if they present already in
19 | anything other than very early labor.

20 | DR. HAMMER: Dr. Kumar.

21 | DR. KUMAR: I wanted to offer some perspectives
22 | on what we see in the Washington, D.C. area. The number of
23 | pregnant women that present at the time of labor without
24 | being tested is less than 5 percent, but we continue to see
25 | patients that are tested but offered antiretroviral therapy

1 but for several reasons are unable to take them. I would
2 put that number in our experience to be anywhere closer to
3 10 percent, who are for several reasons are unable to take
4 the prescribed antiretroviral therapy. It is for those
5 women that we think short-term courses of antiretroviral
6 therapy during labor and immediately after labor would be
7 of great importance.

8 DR. HAMMER: Thank you.

9 Dr. Masur.

10 DR. MASUR: One of the issues I'd be interested
11 in some of the pediatricians' comments on or perhaps one of
12 the obstetricians who's not here --

13 (Laughter.)

14 DR. MASUR: -- is that for a regimen like Roger
15 suggested, AZT, 3TC, and nevirapine, that has a lot to
16 recommend in a patient who's compliant, but given our
17 experience for how quickly nevirapine resistance can occur,
18 if we're going to recommend this and we're thinking about
19 what the standard of care is for a patient population that
20 is going to have difficulty adhering for one reason or
21 another, is this really going to be a practical regimen
22 that is preferable to treating the patient at the time of
23 delivery such that at least we have a good shot at having
24 drugs that are active? That's just a question I pose.
25 What kind of resources do we have to try to maximize

1 adherence?

2 DR. HAMMER: Ms. Dennison, did you have a
3 comment?

4 MS. DENNISON: You can respond to him and then
5 I can go ahead.

6 DR. HANDELSMAN: In response to that, at least
7 nevirapine as one dose would be given in the hospital. So,
8 we can virtually assure compliance with that, presuming we
9 trust our hospital staffs.

10 In terms of the 076 6-week regimen, we've had
11 extraordinary compliance with the pediatric portion, and
12 what we've seen is that even though a lot of women may not
13 take the medication for themselves or even intrapartum,
14 they're usually extremely consistent postpartum. Although
15 6 weeks sounds like a lot, it's a limited duration regimen.
16 So, I don't expect difficulty with compliance in that
17 regard.

18 DR. MASUR: Actually, though, what I was
19 referring to more is if the mother is taking these drugs
20 intermittently and then presents for delivery, are you
21 going to have a situation where the mother and the child
22 then have a resistant isolate that you may or may not get
23 some benefit from your drugs, but you're certainly at least
24 logically compromising that chance.

25 DR. HANDELSMAN: Well, I think that to some

1 degree we've had that situation for the past 5 years. We
2 know that maternal compliance with HAART regimens and with
3 the 076 regimen has been good, not great. In the studies
4 of compliance, they'll see anywhere from about 50 to 70
5 percent compliance. Nevertheless, we still do see the
6 reductions over that period of time and we still do see
7 very substantial reductions despite that. And the limited
8 data about resistance has not shown many resistant isolates
9 in the babies.

10 MS. DENNISON: To the best of my knowledge, I'm
11 the only consumer on the panel. I'm an HIV positive mom
12 that was in the ACTG 250 study, and I do a lot of
13 counseling with HIV positive women who are pregnant. One
14 of the barriers I see is the IV AZT during delivery is a
15 real concern for a lot of the women that I talk to because
16 relatives may be planning on attending or even partners who
17 are often abusive who haven't been told of their status,
18 especially when the woman tests positive during pregnancy
19 and she's dependent on that partner and hasn't gotten
20 around to telling that person yet. People are very
21 concerned about nurses coming in saying, here's your IV
22 AZT, and in fact that happens a lot.

23 Another thing that I see a lot is the hospitals
24 not actually having the IV AZT in stock, and so we've seen
25 cases, even in the Bay area, where prisoners whose status

1 | was known who were on triple combo therapy go to deliver in
2 | a local hospital and the hospital doesn't have the IV AZT
3 | and they don't get that. And others, women who are in
4 | rural areas but wanting to go to specialty clinics or areas
5 | where they think they'll get more compassionate care, but
6 | where the time of the distance traveled to get there means
7 | they're going to be very far along in their labor by the
8 | time they arrive. Those are all situations where women
9 | have expressed a lot of interest in knowing more about this
10 | nevirapine regimen.

11 | DR. HAMMER: It's also a situation where the
12 | international trials that have used oral AZT intrapartum is
13 | helpful in translating it to this population.

14 | Dr. Lipsky.

15 | DR. LIPSKY: Though it may be complex what goes
16 | on if you know in week 16, but I'd be interested just
17 | around the table here for the pediatricians or
18 | neonatologists or infectious disease people what they
19 | consider the standard of care for the situation where you
20 | have a mother who shows up at the time of delivery without
21 | prior therapy. What would be the regimen that they would
22 | give, that they would feel would be the standard of care to
23 | prevent transmission to the child? Is it monotherapy with
24 | AZT? Is it a combination? Because I think that's what
25 | we're wrestling with. And would you participate in a trial

1 that potentially had monotherapy?

2 DR. HAMMER: I'll just speak for the group and
3 disagree with me if you will. I think it's pretty clear
4 that if antiretroviral therapy were started at that stage
5 of pregnancy, you're also thinking about treatment of the
6 mother, and so AZT monotherapy would not be any standard in
7 the United States starting in the midpoint of pregnancy.

8 So, I think if the woman were at a very early
9 stage and you could defer treatment till later, then one
10 would think about perhaps starting a regimen in the 076
11 variety in some areas, but most would start a combination.
12 If you were thinking about treatment of the mother, it
13 would be a standard of care regimen for the mother with the
14 only exclusion that I'm currently aware of being efavirenz.

15 DR. LIPSKY: I'm sorry, Scott. You
16 misunderstood. The first you know about it is the time of
17 delivery during labor.

18 DR. HAMMER: There is no standard of care for
19 that, and I think Dr. Wilfert outlined it as to what those
20 issues would be.

21 DR. LIPSKY: But maybe we can get a sense
22 around the table because one question comes up if you're
23 designing a trial, are you going to design a monotherapy
24 trial in the United States. Currently to prevent maternal
25 transfer, if I understand, the only approved package

1 insert, labeling is for monotherapy with AZT in the United
2 States. Is that correct?

3 DR. HAMMER: It is correct.

4 DR. LIPSKY: But who does that now? Because
5 that not may be a practical gold standard --

6 DR. HAMMER: You're mixing up two things.
7 There's the approved regimen which is the full 076 regimen.
8 If you're asking mothers who present at delivery, what the
9 standard of care is and what control arm you could use in
10 that delimited setting for maternal exposure and to prevent
11 child transmission, that's different than treatment of the
12 mother because then you can have a very delimited exposure
13 with even a single drug like nevirapine to the mother or
14 AZT and then think about what the treatment of the mother
15 should be thereafter. I think it's very complex and maybe
16 one more comment.

17 What you're getting at I think I agree with.
18 What control arms can you put together? But I think I
19 would not confuse that with the full 076 monotherapy
20 regimen because we're not talking about monotherapy
21 extended exposure to the mother.

22 DR. LIPSKY: No, and I was trying to state my
23 initial comment. What is the situation? Who are you
24 treating? Right now we're talking about the situation
25 where the first time you see the mom it's during labor,

1 | you're treating to prevent the child from getting therapy.
2 | What is the standard of care right now?

3 | DR. HAMMER: I think Drs. Wilfert and
4 | Handelsman are best able to answer this question here, and
5 | I think they've approached it already.

6 | DR. WILFERT: I need to repeat again that
7 | you're honing in on the weaknesses of the existing
8 | guidelines, and we need to have a consensus opinion about
9 | the way to approach that. It's in the works. We're going
10 | to try to do that. At the moment, the consensus opinion
11 | based on the guidelines would be that a minimum of
12 | zidovudine according to whatever part of the regimen you
13 | could administer would have to be given to the woman, and I
14 | think on the basis of the existing data, I've already said
15 | that I think we're not up to date with what the optimal
16 | recommendations would be and that would include an
17 | effective regimen.

18 | DR. HANDELSMAN: I guess I would just reiterate
19 | what Dr. Wilfert said. I think the guidelines say the 076
20 | regimen, AZT as early as possible, but I think we obviously
21 | have some new, recent data which has changed clinical
22 | practice.

23 | I think also a point that was made is that in
24 | labor at the time of delivery is not the optimal time for a
25 | mother to choose her antiretroviral regimen for herself,

1 and I think that decision would be and should be delayed.

2 DR. HAMMER: Dr. Masur and then we'll move on
3 to question 3.

4 DR. MASUR: One thing that wasn't clear in what
5 Dr. Birnkrant or the other regulatory officials said is in
6 order for a sponsor to get approved for a regimen, would it
7 have to be compared to the one regimen that's currently
8 approved or to placebo? What would the comparator have to
9 be?

10 DR. BIRNKRANT: I think the bottom line is we
11 have to be able to interpret the data, and then we're also
12 looking to a discussion here today to help us with that
13 situation, given that the trials that were presented this
14 morning for the most part used a variety of comparator
15 arms, the most consistent one, though, being placebo.

16 DR. MASUR: That's a somewhat different
17 standard than we use in other circumstances if we're simply
18 looking for interpretable data, but not putting it in the
19 context of other regimens?

20 DR. JOLSON: It's a little different, but it's
21 not that unusual. Remembering, let's say, the circumstance
22 of a woman presenting in labor, well, in reality there's no
23 antiretroviral that has an approval for that specific
24 niche. So, the ZDV regimen that we keep saying is the only
25 approved regimen, that's a regimen that starts earlier in

1 pregnancy. I think as has been pointed out --

2 DR. HAMMER: That's what I was trying to say
3 earlier.

4 DR. JOLSON: -- we don't know the efficacy of
5 the individual components. That's a hard question. So, in
6 your mind, if you're trying to wonder how you would
7 establish efficacy for a product for women presenting in
8 labor, there is no approved regimen for that.

9 The question comes to mind can you interpret
10 the results of the study, as Debbie was mentioning. If for
11 example it's a superiority design trial and you have a
12 superior result, well then, it's the credibility of that
13 finding and in terms of whether or not you can extrapolate
14 it to maybe the U.S. population. It's a little bit of a
15 problem then if it's an equivalence design. But again, we
16 would just have to make certain that based on historical
17 data, we could interpret the information.

18 DR. HAMMER: I want to move on to question 3,
19 but maybe I'll try to provide a summary of question 2 for
20 the agency. Question 1 was not really possible to
21 summarize. But you're really asking whether essentially
22 there is a situation in the United States of substance
23 where the full 076 regimen cannot be given, and I think the
24 consensus of this committee is certainly yes, and that
25 although the numbers may be argued, there are substantial

1 numbers of women who present for the first time in labor
2 infected and without previous treatment and are deserving
3 of prophylactic treatment for the infant.

4 As far as the issue of the optimal regimen, I
5 think the summaries by Drs. Wilfert and Handelsman should
6 stand as to what the minimum issues would be in
7 consideration of obstetricians and pediatricians now, but
8 that probably in practice that gets improved or added to by
9 individual practice, and that there is no standard, and
10 that the studies need to be done. But at least there's a
11 basis to move forward with current data from AZT and
12 specifically the nevirapine trial.

13 Does that summarize things? Okay.

14 Question 3. If studies of MTCT performed in
15 non-U.S. settings use comparator regimens that differ from
16 regimens commonly used in the U.S., then to what extent and
17 in what ways do you find the results of such studies
18 applicable to your clinical practice?

19 I think Dr. Masur should probably answer this
20 question.

21 (Laughter.)

22 DR. HAMMER: Because he just asked it. Not to
23 put you on the spot. Does anyone want to take this? Go
24 ahead.

25 DR. MASUR: I don't know. Perhaps I'm missing

1 something here, but it seems to me there are so many
2 situations in the United States where patients can't take
3 the regimens that are "standard," that as long as the data
4 is interpretable, then the results are applicable to the
5 unique patients that we see that either are drug exposed or
6 drug intolerant or unwilling or unable to take various
7 regimens. So, I think there's a lot of applicability even
8 if they're not standard regimens.

9 DR. HAMMER: Dr. Mathews.

10 DR. MATHEWS: I think the key feature is that
11 they directly apply to antiretroviral naive populations who
12 present for care at the similar stage as those that were
13 enrolled in those trials. Beyond that, I think it becomes
14 much more complex to make extrapolations.

15 DR. HAMMER: I would just comment. It's a
16 point I tried to make earlier. They are applicable and one
17 has to take those data. If these are adequate and well-
18 controlled trials and, for example, you see a substantial
19 reduction from a comparator arm, even if it's not a
20 "standard" 076 regimen, I think if all GCP and other issues
21 are in place, one has to take those data for what they are.

22 I think the difficulty becomes in extrapolating
23 it to use and practice in the United States, not in
24 believing the data, in part because the comparator arms are
25 again giving us transmission rates that we know are by

1 | history lower than would be without treatment but are
2 | higher than any standard regimen would be. So, what we
3 | don't know is taking those isolated regimens, they would
4 | not be immediately placed into care here except perhaps for
5 | the immediate intrapartum and immediate postpartum
6 | nevirapine-type regimen, but certainly any other regimen,
7 | if we're talking more broadly about maternal-fetal
8 | transmission interruption when there is treatment given
9 | prior to delivery, it's an extrapolation.

10 | I think we're going to be left with again
11 | cross-study comparisons and comparing A to B to B to C to C
12 | to D. That's not ideal, but I think what we're going to
13 | have to say is incrementally what is going on with these
14 | treatments. Are they better than the drug, and in what
15 | situations were they given? Antepartum and intrapartum or
16 | intrapartum and postpartum?

17 | I think one thing we've learned is that
18 | intrapartum alone doesn't work, that, however, two
19 | components are clearly necessary or helpful reducing
20 | maternal viral load and prophylaxing the baby. So, I think
21 | what we'll see are comparisons of, where we can, a more
22 | full 076-like length regimen, but mostly
23 | prepartum/intrapartum with a short postpartum or
24 | intrapartum for women who present at delivery and
25 | postpartum.

1 I think that it's hard to make a generalization
2 personally of what data to accept. The comparator arms
3 again in most developing countries are going to be not what
4 were used here, but if there are substantial and believable
5 reductions with drugs or regimens that have not been used
6 previously, those data need to be taken quite seriously.

7 Dr. Wilfert.

8 DR. WILFERT: I want to just tighten up
9 something you said. You said what we've learned is that
10 intrapartum only doesn't work. What we have learned is
11 that the one intrapartum only regimen that was tested which
12 was AZT/3TC administered intrapartum doesn't work. Those
13 drugs might not be optimal for that timing. So, rather
14 than casting out the concept -- it's absolutely correct.
15 It didn't work, but maybe it's not been fully tested.

16 DR. HAMMER: Thank you for the clarification.

17 Dr. Lipsky and then Dr. Fletcher.

18 DR. LIPSKY: Just one comment on using data
19 from another country. In this country when a clinical
20 study is done, certainly with support from the NIH and FDA,
21 there's always concerns of the makeup of the population. I
22 think those concerns at least should be taken into account
23 for foreign studies.

24 I don't know if you should use the word would
25 there be a double standard being held with the nature of

1 the study or not, but studies are being done in certain
2 place and there are certain populations that are used and
3 that's the nature of it. Sometimes in the United States
4 there are locations where there can be similar
5 considerations. But anyway, obviously the mix of the
6 population has to be taken into account. I think there was
7 an example here with sulfonamides or sulfamethoxazole where
8 there were differences. But anyway, I think one has to be
9 very clear what is required in the United States, what is
10 required elsewhere, and what is the generalizability of the
11 results.

12 DR. FLETCHER: Scott, I agree with your summary
13 that if a trial is well done, the results should be
14 believable, but it is the extrapolation of those results
15 where there are difficulties. I'm struck by the fact,
16 unless I've missed something, I don't see that any of these
17 trials have compared to the exact 076 regimen. So, we
18 don't know is oral AZT intrapartum as good as intravenous,
19 is 4 milligrams per kilogram twice daily for 1 week as good
20 as 2 four times daily for 6. How you then try to
21 communicate that information to the health care providers,
22 to the consumers about these differences in the regimen and
23 what we don't know in terms of the efficacy that they may
24 contribute to the regimen I believe is where we have a real
25 challenge ahead of us.

1 DR. HAMMER: Let me just illustrate the
2 difficulties here if you just consider the broad developing
3 world. We've seen transmission rates that are considered
4 successful in the 10 to 13 percent range in some of the
5 African studies. Well, as Dr. Mofenson showed, the Thai
6 study, the Mark Lollimon study that looked at 076 and three
7 other comparative regimens, the short-short arm was
8 prematurely discontinued because the transmission rate was
9 10 percent, which was considered good and the best result
10 in some of the African studies. So, it highlights I think
11 what you're saying.

12 I think we can't give a general answer to this
13 question because it's going to be the individual study, the
14 individual results, and then what specific patient
15 population could be targeted and that regimen adapted to in
16 the U.S. population.

17 In the studies we've seen this morning and are
18 likely to see in the immediate future, there's probably no
19 directly applicable regimen to a U.S. population except
20 perhaps for the nevirapine issue. However, I think still
21 many physicians would still not just use that alone, to be
22 honest. But I could be wrong about that because one still
23 sees a lot of variability in clinical practice.

24 One other thing to remember is that this issue
25 is not static internationally as antiretroviral therapy is

1 not static here. Once we have issues of short-course AZT
2 and nevirapine each individually looking good and
3 potentially somewhat affordable, if we want to start
4 studies to make that better, that means combinations or
5 additional drugs or newer drugs which, in order to show
6 efficacy, are going to have to be done internationally.
7 Then we're going to face ethical issues, important ethical
8 issues, about availability of those drugs in the target
9 populations in which they're studied internationally.
10 Those things should not be avoided. They should be taken
11 head on.

12 But the natural thing is to study these AZT or
13 two nucleosides with nevirapine or protease inhibitors,
14 although I think the point has been made how expensive they
15 are, but these international trials are going to go forward
16 to better and better comparator arms. At least they need
17 to. We can't just look at each single drug and be
18 satisfied with a 10 to 13 percent transmission rate.

19 There are realities in the developing world,
20 but I think the nature of clinical trials is going to push
21 this envelope forward and some of that may edge a little
22 bit closer to the standard of care in the United States,
23 but it still will be somewhat separate I think.

24 Dr. Fletcher.

25 DR. FLETCHER: Scott, if I could just follow up

1 on that. So, if you take the nevirapine results and then
2 extrapolate them to the United States where, as you said,
3 it may not be given alone, but given in the setting with
4 other antiretroviral drugs, are both the efficacy results
5 and the safety results going to be the same? And we don't
6 know. Certainly one potentially concerning issue still
7 remains, this possible drug interaction between nevirapine
8 and zidovudine with zidovudine concentrations being lower.
9 So, what happens then if you add nevirapine on top of an
10 AZT-containing regimen?

11 DR. HAMMER: Dr. Gulick.

12 DR. GULICK: Just to put a positive spin on
13 this same issue, it's somewhat of a relief to me that
14 across all these studies, at least there's very consistent
15 findings that antiretroviral therapy is certainly better
16 than placebo. Also, taking a global view, it almost looks
17 like -- of course, you don't like to compare across many
18 different studies -- but that there's a dose effect here,
19 that higher, persistent doses of the antiretrovirals lead
20 to lower rates of transmission, which also seems to be
21 somewhat reassuring.

22 The other thing that reassures me is that we do
23 have all kinds of international data here. There are so
24 many instances in HIV where we have no data at all. At
25 least we have something to look at and try to apply to our

1 | situation.

2 | DR. HAMMER: I'll try to quickly summarize this
3 | question about the comparator arms and applicability to the
4 | U.S. Although I think Dr. Fletcher raises important issues
5 | of interpretation, I don't think there's disagreement that
6 | those are interpretable if the studies are done well and
7 | that inferences will be made to incorporate those into
8 | practice in the United States. I think we've already
9 | probably seen some of that and will continue to see that.
10 | That's no different I think than any antiretroviral therapy
11 | and the extrapolations and implications that are made to
12 | quickly evolve standard of care and actually treatment of
13 | infected individuals.

14 | So, unless someone wants to disagree that some
15 | of the comparator arms we've seen should be disregarded, I
16 | think they shouldn't be disregarded. They need to be
17 | interpreted and it's a study-by-study, drug-by-drug,
18 | regimen-by-regimen, patient population-by-patient
19 | population interpretation. Disagreement?

20 | (No response.)

21 | DR. HAMMER: Okay, question 4. If studies of
22 | MTCT are conducted in areas where recommendations
23 | concerning breast feeding differ from those in the U.S.,
24 | then how does the practice of breast feeding affect the
25 | usability and interpretation of the data?

1 Dr. Wilfert.

2 DR. WILFERT: Well, I think, first of all, our
3 knowledge about perinatal transmission and our ability to
4 longitudinally assess infants helps us address this
5 question. All of the studies that have been done have a
6 residual amount of transmission which presumably occurred
7 intrauterine, for lack of a better term, a residual
8 infection rate of somewhere between 2 and 10 percent.

9 When you look at the comparison arms in the
10 breast feeding populations in the first month to 6 weeks of
11 life, there is substantially less difference between those
12 arms because the transmission by breast milk is occurring
13 across a longer spectrum of time. So, yes, there's breast
14 milk transmission in the first weeks of life, but if you
15 look at the first 6 months of life, as the study that Dr.
16 Mofenson quoted by Dr. Miotti, it's spread out over that
17 period of time where the breast feeding occurs. So, my
18 response to this is for the developed world looking at
19 those studies, when efficacy is demonstrated, maybe we
20 might underestimate efficacy, but we're not going to over-
21 estimate efficacy.

22 DR. HAMMER: Can I ask you a question? It's an
23 opportunity to be educated about a study that was published
24 recently in the Lancet that confused me about breast
25 feeding and non-breast feeding and the three arms of the

1 study, one -- or however it was interpreted that the women
2 who intermittently breast fed versus those that breast fed
3 all the time had a higher rate of transmission. Was that
4 something we should think about or is that an aberration?

5 DR. WILFERT: It's not an aberration. It's
6 something we should think about. This is the study from
7 South Africa where retrospectively with small numbers of
8 women who were enrolled in a vitamin A trial, the women
9 were separated by whether they exclusively breast fed,
10 meaning breast milk only, no water, tea, or anything else
11 by mouth, and a group of women who did breast feeding but
12 also supplemented with little bits of whatever else and a
13 group of women who formula fed. Now, remember, they
14 weren't randomized up front. This is going back and asking
15 the feeding history. The conclusion of the study was that
16 the women who exclusively breast fed had transmission rates
17 which were comparable to those of breast feeding implying
18 that the feeding of additional substances does something
19 bad, like create inflammation in the GI tract and enhance
20 transmission of virus.

21 I think that's a tantalizing suggestion that
22 needs to be substantiated by a good clinical trial because
23 there are clearly important regions in the world where
24 breast feeding is the norm. So, the confusion is that
25 exclusive breast feeding looked like formula feeding and we

1 need to learn quickly whether that's correct or not.

2 There are folks in the audience who may want to
3 comment about this.

4 DR. HAMMER: Other comments about the breast
5 feeding issue?

6 I think Dr. Wilfert summarized it nicely.
7 Actually one of the issues, even women coming and appearing
8 at labor are advised not to breast feed. It's a cleaner
9 population here in that regard, but in interpretation of
10 the studies, if anything, the differences are blurred, and
11 in a non-breast feeding population, results might be
12 expected to better than in a breast feeding population. Is
13 that what you were saying?

14 Question 5. I think what we'll do, since we're
15 moving, is go through all the questions here rather than
16 break in the middle. What duration of follow-up is needed
17 to adequately assess the safety of MTCT prevention
18 strategies? Do you have any suggestions regarding follow-
19 up approaches?

20 Since Dr. Lipsky suggested that we go through
21 the next two generations, I think I'll ask him whether he
22 has additional comments on this.

23 DR. LIPSKY: No. What's adequate and what's
24 practical and what's reasonable? The ideal situation, just
25 like the toxicology we heard presented, they take what

1 | happened in the F1 generation. So, what does that mean?
2 | You'd probably want to set up a registry and have someone a
3 | generation after us looking at that. That's the ideal.
4 | Whether that's practical, that's a different question.

5 | DR. HAMMER: It's a scary thought to think
6 | about this committee in the next generation.

7 | But, Dr. Wong?

8 | DR. WONG: I think this is an important point.
9 | As was pointed out this morning, almost all of these
10 | efficacy studies that are being done around the world
11 | cannot incorporate a long-term follow-up safety study. As
12 | we all know, there's been a lot of discussion over the past
13 | couple of years about the ethical burden that we have in
14 | the United States to ensure that people in other parts of
15 | the world are not exploited exclusively for our benefit in
16 | drug trials. This is an opportunity where the FDA I think
17 | can really play a role in ensuring that people all over the
18 | world have the benefit of the experience in the United
19 | States in that the long-term safety of these regimens in
20 | the children is really carefully followed. I would
21 | recommend that that really be a mandate on the companies,
22 | that they do more than is usual to follow safety of usage
23 | of these drugs in this country because it's not able to be
24 | done in many other countries.

25 | DR. HAMMER: Thank you.

1 Ms. Dennison?

2 MS. DENNISON: I think one of the challenges is
3 how to balance the mother's concerns for confidentiality
4 about her status and for her children with her concern for
5 having her children followed long term. You might want to
6 look at providing mothers, when they give birth, some kind
7 of envelope, file folder, something that helps them
8 organize the child's documents that includes information
9 about how the mother or whoever adopts the child when the
10 mother passes can keep connected to whatever those follow-
11 up studies are.

12 And the other is using the mass media and the
13 AIDS publications to do outreach. I do the only women's
14 AIDS newsletter that's published monthly in the country,
15 and in all this time, nobody has ever approached us about
16 doing outreach to women who might have been lost to follow-
17 up who have been in these studies, and we would happily do
18 it. So, there are mechanisms out there that haven't been
19 used.

20 DR. HAMMER: Dr. D'Agostino.

21 DR. D'AGOSTINO: Just to follow up on the last
22 two individuals. This is something that's bigger than just
23 a drug company. This is a public health problem. There
24 are surveillance projects that the government funds, CDC,
25 and what have you. I don't see why they wouldn't pick this

1 up and do things more than just the drug companies. You
2 could find out who was enrolled in studies. You could take
3 the confidentiality very much into account, but you could
4 move it into a different arena in terms of follow-up. I
5 certainly think that we should recommend more than just the
6 drug company.

7 DR. HAMMER: Dr. Jolson?

8 DR. JOLSON: I just wanted to follow up on Dr.
9 Wong's comment and just sort of clarify what FDA can
10 require and in what settings so that there's no confusion
11 about it. When a sponsor comes to us and makes it clear
12 that they are pursuing an indication, we can certainly make
13 it clear that we would require whatever amount of safety
14 follow-up a committee like this would think is appropriate.

15 On the other hand, if sponsors are doing
16 studies without necessarily an intention of registering the
17 product or licensing it for this indication and the studies
18 are being done outside of the United States, then the
19 agency doesn't really have any jurisdiction because we only
20 regulate studies that are done within the United States
21 unless we anticipate that they're going to be submitted in
22 a future efficacy supplement for an indication.

23 So, it may be that many of the studies that
24 we've discussed this morning -- FDA may or may not have
25 seen them before they were done, but FDA would have had

1 | limited authority to say, well, the length of follow-up
2 | isn't long enough or isn't intensive enough because they're
3 | being conducted outside of U.S. jurisdiction.

4 | DR. WONG: What I'm suggesting is something
5 | else, not that the length of follow-up of the clinical
6 | studies be assigned at a certain level of scrutiny, but
7 | rather that an active program of surveillance of people who
8 | received the drug during pregnancy be established and
9 | required of the sponsors because this particular safety
10 | issue is unlikely to have been addressed in the
11 | registration trials themselves.

12 | DR. JOLSON: You mean as a phase IV?

13 | DR. WONG: Yes.

14 | DR. JOLSON: Yes, and that would be the sort of
15 | thing that I'm certain we would routinely ask for for just
16 | that reason, but with some of the limitations that Sandy
17 | had mentioned earlier in terms of what is actually feasible
18 | to collect in that setting.

19 | DR. KWEDER: I would just add to that.
20 | Philosophically we're generally in agreement. I think the
21 | balance that we have to strike is that if we're indeed
22 | interested in having sponsors pursue these indications and
23 | study them carefully, we don't want to put them in a
24 | position of giving them a disincentive to do that and
25 | forcing all use to be off label, which would create

1 probably more confusion and more difficulty. That's what
2 sponsors tell us are some of the big challenges for these
3 sorts of things, and we see them in this population, as
4 well as in pediatrics.

5 DR. HAMMER: Dr. Handelsman and then Dr. Diaz.

6 DR. HANDELSMAN: As a pediatrician who takes
7 part in several longitudinal follow-up studies, I can say
8 that the antiviral registries created by the companies are
9 very difficult in that the obstetricians have part of the
10 data, the pediatricians have another part of the data, and
11 there's really no incentive, aside from wanting to do good,
12 for the patients or the providers to be really aggressive
13 in maintaining that data. That's only over a couple of
14 years. If we're trying to extrapolate this over 20 years,
15 that's simply not going to happen.

16 On the other hand, longitudinal follow-up
17 studies such as the PACTG 219, such as the WITS study,
18 which do provided government funded staffing, is a lot
19 more. There's incentive for the patients to come back.
20 They get data. They get results. There's incentive for
21 the providers because they get staffing to do the studies.
22 So, I think those are much more effective than the
23 antiviral registries.

24 DR. HAMMER: Dr. Diaz.

25 DR. DIAZ: I agree, as you pointed out,

1 Rebecca, that we need some innovative strategies to try and
2 follow prospectively women and, in particular, their
3 children. As Dr. Wilfert pointed out earlier today, most
4 of the children that are receiving these regimens are not
5 going to be infected in the long run, and these are going
6 to be children that are going to be much more difficult to
7 follow over time, especially as they pass perhaps to
8 adoptive parents and other situations. In particular, I do
9 feel the onus is upon us in this country to provide some of
10 that safety data, long-term safety data, because we have
11 children that are being exposed to a large number of
12 antiretroviral drugs and many more combinations than are
13 being exposed to overseas.

14 So, although I don't know what those innovative
15 strategies may be, certainly funding surveillance projects
16 and other things like that are important, and yet doing
17 that kind of retrospectively looking back is going to be
18 very, very difficult I think in terms of being able to find
19 these children at a later point in time. It needs to be
20 done kind of prospectively and done in some mechanism where
21 there are some incentives for children who are uninfected
22 to remain in some kind of long-term follow-up.

23 DR. HAMMER: Can I ask Ms. Dennison a question?
24 The longitudinal studies or certainly prospective ones are
25 the best, and there are challenges to that. But a question

1 | arises in my mind is it might be reasonable to do a cross-
2 | sectional study. There are now plenty of women who have
3 | been on treatment and who had children years ago and over
4 | the last several years with antiretroviral therapy on board
5 | who haven't been followed prospectively but might be
6 | willing -- and correct me if I'm wrong -- to actually have
7 | their children looked at in a one-time cross-sectional
8 | fashion for developmental milestones. It might give us
9 | some important data about years out from antiretroviral
10 | exposure in that regard. What do you think is the
11 | feasibility of something like that to at least develop a
12 | cross-sectional data set to actually put some hypotheses
13 | together?

14 | MS. DENNISON: Well, I'm not a researcher so I
15 | can't talk about how valid that data would be or how it
16 | would be used. But there are an awful lot of women that I
17 | think would be very enthusiastic and actually reassured to
18 | be asked to bring their children in to be monitored, partly
19 | because they're worried. A lot of them never have ever
20 | heard of anybody else who took whatever combination of
21 | medications it was that they took. So, to think that the
22 | risk that they took was not in vain or not just limited to
23 | that family but that that might help somebody else, that's
24 | a sentiment I hear expressed all the time, or the hope that
25 | maybe if they participate, somebody else might participate

1 and come up with something that could help their child.

2 One of the challenges that I see and that I
3 experience personally is that in our enthusiasm to reassure
4 women or to encourage them to do things that would reduce
5 perinatal transmission, we tend to be silent or minimize
6 how little we know about the long-term effects of these
7 medications. After the NCI study came out, I know there
8 were guidelines that said that women should be told about
9 the NCI studies, which haven't even been mentioned here
10 this morning, and then told that the known benefits of
11 taking the AZT outweighed the unknown risks of taking AZT.

12 But I can tell you in the real world, I have
13 not hardly ever met a positive pregnant woman who has ever
14 heard that information at all and even feeling
15 responsibility to share that with her, I understand why
16 women aren't told that because they are already scared.
17 They're already terrified. You don't want to make people
18 more afraid at a time when you want to really be supporting
19 them to do positive things for their health. But it makes
20 it difficult to do follow-up later on if you haven't kind
21 of let somebody know that this is necessary.

22 I'm aware of it. I'm aware on a daily basis
23 that I could die of AIDS not knowing if my kids are really
24 in the clear. We think when our children have a negative
25 antibody test, that everything is now okay forever, and

1 | there are very few of us I think that actually realize that
2 | the impact of these medications wouldn't necessarily be
3 | immediate, that it could be a long ways down the road.
4 | Most people think if your kid was born with 10 toes and
5 | does okay in preschool and doesn't have HIV, that it's
6 | over. Your worries are over. Everything is fine and you
7 | just try and live a normal life as long as HIV lets you.

8 | DR. HAMMER: Dr. Wilfert?

9 | DR. WILFERT: I can give one example, and maybe
10 | somebody is here from the health department in New Jersey.

11 | I believe that the HIV surveillance system
12 | where the seropositive infants are reported, kept
13 | confidentially, but it's named reporting, was linked to the
14 | tumor registry and the congenital anomalies registry, but
15 | linked at one point in time by one person so that the
16 | confidentiality of the database -- if that one person
17 | violated it, it would be over. But the confidentiality of
18 | the state database and the linkage to the other registry
19 | occurred and the assessment was made about relatively
20 | short-term follow-up, but 5, 6 years' worth of what could
21 | you find in the population that was being reported, as
22 | mandated by law.

23 | I think mechanisms like that to both ensure
24 | confidentiality and to link to existing death registries,
25 | tumor registries, congenital anomaly registries would be

1 one of the few mechanisms by which you could pick out the
2 very infrequent occurrences. And, Tom, I'm about to point
3 my finger at you because you did some calculations about
4 sample sizes that would be necessary to detect toxic
5 effects in populations, and if you're interested, Tom could
6 tell you. But it's literally thousands of infant pairs,
7 just to know what the obstacle is when you start up front
8 to try and capture events that you want to know about.

9 DR. HAMMER: It would be important information
10 on the record if Tom would like to. If you would just
11 announce yourself for the transcriptionist. It's Tom
12 Quinton. Tom --

13 DR. FLEMING: Tom Fleming.

14 DR. HAMMER: Tom Fleming. I'm sorry. Am I
15 embarrassed.

16 DR. FLEMING: University of Washington,
17 biostatistics.

18 Well, there's so much to be said. As Cathy
19 Wilfert has indicated, we've had days and days of meetings
20 on this very issue. My own experiences have been on the
21 FDA vaccine advisory committee for CBER over the last 10
22 years where we have confronted this concept of safety
23 assessment for vaccines. My own philosophy on this is a
24 combination of approaches are necessary. We have to do
25 careful follow-up of randomized trials, as well as active

1 and passive surveillance systems, and in the vaccine world,
2 we use VAERS as our passive surveillance system.

3 As Cathy points out, it takes thousands. What
4 we're looking at, of course, are many different levels of
5 safety concerns, and if we're looking at safety concerns on
6 the order of 1 in 1,000 or even 1 in 100, we're looking at
7 sample sizes of 2,000 to 20,000. Those are conceivably
8 doable in clinical trials. When we're looking at
9 mitochondrial dysfunction, as we're looking at right now in
10 the perinatal transmission area, that's 200,000. So,
11 you're clearly in an active and passive surveillance
12 approach.

13 The problems with active and passive
14 surveillance systems, though, have been pointed out by our
15 colleagues in the FDA earlier and that is that you've got
16 non-randomized settings, you've got a lot of missing data,
17 you've got selectivity. That's why it kind of leads us
18 back into the importance of getting maximal follow-up in
19 the randomized clinical trial setting where you're able to
20 get more complete assessments. But then again, because a
21 lot of safety concerns are latent or rare, you really have
22 to have the combination with the active and passive
23 surveillance.

24 So, I think that's kind of a long answer, but
25 it's actually in a sense an inadequate answer to something

1 that we could spend days talking about. We really need a
2 combination, though, of active and passive surveillance
3 systems, together with careful follow-up in randomized
4 trials where we're not just doing an ACTG 219 following
5 076.

6 I served on the data safety monitoring board
7 that ended 076, but we really didn't end it. When we made
8 the recommendation that there should be no more
9 randomization in that trial, we urged that there be
10 complete follow-up of all participants long term because
11 there was a lot we didn't know about safety. That was what
12 we said on the DSMB the day we made the recommendation to
13 stop the continued randomization.

14 We can't just roll people over, though, at
15 voluntary will to go on to another trial. We really need
16 to have informed consent at the time the randomized trial
17 is initiated so that we don't have the selectivity because
18 we're looking at rare events as well as common events, and
19 in a prevention setting, if you allow for the bias of
20 letting people choose to be on a cohort for follow-up or
21 following people that are readily followable, we have a
22 great chance of missing the signal.

23 DR. HAMMER: Thank you very much.

24 Dr. D'Agostino.

25 DR. D'AGOSTINO: I just wanted to reinforce

1 | what was just said. If you pick a strategy like a cross-
2 | sectional, I think you have tremendous potential for biases
3 | coming up as was just mentioned. So, again, if we do make
4 | recommendations, I think the notion of following from the
5 | randomized trials, setting up also groups of individuals
6 | that we can follow who we know what they actually got is
7 | very, very important. I would strongly suggest that we
8 | don't just come up with a strategy that we think might have
9 | a big pay off and sort of simple to do, but these multiple
10 | strategies.

11 | DR. HAMMER: I think that's the point. I
12 | wasn't suggesting a cross-sectional study as the only
13 | study. It's a way to capture children who otherwise are
14 | not seen at all and there's no data.

15 | Just to summarize this question, as far as
16 | follow-up, the simple answer is as long as possible. But I
17 | think what has been brought up is that studies done in the
18 | developing world are truly difficult to have any kind of
19 | long-term follow-up. Those should be maximized as much as
20 | possible, recognizing the limitations. Any study done in
21 | the United States, I think there should be a strong effort
22 | to have long-term follow-up.

23 | The other points that I think were mentioned,
24 | though, was I think Dr. Fleming's point about active and
25 | passive follow-up for large numbers is important, but I

1 think we also heard from Dr. Handelsman that we need to
2 make the registries easier. I think working with the
3 pharmaceutical firms and the CDC to make the registry
4 database more user friendly is probably one of the most
5 important things to do here because although we may have
6 800 names in the registry, it's actually remarkably low to
7 me and it should be a lot easier to do that.

8 Then I think the pros and cons of a cross-
9 sectional analysis have been brought up but maybe could add
10 to this at least to try to find some things out that we may
11 be completely unaware of and may not want to wait 5 years
12 for the prospective data to show us.

13 The next question is please discuss study
14 design approaches that would provide useful information
15 about prevention of MTCT in your community's clinical
16 practice setting.

17 Dr. D'Agostino.

18 DR. D'AGOSTINO: We could go back to some of
19 what we're doing with 1. If we have existing agents that
20 we now want to talk about variations of, the ideal is
21 always going to be the randomized, controlled clinical
22 trials. Then if that turns out, one could say, well, we'd
23 like to get the practice moving in the States and we'd like
24 to learn from these non-USA studies by varying the present
25 practice and present labeling type of claims that are in

1 the States already, how do you go about doing it?

2 Well, at least the randomized, controlled
3 clinical trials should be considered, but these other types
4 of large, simple trials where you still might be able to
5 put a random component and assignment of treatment should
6 be looked at. I think that as you start moving further
7 away from that and you're getting into sort of an
8 epidemiological type follow-up, you run into some
9 tremendous bias problems. We should ask ourselves would we
10 as a committee or consultants to a committee recommend that
11 labeling changes should, in fact, be approved on these sort
12 of follow-ups that don't really have a random component to
13 it. I think they run into a lot of problems.

14 So, I'm back to the randomized, controlled
15 clinical trial, keeping it probably as simple as possible,
16 and then asking what does the committee feel about non-
17 randomized follow-up on these individuals. But I think
18 some sort of very clear research setting is needed as
19 opposed to just hoping for medical records that are going
20 to give us the information.

21 DR. HAMMER: Dr. Wilfert.

22 DR. WILFERT: I think there has to be an
23 attempt to utilize the information which is accruing
24 because I do think that there will be very many more women
25 receiving therapies than are enrolled in clinical trials.

1 The for instance that I would use is one that I'm familiar
2 with, but I do not wish to say that it's the best or the
3 only.

4 We have data for the entire State of North
5 Carolina almost as an accident because all the testing of
6 the babies is done in a single laboratory. So, we also
7 have the denominator of the now defunct mother
8 seroprevalence study where all newborns were tested
9 anonymously, so the number of infected women delivering
10 babies was known up until 1995. I believe that those data
11 have been extraordinarily useful in documenting the
12 efficacy of whatever regimen the woman was on. Because
13 that information is provided to us when the test is done on
14 the baby, we know what the regimen is. And because there
15 are some really collaborative investigators, we have access
16 to the information from the charts on an ongoing basis.

17 It seems like a reasonable model because we are
18 meticulously trying to collect the information
19 prospectively and not just sitting back to wait for the
20 reported incidence of AIDS to document that it has in fact
21 decreased in the babies.

22 So, I think there are some other probably even
23 better mechanisms, but we can capture these data.

24 DR. HAMMER: Let me ask you a question. Do you
25 think it's an important study design question or study

1 question to get away from the mythology of AZT as
2 necessarily part of a regimen? Do you think we have enough
3 data on AZT intolerant women who have taken other regimens
4 as far as delivery? Because one of the things we're
5 strapped with is the excellent results of 076 that get, in
6 an applique fashion, put on every other regimen that we, at
7 least in this country, have to design because of those
8 results. And it may be something magical about AZT, but it
9 may just be that it's an antiretroviral agent.

10 DR. WILFERT: I don't think we have our hands
11 on it, but I think there might be larger numbers than any
12 of us are aware of.

13 Another mechanism by which it is being gathered
14 and I alluded to is the Pediatric Spectrum of Disease
15 project where at least initially the mothers' regimens and
16 the babies' regimens are now captured so that you can look
17 longitudinally at a large number of exposed infants in the
18 United States. If you ask the question of that study that
19 now has a database between 3,000 and 4,000 infected babies,
20 how many women didn't get zidovudine but got other things,
21 I don't know what the numbers would be, but you could at
22 least ask the question and see what came out of that very
23 study.

24 DR. HAMMER: Dr. Pomerantz.

25 DR. POMERANTZ: Just as a corollary to that,

1 | one of the questions that I'm asked a few times, mainly
2 | because our laboratories have some interest in residual
3 | disease, is what do you do with a patient -- and it goes
4 | along with what you're saying in a little different light
5 | -- who is on HAART therapy, who has undetectable virus for
6 | some amount of time, is pregnant now, and is not on AZT or
7 | nevirapine. Are you going to change this thing that has
8 | obviously worked virologically because there may be
9 | something magical, or are you willing to let her ride
10 | through this, knowing that those that have no detectable
11 | virus, although you still have some transmission, they're
12 | pretty rare?

13 | DR. HAMMER: My response is it depends on the
14 | obstetrician that you're working with, and that's one
15 | circumstance where not having that expertise hurts the
16 | committee because I think that there are many
17 | obstetricians, even in that setting, that would want AZT as
18 | part of the regimen, either added or substituted. But you
19 | raise an important scientific question. We don't have the
20 | answer to it.

21 | DR. WILFERT: I don't have the answer, but I
22 | suspect that many people would not change the woman's
23 | regimen if she is suppressed to undetectable. They might
24 | consider whether, in the absence of other information, they
25 | were going to be sure they got intravenous zidovudine when

1 she goes into labor.

2 DR. LIPSKY: But there's a situation right
3 there. Then she should get intravenous zidovudine when she
4 goes into labor. As we just pointed out, that's not the
5 indication. It's part of a three-part regimen.

6 DR. HAMMER: Dr. Handelsman.

7 DR. HANDELSMAN: I think as you said, it varies
8 from obstetrician to obstetrician, and I think a particular
9 issue is when a lot of the obstetricians will add
10 zidovudine to that regimen. Then we run into the problem
11 when someone is on stavudine in which zidovudine is
12 contraindicated. Again, I don't think there is an answer
13 to that right now.

14 I think one of the other populations that we
15 need to really look at and try to design trials that will
16 benefit are the women who are on HAART or had been on HAART
17 and who now have a high viral load. What do we do around
18 the time of delivery or prior to the time of delivery to
19 try to lessen the viral load to try to prevent
20 transmission? Those are studies which I think my
21 population really needs to have done.

22 DR. HAMMER: Dr. D'Agostino.

23 DR. D'AGOSTINO: I think in this discussion
24 with the question that we're looking at right now, the
25 premise I think is that it's not good to just do off label,

1 that the approaches to study design should try to
2 understand what's going on and should try to in fact move
3 from off label to actual label claims where we can
4 naturally state regimens that we have proof that work.

5 DR. HAMMER: Dr. Hamilton.

6 DR. HAMILTON: It seems to me that implicit in
7 this question is that the study designs would be relevant
8 to the community we're considering at the moment, which is
9 United States populations. I would posit that to imagine
10 -- and though this was discussed earlier this morning and
11 by several others along the way -- I would think that
12 accomplishing the design of a study that would reduce
13 perinatal transmission from arguably 8 percent to
14 significantly less than that in a country abroad would be
15 challenging at best. It seems to me that really I would
16 like to think that we, the committee and the FDA at large
17 and the government, would see a major priority arising in
18 the form of studies relevant to those countries in which
19 we're proposing to do these studies.

20 I was very impressed with the figures that Dr.
21 Wiktor told us in terms of the frequency with which
22 individuals who were asked to participate in studies simply
23 were lost to follow-up, declined, were unwilling to. These
24 are people from whom a huge, huge price has been exacted
25 and will continue to be exacted.

1 I think it also has implications for the
2 feasibility of doing studies when they see children who are
3 not involved in studies dying right and left. I think
4 there are some huge social, economic problems to overcome.
5 I'd grant you that that's not the mandate for this
6 committee, but I think it's terribly important to keep that
7 in mind.

8 DR. HAMMER: Ms. Dennison?

9 MS. DENNISON: In the work that I do, I see two
10 fairly different populations of people in terms of
11 treatment. One is people who are taking treatment for
12 their own medical care and one of the concerns that comes
13 up -- and I don't know how this fits into study design --
14 is just how difficult it becomes for people to maintain
15 their treatment after they go home with a new baby and
16 they're not getting any sleep and everything is for the
17 baby, the baby, the baby. The other is that group of women
18 who aren't on any medications right now and don't want to
19 be. For their own health, they're not at a place yet that
20 they want to start HAART therapy.

21 I would think that you would be looking at very
22 different interventions based on whether someone was taking
23 treatment for themselves or not wanting to. If you're not
24 on therapy before you bring home a baby, I don't think
25 that's the time that you want to go home starting some new

1 regimen. You want to figure out what can you take to
2 reduce the risk of transmission to your baby that's also
3 going to have the least likelihood of damaging your options
4 in the future.

5 DR. HAMMER: Dr. Mathews.

6 DR. MATHEWS: In our practice in San Diego, the
7 thing that has most of us worried is the increasing drug
8 exposure. Just to put some numbers out for you, in the
9 last 6 months, 400 new entrants into our clinic. 14
10 percent of them had already had exposure to all three drug
11 classes, and there was no difference by gender. So, that
12 creates a population of people that international trials
13 are not going to be able to address, as I said before.

14 It's difficult to conceive of how a label could
15 adequately respond to the issues of prior drug exposure and
16 what the implications are. The scenario you were talking
17 about earlier was the person on HAART who has an
18 undetectable viral load, but what about the person who has
19 been exposed to 10 drugs who has a detectable viral load
20 and has been exposed to all three drug classes? What do
21 you do in that setting?

22 DR. HAMMER: Dr. Handelsman and Ms. Dennison,
23 whichever.

24 MS. DENNISON: One of the things I'm seeing a
25 lot is women who are on HAART with undetectable viral load,

1 | but because they're pregnant and their partner is also
2 | infected, they've been having unsafe sex throughout the
3 | pregnancy. And he has actually been non-adherent and he's
4 | got viral load through the roof.

5 | DR. HANDELSMAN: Again, following up, I think
6 | there are some ways in which perhaps the studies done
7 | outside the U.S. can be applicable. I don't know again how
8 | ethical, how well they fit in with the populations in which
9 | they're being done, but one of the things that I would be
10 | curious to find out is in the short-course regimen, in the
11 | prenatal regimens, if they're started at the onset of labor
12 | or if they're started a week before labor or 3 weeks before
13 | labor, at what time do you see a maximal decrease, a nadir
14 | in the viral load? That might play an effect in even the
15 | heavily experienced populations. I think those studies
16 | might be helpful.

17 | DR. HAMMER: So, I think with regard to number
18 | 6, the study design applicable to the U.S. setting, we've
19 | been given some suggestions here. Certainly the issue of
20 | women who are heavily drug experienced and undetectable are
21 | experiencing virologic failure. In the former, one can do
22 | intensification like studies, and in the latter, it's an
23 | issue of comparative trials perhaps with new agents but
24 | fairly standard designs.

25 | Clearly we brought up before that there's a

1 substantial population of women who haven't seen treatment
2 and appear at the time of delivery. That's something that
3 can be done as part of an international trial.

4 The 316 trial again, which I don't know if it's
5 considered intensification in this fashion, but adding to
6 existing therapy or placebo a drug that has a long half-
7 life in the baby is an interesting design. Those results
8 are going to be pretty important.

9 So, I think there have been some suggestions
10 for a design, but again I think for the United States, it's
11 the issue of what our n is as far as outcomes are concerned
12 and how big the study would need to be to really study it.
13 But there are substantial populations that will provide,
14 over probably the course of 3 or 5 years, studies that
15 would be important.

16 The last question is what are other types of
17 information should be obtained from trials for the
18 prevention of MTCT. So, this is a general question for
19 what else we should learn.

20 Dr. D'Agostino.

21 DR. D'AGOSTINO: I have to throw in a comment
22 about the last question. I'm sorry to hold up.

23 Trials don't have to be superiority trials.
24 You don't have to design a trial to beat some existing
25 regimen. You could design a trial to say it's as good as

1 | some other regimen that exists. Now, those require large
2 | samples, but then you start making the inferences, as you
3 | were talking, to the foreign studies. I think it should be
4 | made clear that it's clear that we're not always thinking
5 | that the new regimen is only good if it beats out something
6 | else. It could be just as good as an existing regimen.

7 | I'll let somebody else answer the last
8 | question.

9 | DR. HAMMER: Dr. Gulick.

10 | DR. GULICK: Two things that I think we haven't
11 | spoken a lot about this morning that would be interesting.
12 | One is on assessment of adherence on these different
13 | regimens. Clearly people are leaning one way or another
14 | given how complex they are. Usually complexity impacts
15 | directly on adherence.

16 | The other that we really haven't touched on at
17 | all this morning is cost. In weighing regimen A versus B
18 | in this country, we often don't consider costs, although
19 | maybe other people do. Certainly in the rest of the world,
20 | cost is critical. It would be interesting to see a cost
21 | effectiveness analysis of the new nevirapine regimen, for
22 | example, which in the paper they quote is \$4 for the
23 | regimen. That would be helpful I think certainly to the
24 | rest of the world with reduced resources to aim resources
25 | towards prevention.

1 DR. HAMMER: I would say to come up with other
2 agents and regimens that we've discussed before in other
3 circumstances and other types of information are clearly
4 important or some of the viral and immunologic questions
5 that go on here. This was touched on earlier, but
6 certainly in the international side, this subtype issue is
7 important. It does certainly relate to at least one class
8 of drugs, and potentially that's the NNRTIs with group O
9 being an outlier group and a small group but still
10 resistant to some of the NNRTIs. So, I think it's not an
11 all or nothing issue with the subtypes and drug
12 susceptibility perhaps, although it's largely an envelope
13 derived characterization. But that's important.

14 We talked about viral load. We talked about
15 the issues of resistance, and there are the clear-cut
16 primary resistance mutations, but there's a lot of interest
17 now in what the polymorphisms are that relate to both RT
18 and protease that may not give phenotypic resistance but
19 may be important. I think that has come up in some of the
20 epidemiologic studies of primary resistance to NNRTIs and
21 has given some confusing data, giving some higher rates of
22 resistance in naive subjects that are probably related to
23 polymorphisms. It may be interesting to actually see,
24 particularly as NNRTIs become more common in maternal-fetal
25 interruption, whether they have any impact on outcome.

1 So, there are a number of virologic
2 characteristics and some of the more fine tuned virologic
3 characteristics such as relative fitness, replicative
4 capacity, et cetera, and then also viral load in general
5 secretions, local immunity. There are a lot of sub-
6 studies, if you will, that can be put into these larger
7 trials, particularly if they're done internationally, which
8 will give very interesting comparative information in
9 different populations that I know are being thought about.
10 At least as far as this forum, we've talked about the
11 larger clinical result issues, but it's the underlying
12 pathogenesis and viral susceptibility to antiretroviral
13 agents on the immune system that ultimately determine the
14 outcome.

15 Roger?

16 DR. POMERANTZ: Yes, let me just underline that
17 because a couple of weeks ago there were two papers in JAMA
18 that I was asked to write an editorial on. One of them was
19 from Aaron Diamond and one of them was from San Diego.
20 What was interesting is it looked at primary resistance
21 characteristics. As Scott said, even these two
22 laboratories could not agree on what is resistance
23 phenotypically.

24 Before you take it to the next level, which is
25 studying it with perinatal transmission, I think you have

1 | to be very careful. There are clearly cases that are very
2 | easy to tell that this is high level resistance, both
3 | genotypically and phenotypically, but those were only even
4 | in these studies about 2 or 3 percent. If you look at most
5 | of what we call resistance, they are difficult to diagnose
6 | because the definitions vary from laboratory to laboratory.

7 | So, if you're going to look at this -- and I
8 | think you should because I believe it's going to increase -
9 | - you have to be careful that you know what you're going to
10 | look at. At this point, I might keep it at high level
11 | multi-drug resistance because, as Scott was saying, the
12 | polymorphisms or the genotypic negative, phenotypic low
13 | level is very difficult to interpret what that means
14 | because there's no clinical correlates. I think it's
15 | important to look at, but I'd be careful that you keep the
16 | definitions straight.

17 | DR. HAMMER: Dr. Wilfert.

18 | DR. WILFERT: I think some of what I'm going to
19 | say is implied in what we've talked about before, but I
20 | want to be sure, and that is that there are trials asking
21 | questions about non-antiretroviral interventions which
22 | might be low cost and helpful, particularly in the
23 | developing world. Those ought to continue to be addressed
24 | appropriately.

25 | Secondly, on the immediate horizon probably are

1 | attempts to define whether or not an antiretroviral
2 | regimen, a very simple one, could further diminish
3 | transmission by breast feeding. That's an important, I
4 | think, follow-up. We don't know if a postpartum only
5 | intervention works. I think we should figure out how to
6 | ask that question in a way which is ethical and
7 | scientifically sound.

8 | Finally -- and I'm sure that Dr. Sullivan and
9 | others will point this out -- but the durability of the
10 | nevirapine intervention is not immediately explainable to
11 | me on the basis of drug persisting. It suggests to me --
12 | and John can shoot my balloon down -- that something
13 | interesting is happening with regard to the infant who is
14 | protected under cover of exposure to drug. At least the
15 | question ought to be asked, how come this effect is so
16 | long? It appears to be out to 3 months. And we need to
17 | understand that because maybe it will help us with the
18 | other interventions.

19 | DR. HAMMER: Thank you.

20 | Ms. Dennison.

21 | MS. DENNISON: We need to know what motivates
22 | or prevents women from getting prenatal care in the first
23 | place.

24 | DR. HAMMER: And I would just reiterate we need
25 | to look at viral resistance emergence in the neonates who

1 do, unfortunately, become infected after exposure.

2 Any other comments?

3 (No response.)

4 DR. HAMMER: Before we move to what I think
5 will be a very brief open public session, are there other
6 questions, Dr. Jolson, or clarifications that you need from
7 the seven points we've tried to discuss?

8 DR. JOLSON: No.

9 DR. HAMMER: Okay, if not, we will now enter
10 the open public session. There were no individuals who had
11 signed up before, but if there's anyone from the audience
12 who would like to make a public statement, please come
13 forward and identify yourself.

14 (No response.)

15 DR. HAMMER: Seeing none, I will declare this
16 session over.

17 Thank you all very much. I would ask the
18 committee members to reconvene in closed session at 2:15.
19 That is not open to the public. Thank you.

20 (Whereupon, at 1:10 p.m., the committee was
21 recessed, to reconvene in closed session at 2:15 p.m., this
22 same day.)

23

24

25