

U.S. FOOD AND DRUG ADMINISTRATION

+ + + + +

OBSTETRICS AND GYNECOLOGY DEVICES PANEL
OF THE
MEDICAL DEVICES ADVISORY COMMITTEE

+ + + + +

SEVENTY-SECOND MEETING

+ + + + +

TUESDAY
AUGUST 29, 2006

+ + + + +

The Panel convened at 8:00 a.m. in the Montgomery Ballroom of the Hilton Washington D.C. North, 620 Perry Parkway, Gaithersburg, Maryland, Marcelle Cedars, M.D., Acting Panel Chair, presiding.

PRESENT:

MARCELLE CEDARS, M.D.	Acting Panel Chair
DONALD BERRY, Ph.D.	Temporary Voting Member
ELISABETH GEORGE	Industry Representative
LEONARD GLASSMAN, M.D.	Temporary Voting Member
SCOT GOLDBERG, D.O., M.B.	Temporary Voting Member
PAULA HILLARD, M.D.	Member
YULEI JIANG, Ph.D.	Temporary Voting Member
MUSA MAYER	Patient Representative
HUGH MILLER, M.D.	Member
JOANNE MORTIMER, M.D., FACP	Temporary Voting Member
DIANA ROMERO, Ph.D.	Consumer Representative
RUSSELL SNYDER, M.D.	Temporary Voting Member
SHEILA TAUBE, Ph.D.	Temporary Voting Member
JONATHAN WEEKS, M.D.	Member
MICHAEL T. BAILEY, Ph.D.	Executive Secretary
NANCY BROGDON	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

FDA PRESENTERS:

ROSELIE BRIGHT, Sc.D.
Office of Surveillance and Biometrics

KISH CHAKRABARTI, Ph.D.
Office of Device Evaluation

NICHOLAS PETRICK, Ph.D.
Office of Science and Engineering Laboratories

ROBERT PHILLIPS, Ph.D.
Chief, Radiological Devices Branch

COLIN POLLARD
Chief, OB/GYN Devices Branch

LAKSHMI VISHNUVAJJALA, Ph.D.
Office of Surveillance and Biometrics

RON YUSTEIN, M.D.
Office of Device Evaluation

SPONSOR PRESENTERS:

VIVIAN DICKERSON, M.D., FACOG
University of California, Irvine

RON GINOR, M.D.
President & CEO, Mirabel Medical Systems, Inc.

SARAH LENINGTON, Ph.D.
Director of Clinical Development
Mirabel Medical systems, Inc.

A. THOMAS STAVROS, M.D., FACR
Radiology Imaging Associates
Denver, Colorado

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

Sponsor Presenters (continued):

LTC ALEXANDER STOJADINOVIC, M.D.
Vice Chairman, Department of Surgery
Walter Reed Army Medical Center

JOEL I. VERTER, Ph.D.
Statistics Collaborative, Inc.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

I-N-D-E-X

	<u>PAGE</u>
Call to Order	5
Introductory Remarks Colin Pollard, Chief, OB/GYN Devices Branch	14
Open public hearing I	17
Sponsor presentation	40
FDA presentation	150
Panel Discussion	236
Open public hearing II	322
Panel deliberations and vote	347
Adjourn	372

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P-R-O-C-E-E-D-I-N-G-S

Time: 8:06 a.m.

CHAIRMAN CEDARS: I would like to call this meeting of the Obstetrics and Gynecology Devices Panel to order.

My name is Marcelle Cedars. I am the Chairman of the Obstetrics and Gynecology Devices Panel. I am a reproductive endocrinologist and on the faculty at UCSF Medical Center.

If you have not already done so, please sign the attendance sheets at the doors, and I note for the record that the voting members present constitute a quorum, as required by 21 CFR Part 14.

I would like to ask each of the Panel members to now introduce themselves, their affiliation and their areas of expertise, and also to let you know the mikes we are using today -- If you push it to turn on and then push it again to turn off, and if you could remember, please, to turn it off when you finish speaking, because there can only be four on at a time.

Thank you. Why don't we start with Dr. Hillard --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Nancy, I'm sorry.

2 MS. BROGDON: Good morning. I am Nancy
3 Brogdon. I am not a member of the panel. I am the
4 Director of FDA's Division of Reproductive, Abdominal
5 and Radiological Devices.

6 DR. ROMERO: Good morning. I'm Dr. Diana
7 Romero, Assistant Professor of Population and Family
8 Health at Columbia University, Mailman School of
9 Public Health. My field of expertise is in
10 reproductive and sexual health, and particularly among
11 low income and other marginalized populations, and I'm
12 on this panel as the Consumer Representative.

13 MS. MAYER: I'm Musa Mayer. I am the
14 patient representative invited to be on this panel
15 today. I am a breast cancer advocate and 17-year
16 breast cancer survivor, and I have been working with
17 the FDA as a Patient Rep for quite a few years, mostly
18 on the Oncologic Drugs Advisory Committee.

19 DR. HILLARD: My name is Paula Hillard. I
20 am Professor of Obstetrics and Gynecology and
21 Pediatrics at the University of Cincinnati where I do
22 pediatric and adolescent gynecology.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAUBE: I'm Sheila Taube. I am the
2 Associate Director of the Cancer Diagnosis Program at
3 the National Cancer Institute and, as such, I oversee
4 a program to develop new diagnostics for cancer.

5 DR. SNYDER: Russell Snyder. I am the
6 Division head of Gynecology at the University of Texas
7 Medical Branch in Galveston. I am a general OB/GYN
8 with special training in gynecologic pathology.

9 DR. MILLER: I'm Hugh Miller. I am a
10 maternal fetal medicine specialist in Tucson, Arizona.
11 I don't have any specific breast credentials, but I
12 am interested in the subject.

13 DR. JIANG: I am Yulei Jiang. I am
14 Associate Professor at University of Chicago,
15 Department of Radiology. My primarily role there is
16 to develop computer diagnoses. I'm not a clinician.
17 I am a researcher.

18 DR. GLASSMAN: I am Leonard Glassman. I
19 am a diagnostic radiologist in private practice in
20 Washington, D.C. I also run the breast imaging
21 teaching program for the Department of Radiologic
22 Pathology at the Armed Forces Institute of Pathology.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. BAILEY: I'm Mike Bailey. I work for
2 the FDA. I am the Executive Secretary of this Panel.

3 DR. BERRY: Donald Berry, Chairman of
4 Biostatistics at MD Anderson Cancer Center,
5 specializing in breast cancer and screening.

6 DR. WEEKS: I'm Jonathan Weeks. I am a
7 maternal fetal medicine specialist in Louisville,
8 Kentucky, with Norton Healthcare.

9 DR. MORTIMER: Joanne Mortimer. I'm the
10 Deputy Director of the Morris UCSD Cancer Center. My
11 area of interest is breast cancer.

12 DR. GOLDBERG: Dr. Scot Goldberg. I am a
13 diagnostic radiologist in private practice in Newark,
14 Delaware, at the Women's Imaging Center, doing
15 primarily breast imaging and OB/GYN.

16 MS. GEORGE: And I'm Elisabeth George. I
17 am here as the Industry Rep, and I am a Vice President
18 of Quality and Regulatory for Phillips Medical
19 Systems.

20 CHAIRMAN CEDARS: Thank you. I would like
21 to ask Heidi Valetkevitch if she would stand, please.
22 This is the FDA press contact, and her contact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information is on the table out front, because she
2 won't be able to stay for the entire day. Thank you.

3 I would like to remind people to turn off
4 their cellphones, pagers, blackberries, any kind of
5 electrical devices that may sound during the
6 procedure, and then I would like to pass this on to
7 our Executive Secretary.

8 DR. BAILEY: First, we would like to start
9 off by telling everybody that we only have two
10 additional dates for 2006 for Panel meetings,
11 tentative dates. Those are November 13th and 14th.

12 I will now read into the record the
13 Deputization of Temporary Voting Members statement and
14 the Conflict of Interest Statement. Today we have two
15 temporary voting statements. I will read the first
16 one.

17 Pursuant to the authority granted under
18 the Medical Devices Advisory Committee charter dated
19 October 27, 1990, and amended April 20, 1995, I
20 appoint the following as voting members of the
21 Obstetrics and Gynecology Devices panel for the
22 duration of this meeting on August 29, 2006: Russell

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Snyder, Sheila Taube, Donald Berry, Yulei Jiang,
2 Leonard Glassman, and Scot Goldberg.

3 For the record, these people are Special
4 Government Employees and are consultants to this Panel
5 or another panel under the Medical Devices Advisory
6 Committee. They have undergone the customary conflict
7 of interest review and have reviewed the materials to
8 be considered at this meeting.

9 This was signed by Dan Schultz, Director,
10 Center for Devices and Radiological Health, on
11 7/25/2006.

12 The second temporary voting status as
13 follows: Pursuant to the authority granted under the
14 Medical Devices Advisory Committee charter of the
15 Center for Devices and Radiological Health dated
16 October 27, 1990, and amended August 18, 1999, I
17 appoint Dr. Joanne Mortimer to serve as a voting
18 member of the Obstetrics and Gynecology Devices Panel
19 for the August 29, 2006, meeting.

20 For the record, Dr. Mortimer is a member
21 of the Oncologic Drugs Advisory Committee of the
22 Center for Drug Evaluation and Research. She is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Special Government Employee who has undergone the
2 customary Conflict of Interest review and has reviewed
3 the materials to be considered at this meeting.

4 This was signed by Dr. Randall Lutter,
5 Associate Commissioner for Policy and Planning, and
6 was signed on July 27, 2006.

7 I will now read FDA's Conflict of Interest
8 Disclosure statement:

9 The Food and Drug Administration is
10 convening today's meeting of the Obstetrics and
11 Gynecology Devices Panel of the Medical Devices
12 Advisory Committee under the authority of the Federal
13 Advisory Committee Act of 1972.

14 With the exception of Industry
15 Representative, all members and consultants of the
16 Panel are Special Government Employees or regular
17 Federal employees from other agencies, and are subject
18 to the Federal conflict of interest laws and
19 regulation.

20 The following information on the status of
21 this Panel's compliance with Federal ethics and
22 conflict of interest laws covered by, but not limited

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to, those found in 18 USC 208 are being provided to
2 participants in today's meeting and to the public.

3 FDA has determined that members and
4 consultants of this panel are in compliance with
5 Federal ethics and conflict of interest laws under 18
6 USC 208. Congress has authorized FDA to grant waivers
7 to Special Government Employees who have financial
8 conflicts when it is determined that the agency's need
9 for a particular individual's services outweighs his
10 or her potential financial or conflict of interest.

11 Members and consultants of this Panel who
12 are Special Government Employees at today's meeting
13 have been screened for potential financial conflicts
14 of interest of their own, as well as those imputed to
15 them, including those of their employer, spouse or
16 minor child, related to the discussion at today's
17 meeting.

18 These interests may include investments,
19 consulting, expert witness testimony, contracts,
20 grants, teaching, speaking, writing, patents,
21 royalties and primary employment.

22 Today's agenda involves a review of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 premarket approval application for a noninvasive
2 device for use a complement to clinical breast
3 examination in asymptomatic women between the ages of
4 30 to 39. This is a particular matters meeting during
5 which specific matters related to the PMA will be
6 discussed.

7 Based on the agenda for today's meeting
8 and all financial interests reported by Panel members
9 and consultants, no conflict of interest waivers have
10 been issued in connection with this meeting.

11 A copy of this statement will be available
12 for review at the registration table during this
13 meeting, and will be included as part of the official
14 transcript.

15 Ms. Elisabeth George is serving as the
16 Industry Representative, acting on behalf of all
17 related industry, and is employed by Phillips Medical
18 Systems.

19 We would like to remind members and
20 consultants that, if the discussions involve any other
21 products or firms not already on the agenda for which
22 an FDA participant has a personal or imputed financial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interest, the participants need to exclude themselves
2 from such involvement, and their exclusions will be
3 noted for the record.

4 FDA encourages all other participants to
5 advise the Panel of any financial relationships that
6 they may have with any firms at issue. Thank you.

7 CHAIRMAN CEDARS: Transcripts of today's
8 meeting will be available from Neal Gross & Company.
9 Information on purchasing videos of today's meeting
10 can be found on the table outside of the room.

11 Presenters to the Panel who have not
12 already done so, should provide FDA with a hard copy
13 of their remarks, including overheads. Karen Oliver -
14 - if you could stand, please -- will collect these
15 from you at the podium.

16 Next, I would like to invite Mr. Colin
17 Pollard, Chief of the OB/GYN Devices Branch, to make
18 some introductory remarks.

19 MR. POLLARD: Thank you, Dr. Cedars.
20 Welcome, members of the Panel, distinguished audience.

21 I first would like to just quickly update
22 you from our last Panel meeting on July -- Our Panel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meeting was in March. On July 28, FDA approved the
2 PMA for the Adept 4% icodextrin adhesion reduction
3 solution. As you know, that device is indicated for
4 use intraperitoneally as an adjunct to good surgical
5 technique for the reduction of post-surgical adhesions
6 in patients undergoing GYN laparoscopic adhesiolysis.

7 Turning to the agenda, we have convened
8 you here today to deliberate on a premarket approval
9 application, P050003, for the T-Scan 2000 ED from
10 Mirabel.

11 As Dr. Bailey just mentioned, and as you
12 will hear repeatedly today, the T-Scan 2000 ED is
13 intended to be used as a complement to clinical breast
14 exam for the detection of breast cancer in women ages
15 30 to 39 who are at average risk for breast cancer.
16 That is women without a significant family history
17 whose clinical breast exam is normal.

18 The T-Scan 2000 ED is intended to assist
19 physicians in determining which women might be at
20 higher risk for malignancy who should, therefore,
21 undergo further imaging or diagnostic screening.

22 Our review of this PMA has been led by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Radiologic Devices Panel -- I mean Radiologic Devices
2 Branch here in the Division of Radiological, Abdominal
3 and Reproductive Devices. We did it this way for a
4 couple of reasons.

5 One, the Radiological Devices Branch
6 reviewed Mirabel's first generation device, and the
7 underlying technology for the T-Scan 2000 ED, the
8 device before you today, is based on the earlier
9 device, although it is important to note that that was
10 an imaging system, and the indication was different.

11 Number two, in addition, the Radiological
12 Devices Branch has historically and traditionally been
13 the home branch for all diagnostic devices for breast
14 cancer, regardless of specific technology, as well as
15 for many breast therapeutic devices; and the Branch
16 has extensive experience in the science of electrical
17 impedance, and the Division believed that the
18 scientific expertise residing in that Branch was
19 appropriate for the review of this product. However,
20 FDA also recognized that the T-Scan 2000 ED is
21 intended to be used by gynecologists and other primary
22 care physicians, not radiologists.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Therefore, for us to complete the review
2 of this PMA, we believe it is more appropriate to seek
3 the clinical expertise of physicians such as
4 yourselves here at the Panel, although you can also
5 see that we beefed up the expertise.

6 So although you will see folks from the
7 OB/GYN Devices Branch here today, including myself and
8 Dr. Bailey, the Panel Exec. Sec., today's FDA
9 presentations will be given by my Divisional
10 colleagues from the Radiological Devices Branch.

11 So with that, I would like to close, but
12 again thank you for your willingness to review this
13 PMA and participate in today's Panel meeting. Thank
14 you.

15 CHAIRMAN CEDARS: Thank you. We would now
16 like to proceed with the open public hearing portion
17 of the meeting. Prior to the meeting, we have
18 received three requests to speak in the first open
19 public hearing session.

20 Prior to hearing from these speakers, I
21 will read the open public hearing statement:

22 Both the Food and Drug Administration and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the public believe in a transparent process for
2 information gathering and decision making. To ensure
3 such transparency at the open public hearing session
4 of the Advisory Committee meeting, FDA believes it is
5 important to understand the context of an individual's
6 presentation.

7 For this reason, the FDA encourages you,
8 the open public hearing speaker, at the beginning of
9 your written or oral statement, to advise the
10 committee of any financial relationship that you have
11 with the sponsor, its products and, if known, its
12 direct competitors.

13 For example, this financial information
14 may include the sponsor's payment of your travel,
15 lodging or other expenses in connection with your
16 attendance at the meeting.

17 Likewise, the FDA encourages you at the
18 beginning of your statement to advise the committee if
19 you do not have any such financial relationship. If
20 you choose not to address this issue of financial
21 relationships at the beginning of your statement, it
22 will not preclude you from speaking.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Our first speaker is Dr. Ronald Wapner.
2 Dr. Wapner, if you will please come forward to the
3 microphone.

4 DR. WAPNER: Good morning. I would like
5 to first thank the Panel for the opportunity to speak
6 this morning. I am Ron Wapner from Columbia
7 University, and I have no financial interest in any
8 companies involved in this area.

9 I am not an expert in breast disease nor
10 am I an expert in devices like this. I have spent the
11 last 20 years or so of my career involved in
12 screening, and predominantly screening for genetic
13 disorders. I would like this morning to talk a little
14 bit about the paradigm of the screening approach, and
15 then a little bit about some of the lessons we have
16 learned over the last two decades that, I think, are
17 adaptable to the discussion that we will be having
18 today.

19 I have brought a few slides. So I would
20 like to call your attention to this over here, and
21 just point out the fact that the purpose of a
22 screening device is to take from an entire population

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of individuals, all of which have their own individual
2 risk, and be able to identify by some criteria a small
3 subpopulation that has a higher risk.

4 With that ability to segregate out the
5 highest risk patients, we can then move them forward
6 to additional diagnostic testing or to additional
7 evaluation. Again, there is a significant difference
8 between a screening test, which is only intended to
9 modify risk, and a diagnostic test which is supposed
10 to diagnose a disease. Today we will be talking about
11 a screening test.

12 Screening tests are usually, if not
13 always, used on entirely healthy patients. Because
14 they are meant to screen entire populations, they
15 should be relatively inexpensive, easy to use,
16 reliable, and then most importantly, the purpose is to
17 identify a high risk group who then can be considered
18 for further evaluation and testing.

19 The criteria for applying a screening test
20 to any particular disease includes a number of things.

21 First of all, it should be a relatively frequent
22 disease, and also one that has a significant or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 potentially fatal outcome, if left untreated.

2 It doesn't make any sense to screen unless
3 there is some beneficial intervention that can be
4 given to individuals who are identified to have the
5 disorder. There needs to be reasonable sensitivity
6 and specificity of the screening test so that
7 screening would be able to identify an appropriately
8 sized cohort.

9 There needs to be prompt testing and
10 immediate follow-up available. The benefits need to
11 outweigh both the risks and the costs, and finally, it
12 needs to not only be voluntary, but also there needs
13 to be patient education involved.

14 From my evaluation, the T-Scan which you
15 will be talking about today fits each of these
16 criteria and makes sense to be used as a screening
17 test in low risk populations for breast cancer.

18 The second thing is: What have we learned
19 over the past 20 years about screening an OB/GYN
20 population? I just want to spend a few minutes,
21 because I think some of those lessons are
22 appropriately applicable to what we are talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 today.

2 The history of prenatal screening for
3 genetic disease is not a short one. It has been not a
4 simple, one-day occurrence. It has been a long
5 evolution, since 1975. That is when screening started
6 and, as you can see, along the way it has been
7 improved by the addition of multiple other additive
8 screening technologies.

9 The first attempt at screening for genetic
10 disorders came with the understanding that older women
11 had an increased risk of having children with
12 chromosomal abnormalities.

13 So the first screening paradigm was to
14 take a cohort of women over the age of 35, but even at
15 the time that this screening was recommended in 1979,
16 it was recognized that any particular cutoff for when
17 you move to secondary testing is relatively arbitrary,
18 that there is no significant biologic difference
19 between one population and another, and when you use
20 which type of screening really depends on a number of
21 logistical concerns rather than any biological
22 difference.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Well, although maternal age has stood us
2 for almost now three decades as a screening technique,
3 it was not a very good one. Maternal age as a
4 screening for chromosomal abnormalities has a
5 sensitivity -- that is, a detection rate -- of only 30
6 percent for a five percent false positive rate. So
7 despite the fact there was only a 30 percent detection
8 rate, it really held us as the main standard, and
9 remains the standard today.

10 Now along the way, we realized that there
11 were additional screening modalities that could be
12 added. We added alpha fetoprotein. We then went to
13 triple screen with additional hormones and to quad
14 screen.

15 You can see illustrated here that no one
16 screening modality made a giant leap, and it is the
17 actual addition of subtle and somewhat smaller changes
18 that have now allowed us to be able to identify 30
19 percent of population having genetically abnormal
20 pregnancies to now 75 or now more recent testing
21 almost 95 percent. But again, this evolution has
22 taken over 25 or 30 years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The other thing that occurred, and I think
2 is most important, whenever you are thinking about
3 screening tests, we are looking for diseases with a
4 relatively low prevalence, and it is important to
5 point out that with any disorder of a low presence,
6 even a fantastic test will have a very low positive
7 predictive value.

8 That means that any individual patient put
9 into that high risk group will on their own risk be
10 relatively more likely to be normal than abnormal.
11 Why is this important? Well, it's important --

12 CHAIRMAN CEDARS: We need you to wrap up,
13 please.

14 DR. WAPNER: Yes, I will. This is
15 important, because we had to switch our whole entire
16 paradigm, and it became necessary for us to teach our
17 physicians and our patients that a positive screen
18 didn't mean they had the disease. It just meant they
19 were in a high risk group. But we have accomplished
20 that now, and patients and OB/GYN physicians are very,
21 very used to counseling patients with screening tests.

22 I have two more very quick points, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I'll be done.

2 Most recently, we moved to first trimester
3 screening, and that had two additional points that
4 need to be made. First of all, in the first trimester
5 screening relies on two biochemical analytes, and one
6 of the mainstays is free beta HCG. I just want to
7 point out that the detection for a very important part
8 of this screening paradigm for a five percent false
9 positive rate is only 23 percent. So again, screening
10 tests can and are very valuable even at relatively low
11 sensitivity levels.

12 Finally, the addition of first trimester
13 screening also requires the addition of an ultrasound
14 measurement. We have learned now that fetuses at risk
15 for Down syndrome have increased fluid in the back of
16 their neck.

17 What this has now done has moved screening
18 from an ultrasound level into the doctor's offices.
19 Doctors are now doing their own ultrasounds. They
20 have been trained, and they have been educated so that
21 it demonstrates the ability to move a screening test
22 into the physician's office.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Conclusion: What have we learned from
2 aneuploid screening that is adaptable to our
3 discussion today?

4 First of all, individual risk assessment
5 or screening is a very routine part of OB/GYN care and
6 is able to be offered to patients, and patients and
7 doctors understand screening parameters and understand
8 the detection rates, etcetera.

9 Finally, we have demonstrated that
10 physicians are very able to integrate many of these
11 new screening paradigms into their practices,
12 including those that involve new techniques.

13 Again, thank you very much for the
14 opportunity to speak to you.

15 CHAIRMAN CEDARS: Are there any quick
16 questions from the Panel, clarification questions? If
17 not, thank you, Dr. Wapner.

18 I would now like to call Dr. Mark Akin to
19 the stand.

20 DR. AKIN: Good morning. Thank you for
21 allowing me to speak today.

22 I am Dr. Mark Akin, and a special hello to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those of you I have known from Texas.

2 I am here today voluntarily to discuss my
3 clinical experience with the T-Scan ED 2000 system and
4 why I believe it is useful, improved breast cancer
5 screening for 30 to 39-year-old women.

6 I am not being compensated for my time
7 today, and I have no financial interest with Mirabel.

8 I am an OB/GYN physician in Texas. I have
9 been in private practice for 23 years. My group is
10 known as Austin Area OB/GYN. We have seven OB/GYN
11 physicians and nine nurse practitioners. We are AIUM
12 and ACR certified and perform sonograms and screening
13 mammograms, fine needle aspiration and bone density.
14 We see 350 patients a day in my group.

15 I am also trained with a Master's degree
16 in biomedical engineering. The T-Scan ED system uses
17 electrical impedance to screen for breast cancer, and
18 so it was only natural for me to have an interest in
19 this research.

20 I am also the Director of Clinical
21 Research for Austin Area OB/GYN, and I have been the
22 principal investigator for dozens of FDA drug and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 device studies. I am the principal investigator who
2 had the largest number of patients in the multi-center
3 trial for the specificity arm of the pivotal study for
4 the T-Scan.

5 Let me digress for a minute and talk a
6 little bit about 30 to 39-year-old women. Thirty-
7 seven percent of the patients that I see in my
8 practice are in this age range. Most of them have a
9 friend or a relative or a co-worker who has had breast
10 cancer at an early age. They are concerned about
11 their breast health.

12 They falsely assume that their annual
13 clinical breast exam will allow for a timely diagnosis
14 of breast cancer. They are not given other screening
15 options, as there is no other screening method for
16 breast cancer recommended until age 40.

17 Unfortunately, women under age 40, the
18 clinical breast exam only detects less than 10 percent
19 of breast cancers that are less than two centimeters
20 in size. In fact, the clinical breast exam is so
21 ineffective that over 70 percent of women find their
22 own breast cancer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The consequence of not having adequate
2 breast cancer screening for women in this age range
3 results in advanced stage of disease when it is
4 finally diagnosed, and results in higher morbidity and
5 mortality rates. Several thousand women per year in
6 this age range undergo this.

7 Although only 15 percent of all breast
8 cancers occur in this age group, these cancers account
9 for 40 percent of all years of life lost due to breast
10 cancer. Keep in mind that these patients are at a
11 stage of their life where they frequently have
12 productive jobs, are raising children, and have a
13 significant contribution to society. Clearly, there
14 is a need for improved breast cancer screening in this
15 age group.

16 In my clinical study of the T-Scan system,
17 303 of my private patients were asked to voluntarily
18 participate in the pivotal study at the time of their
19 annual checkup. To demonstrate the concern women have
20 for breast cancer, almost all women agreed to
21 participate.

22 The T-Scan procedure was easily added to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their exam, as it only takes five to 10 minutes. The
2 device is user friendly and has a computer screen that
3 instructs the operator what to do. Only minimal
4 training is required to perform the procedure.

5 Patient who had a relative who has breast
6 cancer or patients with an abnormal clinical breast
7 exam were automatically excluded from the study. In
8 the T-Scan procedure a sensor probe is painlessly
9 placed against the breast, similar to an ultrasound
10 exam. The device captures nine images of electrical
11 impedance from each breast that are analyzed by the
12 computer.

13 The technical area is greatly reduced by
14 an algorithm that will not allow images to be recorded
15 if there is inadequate contact of the sensor to the
16 breast or if air bubbles are present.

17 At the conclusion of the procedure, the
18 computer gives instantaneous results. There is no
19 interpretation required with this procedure. You
20 either get a positive or a negative result. These
21 results are printed on a report. It provides good
22 documentation for the chart or pertinent patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 history and findings, and includes a Gail Model breast
2 assessment.

3 Patients with positive results were
4 educated to the screening nature of this test. They
5 understood that with a positive result they still had
6 more than a 99 percent chance of having normal
7 breasts. As discussed previously by Dr. Wapner, most
8 patients have come to understand screening tests,
9 particularly those who have had a previous pregnancy,
10 and in my clinical study none of the patients with a
11 positive result expressed undue anxiety over the
12 result, if they received a positive test.

13 Although the specificity arm of the study
14 was designed only to determine the false positive rate
15 of the screening procedure, patients with positive
16 results were offered further screening options.

17 In short, the T-Scan breast cancer
18 screening exam can be easily integrated into the
19 annual exam and does not require additional
20 appointments or follow-up phone calls or
21 consultations. As with other breast cancer screening
22 devices such as sonography and mammography, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 procedure can be performed by a trained technician or
2 a nurse practitioner. The equipment is portable and
3 affordable for private practitioners.

4 In my experience, there was a high patient
5 acceptance, even in the face of positive findings.
6 Subsequent speakers will address the statistical
7 merits of the pivotal study in great detail today, and
8 at the end of the day I hope you will agree with me
9 that the T-Scan ED 2000 system should be recommended
10 for approval, because it offers a significant
11 improvement in the current paradigm for screening of
12 young women to determine those at higher risk for
13 breast cancer. Thank you.

14 CHAIRMAN CEDARS: Thank you. Are there
15 any questions from the Panel?

16 DR. GLASSMAN: One question. In your
17 clinical practice, if you have a women between 30 and
18 39 who did not -- was not part of this study but had a
19 first degree relative with a history of breast cancer,
20 did you screen those patients in some way other than
21 clinical breast exam?

22 DR. AKIN: Yes, sir. Most of those, at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 age 35 we would begin screening with mammography or,
2 if the breast cancer of the first degree relative
3 occurred at a very early age, we would start screening
4 10 years before that time.

5 MS. MAYER: Dr. Akin, I think I heard you
6 say that patients in this target population represent
7 15 percent of cases? Is that correct?

8 DR. AKIN: Of breast cancers, yes, ma'am.

9 MS. MAYER: The American Cancer Society
10 breast cancer facts and figures document for 2005,
11 which is based on SEER data, states that that figure
12 is 4.5 percent.

13 DR. AKIN: I would have to check and see
14 where my reference came from for that.

15 CHAIRMAN CEDARS: One additional question.

16 DR. ROMERO: Hi. You mentioned that the
17 women for whom a positive result was reported did not
18 express any undue anxiety. Can you describe how that
19 was measured?

20 DR. AKIN: By my personal observation of
21 my patients. With all of these procedures, when I
22 explain the procedure to them, I told them in advance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the purpose of the procedure and that even a positive
2 screen would not put them at a risk that they should
3 be concerned about.

4 Those who had a positive test, obviously,
5 were concerned, but I think they understood clearly
6 that they still had a greater than 99 percent chance
7 of having a normal follow-up exam.

8 DR. ROMERO: But just so I understand,
9 there wasn't an objective measure or instrument used
10 for that?

11 DR. AKIN: Well, I don't think one truly
12 exists other than observation.

13 CHAIRMAN CEDARS: Thank you.

14 DR. AKIN: Thank you.

15 CHAIRMAN CEDARS: The next speaker is Ms.
16 Cindy Pearson.

17 MS. PEARSON: I am the Executive Director
18 of the National Women's Health Network, which is a
19 Washington, D.C.-based national, independent women's
20 health consumer group. We are supported by the dues
21 of our members, as well as foundation grants. We
22 receive no support from industry or any corporate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 entity that has a stake in women's health care.

2 We participate and advocate for good care
3 for women with breast cancer, and for all women, and
4 have done so for over 30 years. During this time, we
5 have concentrated on breast cancer because of its
6 status as the leading killer of middle-aged women and
7 because of women's concerns.

8 We have taken part in NCI meetings about
9 early screening for breast cancer and FDA OB/GYN
10 devices Advisory Panel meetings about the sensor pad,
11 and tried to advocate and speak out on the issue in
12 many arenas.

13 We were not contacted by the sponsor in
14 advance of this meeting, and I prepared the remarks I
15 am making today based on the documents that were
16 publicly available on FDA's website yesterday.

17 We agree that breast cancer in young women
18 is important, whether the numbers are five percent of
19 cases or whatever the numbers are of cases. Women
20 deserve screening that works for them at all ages.
21 The Pap smear isn't a very good screen. This panel
22 has been part of conversations about making that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 screen better, but it is there.

2 Screening without pain, and screening
3 without intrinsic risk is also important to women. So
4 although breast cancer in women under age 39 is rare,
5 it is not nonexistent and, as Dr. Akin said, women are
6 very aware of the risk of breast cancer and eagerly
7 await and ask for and hope for safe and effective
8 screening.

9 Today the FDA has been asked to approve an
10 expanded indication for this device based on what, in
11 the world of breast cancer screening, is a pretty
12 small sample. I know there are hundreds of women,
13 both in this country and Israel, who took part in the
14 two arms of the study, but it is, in the world of
15 cancer screening, a pretty small sample that also
16 involves a lot of statistical modeling to determine
17 the sensitivity and specificity of the device.

18 There seem to be pretty significant
19 questions about those levels of sensitivity and
20 specificity, and this is where I want to speak from my
21 training as a consumer advocate, not my training as a
22 statistician, which I don't have.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I just want to say that to consumers,
2 including women of this age group concerned about
3 their risk of cancer, specificity and sensitivity are
4 crucial, because they determine the likelihood that an
5 individual woman will benefit.

6 Now we started out the morning listening
7 to someone talking about how low -- fairly low numbers
8 can be -- still be a useful test for women. But I
9 want to talk about what does it mean to have a false
10 positive. What does it mean to have a false positive
11 in mammogram screening? What does it mean to have a
12 false positive with the T-Scan screen?

13 Dr. Akin said to his observation women
14 aren't too worried, because he has already told them
15 you have a 99 percent chance of this being okay. But
16 women don't go from his reassurances or the
17 reassurance of their gynecologist to a quick and easy
18 resolution. They go through more screening. They go
19 through -- Some of them would go through diagnostic
20 tests, and the numbers of those women who have to
21 follow that path to get the ultimate resolution are
22 crucially important.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The data so far aren't very reassuring.
2 It appears as if many, many, many women will receive a
3 false positive and, arguably, few, if any, will
4 benefit.

5 Now the ease of use of the device, I
6 think, is important to talk about, because this is
7 what women have been agitating for. We want something
8 simple, easy. We don't want to have to go to a
9 special place for our screening. It's great if it can
10 be done in the doctor's office. It's great if it
11 doesn't hurt. It's great if there is a fast response.

12 All those are wonderful assets and aspects
13 of this technology. However, those same aspects make
14 it all the more important that before it is approved
15 with this new indication the data supporting it are
16 excellent, because its ease of use will lead it to
17 what it has been designed for, widespread use and
18 routine use.

19 So this time period before the indication
20 -- the expanded indication -- is approved is the
21 crucial time period to get the data that are needed.

22 I saw that the sponsor is involved in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prospective clinical trial in the United States
2 involving women in the military. That looks really
3 promising. That might be the best avenue for
4 information that can be truly useful to women about
5 the likelihood that average women who volunteer for
6 this will, in the end, benefit.

7 To the company, I would like to say thank
8 you. I know what you have heard me say sounds pretty
9 negative, but consumer groups and women concerned
10 about breast cancer do appreciate efforts made to make
11 advances in screening, to push the age at which
12 screening is effective back earlier, and to create
13 screening that is not painful and doesn't have its
14 own, albeit it small, risks involved in it. But to
15 the committee, I would say that it is important for
16 you to always remember that need alone is not enough
17 to approve a device.

18 This device is needed. There does need to
19 be an answer for screening for women under age 40.
20 Effectiveness has to be demonstrated, and to the
21 extent that a device is intended for widespread use
22 for millions of women, the demonstration of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 effectiveness really needs to be solid; and poor or
2 ineffective screening is worse than no screening at
3 all.

4 Thank you for the chance to share my
5 perspective with you.

6 CHAIRMAN CEDARS: Thank you. Are there
7 any questions from the Panel? If not, thank you very
8 much. Is there anyone else in the audience who would
9 be interested in speaking at this open public hearing?

10 If not, then I would like to move on to the sponsor's
11 presentation.

12 I would like to remind public observers at
13 the meeting that, while this meeting is open for
14 public observation, public attendees may not
15 participate except at the specific request of the
16 Panel.

17 The first speaker for Mirabel Medical is
18 Ron Ginor.

19 DR. GINOR: Hello, everyone. I would like
20 to take a moment while the computer is being set up to
21 thank all of you for being here. I think today is
22 going to be a day of very interesting debate. Some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that debate, I'm sure, will be more favorable; some
2 will be less favorable. Frankly, we are honored by
3 all of it.

4 We understand that what we are doing is
5 very important. We have spent a tremendous amount of
6 time and resources trying to offer a reasonable sense
7 of safety and efficacy. We feel we have done so. We
8 feel the experts that are involved are convinced we
9 have done so.

10 We understand and recognize that our job
11 is to show that to you today, and we look forward to
12 the opportunity of doing that.

13 By training, most of my work has been in
14 the field of radiation oncology, which is what drew me
15 to a science that involves physics and cancer in this
16 kind of manner, and I look forward to discussing it
17 with you and answering any of the questions that you
18 might have in this regard.

19 Relatively quickly, I would like to tell
20 you about who will be speaking today. After myself,
21 Lieutenant Colonel Alexander Stojadinovic will be
22 followed -- from the Walter Reed Army Medical Center,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the principal investigator for the entire pivotal
2 study, will be followed by Joel Verter who will
3 address some of the statistical questions which were
4 mentioned a few moments ago, and then a number of
5 members who were asked as an independent expert panel
6 to review our data in preparation for this meeting
7 will also speak. That will include Vivian Dickerson
8 with the gynecological perspective, Dr. Tom Stavros
9 from the reality perspective, and that will be
10 followed with me and some closing remarks.

11 I don't think there's many people on this
12 panel who don't know as much about the risks of breast
13 cancer as I do, if not quite a bit more. But the fact
14 is, it is the number one killer of women between the
15 ages of 50 and 54 when cancer deaths are concerned.

16 According to the NCI, the cumulative
17 chance for breast cancer by age 40 is one in 229 women
18 and, as was said, that is not a tremendous amount, but
19 it is also a very significant number in absolute terms
20 when looked at in comparison to the number of cervical
21 cancers we have in America each year or the number of
22 Down syndrome babies that are born each year. Those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 numbers are about 10,000 and 5,000. We are talking
2 about roughly 12,000 breast cancers in women under 40
3 each year.

4 As you know, women without known risk
5 factors under the age of 40 are not currently offered
6 anything but clinical breast exam. Unfortunately, as
7 you probably also know, more than 85 percent of the
8 women who develop breast cancer did not have a
9 telltale sign of risk which could have been used to
10 offer them additional screening, and that is exactly
11 the folks that we would like to help.

12 We believe that breast cancer screening in
13 younger women is a need that needs to be addressed.
14 We believe that we have relied on clinical breast exam
15 for too long. We understand that new technologies
16 trying to address this market will ultimately have to
17 undertake study after study after study.

18 I believe that this study gives as much
19 reassurance of safety and efficacy as is needed to get
20 us to that next step.

21 In getting to where we are today, we
22 carried out two studies and are in the process of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 carrying out a third.

2 The first was a pilot study to take the
3 known understanding of electrical impedance and its
4 ability to detect cancer and essentially turn it on
5 its head. What I mean by that is that initially
6 electrical impedance was very interesting, because it
7 was physiologically able to identify regions of the
8 breast, regions of any tissue really, that are
9 abnormal and specifically malignant.

10 The concept was that it was a very high
11 sensitivity tool. We tried and, I believe, succeeded
12 algorithmically to reverse it such that the
13 specificity is now very, very high but offers a
14 sensitivity which is consistent with the sensitivity
15 that we rely upon as clinicians in other screening
16 tools.

17 We carried a multi-center pivotal trial at
18 30 centers, including nearly 3,000 patients, which
19 will be the majority of what we discuss today; and we
20 are in the process, as was recently mentioned, in an
21 extensive five-year, multi-center, U.S. Army, Federal
22 government funded study, currently enrolled 2,500

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients, and hopefully we will enroll 15,000 patients
2 over the next five years.

3 I would like to specify for a moment what
4 the T-Scan is not, and the reason this is so important
5 for me is that the patient advocate that was here
6 before me is exactly correct. I think the worst that
7 could happen is that if women misunderstand what this
8 device does.

9 What this device does is important. What
10 does it does not is also important, and I would like
11 to clarify that. The device is not a diagnostic test.

12 It cannot tell you that you do not have breast
13 cancer. It is not a substitute for mammography or
14 other imaging, because it is not an imaging device.

15 It is simply a risk assessment tool like
16 the ones that were discussed by Dr. Wapner before me.

17 It is a method for standardizing and offering a more
18 complete, comprehensive, and documentable clinical
19 breast exam, and it identifies women who are at a
20 level of risk -- and this is important -- which is
21 greater than the standard of care at which we
22 currently offer screening to women.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 All of the women that are offered T-Scan
2 are between 30 and 39. They are asymptomatic on
3 clinical breast exam or, as reported by the patient or
4 the physician, their CBE is negative. They have no
5 known high risk factors.

6 All T-Scan patients are patients that,
7 through risk identification and then ultimately
8 detection, would otherwise be missed, because they
9 would not have been offered further screening based on
10 the fact that they are without risk factors and
11 without symptoms. Currently, those patients are sent
12 home.

13 This is the indication for use statement.

14 I am not going to take your time to read it to you.
15 I assume by now you have read it several times, and
16 you will probably hear enough about it today. But
17 again, one important element here is that the
18 recommendation following a positive T-Scan is a single
19 imaging event. As opposed to, for example, BRCA, this
20 is not a life long risk assessment, because we are
21 measuring something that is happening physiologically
22 in the breast right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This is a relatively simplistic view of
2 what we hope to do on an epidemiological level. We
3 hope to take a large number of women, much like was
4 done in the world of Down syndrome, and partition them
5 into two groups, a negative -- T-Scan negative group
6 which will encompass 95 percent or more of the
7 population, and those women will be at lower risk than
8 average, significantly lower risk, and a very small
9 population of roughly five percent of patients who are
10 at increased risk, significantly increased risk, as
11 you will see at roughly five times the risk you would
12 expect to see in women of this age group.

13 This is a graphic representation of what
14 we hope to do. Before I show that to you, I would
15 like to explain to you a little bit the matrix of this
16 study.

17 As you see this line right here, which is
18 one in 300, that is roughly the yield of mammograms
19 currently. That is, we currently perform roughly 300
20 mammograms per cancer detected in the United States.

21 This line down here, one in 666 -- that is
22 the average risk that patients between the ages of 30

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 and 39 have when they walk into your clinic. That is
2 why they are currently not getting additional
3 screening.

4 What I am showing here is a population of
5 2000 women, and based on published data there would be
6 three cancers there, and this addresses a question
7 that was asked before when Dr. Akin said that up to 15
8 percent of patients who have breast cancer have it
9 younger. I agree with you that SEER reports a lower
10 number. Where we are concerned is the significant
11 jump that SEER shows with the initiation of the first
12 mammogram.

13 We believe, and we think everyone
14 believes, that is an indication that there is quite a
15 bit more cancer there that is picked up on that
16 initial mammogram as opposed to an epidemic of cancer
17 at age 40.

18 By putting the device into the screening
19 regimen, we hope to partition this population of 2000
20 patients into two populations, one a large "healthy"
21 population -- in this case roughly 1900 of the 2000
22 patients; and yes, two of the cancers that would have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 been missed anyway would have also been missed by our
2 device. But importantly, a small group of women, only
3 100 women, would have a cancer detected in that group.

4 These are the women that would be offered
5 further screening. This is the patient that would be
6 helped by T-Scan, and this is a level of risk
7 significantly greater, as you see, than the level at
8 which we routinely offer screening on a day to day
9 basis to millions of women in the United States.

10 For a few moments, I would like to talk to
11 you about the device. The association between
12 electrical impedance and malignancy has been known for
13 a very long time. It was initially published in a
14 very extensive article by Dr. Morris and Dr. Freit in
15 1926.

16 The differences in the conductivity
17 between malignant and normal tissue are relatively
18 easy to assume for those who have an understanding of
19 physiology, especially those with an interest in
20 electrical engineering. But the changes in the water
21 and electrolyte content, the changes in membrane
22 permeabilities, and the changes in the orientation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 impacting density of cells -- those of us who read
2 pathology see that as the lack of respect for cellular
3 architecture. Those are the things that the device
4 identifies.

5 It identifies them on a principle that is
6 essentially relatively simple. That is Ohm's
7 uncomplex law where, if voltage and current are kept
8 fixed, changes in resistance should be measurable. In
9 fact, that is exactly what our device does. It has a
10 very tightly controlled circuit that puts out a very
11 tightly regulated voltage and current. The resistance
12 is provided by the breast, as I will show you in a
13 moment.

14 We have studied thousands of patients and,
15 therefore, recognize what the normal resistivity of
16 the breast should be, and if the measured resistance
17 in our exam is abnormal, we ask that that patient
18 consider additional screening.

19 You can look in the RC model at each cell
20 as if it is its own circuit, a resistor and a
21 capacitor. I apologize to those of you who didn't
22 know there was going to be any math today. I promise

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that this will not be a large part of my talk.

2 Essentially, each cell has its own known
3 circuit. When you use low frequencies, as seen here,
4 the electricity following the basic principle of
5 electricity, which is the path of least resistance,
6 will move around each cell and remain in the
7 interstitial spaces which, as you know, are very full
8 of fluid.

9 When high frequencies are used, the signal
10 will generally cross through the tissue and then give
11 you information also about what is going on
12 intracellularly as well as extracellularly.

13 When a malignancy takes place, both
14 frequency ranges give you information. The flow of
15 electricity around cells is disrupted by the
16 architectural changes, and the flow of electricity
17 through cells is affected by cellular changes.

18 Over the years, people have measured and
19 published time and time again the known resistivities
20 of various tissues from blood, muscle, fat, to normal
21 tissue and to cancer breast tissue. These are known
22 figures, and all we try to do is identify parameters

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 around normalcy and, if patients are outside of those
2 parameters, we indicate that they should consider
3 additional screening.

4 This is a graphic representation of a
5 manner whereby these changes can be measured, as
6 published in Jossinet. Essentially, he was the father
7 of this technology over the last 20 years or so.

8 As was mentioned earlier, this technology
9 is based on technology that was extensively reviewed
10 by the FDA, approved after a full PMA in 1999,
11 determined to be safe and effective, determined to
12 have no safety concerns, and determined to have a
13 sensitivity for cancer specifically.

14 Shortly after approval, a number of
15 studies showed that the device had particularly good
16 sensitivity for the smallest of lesions. Four
17 published articles in RSNA, both in 2003 and a number
18 of other peer review publications showed that the
19 sensitivity for small lesions is best with this
20 technology. That has been known for a very long time.

21 That is what led the company to recognize
22 that perhaps, instead of focusing on a known

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 abnormality, it might be possible to screen the entire
2 breast and look for any region that has an abnormality
3 of interest.

4 The device looks like this. In order to
5 give you an opportunity to envision how it would be
6 used in clinical practice, I will take you through
7 very short slides just to introduce you to the use of
8 the device.

9 First and most importantly, every patient
10 who is considered for T-Scan must first have a full
11 clinical breast exam, and the device cannot be used on
12 women whose clinical breast exam is abnormal. Those
13 patients, as we all know, deserve further follow-up.
14 There is no question at that point that there is an
15 element of risk because of your finding, and
16 therefore, the device is no longer material.

17 The device cannot be used in women who are
18 pregnant. Again, it helps identify risk factors, and
19 the result is binary. We do not expect all physicians
20 to learn how to read an image. Much like BRCA or
21 other screening exams, the result is binary.

22 Once the physician enters the patient's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 demographic information, the device requests that
2 these five elements of the CBE are entered into each
3 and every exam: Palpability, nipple discharge, nodal
4 abnormalities, skin changes and pain. This can also
5 be added to by individual physicians who want to
6 search for other things.

7 If any of these are marked as abnormal,
8 the exam report says please understand that this
9 patient needs additional follow-up, irrespective of
10 the T-Scan result.

11 We also incorporate the Gail Model Risk
12 Assessment tool in this exam, not because we believe
13 that the Gail Model is an ideal solution for risk
14 screening, especially not in young women. We use it
15 to help the physicians elicit responses of risk that
16 might otherwise not be elicited.

17 I think we have experienced patients who
18 have significant family histories and didn't know to
19 tell us about it. We hope that this is a way to
20 encourage them to tell us about it and help us
21 identify risk.

22 Finally, the T-Scan exam itself is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 performed. The signal is introduced to the patient
2 through the source. The source is this cylinder which
3 is held in the contralateral hand to the breast that
4 is being examined. The signal then crosses the breast
5 in a manner that I will show you momentarily, and
6 picked up by this transducer.

7 Essentially, what is created is a circuit
8 where tightly controlled voltage and current leaves
9 the machine, enters the patient, travels up the
10 muscles of the arm, across the pectoralis, is
11 collected by the transducer, and returned to the
12 device, immediately analyzed, and a result is
13 generated.

14 Please recognize that this area over here,
15 which appears probably like an image, is not an image.

16 It is a way for you to determine at the end of the
17 exam that you have made contact with each of the nine
18 areas, the nipple and the nine areas around it on each
19 breast. There is no diagnostic or screening
20 information in that image. It is not something you
21 can look at and identify a location for something.

22 The result at the end of the exam is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 simply this. All nine regions that were checked are
2 marked, and it will either be green, which is screen
3 negative or screen normal, and red, which is screen
4 positive, and that is the patients that we are
5 interested in helping with this device.

6 I would like to now invite Dr. Alexander
7 Stojadinovic, Lieutenant Colonel, trained surgical
8 oncologist from Memorial Sloan Kettering, who is the
9 primary investigator of this study and the primary
10 investigator for the Federally funded, multi-center
11 upcoming study as well, to share the results of the
12 pivotal study, unless there are some questions that
13 you would like me to address first. Yes, sir?

14 CHAIRMAN CEDARS: If we can save
15 the questions until the end of the presenter's
16 presentation.

17 DR. STOJADINOVIC: Good morning. My name
18 is Alex Stojadinovic. I consider myself privileged to
19 present -- Excuse me? Thank you. Good morning. I
20 consider it a privilege to present before such an
21 esteemed collection of colleagues.

22 I would like to disclose that my only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disclosure is that of expert testimony, which is not
2 compensated. I have been asked to present this data,
3 because I was a principal investigator at two sites in
4 the specificity arm of the study and at two sites in
5 the sensitivity arm of the study.

6 I am intrigued by the potential of using
7 individual tissue-specific parameters to assess
8 individual risk as opposed to statistical modeling or
9 family history in an effort to try to optimize the way
10 we choose to follow patients and to select subsequent
11 diagnostic testing.

12 That having been said, I have undertaken a
13 multi-year, five-year multi-center trial that is
14 ongoing and that is now 2500 patients accrued out of a
15 target accrual of 15,000 patients.

16 I will state that I am not here in an
17 official capacity and that what I state does not
18 reflect official policy or views of the Department of
19 the Army, Department of Defense or the United States
20 government.

21 The design of the study was that of a
22 multi-center, prospective, two arm clinical trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This was done in consultation with our participating
2 investigators at sites in the United States and Israel
3 and with FDA input and agreement to address a pivotal
4 question, and that is: Can you use a physiologic
5 screening tool to identify breast parenchymal
6 differences that assign risk in an age group of women
7 who are otherwise healthy 30 to 39 in order to try to
8 manage them in an optimal manner?

9 The primary outcome variable was that of
10 relative probability, which is a calculation. It is a
11 calculation based on estimates of specificity and
12 sensitivity done in the context of the clinical study,
13 and published population based prevalence of disease,
14 not the prevalence of the disease within the study per
15 se.

16 I recognize that that estimate is impacted
17 by subsequent diagnostic testing sensitivity as well
18 as the prevalence and then incidence of the disease
19 thereafter.

20 This demonstrates a table of risk factors
21 and then risk multiple from the published literature.

22 I bring your attention to that in order to clarify

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the success threshold, which I will get to in a
2 moment.

3 A standard of care is to assess risk of
4 the disease based on family history, and a risk
5 multiple used is that of 2, which then directs a
6 change in our clinical management, either in terms of
7 more frequent surveillance, selection of diagnostic
8 testing and even perhaps risk reduction intervention.

9 To give you a basis of comparison, a
10 diagnosis of a typical ductal hyperplasia has a
11 lifetime associated risk of 4, and that of a BRCA
12 mutation of approximately 6. In discussion and
13 concurrence by the FDA, the success threshold for
14 relative probability -- that is, risk of having breast
15 cancer at the time of examination -- was established
16 at 2, which we felt was representative of a standard
17 of care that we currently use in clinical practice.

18 The specificity arm of the study was
19 performed in the United States and in Israel and
20 included high volume private practice clinics such as
21 that of Dr. Akin, as well as civilian and military,
22 academic and private practice institutions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The next three slides list the site by
2 principal investigator.

3 The sensitivity arm of the study was
4 conducted in academic university affiliated
5 institutions as well as private practices in surgical,
6 oncology and radiology in the United States and Israel
7 who have established breast practices and an interest
8 in emerging technologies for the purpose of screening.

9 The subsequent slides list the principal
10 investigators and sites.

11 As indicated, the principal outcome
12 measure was that of relative probability. That is
13 calculated by estimates of specificity and sensitivity
14 and is dependent upon the published prevalence of the
15 disease in the target population in the literature.

16 The specificity arm consisted of healthy
17 women undergoing routine well-woman screening, ages
18 30-39, who specifically had no associated breast
19 related symptoms of palpable abnormality, with the
20 principal interest of estimating specificity.

21 Because these were healthy women, it was
22 estimated that all positive exams were false

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 positives. As such, there may have been few,
2 approximately four, women who may have been actually
3 true positives that were overlooked. We deemed that
4 that is actually biasing the estimate against the
5 technology in a very minor way.

6 The sensitivity arm was different. It was
7 an enriched population of women with an expanded age
8 range of 30 to 45 who were already predetermined to
9 undergo breast biopsy based on a clinically apparent
10 or radiologically apparent abnormality, with the
11 principal aim of defining the sensitivity of the
12 device in order to arrive at the calculation of
13 relative probability.

14 Specifically, these were pre-menopausal
15 women, and we specified pre-menopausal so that we
16 would assure that the breast tissue milieu was similar
17 between groups based on previously published data.

18 These were the inclusion and exclusion
19 criteria for the specificity arm. I bring your
20 attention that these were healthy women, asymptomatic
21 undergoing routine annual screening in the age range
22 of 30-39.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Specifically, those that had a palpable
2 lesion were excluded from the protocol analysis,
3 particularly because, I think everyone would agree, we
4 know what to do with a palpable abnormality or a
5 symptomatic patient according to established clinical
6 pathways, and that the intent of the device is to
7 screen healthy women with negative clinical breast
8 examination in an effort to try to determine
9 individual risks. So those with palpable abnormality
10 were excluded.

11 The sensitivity has similar inclusion and
12 exclusion criteria with important exceptions. In
13 discussion with our colleagues in the FDA and their
14 agreement, we expanded the age range to 45 beyond 39
15 years of age, focusing only on pre-menopausal women in
16 order to ensure comparability, because published
17 literature supports that impedance changes are more
18 reliant on hormonal differences that occur at the time
19 of menopause and not chronological age per se.

20 Exclusion criteria are similar.
21 Specifically, once again post-menopausal women were
22 excluded from the analysis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I would like to discuss briefly the
2 rationale in terms of using an enriched population.
3 It is known that identifying a clinical breast exam
4 negative tumor in young women is unlikely. Most of
5 the women that we see in clinical practice that have
6 cancer in that age group have palpable disease.

7 Interestingly, initiation of screening
8 mammography at age 40 is not associated with some
9 magical difference in breast parenchyma. The changes
10 that occur are driven primarily by hormonal changes
11 that occur at menopause.

12 Furthermore, the data on pre-menopausal
13 women ages 40-45 in the sensitivity arm are applicable
14 to the intended use population based on previous study
15 evaluating impedance characteristics in women who are
16 pre-menopausal and those that are post-menopausal.

17 The other thing that was intriguing to me
18 is, if you expand this population not only to
19 accelerate the enrollment but also it will give you an
20 appraisal of the screening device's performance in
21 women who are undergoing routine annual screening to
22 determine whether it has acceptable performance for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 non-palpable radiologically apparent lesions. Thus,
2 post-menopausal women were excluded from the data
3 analysis, as stated previously.

4 I would like to bring your attention to a
5 derived relative probability calculation. This was a
6 two-arm study design to estimate specifically
7 specificity of the device in the specificity arm, and
8 sensitivity in the sensitivity arm, and utilized what
9 we feel is a conservative estimate of prevalence of
10 cancer in the population at large, not in the study
11 population of 1.5 cancers per 1,000. We will get into
12 this a little bit more in detail later. The
13 calculation is expressed here.

14 Interestingly enough, and our
15 statisticians will discuss this a bit more in terms of
16 what is the relative contribution of prevalence of
17 disease in the target population on the overall
18 relative probability calculation.

19 This represents a review of representative
20 literature, prevalence in the target population of
21 women age 30-39 who were otherwise healthy and have a
22 negative family history. We selected this one from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Kerlikowske, 1993, because it represented our target
2 population best.

3 Unlike SEER data, it represents prevalence
4 and not incidence data. It provides data with respect
5 to women in the target population age 30-39 as well as
6 women in that age group who are negative for family
7 history of disease. Our statistician will demonstrate
8 how utilizing this broad range of prevalence in the
9 previously noted calculation affects little of the
10 overall relative probability calculation.

11 The covariates assessed in Chi score
12 analysis in both study arms are shown here. They
13 include results of clinical breast exam, exposure to
14 exogenous hormones, family history significant as
15 defined by one or more first degree relatives, bra cup
16 size, race and ethnicity.

17 The bottom line up front: The measured
18 sensitivity in the sensitivity arm was 26.4 percent
19 with confidence interval as shown here; specificity of
20 94.7 percent with confidence limits demonstrated; and
21 relative probability of 4.95 based on a prevalence of
22 1.5 per 1,000.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This implies that at the time of exam, a
2 T-Scan positive patient has a relative probability of
3 cancer five times that of a woman from the general
4 population in the target age range.

5 Data from the specificity arm: Exams were
6 performed on 1946, yielding 1751 per protocol
7 examinations. The majority of exclusions, 171 of 179,
8 were related to age and palpability. For the reasons
9 mentioned, the palpability was excluded, because
10 established clinical pathways already address this
11 definitively.

12 Age range extending beyond the target
13 population is primarily a military phenomenon. Our
14 IRBs expanded our age range beyond that of the FDA
15 study, because it was suitable for our demographic.
16 Ninety-two percent or more ethnically enriched
17 population, is comprised of young women under the age
18 of 40 who routinely do not undergo annual screening
19 mammography based on age.

20 These are baseline characteristics. I
21 will quickly take you through the distribution of pre-
22 and post-menopausal women, mean age of 35. Exposure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to exogenous hormones, none; 55.3 percent in the
2 variations that are positive are shown here. The
3 majority of the women, 89 percent, did not have a
4 significant family history of disease in the
5 specificity arm, consisting of 1751 per protocol
6 patients.

7 Race and ethnicity are demonstrated here.

8 Seventy-eight percent of women were Caucasian.
9 Although not specifically queried in our Israeli
10 sites, a study of their demographics indicates that an
11 overwhelming majority of their population is
12 Caucasian. The distribution of bra cup size is
13 consistent with what we encounter in clinical
14 practice.

15 We did a Pearson chi-square analysis for
16 relevant covariates mentioned previously. We found no
17 significant difference in the primary outcome, a
18 variable for the specificity arm in terms of
19 menopausal status or exposure to exogenous hormones.

20 Similarly -- and I would like to note that
21 one proposed indication is for the device to be used
22 as a complement to clinical breast exam, and it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 important to me to understand what the difference in
2 specificity or false positive rate was in those with
3 normal and abnormal clinical breast exams.

4 These, as you would recognize, were
5 excluded from the analysis, but it is presented here
6 to show that the results are independent of the
7 palpability of the lesion in this subgroup analysis.
8 Additionally, family history did not seem to impact
9 the specificity of the device in the study.

10 The distribution of ethnicity and bra size
11 as a function of specificity is shown, and shows
12 significant differences in small subgroups of patients
13 here, as well as a function of bra cup size, such that
14 the false positive rate was higher with larger sized
15 breasts as well as with certain ethnic subgroups.

16 To summarize the specificity arm findings,
17 the specificity in the per protocol population of 1751
18 patients was 94.7 percent with a 95 percent confidence
19 interval, shown here.

20 I would like to add that in the range of
21 specificity on the lower end, our pre-specified
22 success criterion was a relative probability at time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of examination of 2 or greater. Even on the lower end
2 of specificity, that particular criterion was met.

3 The sensitivity arm of the study: 597
4 examinations were attempted per protocol exams, 390,
5 of which 87 cancers were biopsy confirmed. Exclusions
6 are listed here. Once again, exclusion by age was
7 based on expanded eligibility criteria in military
8 studies, which was relevant to our patient
9 demographic. The post-menopausal exclusions were
10 described to you earlier.

11 Technical difficulties: Importantly, in
12 this study, unlike the specificity arm, the
13 sensitivity arm investigators were blinded to the test
14 results. Ordinarily, the test gives you a green bar
15 if the examination is screen negative or a red bar if
16 it is screen positive. That binary visual feedback
17 was omitted from the examiners in the sensitivity arm.

18 As such, any technical difficulties that
19 occur were only encountered at times of periodic
20 quality assurance visits and, as such, these were
21 stacked primarily at a single site and occurred and
22 identified at those sites. That is why that number is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as it is.

2 To summarize that particular technical
3 exclusion criteria, 65 of these cases or 65 of the 69
4 cases excluded due to technical difficulties were due
5 to two devices at a single site, and were encountered
6 during quality assurance and monitoring visits. So
7 sites, as I said, were recording blind. This was pre-
8 specified by the FDA during time of study design, and
9 the performance of these devices was not immediately
10 apparent to those conducting the examination. This,
11 obviously, would not happen in clinical practice.

12 To take you through the baseline
13 characteristics of the 87 cancers in the sensitivity
14 arm comprised of 390 patients, mean patient age was 40
15 years of age. Exposure to exogenous hormones for
16 purpose of contraception, fertility, and a small group
17 of those for replacement is shown here, 65.5 percent
18 having none.

19 Family history as well as bra size
20 distribution is shown. Race and ethnicity are
21 represented in this cancer positive group, as well as
22 distribution of clinical breast exam, which was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 abnormal in the majority of patients, and then lesion
2 size.

3 Lesion size cutoff was based on American
4 Joint Committee on Cancer. That is the dividing line
5 between T-1 and T-2 lesions, not only for the
6 implications of clinical palpability but also for
7 indications of biology of disease based on nodal
8 positivity, rates increasing significantly at the T-2
9 and greater threshold. The distribution is shown
10 there.

11 Covariate analysis for pre-specified
12 covariates, as indicated earlier, shows sensitivity
13 according to subgroups with age and hormonal exposure
14 showing no significant difference between groups, or
15 for first degree relatives or bra cup size.

16 Similarly, there is no significant
17 difference across subgroups in the race and ethnicity
18 category. Finally, I would like to bring your
19 attention to this slide. Of interest to me was the
20 performance of the device for smaller lesions, as
21 reported earlier by Doctors Hooks, Jaeger and Kobe,
22 utilizing impedance measurements with a greater

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accuracy in smaller lesions.

2 Although this was not statistically
3 significant, there was a tendency to -- or an
4 increased sensitivity for smaller lesions. Also
5 intriguing was that the results of clinical breast
6 exam was slightly higher for normal lesions, not
7 significant, but suggests that the inclusion of
8 abnormal palpable clinical breast lesions may have had
9 a small bias against the device estimate.

10 To summarize for the sensitivity arm, the
11 overall sensitivity in our 390 per protocol population
12 was 26.4 percent. The overwhelming majority of these
13 were infiltrating cancers, not in situ lesions, and
14 specifically excluding atypical ductal hyperplasia and
15 lobular carcinoma in situ.

16 We did not find any statistically
17 significant correlations among our subgroups with
18 regard to sensitivity. Palpability did not affect
19 sensitivity, similar to the finding identified in the
20 specificity arm of the study, and there is a tendency
21 for increased sensitivity for smaller lesions that did
22 not reach statistical significance based on the small

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sample size comparisons.

2 The bottom line, now bottom line is that
3 the sensitivity was 26.4 percent with confidence
4 intervals as demonstrated; specificity, 94.7 percent.

5 This two-arm design allowed for an estimate of
6 relative probability at the time of examination, 4.95,
7 based on a population prevalence in the target
8 population of age 30-39 of 1.5 per 1000, suggesting
9 that a woman with a T-Scan positive examination is at
10 five times increased likelihood of having cancer at
11 the time of examination relative to average risk women
12 in the population of similar age grouping.

13 To conceptualize this, Dr. Ginor addressed
14 this earlier. If we assume a population model of a
15 million women in the target age range of 30-39 with a
16 negative family history, we would expect a prevalence
17 of 1500 cancers based on Kerlikowske's data, or a risk
18 of one in 667.

19 This would explain in large part why we
20 don't routinely screen with annual mammography women
21 in that age category who are at average or low risk,
22 because the overall yield of mammograms performed per

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cancer detected would be decidedly low, and that this
2 fails to meet the minimal screening threshold of
3 annual screening mammography.

4 Women of average risk with normal exams
5 subjected to the T-Scan results would, according to a
6 specificity result in this study, have 947,000
7 negative exams within which would be a clinically
8 unapparent 1100 cancers. The overall risk of cancer
9 in that population on that basis would be one in 861,
10 which is an even lower risk estimate than that
11 demonstrated here, and falls far short of our
12 mammogram screening threshold that we utilize for
13 women who are routinely screened age 40-49.

14 5.3 percent would be positive screening
15 examinations in which, based on a sensitivity of 26
16 percent, there would be 400 cancers, yielding a higher
17 risk subgroup with this screening test and a risk of
18 one to 136.

19 All T-Scan positive cases would otherwise
20 be missed based on the study design of asymptomatic
21 women with normal exam and negative family history.

22 I would like to bring your attention

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 briefly to the published literature for the number of
2 mammograms performed or the so called hit rate per
3 cancer detected for women who are routinely screened
4 in this country aged 40-49. This is representative
5 literature that suggests approximately 400 mammograms
6 are performed in women aged 40-49 to detect one cancer
7 with a range of 341 to 593.

8 Putting that in context of study design
9 and study results: If you consider an average risk
10 women age 30-39 with a negative family history, the
11 risk of cancer is one in 667, and that falls below
12 this screening threshold that we utilize to justify
13 screening with annual mammography in women aged 40-49,
14 assuming a sensitivity of the mammogram of 85 percent.

15 A standard of care that we utilize is
16 family history in clinical assessment to guide earlier
17 mammographic screening in women that are younger than
18 age 40, and the relative risk is 2, and absolute risk
19 one in 333. That compares favorably with that that we
20 utilize for women in the next decade of life to
21 substantiate annual screening mammograms.

22 A positive T-Scan as associated with a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 relative probability at the time of exam, five times
2 that of the population based comparators, and that the
3 absolute risk for breast cancer is one in 136. That,
4 of course, is assuming for the sake of this example, a
5 100 percent sensitivity of mammography in that age
6 group, which is not reasonable. So let's look at this
7 final aspect.

8 So for average risk women who are T-Scan
9 negative and a conservative estimate of mammographic
10 sensitivity of 70 percent, we would perform nearly
11 1000 mammograms to identify one cancer. The screening
12 threshold, as mentioned, is about 400 mammograms to
13 one in those women who are screened annually in the
14 age group of 40-49 in America.

15 A T-Scan woman has a risk five times that
16 of T-Scan negative patients. So this T-Scan positive
17 group we would expect we would have -- based on a 70
18 percent mammographic sensitivity have to perform 194
19 mammograms to identify a single cancer, which compares
20 very favorably to the current benchmark utilized
21 routinely.

22 So to summarize, the findings of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 study based on a success threshold of relative
2 probability of 2 was met, such that a T-Scan positive
3 woman was at five times greater risk of having breast
4 cancer at time of exam relative to population based
5 estimates, and we think that the risk of the disease
6 on that basis and the yield based on -- in terms of
7 mammograms per cancer detected compares favorably with
8 the current standard that we use as part of standard
9 of care to screen in the next decade of life.

10 I thank you for the privilege of the
11 podium.

12 My apologies. I would like to introduce
13 Dr. Joel Verter who will present his findings of
14 independent statistical review of the data that I have
15 just briefly outlined for you.

16 DR. VERTER: Good morning. My name is
17 Joel Verter. I was asked by Mirabel to review their
18 data analysis and comment on some of the potential
19 questions that might be raised.

20 I also had the opportunity to review the
21 FDA slides yesterday, and I have a few comments on
22 some of their results.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The items that I will try to cover for you
2 this morning briefly are: The enrichment of the
3 sensitivity arm; the estimate of specificity; effects
4 on relative probability of variations in the
5 components; the issue of pooling the data; an issue
6 that's been raised specifically about pooling and
7 subgroup analysis on the Israeli versus the U.S. data;
8 subgroups -- again, those three overlap quite a bit;
9 and then an overall conclusion.

10 Let's talk first a little bit about the
11 enrichment of the sensitivity arm. Again, as you have
12 heard from previous speakers, the T-Scan is a device
13 to screen women, not to diagnose women. I think it is
14 important in your deliberations this morning and this
15 afternoon to keep remembering that.

16 The sensitivity arm was needed to
17 calculate an estimate of sensitivity so that the
18 sponsor could estimate the relative probability, which
19 was the standard that the FDA and the sponsor agreed
20 to when the protocol was adopted.

21 A sensitivity study screening process
22 would involve looking at women 30-39. It would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exclude women with a positive history, family history,
2 and certainly women with a positive clinical breast
3 exam.

4 All T-Scan women would be identified for
5 follow-up, and those with a lesion would undergo
6 breast biopsy.

7 In the enrichment arm, without an enriched
8 population, the sensitivity arm would require almost a
9 quarter of a million people in those categories to
10 find the 87 cancers that were being analyzed in the
11 study.

12 Without going in great detail through
13 everything, the calculation would be roughly this. If
14 we assume the 1.5 per 1000 is the prevalence, assuming
15 our sensitivity, about a 9 percent palpability and a 4
16 percent with family history, you would wind up with
17 almost a quarter of a million people -- women.

18 So, therefore, the sensitivity could not
19 be calculated or estimated without some sort of
20 enrichment, which is, I believe, the basis that the
21 FDA agreed to.

22 Is that out of focus or is it just my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 eyes?

2 As I said, the FDA slide 4, which is in
3 your packet, indicates that -- this has to do with the
4 specificity in some sense. They felt that in the
5 sensitivity arm, they looked at the calculation of
6 specificity. Remember that the specificity arm of the
7 study was designed to calculate specificity. There is
8 no calculation of sensitivity, and the sensitivity arm
9 was meant to calculate the sensitivity. But they have
10 indicated a calculation of specificity and then give a
11 combined outcome.

12 Let's talk a little bit about the estimate
13 of specificity. As we noted, the specificity arm
14 itself was designed to screen women and come up with
15 an appropriate estimate for that parameter, which we
16 believe it has done.

17 The data, however, in the sensitivity arm,
18 the way it was collected, contains enough women so
19 that some estimate of a specificity can be calculated.

20 The question for you all is to determine whether that
21 calculation is relevant.

22 The screening process in the sensitivity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 arm, I argue, is not appropriate for a specificity
2 calculation, not so much because of whether this
3 clinical breast exam or other measures -- women with
4 positives exams were in there, but because the paths
5 in which these women have been identified are
6 indicating already that they have some sort of breast
7 pathology.

8 So the conclusion for us was that the
9 estimate of specificity or the false positive rate
10 from the sensitivity arm will not provide an
11 appropriate and usable false positive rate for the
12 intended screening arm. It should not be considered
13 as an estimate of specificity that is relevant to this
14 discussion.

15 Let's talk a minute about the components
16 of the relative probability, and then show you what
17 the potential impact of variations in those components
18 are.

19 Briefly, as you have heard, the prevalence
20 is one component, and it is the assumed rate of
21 prevalence of breast cancer in the population to be
22 screened and, as you have heard from the previous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 speakers, careful review of the literature yielded
2 what we believe is a conservative estimate of 1.5 per
3 1000 or 0.15 percent.

4 The sensitivity, as you know, is the
5 probability that the results will be positive in a
6 woman who has breast cancer or the true positive rate.

7 The enriched population is, therefore, desirable and
8 necessary to provide some estimate of sensitivity.

9 Specificity is the probability that the T-
10 Scan device is negative in a woman who does not have
11 breast cancer, i.e., the true negative rate. And as
12 we have noted, the estimate based on the specificity
13 arm, we feel, is very appropriate.

14 Let's examine for a minute what the
15 potential impacts on the relative probability, again
16 the standard agreed to by the agency and Mirabel at
17 the start of the study.

18 If we hold the specificity constant, as
19 was observed in the specificity arm, and the
20 sensitivity from the sensitivity arm, our assumption
21 from the literature was a .15 percent prevalence,
22 yielding almost a relative probability of 5, well over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 the 2 criteria.

2 If prevalence is low at the .05 percent,
3 which may be argued at some point today, in fact, the
4 relative probability is marginally increased and
5 basically has almost no effect.

6 Now let's examine what happens if we hold
7 specificity constant and assume that the prevalence is
8 accurately reflected as .15 percent and vary the
9 sensitivity. I have provided you with three estimates
10 of sensitivity here, none of which is the actual
11 observed sensitivity in the overall arm, but found in
12 a variety of ways.

13 The 17.6 is the lower confidence limit of
14 our observed 27.4 percent. The 18.9 is limiting it to
15 the 30-39 cohort, and 11.2 is using only women in the
16 United States. As you will observe, although the
17 relative probability varies, in all cases it still
18 meets the criteria set forth by the agency.

19 Finally, holding sensitivity at the rate
20 observed in that arm and the prevalence at what we
21 agree is -- we argue is the correct one, we varied
22 specificity. The highest observed specificity in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 arm was in women with bra cup sizes A/B, and the
2 lowest was in African American and Asian women. As
3 you can see, on both of those the relative probability
4 again exceeds the pre-specified criteria.

5 Let me touch for a few minutes on the
6 issue of pooling, which you are going to hear about
7 more. In pooling across sites, we considered the
8 following issues. Many studies, randomized or
9 nonrandomized, are certainly multi-center for a
10 variety of reasons, and the protocols, while often not
11 explicitly stating that they are going to pool across
12 sites, by the very nature of the statement of the
13 hypotheses and their analyses clearly imply that the
14 data are going to be pooled across sites.

15 Occasionally, and in some PMA
16 presentations, the FDA has asked for the sponsors to
17 look at site to site variability for a number of
18 reasons. Among these are, for example, if you are
19 doing a surgical study or implanting a stent, they
20 want some assurance that the technology and a learning
21 curve is appropriate, and they are not seeing
22 variations that may lead to patient safety.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So it is implied by the protocol. In this
2 study, there was a common protocol used at all sites.

3 The device is the same and the training was the same
4 for all sites. Mirabel monitored the study at the
5 various sites. They saw no evidence that the study
6 wasn't being conducted according to the protocol in a
7 uniform manner at all sites and, very importantly, as
8 you heard before, there is virtually no interpretation
9 of the results here. It is a binary outcome. The
10 device lights up red or green. There is no image to
11 interpret and no data to interpret.

12 Pooling across the sensitivity arm then --
13 Again, as I said, the subgroup and pooling issues are
14 intermingled. So you will forgive me if sometimes I
15 am mixing apples and oranges a little bit. But the
16 subgroup analysis issues are to evaluate heterogeneity
17 of response among sites.

18 We are always concerned about a low power,
19 and that is a legitimate concern. We are also
20 concerned Type 1 error, as we do more and more
21 subgroup analyses, and we are also concerned whether
22 the analyses were post hoc or not, which in this case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they all are. However, I would argue that even under
2 these circumstances, if heterogeneity is suggested by
3 the data, further investigation is warranted and
4 probably should be conducted, but all involved need to
5 do this in a proper manner.

6 The regulators, the clinical investigators
7 and the sponsor should all encourage this analysis.
8 Why should this be encouraged? I would argue that
9 only through an open and honest attempt to explain
10 any potential heterogeneity can the end user -- and
11 this is very important, that the patient be assured
12 they are getting the best type of medical care.

13 For the sensitivity argument, there were
14 15 sites in there and, as you can see, these are the
15 sample sizes of the number of women in the sensitivity
16 arm at each site, very small numbers.

17 In fact, 60 percent of the sites had only
18 1 to 4 patients, and only 20 percent had 10 or more,
19 not exactly a sample size in which you would have
20 confidence in looking at heterogeneity. However,
21 since the issue has been raised, I would argue that
22 the first step in looking at heterogeneity, although

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the test has very minimal power, is to look at whether
2 the range of sensitivity -- that should be sensitivity
3 -- across sites is different. It is not, and I would
4 certainly agree that it is not an unexpected finding,
5 given the small sample size. But it does argue that
6 you have to be careful about looking for heterogeneity
7 when you do have small sample sizes.

8 The subgroup analyses, switching back to
9 specificity now, was not pre-specified. No subgroup
10 analyses were pre-specified in the analysis. However,
11 the agency has pointed out three interesting findings:
12 Bra size; ethnicity; and nation.

13 I bring your attention to the fact that in
14 all cases the specificity is either close to or
15 exceeds 90 percent and, if you recall the previous
16 slide on the variability in the relative probability,
17 anything about 87.5 percent will still yield a
18 relative probability of over 2.

19 So my conclusion here is that data for all
20 the specificity sites can be pooled.

21 I will just briefly go through this. In
22 addition, in the sensitivity arm, if we are looking at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the criteria for pooling, one of the criteria one
2 might look at are whether or not there are differences
3 in patient characteristics among the subgroups that
4 you want to analyze. There was no difference based on
5 characteristics that we observed. There were no
6 differences, I mentioned, in the study design or the
7 machine. Medical practices and patient management was
8 similar, and we discussed the fact that there is no
9 room for examiner bias with this machine.

10 The subgroup analysis of sensitivity: The
11 FDA has noted a possible difference in sensitivity for
12 nations, that the U.S. is 11.5 percent, Israel 32.8.
13 Here I would point the previous slide indicating in
14 the 15 sites there were no differences. To then go in
15 and select groups of sites and look at, I think, is
16 fraught with some danger, and should be very carefully
17 interpreted. I mentioned that. There is a little
18 time issue. So I am going to move on kind of quickly.

19 I will point out, if the Israeli patients
20 were excluded from both arms, the relative probability
21 for only the U.S. data would still exceed 2. Our
22 conclusion then is that pooling the data from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sensitivity study is appropriate and justified.

2 The last two slides I wanted to talk about
3 is an analysis that the FDA will present using
4 logistic regression. These first two columns are from
5 their slide 17. This is our analysis.

6 They did a logistic regression using odds
7 ratio and argue that the odds ration is an
8 overestimate of relative probability. What they point
9 out is that the T-Scan is 2.6. I will let them
10 provide their interpretation of it. My interpretation
11 is as follows.

12 First, there was a slight
13 misclassification of family history. They included
14 some women a family history positive who weren't first
15 degree relatives. When you only use the first degree
16 relatives, you get an odds ratio of 1.5, which is the
17 lowest odds ratio. But more importantly, what this
18 2.6 odds ratio for the T-Scan indicates is that, even
19 after adjustment for all of these factors, the T-Scan
20 still provides a 2.5-fold increase in the odds of
21 having breast cancer.

22 They also did the analysis for women 30-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 39, and we get the same results there.

2 So my conclusion from the logistic is that
3 it actually supports the inference that a T-Scan
4 positive woman is at increased risk for breast cancer,
5 even after adjusting for the baseline factors, a two
6 and a half to threefold increase.

7 My overall conclusion, and I will leave
8 you with this, is that based on a review of the data
9 of both arms of the study, the results meet the
10 conditions for approvability. It was well designed
11 and executed. We feel the sponsor's analysis was very
12 appropriate and to the point, and in all cases met the
13 pre-specified success criteria, and it showed clinical
14 efficacy with, again I emphasize, no safety concerns.

15 Thank you very much for your time.

16 I would like to introduce Dr. Vivian
17 Dickerson, Past President of the American College of
18 Obstetrics, Obstetricians and Gynecologists, and
19 Associate Professor of OB/GYN at UC, Irvine. She will
20 speak about the expert panel report.

21 DR. DICKERSON: Thank you, and good
22 morning. It is my pleasure to appear before you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 today.

2 I would, first of all, like to say that I
3 am pleased to note that I have been promoted. I am
4 now a clinical professor of obstetrics and gynecology
5 at the University of California, Irvine. I am also,
6 as you have heard a Past President of the American
7 College of Obstetricians and Gynecologists, and I
8 recently started a new position as the Director --
9 Medical Director of Women's Health Care and Programs
10 at Hoag Hospital in Newport Beach, California.

11 So needless to say, I am here because I
12 believe in what I am about to say, despite the fact
13 that I started a new two job two weeks ago, and three
14 days ago started a demolition/reconstruction on my
15 home. So maybe that's a really good reason to be
16 here.

17 It is my honor today to speak on behalf of
18 an august panel of experts as well as an individual.
19 I would like to give the following disclaimers. I am
20 not representing the American College of Obstetricians
21 and Gynecologists in my remarks. Furthermore, I am
22 not being compensated for my time here today, nor do I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have any ownership interest in Mirabel Medical Systems
2 or any other associated company.

3 I would like very briefly to introduce you
4 to the group that made up the expert panel, because
5 they all contributed greatly to the recommendations
6 that I am going to make today.

7 Dr. Mark Akin, you have already met. You
8 have just now met me.

9 Dr. Steven R. Goldstein, Professor of
10 Obstetrics and Gynecology at New York University
11 School of Medicine, specializing in ultrasound and
12 other women's imaging.

13 Dr. Daniel R. Mishell, Professor of
14 Obstetrics and Gynecology, Keck School of Medicine,
15 USC. Dr. Mishell is also the former Chair of that
16 OB/GYN department.

17 Dr. Lawrence D. Platt, who is Director of
18 the Center for Fetal Medicine and Woman's Ultrasound
19 in Los Angeles. He is also a clinical professor at
20 UCLA, a former Chair of the Department of OB/GYN at
21 Cedars Sinai Medical Center, and the current President
22 of the International Society of Ultrasound and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Obstetrics and Gynecology.

2 Dr. Ronald Wapner, you have met.

3 Dr. David Gur, a radiologist, Executive
4 Vice Chair and Professor of Radiology, University of
5 Pittsburgh; and Dr. Thomas Stavros, whom you will meet
6 shortly.

7 Our surgical oncologist you have also met,
8 Lieutenant Colonel Alexander Stojadinovic.

9 Our statisticians: Dr. Ralph B.
10 D'Agostino, who is Director of Statistics and
11 Consulting Unit at Boston University; Executive
12 Director of Data Management and Biostatistics at
13 Harvard Clinical Research Institute; Director of
14 Statistics at the Framingham Heart Study. And Dr.
15 Joel Verter, who you have just met.

16 Our epidemiologist, Dr. Theodore Colton,
17 Professor of Epidemiology and Biostatistics at Boston
18 University School of Medicine and Public Health.

19 Now that you have met our panel, I will
20 tell you that I have been asked to speak quickly,
21 because we want to allow plenty of time for questions
22 from the panel. Therefore, I will move along at a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fairly decent clip.

2 Our methodology is as follows: We
3 carefully considered T-Scan breast cancer screening
4 paradigm, and evaluated the design and execution of
5 the pivotal study. We also assessed the issues that
6 have been raised thus far by FDA panels.

7 We considered if and how T-Scan could be
8 incorporated within the standard of care for young
9 women, the target population, and we have provided all
10 this in a written opinion to you, the Panel.

11 Our conclusions were as follows: The
12 study was large and well designed. We also felt it
13 was appropriate to exclude post-menopausal women in
14 the study. As you have already heard, the impedance
15 characteristics and indeed many of the clinical
16 characteristics are determined by the relative
17 presence or absence of estrogen, and not by the
18 chronological age of the woman.

19 We felt it was also, therefore,
20 appropriate to enrich the population in the
21 sensitivity arm with pre-menopausal patients between
22 the ages of 40 and 45. We felt, therefore, that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 were sufficient data presented on safety and
2 effectiveness and that the results were generalizable
3 to the U.S. target population of women 30-39 for the
4 reasons I have already mentioned.

5 Women identified as positive, we
6 recognize, would otherwise have been missed, as they
7 did not have any other high risk characteristics to
8 identify themselves.

9 We felt that approval would stimulate
10 further development in an area that, as you have
11 already heard during the public testimony, an area
12 that needs technological improvement.

13 I would now like to speak briefly just as
14 a gynecologist. I have taken care of patients for 25
15 years. I am very, very aware of the current lack of
16 effective screening tools for breast cancer in women
17 under the age of 40.

18 Let's talk about what we have. We have
19 the clinical breast exam and the self breast exam. I
20 am going to speak to the clinical breast exam, because
21 that is what I do. It is the standard of care. It is
22 variable, and it depends on the palpation procedure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it depends on the tumor size or the lump size,
2 menopausal status or lack thereof, breast density, the
3 examiner proficiency, the breast size, and the
4 frequency with which it is done.

5 CBE indeed is so variable that the U.S.
6 Preventive Services Task Force has refused to make
7 recommendations for or against its use up to the age
8 of 50 for the detection of breast cancer in women.

9 Mammography is not recommended, as you
10 know, to average risk women between the ages of 30 to
11 39. So we have a clinical challenge. Most cancers in
12 this age group are self-detected, approximately 71
13 percent, and the five-year survival rate in this
14 younger cohort of women is lower than their older
15 counterparts.

16 Therefore, it is the early detection of
17 breast cancer in young women that is a clinical
18 challenge. Indeed, for me it is more than a
19 challenge. I appreciate the question earlier by Dr.
20 Romero of one of the public speakers who asked about
21 the anxiety levels and about the fear.

22 I deal with that every day of my life,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because it doesn't just occur with a woman who has
2 gotten a positive exam. It occurs in a woman who
3 recognizes we have nothing to offer her, and every day
4 of my life I am asked by women in their twenties and
5 women in their thirties what is it you can do for me;
6 and as you can see, I really don't have anything that
7 I can absolutely rely on for these women.

8 So I believe that they are left fearful
9 oftentimes, although I haven't done an objective
10 measure, but I do believe that they are very fearful;
11 and I know that I feel disheartened and without an
12 answer for them, and I feel that an early detection of
13 breast cancer in young women is the challenge, and our
14 ability to identify women at high risk would be so
15 much preferable to them identifying their selves later
16 with a palpable and often fatal lump.

17 So T-Scan, therefore, fits the bill. It
18 is a screening device, and that is so important for
19 everyone to understand, that while you heard this at
20 the beginning of our presentation, I think it is very
21 important to reiterate it at the end.

22 It is not a diagnostic device. I, too,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wish that as a 58-year-old woman there was an easy
2 diagnostic device that someone could use in their
3 office that was painless and wouldn't involve
4 squishing and doing all the things that I have to go
5 through every year. I'm happy for mammograms, but I
6 wish there was a diagnostic device. There is no such
7 thing at this juncture.

8 T-Scan does not promise that to young
9 women. It promises to screen, and it has all of the
10 desirable attributes of a screening tool, and those
11 include reasonable sensitivity, and that is the
12 ability to detect disease when present. You saw the
13 sensitivity data.

14 The real test of a screening exam is its
15 high degree of specificity, and that is, if the
16 disease is not present, the test is negative; and for
17 all those young women who go home fearful from my
18 office, it would be nice to offer them a test that has
19 as a high a sensitivity as the T-Scan.

20 Uniform quality and repeatability: You
21 have seen the data to support that. It is easy to
22 perform. It is noninvasive, with low morbidity. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has high safety. It would be very acceptable to my
2 patients, and it should be widely available, and I am
3 certainly hoping that this Panel will take care of
4 that today.

5 So what do we have for early risk
6 assessment? The import is to identify women at higher
7 risk, which, therefore, can lead to early detection
8 and diagnosis. And as most of you know, early
9 detection in this patient population would mean less
10 expensive treatment, less aggressive treatment,
11 improved quality of life, and improved long term
12 survival.

13 I thank you very much for allowing me to
14 appear today. The T-Scan can help us to identify
15 somewhere between 3,000 and 5,000 cancers that would
16 have been otherwise missed using the current standard
17 of care. That is a large number. T-Scan is a safe
18 and effective technology. It addresses an important
19 unmet need in women's health. Thank you.

20 Sorry. I would now like to introduce Dr.
21 Thomas Stavros, who is the Medical Director of
22 Ultrasound, Radiology Imaging Associates, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Director of Ultrasound at the Sally Jobe Breast Center
2 in Denver, Colorado.

3 DR. STAVROS: Good morning. Thank you for
4 allowing me to express my opinions.

5 I want to talk today about similarity
6 between breast tissue in women in their thirties and
7 those in their early forties who comprise the enriched
8 part of the sensitivity study. I want to talk about
9 how we would manage positive T-Scans, and I want to
10 talk about the precedent of doing diagnostic workups
11 in women under 30.

12 I would like to say that, as a
13 radiologist, I do not feel in the slightest bit
14 threatened by this test. I recognize it for what it
15 is, and I view the opportunity to work up these women
16 in their thirties with positive T-Scans as an
17 opportunity to detect cancer earlier, improve the cure
18 rate in an age group where the diagnosis is most
19 devastating, costly to society and the family.

20 While it is generally true that mammograms
21 become more replaced by fat over age, there is no
22 sudden cutoff point at which a breast is entirely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com