

1 around those concepts, I would greatly appreciate it.

2 Thank you.

3 CHAIRMAN CEDARS: Thank you. And if we  
4 can -- Nancy, do you need a vote on that for the --

5 MS. BROGDON: No. I think we have enough  
6 information. Thank you.

7 CHAIRMAN CEDARS: The sixth question has  
8 to do with the indication for use. I'm sorry, Ms.  
9 Mayer.

10 MS. MAYER: Just one point of  
11 clarification from Panel members. I am really not  
12 aware that there is agreed upon evidence that clinical  
13 breast exam is useless. As far as I know, there is a  
14 study that puts it on a par with mammography, a  
15 Canadian study.

16 So I would just like to ask anybody who is  
17 aware of the research to comment on that.

18 CHAIRMAN CEDARS: Does someone want to  
19 comment on that?

20 DR. SNYDER: Clinical breast exam is not  
21 useless. I mean, it is a very important part of the  
22 examination. It has never been, you know, really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 shown to be a cancer screening tool. I like to try to  
2 keep that separate in my mind.

3 I make sure my patients understand that  
4 just having had a clinical breast exam, doing their  
5 own self-breast exam hasn't been shown to decrease  
6 their chances of dying of breast cancer. It is still  
7 an important thing for them to be doing, for their  
8 health care provider to be doing.

9 We find a lot of things other than  
10 cancers, and for the patient that we do feel a 2.5  
11 sonometer mass -- I mean, it's important that we find  
12 that. But it gets down to what was already alluded  
13 to. You know, what we are looking for is something  
14 that is going to ultimately decrease the chances of  
15 our patients dying of breast cancer, and there I don't  
16 think the clinical breast exam has any scientific  
17 proven utility. Is that fair?

18 DR. BERRY: The study that Musa Mayer is  
19 referring to showed that clinical breast exam plus  
20 mammography is effective. Separating out the two is  
21 far from clear.

22 CHAIRMAN CEDARS: There are clearly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lesions detected on mammography that aren't palpated  
2 and palpable lesions, as you described, that aren't  
3 noted on mammograms. So it really is a combined  
4 diagnostic screening tool.

5 If we can go to question number 6, and  
6 again the indications for use are spelled out and  
7 reprinted under question 6.

8 The question for discussion is to comment  
9 whether the data provided and the discussion we have  
10 had today provides a reasonable assurance of  
11 effectiveness and safety to support this proposed  
12 indication. And if not, are there simple  
13 modifications.

14 We are specifically talking about the  
15 indication, and this isn't the vote for approval or  
16 nonapproval. This specifically has to do with the  
17 indication as written.

18 DR. SNYDER: You know, when I read what we  
19 are looking at, I think I totally agree with their  
20 first sentence, their first two sentences. The  
21 problem I am struggling with is what to do with a  
22 positive T-Scan result, and again as it was mentioned,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that is information that is in progress now and will  
2 be decided at a later date. But we have seen some  
3 reasonable evidence that the device detects electrical  
4 impedance changes in breast tissue that are associated  
5 with an increased risk of breast cancer.

6 DR. BERRY: I say no. I want to quote one  
7 of my heroes, Anna Guinlin. The truth is that modern  
8 medicine too often does things because they are  
9 possible, not because they are useful.

10 DR. ROMERO: I think the last sentence in  
11 the statement -- "The T-Scan evaluates women's risk of  
12 breast cancer at the time of exam, current risk and  
13 not lifetime risk." -- is something that we have had  
14 to be reminded about many times by the sponsor, and  
15 has pointed to our own confounding, maybe not  
16 intellectually but just in the conversation and our --  
17 the words we have chosen to describe things.

18 The fact that it has had to be -- we have  
19 had to have been reminded of that is probably  
20 indicative that any patients or consumers, anyone who  
21 is thinking of this following or before or after this  
22 type of a screening exam might be confused about.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So to the extent that any clarification of  
2           that wording can go more in the direction of  
3           understanding by a lay public, I think, would be  
4           really good; because I can intellectualize this, but I  
5           think it gets back to the question about what women  
6           will come away with believing and thinking after they  
7           have either a positive or a negative result.

8           CHAIRMAN CEDARS:     Additional questions  
9           regarding the indications for use?

10          DR. ROMERO:  I'm sorry, I have a question.  
11          I know it was pointed out earlier by the FDA  
12          scientists that the indication does not make any  
13          mention of a recommendation or restriction with regard  
14          to post-menopausal women.  I guess one might just say,  
15          well, you know, if you use the age cutoff of 40 and  
16          over proceeding -- or being advised to proceed to  
17          mammography, then maybe that deals with it.  But I'm  
18          just -- I guess I wonder why, if the study -- the  
19          analyses were limited to pre-menopausal women, why  
20          that would not be included in the prescribing  
21          information or the indication?

22          CHAIRMAN CEDARS:     Any questions or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concerns or issues about the absence of -- it doesn't  
2 specifically say annual, although that was intended in  
3 their -- Does that give you more comfort, less  
4 comfort? There was some discussion about that  
5 previously.

6 DR. TAUBE: I think it goes back -- The  
7 question as asked by the agency goes back to what we  
8 consider the definition of safety in this case, and  
9 whether that includes the downstream events that  
10 occur, even when -- you know, given that the device is  
11 being used appropriately, following all the  
12 instructions and so on, and the device is kept up to  
13 date and so on. But I think we could use data on how  
14 many examinations actually followed positive T-Scan  
15 results and how many biopsies this led to and how many  
16 positive -- let's say positive biopsies.

17 I mean, we have data that suggests this,  
18 but we don't have actual data, and so I think, if you  
19 were to say what data would help, I think having some  
20 follow-up data would help us assess the safety.

21 CHAIRMAN CEDARS: I think this question is  
22 asking more in terms of labeling rather than, as the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 PMA currently stands, are you comfortable with the  
2 indications for use. Whether or not there need to be  
3 additional studies once we get to an approval vote  
4 will be relevant, but given the PMA as it now stands,  
5 the question at hand is really whether or not we feel  
6 that the information presented provided reasonable  
7 assurance of effectiveness and safety to support this  
8 indication.

9 DR. TAUBE: I don't think it does.

10 CHAIRMAN CEDARS: Okay. Yes?

11 DR. BERRY: Can I just address that? We  
12 have at the maximum 15 cancers and five assessments by  
13 the G-Scan. This would establish a new low for the  
14 FDA in terms of the level of evidence that they accept  
15 for effectiveness. I can't imagine that this provides  
16 reasonable assurance for effectiveness for the group  
17 that we are talking about, the CBE negative and family  
18 history negative.

19 CHAIRMAN CEDARS: Any other discussion?  
20 If not, I'd like to poll the panel on this question,  
21 and we will start with Dr. Romero. Oh, I'm sorry.  
22 Ms. George?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. GEORGE: One question I have on what  
2 you were talking about, Dr. Taube, was about the  
3 safety and effectiveness with going on, biopsy  
4 decision and stuff like that. Isn't the determination  
5 of biopsy a clinical determination based off of  
6 another medical device that has already been approved  
7 for its safe and effective use?

8 So I'm wondering why we would be imposing  
9 that criteria on the sponsor when that is not what  
10 they are saying. They are saying that this is just to  
11 support the clinical determination to go to another  
12 assessment tool which has already been determined safe  
13 and effective for making decisions for biopsies.  
14 Right?

15 DR. TAUBE: That's why I said it depends  
16 on how we are going to define safety, and it is my  
17 understanding that -- I mean, it's just like when you  
18 write informed consents for patients and you talk  
19 about taking a blood sample, and you say that the only  
20 risk -- like for a genetic test, and you say the only  
21 risk is the risk of venipuncture. That's not the only  
22 risk. The risk is the answer that you get and what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 that leads to.

2 So I'm suggesting that safety is more than  
3 just whether or not you have allergies or burns or  
4 dermal effects.

5 CHAIRMAN CEDARS: And I think one of the  
6 other issues is this is going to be an increased  
7 number of women going for that screening procedure  
8 with a known risk. So given the low sensitivity or  
9 relatively low sensitivity of this compared to  
10 mammogram, you are going to be getting a lot of women  
11 going to mammogram potentially that wouldn't have  
12 otherwise. So there do become sort of downstream  
13 risks other than just the risk of the procedure  
14 itself.

15 MS. GEORGE: Okay, because I guess I was  
16 understanding that, based on listening to the clinical  
17 assessment, that mammograms were not risky. So the  
18 very next step is going for the mammogram, and then  
19 the determination of going to the biopsy then would be  
20 based off of the mammogram, which again has already  
21 been proven as a safe and effective device for making  
22 those decisions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So I just wanted to make sure that we are  
2 properly compartmentalizing the thought process when  
3 we think about the safety and effectiveness.

4           DR. BERRY:    The mammogram is -- It has  
5 been shown to be effective in decreasing mortality for  
6 women age 40 and older.  But there are clear risks.  I  
7 mean safe and effective -- There are associated risks,  
8 the same risks that we are talking about, the risks of  
9 biopsy, the risks of overtreatment, indeed of  
10 overdiagnosis.  But on balance for women over 40, it  
11 has been shown to be effective.  It has never been  
12 shown to be effective, and in fact never been  
13 addressed, for women in the thirties, in part because  
14 of the very low incidence and prevalence of disease  
15 that we are talking about in that age group.

16           CHAIRMAN CEDARS:  Additional comments?  If  
17 not, Dr. Romero?

18           DR. ROMERO:  Okay.  Just so I understand,  
19 I think our original comments were with regard to  
20 actual wording within the statement provided for  
21 indication for use.  But then the question after it is  
22 whether the data provided with regard to effectiveness

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and safety support the indication.

2 CHAIRMAN CEDARS: Those are correct. So  
3 the question is whether -- is the second one, because  
4 then the other one was could the wording be changed.  
5 But the first one is do you believe that the  
6 information provided by the PMA gives a reasonable  
7 assurance of safety and efficacy?

8 DR. ROMERO: Okay. Well, I would say no,  
9 particularly with regard to effectiveness, because  
10 with questions, quite critical questions, still  
11 unresolved with regard to the appropriate prevalence  
12 rate to include in the calculations, also questions  
13 about whether -- to the extent, or the weight that  
14 should be given to subgroup analyses, I think those  
15 are overwhelming in terms of their shedding doubt on  
16 the effectiveness.

17 CHAIRMAN CEDARS: Ms. Mayer.

18 MS. MAYER: I would have to say no.

19 CHAIRMAN CEDARS: Dr. Hillard?

20 DR. HILLARD: I would say no, given  
21 concerns about sensitivity and the harms and risks of  
22 false positives.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRMAN CEDARS: Dr. Taube?

2 DR. TAUBE: I have already expressed  
3 myself.

4 CHAIRMAN CEDARS: Dr. Snyder.

5 DR. SNYDER: I want to understand the  
6 question again that we are asking. Is it safety and  
7 effectiveness?

8 CHAIRMAN CEDARS: Safety and  
9 effectiveness.

10 DR. SNYDER: I have no concerns about  
11 safety. I think, again, that the company did hit the  
12 FDA pre-agreed upon guideline of showing effectiveness  
13 for use as a risk assessment tool. However, their  
14 study wasn't designed to support the second part of  
15 their proposed indication, which is to make any sort  
16 of clinical recommendation of what to do with that  
17 data, and so it is hard for me to understand exactly  
18 what effectiveness we are talking about.

19 Is it effectiveness in its use as a risk  
20 assessment tool or are we talking about effectiveness  
21 in decreasing the mortality of breast cancer,  
22 effectiveness in finding new lesions. So I'm sorting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 those apart.

2 CHAIRMAN CEDARS: I think the primary  
3 efficacy endpoint was the increased recognition to  
4 identify a high risk group. So it is not -- There was  
5 no endpoint of effect on mortality, morbidity.

6 DR. SNYDER: But what I have been hearing  
7 is more than just that, you know, it's saying. Then  
8 what I'm saying is I think there is exciting data to  
9 suggest that it may be effective in risk assessment.  
10 I have no idea, though, what to do with that data, and  
11 I don't think, as stated, that it can be used to make  
12 -- as an adjunct to further clinical management. Am I  
13 making any sense?

14 CHAIRMAN CEDARS: So the initial -- The  
15 indication says it is a complement to clinical exam.  
16 So are you saying you think it does represent a safe  
17 and effective complement to that or that that's what  
18 you are still unsure about?

19 DR. SNYDER: Again, you know, it's worded  
20 differently in several places, but if it is -- I think  
21 they have shown data to suggest that it can be used as  
22 a risk assessment tool.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRMAN CEDARS: I'm sorry, I don't mean  
2 to put you on the spot. Okay, Dr. Miller.

3 DR. MILLER: I don't have any concern  
4 about safety. I think the effectiveness is buoyed by  
5 many of the things that we have spent the last hour  
6 and a half talking about, the confounding variables in  
7 the population, the small sample size. I think, when  
8 put to the question of should we recommend this --

9 CHAIRMAN CEDARS: That is not the  
10 question.

11 DR. MILLER: Well, but we are talking  
12 about whether or not we think it has met the standard,  
13 and I don't think it has met the standard of  
14 effectiveness.

15 DR. JIANG: I am concerned about  
16 effectiveness.

17 DR. GLASSMAN: I have no concerns about  
18 the narrow definition of safety. I think patients  
19 will not walk away with burns or anything else. I  
20 think that has been shown.

21 I am concerned about the effectiveness  
22 piece, because of all of the comments that have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 made previously.

2 CHAIRMAN CEDARS: Dr. Berry?

3 DR. BERRY: I agree with Dr. Glassman  
4 except for the woman in the suburbs.

5 CHAIRMAN CEDARS: Dr. Weeks?

6 DR. WEEKS: I also have concerns about  
7 effectiveness, and narrow definition of safety, I have  
8 no concerns.

9 CHAIRMAN CEDARS: Dr. Mortimer.

10 DR. MORTIMER: I have no problems with  
11 safety. I do have a problem with efficacy, and I also  
12 have a problem with recommendations for further  
13 workup.

14 CHAIRMAN CEDARS: I'm sorry.

15 DR. MORTIMER: I have a problem with  
16 recommendations for further workup, since I don't  
17 think we know what the right workup is with a positive  
18 score.

19 CHAIRMAN CEDARS: Dr. Goldberg.

20 DR. GOLDBERG: I have no problem with the  
21 safety. As far as the effectiveness, as it is said at  
22 least half a dozen times in the pack that this is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 risk assessment tool, and I think as far as addressing  
2 the issue of is this a risk assessment tool, I think  
3 they adequately did address that.

4 I think the effectiveness that some of us  
5 are talking about are going above and beyond the scope  
6 of this conversation.

7 CHAIRMAN CEDARS: Ms. George?

8 MS. GEORGE: I, like everyone else, feel  
9 it is a safe item. I think that they did hit their  
10 pre-agreed endpoints that were identified, and I think  
11 that it does meet the aspect of being a complement,  
12 and I think that all it is doing is it is another tool  
13 to help the doctors make further determinations,  
14 hopefully in a proactive manner, to help patients get  
15 the right care at the right time.

16 CHAIRMAN CEDARS: And I agree. I don't  
17 have any short term safety concerns. There are the  
18 concerns about downstream risk to patients of further  
19 diagnostics and biopsy, and my concern about  
20 effectiveness has to do with not whether or not the  
21 pooled data meets the designed cutoff of 2, but  
22 whether or not the data, particularly the enriched

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 population in the sensitivity arm, rises to a valid  
2 enough population pool that you are really hinging all  
3 your effectiveness data on that sensitivity pool.

4 So I still have concerns about both the  
5 enrichment and the differences between the two  
6 populations. So because of those issues, and the  
7 effectiveness really hinges on that sensitivity arm, I  
8 have some ongoing concern.

9 Nancy, do you need any further discussion  
10 on that?

11 MS. BROGDON: I think we have enough.  
12 Thank you.

13 CHAIRMAN CEDARS: Okay. We have a bit  
14 covered this. Number 7 is just the overall  
15 risk/benefit profile. Does anyone have any comments  
16 additionally? We did talk about risk/benefit on one  
17 of the previous questions. Were there any additional  
18 comments or any additional concerns, Nancy, that you  
19 had that we did not address already?

20 MS. BROGDON: I think we have enough.  
21 Thank you.

22 CHAIRMAN CEDARS: Okay. And any comment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about the draft labeling that has been recommended by  
2 the sponsor? Yes?

3 DR. ROMERO: I had a question. When I was  
4 looking through the labeling and there was reference  
5 to Appendices, the appendix for a patient guide -- The  
6 closest that I could come to that were a couple of  
7 pages on FAQs. Was that the patient guide or was I  
8 missing something?

9 CHAIRMAN CEDARS: Can I ask the sponsor,  
10 is there -- For the patient guide, was it anything  
11 beyond the frequently asked questions? No. That was  
12 it.

13 DR. ROMERO: Then my only suggestion would  
14 that it be more clear that that's the patient guide.

15 CHAIRMAN CEDARS: Dr. Mortimer?

16 DR. MORTIMER: I have problems with the  
17 recommendations, because I just don't -- If we knew  
18 that nipple aspirate fluids or that ductoscopy found  
19 things in these positive patients, I think I would  
20 feel more comfortable. I just don't think we know  
21 what the right recommendation is for these patients.

22 CHAIRMAN CEDARS: And that something you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would expect from the sponsor?

2 DR. MORTIMER: Well, as I read this, it  
3 sort of looks as though the recommendation is to do  
4 mammography or ultrasound, you know, obviously,  
5 leaving it up to the physician. But I don't think we  
6 actually know that that is the right thing to do.  
7 There may be other things that would be more  
8 worthwhile to do.

9 CHAIRMAN CEDARS: Any other comments? Dr.  
10 Snyder?

11 DR. SNYDER: Again, echoing the same thing  
12 I said before regarding indications for use -- and  
13 that is what, I think, Dr. Mortimer just alluded to --  
14 is we don't know what the post-positive study  
15 recommendation should be.

16 The other thing, and it may just be that I  
17 am not finding it, but in their precautions they said  
18 that it has not been tested on lactating women, women  
19 who have undergone chemotherapy or women with recent  
20 biopsies, but they also excluded women with implants  
21 or any cosmetic surgery in their other studies. That  
22 would, obviously, have to be put in the precautions,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 too.

2 CHAIRMAN CEDARS: Okay. Before we take a  
3 break, I would just like to give an opportunity to the  
4 industry rep, consumer rep, and patient rep to speak.

5 So, Ms. George, do you have any additional comments?

6 Okay. Ms. Mayer.

7 MS. MAYER: Perhaps something that Cindy  
8 Pearson said to us at the open public hearing I would  
9 like to underscore. That is none of us doubts that  
10 this is a real and urgent need that is being  
11 addressed, and I really do appreciate the hard work  
12 that the company has done to meet this need. But what  
13 I am left with is the feeling that something to  
14 address a real need, regardless of its urgency, is not  
15 necessarily better than nothing.

16 CHAIRMAN CEDARS: Thank you. Dr. Romero?

17 DR. ROMERO: Yes. I think most of my  
18 comments heretofore have been with regard more to my  
19 scientific background, but as the consumer rep maybe  
20 the thing I would like to highlight most is a comment  
21 that I made earlier on or a question that I asked,  
22 which had to do with the lack of racial and ethnic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 diversity in the sample.

2           It is acknowledged -- It is actually very  
3 discouraging that it continues to be acknowledged  
4 like, yes, that would be really good to achieve, but  
5 we didn't, and without any further discussion even  
6 about what kinds of recruitment, sample recruitment or  
7 study design modifications would or should be made in  
8 order so that we are not in this situation again.

9           I haven't sat on many panels before today,  
10 but it seems like this is a recurring theme from just  
11 the work I do, the studies I review. You know, to be  
12 in the year 2001 and to be confronted with an  
13 application for a device that enrolled in the  
14 specificity or sensitivity arm -- I forget which is  
15 which -- but two and four percent respectively of  
16 Hispanics and African Americans and four and eight  
17 percent respectively of those groups in the other arm,  
18 I think, is abysmal.

19           We have 15 percent Latinos in this  
20 country, and we have about 14 percent African American  
21 women. We also know that breast cancer is  
22 disproportionately experienced at this point by women

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of color.

2 So to be confronted with a study that has  
3 abysmal representation of those groups is beyond my  
4 comprehension at this point.

5 CHAIRMAN CEDARS: Thank you. If there are  
6 no comments, any comments from the FDA before we take  
7 a break?

8 MS. BROGDON: No comments. Thank you.

9 CHAIRMAN CEDARS: Okay. We will take a 15  
10 minute break, and reconvene at 3:15, and again I would  
11 like to remind -- I'm sorry, at 3:30, and again I  
12 would like to remind the Panel members to not discuss  
13 the PMA during the break.

14 (Whereupon, the foregoing matter went off  
15 the record at 3:16 p.m. and went back on the record at  
16 3:35 p.m.)

17 CHAIRMAN CEDARS: Now that we have  
18 responded to the FDA's questions, we will proceed with  
19 the second open public hearing of this meeting. Prior  
20 to the meeting, we have received four requests to  
21 speak.

22 I would like to remind the speakers, as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was mentioned today, to disclose any conflict of  
2 interest or relationship with the sponsor or their  
3 competitors, and I would also like to remind the  
4 speakers to a five-minute limit, please.

5 The first speaker is Dr. Carol Lee.

6 DR. LEE: I am Dr. Carol Lee. I am a  
7 professor of diagnostic radiology at Yale University,  
8 School of Medicine. I am also the Chair of the Breast  
9 Imaging Commission of the American College of  
10 Radiology, and I am Vice President of the Society of  
11 Breast Imaging, and I am here representing both of  
12 those organizations. I have no conflict of interest  
13 to disclose with either the sponsors nor their  
14 competitors.

15 I would like to thank the Panel for this  
16 opportunity to make some brief remarks, and I want to  
17 make these remarks as a representative of the breast  
18 imaging community, who has been intimately involved  
19 with issues concerning screening for a number of  
20 years.

21 There is no body of people who would  
22 welcome improved ways of screening for breast cancer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 than the breast imaging community. However, I am  
2 struck in the discussion today and in going over the  
3 materials provided on how we have managed to separate  
4 the effectiveness or the efficacy of this tool with  
5 the downstream testing and the downstream consequences  
6 of the testing.

7 Identifying increased risk without a  
8 method, a proven method, of acting on this or without  
9 knowing how to proceed once the risk is identified, I  
10 think, is not in the best interest of our patients.

11 I have heard talk about what constitutes  
12 an ideal screening test, and I think that, certainly,  
13 the considerations associated with downstream testing  
14 need to be considered, including the specificity --  
15 not only the sensitivity but also the specificity of  
16 downstream testing.

17 MRI has been mentioned, and it is well  
18 known that specificity of MRI is quite variable, and  
19 in some reports is as low as 37 percent. So that's a  
20 lot of false positives that we are dealing with and,  
21 when we are talking about anxious patients, there is  
22 nothing more anxiety provoking than an abnormal MRI

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 examination.

2 In addition, I think we need to talk about  
3 the demonstration of benefit of screening tests. I  
4 find, not being a statistician, the sensitivity  
5 figures not to be particularly compelling. I have  
6 heard talk of early detection and cure, but based on  
7 what I see presented, I don't know that the cancers  
8 that are being detected by the T-Scan are indeed small  
9 cancers, early stage cancers, less aggressive cancers,  
10 etcetera. There is no data on that.

11 Finally, I want to mention -- I want to  
12 remind all of us of the weight that the words FDA  
13 approved have with the public, and this is something  
14 that we in the breast imaging community have dealt  
15 with recently with other imaging modalities that are  
16 FDA approved but that are being used in ways that were  
17 probably never intended by the FDA.

18 We are considering -- You are considering  
19 this device in terms of its safety and its  
20 effectiveness. It is, I think, important for us to  
21 understand what effectiveness constitutes, and in the  
22 minds of the public, once a device is approved as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 screening tool, it implies that it can pick up early  
2 stage disease, and I don't think that has been  
3 demonstrated by the data presented here.

4 I am concerned as a breast imager with the  
5 uncertainty of how to deal with these positive T-  
6 Scans, the lack of direction and the lack of data and  
7 information on how to proceed once a woman has an  
8 abnormal test. Thank you.

9 CHAIRMAN CEDARS: Thank you. Dr. Platt.

10 DR. PLATT: Thank you very much to the  
11 Panel to allow me to make a few comments. I will  
12 first claim that I have served as a consultant to the  
13 company and working along with some of the  
14 investigators in my community. As such, I am a  
15 professor of OB/GYN at David Geffen School of Medicine  
16 at UCLA. I also run a private prenatal diagnosis  
17 program, understanding the whole area of screening,  
18 and as an aside, in some of my professional  
19 affiliations I also serve as the Chair of the Breast  
20 Ultrasound Foundation, which is a branch of the ARDMS.  
21 So I am very interested in the whole concept of  
22 breast diagnosis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think we all realize what the Panel has  
2 heard today, both from the sponsor and from your own  
3 overviews, breast cancer in young women are hard to  
4 find. It is very difficult. The anxiety of waiting  
5 for the breast mass to be felt is at best too late.

6 We are all searching for newer and more  
7 innovative methods to identify the breast cancers  
8 before it is felt, because we all realize, and we have  
9 heard it here today as well, that earlier detection  
10 does mean better care, better cures.

11 As such, T-Scan has shown to be effective,  
12 as set out by the objectives put forward by the FDA's  
13 discussions with the company and their proposals, as  
14 you have said here. It is not 100 percent sensitive.

15 It is not 100 percent specific. We know of no  
16 screening test that would be that. Otherwise, it  
17 would not be a screening test.

18 I think the screening tests have to be  
19 used as such, as a balance between sensitivity and  
20 specificity, which indeed it is.

21 What T-Scan also provides, what this  
22 electrical impedance methodology will provide, is an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 earlier opportunity, I believe, for patient education  
2 as well. All too often, patients wait. They feel.  
3 They deny. Here is an opportunity before they even  
4 feel a mass to at least have a screening methodology  
5 that will bring them to their health care provider  
6 whose responsibility it is to care for the patient and  
7 lead them and help them in a process of management.

8 T-Scan does not set forth what the cascade  
9 of treatment will be after a positive test itself,  
10 because there are professionals who have spoken here  
11 today with what we do when we have a positive test,  
12 what we do with a positive mammogram.

13 I think it is clear that the clinical  
14 problems are there. We are not going to solve them,  
15 but we are going to help identify these patients a lot  
16 earlier where there is nothing else.

17 We have heard the compelling stories of  
18 patients who have waited too long to come in for their  
19 diagnosis. We have heard the needs of our patient  
20 population before the age of 40 where we tell them,  
21 just feel the breast and do a Gail Model scoring,  
22 which we know is not totally effective.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   We need to find something that meets those  
2 needs, and I believe that we are on the way with this  
3 T-Scan, which has met the FDA objectives, to make it  
4 available for us so that we can utilize it in clinical  
5 practice, as I have seen patients undergo this test  
6 not feel that anxiety when it is a red tests but  
7 rather feel that they now can go on to another  
8 methodology that has a proven value in clinical  
9 practice.

10                   Like all new technologies, we will learn  
11 more as we use it more. If our hands are tied in the  
12 back and we cannot use it, we obviously will not go  
13 any further with this opportunity. I believe that T-  
14 Scan's approach is education. T-Scan's approach is  
15 really a screening methodology, and I think that we do  
16 understand that this is a screening methodology and we  
17 understand that we can go to all the degrees of  
18 testing that we want, we will never have it available  
19 for our patients.

20                   I do believe that this will be something  
21 introduced into clinical practice that will help us,  
22 not hurt us. Thank you very much.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CEDARS: Thank you. Dr. Akin.

2 DR. AKIN: Good afternoon. I've been  
3 asked to read a letter into the record for you from a  
4 physician in Vienna. I understand that he has not  
5 received any compensation or have any interest with  
6 Mirabel.

7 This is Dr. Michael Fuchsjager, Associate  
8 Professor of Radiology, Department of Radiology at the  
9 Medical University of Vienna in Austria. This letter  
10 was written August 24, 2006.

11 "Dear Honorable Panel Members,

12 "As Associate Professor of Radiology at  
13 the Medical University of Vienna, I have been  
14 researching and publishing on electrical impedance  
15 technology, initially with the TS-2000 and currently  
16 with the T-Scan 2000 ED, since 1999. I wanted to help  
17 clarity some of the important issues that may be a  
18 source of confusion to those who have less experience  
19 with the technology and its application in the  
20 assessment of breast cancer risk in women age 30-39.

21 "In my opinion, the true clinical need for  
22 this device lies in the identification of cancers that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would otherwise be entirely overlooked. The T-Scan  
2 2000 Ed targets patients who are not routinely offered  
3 mammography or other imaging, and by scanning the  
4 entire breast, identifies women who should be offered  
5 additional screening. In my department, we rely  
6 heavily on full film digital mammography, and we feel  
7 that this technology, which offers a sensitivity of  
8 approximately 70 to 80 percent in women age 30-39, is  
9 an efficient, safe and economically logical means for  
10 identifying breast cancer in women who would generally  
11 not be offered their first imaging exam for several  
12 years or more.

13 "Amongst my radiology colleagues, I have  
14 encountered some initial resistance to electrical  
15 impedance. I believe that a significant amount of  
16 concern may arise from the misconception that the  
17 device can be used instead of mammography or other  
18 accepted breast screening or diagnostic technologies.

19 Thus, I should note that the device does not allow a  
20 patient who has a breast symptom or who is above age  
21 40 to be screened with the T-Scan 2000 Ed device, and  
22 that the device does not offer a breast image of any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind. Thus, expecting that electrical impedance  
2 scanning will compete with mammography is similar to  
3 expecting that a BRCA testing will compete with  
4 mammography. In fact, it is expected that  
5 significantly more at risk women will benefit from  
6 mammography and other imaging once the T-Scan 2000 ED  
7 is available.

8 "Another point that I have discussed with  
9 my colleagues is the sensitivity rate, which is lower  
10 than the sensitivity of mammography, ultrasound or  
11 MRI. The primary goal of a risk assessment tool, such  
12 as the T-Scan 2000 ED, designed for women who are  
13 mostly free of disease, is not to diagnose pathology  
14 but to help identify a smaller number of women who  
15 require additional imaging and follow up with tools  
16 that have a high level of sensitivity and offer a  
17 diagnosis. Returning to the BRCA analogy, only 5  
18 percent of patients who have breast cancer also carry  
19 the BRCA germ line mutation. Thus, it could be said  
20 that the sensitivity of electrical impedance scanning  
21 is very low, but in fact, the technology is very  
22 valuable in identifying risk.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           "Having studied EIS technology for a long  
2 time, I am pleased by the robust, stable and easy to  
3 operate characteristics of the T-Scan 2000 ED device,  
4 and I fully expect that once the device is in the  
5 hands of primary care and Ob/Gyn physicians, we, as  
6 radiologists will have a valuable opportunity to  
7 screen a cohort of women which are distinctly  
8 underserved by the current standard of care. I have  
9 been and remain highly supportive of this new  
10 application, as embodied in the T-Scan 2000 ED which  
11 is currently under your review.

12           "Yours sincerely, Michael Fuchsjaeger."

13           Thank you.

14           CHAIRMAN CEDARS: Thank you. Dr. Gur.

15           DR. GUR: My name is David Gur. I am a  
16 scientist, not a clinician. I am the Executive Vice  
17 Chairman of one of the largest departments of  
18 radiology in the country, and I had been in the past a  
19 consultant to the company.

20           I would like to address three issues that  
21 are related to a theme that has been going on through  
22 the day, and in a way are related to each other and,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 hopefully, will at least affect your thinking for the  
2 next set of deliberations.

3 The first one is the transition between  
4 age 39 and 40. There has been a lot of discussion  
5 here about prevalence and how it affects both PPV and  
6 the ratio of -- yield or ratio of false positives to  
7 cancers detected.

8 I just want to remind the team and those  
9 who raised the issue of changing prevalence or  
10 incidence during the decade of 30-40 that indeed, if  
11 you just take a woman at the age of 40 where annual  
12 screening with mammography is an acceptable practice,  
13 her risk of having -- and you take away women with  
14 known risk factors, actually her yield is about one in  
15 1000, not one in 400 or one in 300.

16 So the woman at the age of 39 may be one  
17 in 800 or 900. The woman at age 39 may be one in  
18 1000. So the transition is not a large transition  
19 between those that we have standard acceptable  
20 practice. Those at the age group, at least at the  
21 higher end, or 40 we are talking about.

22 If you think about going to a .2 cases per

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thousand and you accept for whatever you wish to the  
2 fivefold increase in relative risk by the company,  
3 then even at the lower end we are coming to risks that  
4 are comparable to a woman at the age of 40 without  
5 known risk factors in terms of yield.

6 So we just need to be careful when we  
7 start talking about specific ages and common practices  
8 that either we take averages everywhere or we consider  
9 the fact that your transition between a screening age  
10 and an unscreening age is a very smooth transition  
11 where the risk factor really changes very little.

12 The second point that I would like to make  
13 is related to case availability and case pooling in  
14 regard to age. Indeed, in this study the women we  
15 would like to find with the technology or any other  
16 technology are not those with palpable findings and/or  
17 known risk factor because of family history.

18 Unfortunately, in this group the company,  
19 in my opinion, was lucky that, for whatever reason,  
20 there were four cases that were available at the age  
21 of 30-39, because typically we have no mammography,  
22 and the only way they get imaging procedures that go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 up to follow-up is because they have family history  
2 and/or palpable finding, and therefore, in order to  
3 study those cases that we would like to find earlier,  
4 we actually have to extend the age and find those  
5 cancers that are found by other diagnostic procedures  
6 rather than palpability or that are not being screened  
7 because of the fact that they have family history.

8 That is -- In the current environment, to  
9 be practical, that is the only way we can get the  
10 number of cancers, if you like, that we would like to  
11 study for the purpose of this kind of screening.

12 So the discussion of whether or not we  
13 have large enough set in the intended use, in common  
14 practice in the United States you would not find those  
15 cancers, because those that you do find are related  
16 largely to family history and/or palpability, because  
17 that is the only reasons why they follow up to  
18 diagnostic procedures.

19 The third issue that I would like to  
20 address is the issue of sensitivity and procedures  
21 downstream. The fact is that, as I said before, the  
22 issue of transition between age 40 and above and 39

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and below have no big changes in sensitivity of the  
2 procedures that are downstream procedures that we do  
3 accept in our society as a diagnostic tool that is  
4 commonly practiced.

5 We do know that FFDM alone in this group  
6 age, and if you add common practice today that was not  
7 done during the DMIST studies that we all quote, you  
8 add computer aided diagnosis, CAD, to it -- we all  
9 know that its sensitivity is someplace between 70 and  
10 80 percent, and if you think about the future when  
11 there are technologies that are being looked at such  
12 as imaging tomosynthesis and/or FFDM plus ultrasound,  
13 we know that this sensitivity will only improve.

14 These are all common practices that we do  
15 know the sequela and the responsibility associated  
16 with those diagnostic tools, and to assume that there  
17 is some kind of a transition that at the age 40-41 or  
18 42 all of this sequela is okay in our society, but in  
19 age 39 or 38 or 35 it is unacceptable just for that  
20 matter, in my opinion, should be taken into account.  
21 It should be acceptable as well. Thank you.

22 CHAIRMAN CEDARS: Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Is there anyone else from the audience?  
2 We have time for one speaker just three minutes,  
3 please.

4           DR. GOLDSTEIN: My name is Dr. Steven  
5 Goldstein. I am a professor of obstetrics and  
6 gynecology at New York University School of Medicine.

7           In that capacity I have a half-time private practice  
8 in gynecology. I am not being paid to be here. I  
9 have no financial interest in this company. I have  
10 been an investigator with the T-Scan device, and I  
11 have listened very carefully to the discussion today,  
12 and I would like to make the following comments.

13           I came here today as a clinician, not as a  
14 breast imager. Twelve thousand women 30-39 are  
15 diagnosed with breast cancer each year, and regardless  
16 of what percent of the total that is, it is 12,000  
17 women, 12,000 women whose lives and whose families'  
18 lives are turned upside down. In fact, the physical  
19 and psychological aspects of such a diagnosis are  
20 almost unimaginable unless you are the one going  
21 through it.

22           So 12,000 cases of breast cancer in women

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 ages 30-39. We have already heard that there are  
2 9,000 cases of cervical cancer in all women, and think  
3 of the time and resources to accomplish this success.

4 But for all the talk these days about HPV and  
5 vaccines, the cervical cancer success story is really  
6 the result of screening, the Pap smear.

7 Don't believe for a moment that, when  
8 first introduced by Papanicolaou 60 years ago, its  
9 sensitivity and specificity was nearly as good as it  
10 is today.

11 Clinical use allows maturation and further  
12 refinement of virtually all medical technology, and I  
13 am confident the same would be true of electrical  
14 impedance, if given the chance.

15 So 12,000 women with breast cancer, 71  
16 percent picked up by the patient herself, the death  
17 rate per case higher in these women than in older  
18 women, largely because these tumors are larger and  
19 more advanced.

20 Thus, I think we can all agree that  
21 clinical breast exam is extremely disappointing. I do  
22 them. I do them sitting in line. I do them very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 carefully, and I think patients are relieved when I  
2 feel nothing. I think all too often she thinks that  
3 she is guaranteed to be okay and, obviously, all of us  
4 in this room know it is not as reassuring as we would  
5 like to think.

6 Twelve thousand women per year, 71 percent  
7 find it themselves. The clinical breast exam is just  
8 not effective, sadly. Obviously, we can all agree  
9 that we want to diagnose breast cancer in women 30-39.

10 The real question for you to consider is whether the  
11 T-Scan device is capable of making enough of a dent in  
12 the problem without creating undue subsequent testing  
13 and undue anxiety.

14 So what about undue subsequent testing?  
15 We have heard, and I think it needs to be clarified,  
16 right now arbitrarily at age 40 I send my patients for  
17 mammography. I think we know it takes 300 or 400  
18 mammograms to pick up one cancer. In a T-Scan  
19 positive woman, I will find cancer in one out of 136  
20 or perhaps one out of 194, according to Dr. Yustein.  
21 Either way, it seems like this is appropriate  
22 utilization of resources.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           What about undue anxiety? There is no  
2 question that any positive finding in a screening  
3 situation creates anxiety, whether it is a positive  
4 glucose challenge test in a pregnant woman, the  
5 positive nuchal translucency leading to the  
6 amniocenteses, and I do appreciate the question this  
7 morning that screening usually leads to a definitive  
8 diagnosis, but not always.

9           Women with an atypical Pap smear or a low  
10 grade SIL on Pap who have no lesion on colposcopy, do  
11 not end up with a definitive diagnosis. They may be  
12 reassured by the negative colposcopy and the negative  
13 biopsies, but then they go back into the usual pool of  
14 care, not unlike the T-Scan positive patient with  
15 negative follow-up imaging.

16           It is our responsibility as physicians to  
17 be sure that patients realize what this means before  
18 they enter into it. So, certainly, the counseling and  
19 explanation with the test is crucial.

20           I tell every patient before they agree to  
21 participate that, if the test is positive, they will  
22 get further evaluation, but the chances are about 99

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 out of 100 that nothing will show up. Then we will  
2 probably just watch them carefully. No one at that  
3 point has then declined. Remember, this is a risk  
4 assignment tool.

5 In closing, I stand here speaking for my  
6 patients as well as all those patients who will have  
7 breast cancer detected because of T-Scan when  
8 otherwise they would go undetected. Would higher  
9 sensitivity be better? Of course, it would. But I  
10 believe that identifying 5.3 percent of women who will  
11 have 26 percent of the cancers should be sufficient  
12 for you to allow me and other health care providers of  
13 women to utilize this service.

14 I appreciate your concerns, but isn't it  
15 up to individual physicians to make many of these  
16 decisions? I personally find much of the discussion  
17 about downstream concerns to be actually  
18 paternalistic. I would hope --

19 CHAIRMAN CEDARS: Excuse me. Could you  
20 summarize?

21 DR. GOLDSTEIN: I'm on my last sentence.  
22 I would hope that you would leave such decisions up to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 individual physicians in consultation with their  
2 individual patients. Thank you.

3 CHAIRMAN CEDARS: Thank you.

4 I would now like to give the opportunity  
5 to the FDA first and then the sponsor for closing  
6 comments. Does the FDA have any final comments? No?

7 MS. BROGDON: No comments. Thank you.

8 CHAIRMAN CEDARS: No comments. Then I  
9 would like to give the sponsor an opportunity for  
10 final comments.

11 DR. GINOR: Good afternoon. At this  
12 moment you are preparing to vote. I spent six years  
13 with our physicians, statisticians, scientists, trying  
14 to do whatever possible to take on the monumental task  
15 of clinical breast exam improvement in women 30-39.  
16 Many of the things you said today, which were  
17 disparaging, are also true. It is very, very, very  
18 difficult to find a solution to this problem.

19 The proof is the fact that we haven't done  
20 so. All of the large companies, all of the well  
21 funded and large scientific attempts -- no one has yet  
22 found a way to assign risk in women 30-39 who don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 have pre-known risk factors into a group that requires  
2 screening.

3 I am a little bit perplexed. I am  
4 perplexed, because this is a study that met and exceed  
5 by more than 100 percent every single milestone. I am  
6 perplexed, because this is a study that assigns risk  
7 at a level greater than the level at which you  
8 currently offer mammography to your patients because  
9 of family history, one first degree, two first degree  
10 relatives, findings of ADH.

11 I am perplexed that we are willing to go  
12 back to CBE, because we are concerned about things  
13 like anxiety. Our job here today is not, as far as I  
14 understand the regulations, to evaluate mammographic  
15 sensitivity, MRI yield, etcetera.

16 Our goal, as far as I understood it, was  
17 to determine whether risk assessment as identified by  
18 this device does or does to identify patients 30-39  
19 who are at a level of risk equal to our greater than  
20 twice the average, and in this case what was discussed  
21 later with FDA, equal to or greater than women above  
22 forty.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I really want you to take very, very  
2 seriously as you prepare to vote on this the thought  
3 that, while there are improvements that are necessary,  
4 and we are aware of that and we are actively working  
5 on that both in terms of development and research and  
6 both in terms of clinical studies, not allowing us to  
7 move forward means staying with clinical breast exam.

8 It means maybe ductal lavage. It means maybe relying  
9 on family history which misses 90 percent of cancers.

10 It means maybe just waiting until women are 40.

11 All the discussion today that circled on  
12 prevalence and incidence misses one critical component  
13 that I am sure all of you will understand in a moment.

14 It is extremely unlikely that the SEER prevalence is  
15 correct in 30-39-year-olds, given the unbelievable  
16 jump, three to four times, according to some studies,  
17 that occur with the first mammograph.

18 There is no question that these women that  
19 we are picking up, three to four more on the first  
20 mammogram than on the second or the third had their  
21 cancers when they were 36, 37, 38, 39. They didn't  
22 get them when they turned 40. What they got at 40 is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a mammograph.

2           What we want to offer women is the  
3 opportunity to have nothing from 0-20 other than  
4 clinical breast exam, T-Scan from 30-39, mammograph  
5 moving forward or MRI or full field digital or  
6 whatever the mammography world agrees and the imaging  
7 world agrees is correct, once we have shown, as we  
8 have, that the level of risk for these patients is  
9 right.

10           You have to understand, feeling that we  
11 have not met our milestone in terms of the yield  
12 actually questions the entire way in which we  
13 currently refer women to imaging. If we refer 35-  
14 year-olds, 34-year-olds forward with two primary  
15 relatives with breast cancer, they are actually at a  
16 lower risk than what was demonstrated here.

17           I really do not think this would be a new  
18 low for FDA. This is a device that is safe. This is  
19 a device that was proven effective prior with another  
20 indication, and a device that has shown a very  
21 reasonable safety and efficacy. While it is imperfect  
22 and, hopefully, will get so, keep in mind what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 mammography's results were when we approved it back  
2 when we did so.

3 I know that this may very well not change  
4 your mind, but I very much wanted to make sure that I  
5 put this on the record. I do appreciate all your time  
6 and the opportunity you gave me to do so. Thank you.

7 CHAIRMAN CEDARS: Thank you. The Panel  
8 will now move forward in deliberations and vote.  
9 Prior to this Dr. Bailey will read the Panel  
10 recommendation options for pre-market approval  
11 applications. Dr. Bailey.

12 DR. BAILEY: The Medical Device Amendments  
13 to the Federal Food Drug and Cosmetic Act as amended  
14 by the Safe Medical Devices Act of 1990 allows the  
15 Food and Drug Administration to obtain a  
16 recommendation from an expert advisory panel on  
17 designated medical device premarket approval  
18 applications that are filed with the agency.

19 The PMA must stand on its own merits, and  
20 your recommendation must be supported by safety and  
21 effectiveness data in the application or by applicable  
22 publicly available information.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The definitions of safety, effectiveness,  
2 and valid scientific evidence are as follows:

3           Safety:     There is reasonable assurance  
4 that a device is safe when it can be determined based  
5 upon valid scientific evidence that the probable  
6 benefits to health from use of the device for its  
7 intended uses and conditions of use when accompanied  
8 by adequate directions and warnings against unsafe use  
9 outweigh any probable risks.

10           Effectiveness:     There is reasonable  
11 assurance that a device is effective when it can be  
12 determined based upon valid scientific evidence that  
13 in a significant portion of the target population the  
14 use of the device for its intended uses and conditions  
15 of use, when accompanied by adequate directions for  
16 use and warnings against unsafe use, will provide  
17 clinically significant results.

18           Valid scientific evidence:     Valid  
19 scientific evidence is evidence from well controlled  
20 investigations, partially controlled studies, studies  
21 and objective trials without matched controls, well  
22 documented case histories conducted by qualified

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 experts, and reports of significant human experience  
2 with a marketed device from which it can be fairly and  
3 responsibly be concluded by qualified experts that  
4 there is reasonable assurance of the safety and  
5 effectiveness of the device under its conditions of  
6 use.

7 Isolated case reports, random experience,  
8 reports lacking sufficient details to permit  
9 scientific evaluation, and unsubstantiated opinions  
10 are not regarded as valid scientific evidence to show  
11 safety or effectiveness.

12 Your recommendation options for the vote  
13 are as follows.

14 Approval: If there are no conditions  
15 attached.

16 Approvable with conditions: The panel may  
17 recommend that the PMA be found approvable subject to  
18 specified conditions such as physician or patient  
19 education, labeling changes, or a further analysis of  
20 existing data. Prior to voting, all of the conditions  
21 should be discussed by the panel.

22 The final is Not Approvable: The panel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may recommend that the PMA is not approvable if the  
2 data do not provide a reasonable assurance that the  
3 device is safe or the data do not provide a reasonable  
4 assurance that the device is effective under the  
5 conditions of use prescribed, recommended or suggested  
6 in the proposed labeling.

7 Following the voting, the Chair will ask  
8 each panel member to present a brief statement  
9 outlining the reasons for his or her vote.

10 Dr. Cedars.

11 CHAIRMAN CEDARS: Is there a main motion  
12 to recommend approval, approval with conditions, or  
13 not approvable by the panel?

14 DR. BERRY: I move that the device is not  
15 approvable.

16 CHAIRMAN CEDARS: Is there a second?

17 DR. MORTIMER: I second.

18 CHAIRMAN CEDARS: Is there any discussion  
19 on this motion?

20 In the absence of a discussion, I would  
21 like to take a vote, and we will need to poll the  
22 members. If I can have all those in favor raise their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 hand. That is Dr. Mortimer -- Dr. Goldberg, yes; Dr.  
2 Mortimer, yes; Dr. Weeks, yes; Dr. Berry, yes; Dr.  
3 Glassman, yes; Dr. Jiang, yes; Dr. Miller, yes; Dr.  
4 Snyder, yes; Dr. Taube, yes; Dr. Hillard, yes. And  
5 that was all the members.

6 So none opposed. So that motion passes.

7 I need to have each member please state  
8 their reason for so voting. Dr. Goldberg. Please  
9 speak into the mike.

10 DR. GOLDBERG: I think that, based on what  
11 we spoke about as far as effectiveness, the anxiety  
12 factors and the small patient population sample and  
13 the short duration of follow-up. So I think there  
14 were several factors in that decision.

15 CHAIRMAN CEDARS: Dr. Mortimer.

16 DR. MORTIMER: I am going to go back to  
17 Don Berry's comment about the 15 patients. I just  
18 think there just are inadequate numbers.

19 CHAIRMAN CEDARS: Dr. Weeks.

20 DR. WEEKS: I am concerned about the  
21 decreased sensitivity or performance of the test in  
22 the U.S. population, and decreased specificity,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sensitivity among the small group of minorities that  
2 were studied.

3 I believe that the prevalence number of  
4 .0015 is questionable. When it comes to drawing  
5 conclusions about sensitivity, it is based on a total  
6 of 94, just 94 total cancer patients. Only 29 of  
7 those cases are in the U.S., and I am struck by the  
8 fact that 19 cases were lost from the U.S. because of  
9 technical difficulties. I understand why that  
10 happened, but I believe there could still be some bias  
11 introduced there.

12 The device is intended to be used in women  
13 who are 30-39 years of age with a negative clinical  
14 examination and negative family history for breast  
15 cancer. I understand all the reasons for the study  
16 design, but the sensitivity figures that the sponsor  
17 would use, 25 percent overall, about 10 percent in the  
18 U.S., include patients who had positive clinical  
19 breast examinations or positive family history.

20 So for all those reasons, I believe that  
21 we don't have evidence of effectiveness.

22 DR. BERRY: So I am concerned about what I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have already said many times, false positive rate. I  
2 am concerned about introducing additional procedures  
3 into this population that are not clearly shown to be  
4 beneficial.

5 The issue of the 2, the relative  
6 probability that the FDA agreed to -- you know, as I  
7 have said several times, I am concerned about that. I  
8 am concerned about the age effect. But even that -- I  
9 mean, with the uncertainty associated with the  
10 sensitivity and if you use some of the FDA's  
11 calculations of confidence intervals and restricting  
12 to the intended use population, even that, the  
13 confidence intervals drop below the level 2.

14 So I think 2 was not appropriate as the  
15 overall hurdle. I think it was much too low, but even  
16 that low hurdle was not achieved.

17 DR. GLASSMAN: My concern comes down  
18 basically to the small numbers. The 15 cancers, the  
19 disparities between the Israeli and the American  
20 population come down probably to small numbers. The  
21 prevalence number of 1.5 per 1,000 I have concerns  
22 about and, if it is lower, the positive predictive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 value becomes a very poor number. But basically, I  
2 think the study just had to have more power to  
3 convince me that it was effective.

4 CHAIRMAN CEDARS: Dr. Jiang.

5 DR. JIANG: So one of the reasons I voted  
6 that way is because Dr. Berry said that relative risk  
7 of 2, in and of itself, it's not a great goal to  
8 achieve in this age group of women, because the  
9 prevalence is very small, to begin with. But given  
10 that we agree on that's the intended goal as a  
11 relative risk of 2, I still have a question whether we  
12 demonstrate that.

13 So I don't know if I can vote yes to the  
14 effectiveness. The reason I say that is because, if  
15 you look at the FDA's presentation, there were three  
16 studies. The specificity has a range, and there are  
17 various numbers of sensitivity. So there is great  
18 uncertainties of these values, and those values decide  
19 the relative risk.

20 So in my mind, I can't really decide what  
21 the relative risk is. Having said that, I think the  
22 device has great potential, and what you are trying to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 do is great. The difficult thing here is defining the  
2 relative risk, and that is very difficult to measure.  
3 Sensitivity is very difficult to measure.

4 CHAIRMAN CEDARS: Thank you. Dr. Miller.

5 DR. MILLER: Yes. I would like to echo  
6 some of the things that have already been said, but I  
7 would also like to highlight some things that maybe  
8 haven't been said.

9 When I think in terms of the Israeli  
10 versus U.S. statistics, at least for myself, I don't  
11 view it as not in the U.S. and in the U.S. I view it  
12 as one of the sites that was studied which had very  
13 different characteristics than the other sites, and  
14 those differences led to potentially different  
15 interpretation, and I am concerned that the  
16 conclusions that are being drawn from the pooled study  
17 don't properly reflect the fact that there were such  
18 differences.

19 Secondly, in terms of safety I don't have  
20 any concerns about the actual application of the  
21 technology being immediately injurious, and I'm not --  
22 I have some concerns about anxiety, but I am more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concerned about many unnecessary -- In the  
2 risk/benefit equation I am concerned about many  
3 unnecessary procedures being done to a population to  
4 identify just a few cases.

5 I think there is nobody on the committee  
6 that doesn't agree that there is a tremendous need for  
7 this technology and that we need something to assist  
8 this younger group of women to identify a cancer that  
9 needs to be identified, but if the cost of that is  
10 subjecting an undue number of women to potentially  
11 morbid procedures or at least painful procedures on a  
12 sequential basis, then that is not justifiable.

13 DR. SNYDER: It's going to take me a  
14 minute to get through this, but I am really enthralled  
15 by the fact that I really do think that I've seen data  
16 today that suggests that this really is -- has been  
17 shown to be a risk assessment tool.

18 My problem is that I don't know if it is a  
19 screening tool, and I don't know -- You know, there's  
20 some semantics in those two definitions, but my reason  
21 for not voting for approval or approval with just  
22 relooking at the existing data is I'm really concerned

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 that we don't have enough data to know what to do with  
2 these patients that we have identified as being at  
3 increased risk.

4 Even now, the patient that's got a  
5 positive family history, maybe two first degree  
6 relatives, I still -- if it's not a pre-menopausal  
7 patient, there's not good data to say that I would do  
8 anything differently in the 30-39-year-old age group.

9 Well, again we have something that now just gives the  
10 patient another risk factor, another increased risk  
11 factor, but we don't have any data to direct us as to  
12 what to do because of that information.

13 I am very optimistic that, should further  
14 studies, ongoing studies, be done that will allow us  
15 to have some direction as clinicians what to do with  
16 this information, then we may achieve exactly what the  
17 company came here wanting to do today.

18 I think they are on the mark with the  
19 multi-institutional, multi-year study that is going  
20 on. It is going to answer the questions about the  
21 population that we are dealing with, that the FDA is  
22 responsible for protecting here in the United States.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 It is going to be an ethnically diverse population.  
2 It is going to be a much larger group, and it is going  
3 to allow better sub-analysis of groups.

4 Maybe it's not just 30-39. Maybe it's 35.  
5 Maybe it is 38 with family history. I think those  
6 are the things that we need to be armed with as  
7 clinicians before we just start assigning an increased  
8 risk to our patients.

9 I really do feel like, you know, that the  
10 company will be letting down the women of the world if  
11 they don't pursue this data, because they may be  
12 coming right back at us with the answers to the  
13 questions that we have laid out for them today.

14 CHAIRMAN CEDARS: Dr. Taube.

15 DR. TAUBE: I think everything that I have  
16 to say has pretty much been said. My main issue is  
17 that I don't believe that the data are sufficient to  
18 draw a conclusion that this is safe and effective.

19 Again, we don't know what to do with the  
20 information, which is frequently a problem with risk  
21 factors. But since there isn't truly an intervention  
22 that we are aware will make a difference, it is hard

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to support this.

2 CHAIRMAN CEDARS: Dr. Hillard.

3 DR. HILLARD: As a clinician, I would have  
4 loved to have been convinced that this device is the  
5 way to go to add benefit to what is not a good  
6 technique; that is, clinical breast exam. So I would  
7 like to have been convinced, as I think the panel  
8 members all would like to say.

9 I was not convinced as yet, and perhaps we  
10 will see in the future that this is a good technique.

11 I think it is intriguing.

12 I have remaining concerns, as had been  
13 expressed by all of the panel members, related to the  
14 sensitivity and the poor positive predictive value and  
15 the harms of false positives. So I am -- I was  
16 unconvinced.

17 CHAIRMAN CEDARS: For the record, it is  
18 the recommendation of the Panel to the FDA that  
19 Mirabel Medical Systems PMA P050003 for the T-Scan  
20 2000 ED be not approved. The motion carried  
21 unanimously with no abstentions.

22 Since the panel voted to recommend the PMA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as not approvable, we must now identify what the panel  
2 believes is needed to make the PMA approvable. Dr.  
3 Hillard, would you like to start that?

4 DR. HILLARD: My first answer, and I would  
5 reiterate, and I think the others would, too, numbers.  
6 More.

7 CHAIRMAN CEDARS: Increased numbers for  
8 the sensitivity arm or just increased numbers of  
9 cancers? Where would you like -- or just increased  
10 numbers for screening?

11 DR. HILLARD: Yes, for all of the above,  
12 also increased numbers in the subgroups that were  
13 mentioned, the groups looking at different  
14 populations, the ethnic minorities. I am concerned as  
15 well about issues related to BMI and differences in  
16 those populations.

17 CHAIRMAN CEDARS: Dr. Taube.

18 DR. TAUBE: I think I would also like to  
19 see some relationship -- I'd like to see more  
20 information on the type of tumors that are identified  
21 in the subsequent studies, so that if a woman is at  
22 increased risk and goes on to further studies and to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 biopsy, what the nature of the tumor is, and then some  
2 outcome data, even if it is evaluation of historical  
3 data, treatment of younger women with cancer.

4 CHAIRMAN CEDARS: Dr. Snyder.

5 DR. SNYDER: I've already said my piece, I  
6 think, on that. I had one other issue. I'd like to  
7 see a little bit more on reproducibility, be it that  
8 we actually see the numbers in the patients that you  
9 did scan 30 times.

10 I think another big issue of the  
11 reproducibility is what is going to happen with a  
12 positive result in subsequent years. You know, that  
13 is again, I hope, going to come from the multi-year  
14 study.

15 CHAIRMAN CEDARS: Dr. Miller.

16 DR. MILLER: So, yes, I think there needs  
17 to be some better address of the performance of this  
18 technology among important ethnic groups. I think it  
19 would be worthwhile for the company to do some post  
20 hoc analysis that better defines why this one site,  
21 albeit out of the country, but this one site had very  
22 different performance characteristics for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 technology.

2           Then, you know, this is not my field of  
3 expertise, but there clearly is quite a bit of dispute  
4 about what the prevalence is in this population, and I  
5 don't know if there is a way to look at the SEER data  
6 or to get at a better prevalence, but it would seem  
7 to me that we would have come to better conclusions if  
8 there was better understanding about what the actual  
9 prevalence is in this group.

10           CHAIRMAN CEDARS: Dr. Jiang.

11           DR. JIANG: I want to cite one of Dr.  
12 Snyder's recommendations to study the consistency of  
13 the device, repeated scanning of the women. I think  
14 that is an important issue that has been alluded to  
15 but not specifically addressed here.

16           My main comment would be that the key  
17 measurement here is sensitivity and specificity. So  
18 specificity, I think, with larger studies or maybe  
19 independent studies is easy to assess. The problem is  
20 sensitivity, and I don't know how to do that. So I  
21 don't know what to recommend. I think that is a  
22 really difficult question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRMAN CEDARS: Dr. Glassman.

2 DR. GLASSMAN: Again, larger numbers,  
3 particularly numbers of patients with non-palpable  
4 cancers, imaging detected cancers in the near-39-40  
5 age group. I'm sure it will have to be with some  
6 enrichment, but that is really the group that the T-  
7 Scan is made for, is people with non-family history,  
8 non-palpable.

9 I could live with just non-palpable if you  
10 had a number of those cases and you could show that  
11 the T-Scan was effective and positive in patients with  
12 non-palpable cancer and negative in those without.

13 CHAIRMAN CEDARS: Dr. Berry.

14 DR. BERRY: So I agree with everything  
15 that has been said. I underline Dr. Glassman with  
16 respect to the last comment. If there is an intended  
17 population and intended use population, it ought to  
18 show sufficient data in that population.

19 Underlining Dr. Taube and Dr. Miller's  
20 earlier comment about what kind of cancers are we  
21 detecting this way: the ideal, of course, is to do a  
22 mortality study, but we have already seen in a much

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 more prevalent circumstance, the older women, that  
2 even with hundreds of thousands of women, there are  
3 controversies associated with the benefits of  
4 mammography because of a number of things, not the  
5 least of which is lack of compliance with either  
6 group. So that's out, but you could address that at  
7 least to some extent.

8           What kinds of tumors are being detected,  
9 and are they treatable? Are they ER positive as  
10 opposed to negative, more commonly than younger  
11 women? We certainly expect younger women and then  
12 African Americans to be many more ER negatives. That  
13 would be a very poor prognostic group, and if you are  
14 identifying that group, that would be an additional  
15 benefit.

16           I would -- We talked about false  
17 positives, and it would be nice to -- and the question  
18 to one of the company representatives as to how do you  
19 know there was no anxiety, and the response is, well,  
20 I observed that there was none.

21           I don't know that there are tools for  
22 measuring such, but something. You ought to be able

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 to -- I don't know, testimony or some sort of sample  
2 of the patients who are testing positive but, in fact,  
3 are found by mammography or otherwise not to have the  
4 disease, what the impact was on those patients; and if  
5 you could quantify that in some fashion, it would have  
6 the effect of alleviating at least some of the anxiety  
7 on the part of the panel.

8 CHAIRMAN CEDARS: Dr. Weeks.

9 DR. WEEKS: I agree with all the previous  
10 comments. I understand it is difficult to -- since  
11 asymptomatic patients without masses and without a  
12 family history don't generally get imaging studies,  
13 that is difficult. So I suppose as a compromise, I  
14 would be more interested in BRCA positive patients or  
15 positive family history negative clinical breast exam.

16 CHAIRMAN CEDARS: Dr. Mortimer.

17 DR. MORTIMER: I actually find fairly  
18 intriguing the number of positive scans that there are  
19 in this population, appreciating that it takes 10 to  
20 20 years for a cancer to develop. So I'm sort of  
21 intrigued that those individuals who truly are  
22 positive by this scan have a consistent workup that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 may determine if there really is something there  
2 initially and, further, to have follow-up on them.

3 I would also think it would be worthwhile  
4 if we could correlate the positivity with those  
5 histologies which are classified as benign in the  
6 briefing document, but really are not, because they  
7 are part of the continuum of normal duct tissue to the  
8 development of an invasive cancer. I think that would  
9 be very helpful.

10 CHAIRMAN CEDARS: Dr. Goldberg.

11 DR. GOLDBERG: Also, just to reiterate  
12 what we have said, I agree. I think the multi-year,  
13 multi-center studies would help to increase all the  
14 numbers across the board as far as number of cancers,  
15 increase in the number of cases in the sensitivity and  
16 specificity arms, as well as the ethnicity.

17 CHAIRMAN CEDARS: And I would like to ask  
18 the industry, consumer and patient representatives if  
19 they have comments. Ms. George?

20 MS. GEORGE: I understand everything that  
21 everybody has described and the concerns that they  
22 have all identified, and I think that, as an industry

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 rep and as having sat on that side of the fence more  
2 than once myself, I think one of the challenges that  
3 industry and the FDA are going to have is really  
4 defining the protocols and the endpoints ahead of  
5 time, because this is now the fourth panel that I have  
6 sat on where every time the group says more data, more  
7 data, more data.

8           There was a protocol. There was endpoints  
9 defined, and they were reviewed with the FDA, and I  
10 think that I'm sure that the sponsor feels that they,  
11 in fact, did meet those -- what was defined ahead of  
12 time. So I think that that is going to be a challenge  
13 for industry to deal with, and understanding what is  
14 the right number -- you know, how many. How many is  
15 appropriate, because you know, I don't know Mirabel,  
16 and I know we are not supposed to talk cost but, you  
17 know, some of the companies that have come here end up  
18 not being in business after, because they can't afford  
19 to keep going.

20           Then the other comment that I did -- I  
21 heard a lot of comments about clarity with regard to  
22 what the next step is going to be, and more of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 comment than actually expecting anything further is  
2 that I guess I wonder how all of you as clinicians  
3 make the decision whether it's ultrasound, mammogram,  
4 MRI, whether it is six months, whether it's 12 months,  
5 whether it's for the next three years, six months. So  
6 it's more of a -- I guess you are asking the sponsor  
7 to give you more definition there, but I don't think  
8 you want medical industry companies to tell you how to  
9 do your jobs.

10 CHAIRMAN CEDARS: Dr. Romero.

11 DR. ROMERO: I would just like to follow  
12 up on the comment made by Dr. Berry concerning  
13 measurement of anxiety. I know just from sort of  
14 looking across the room that sometimes there seemed to  
15 be, I think, some maybe frustration about how that  
16 might factor into an application that is very  
17 specifically about a device with very constrained or  
18 narrow focus in terms of what it is supposed to  
19 identify clinically, or with regard to risk. But it  
20 is a very important part, I think, of the larger  
21 picture when it comes to trying to affect health and  
22 medical status.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           While admittedly and probably ideally,  
2 most of the focus in these conversations and  
3 deliberations is around clinical indicators and  
4 measurements, there are innumerable psychosocial  
5 measures. If you look at the social psychological  
6 literature, there are measures out there. This is not  
7 something that needs to be created de novo. They have  
8 been validated, and a lot of psychometrics have gone  
9 into development of measures around stress, anxiety  
10 and related phenomena.

11           What I would suggest from a design  
12 perspective is that this is something that need not be  
13 just observed, because that is very difficult to make  
14 -- that is very difficult to have reliable  
15 measurements with one person doing the observation,  
16 much less across multiple sites.

17           So to the extent that sponsors in the  
18 future can look into including measures, psychosocial  
19 validated measures, and include them in the clinical  
20 design, I think that would be ideal. The fact that  
21 those measurements can be made pre- and post-test, if  
22 you will, probably would produce findings that would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 favorable across the board, and I will just give one  
2 scenario.

3 To the extent that a woman who gets a  
4 positive scan result, to the extent that that woman  
5 might be anxious, all of us might think, well, better  
6 to know and to be able to do something about it and  
7 deal with that anxiety. But if upon follow-up it  
8 turns out that there is presumably nothing to be  
9 anxious about, and a post-test measurement would be  
10 psychosocial measures has taken place, you would  
11 probably find that many of these women would then say  
12 that they are no longer anxious.

13 That is something we would all be happy  
14 about, because the screening test was utilized. A  
15 risk factor was or wasn't identified, and the anxiety  
16 concern that has been expressed among members of this  
17 group would then be shown to be transient and not a  
18 longstanding concern. Then we could probably put all  
19 of that to rest.

20 CHAIRMAN CEDARS: Ms. Mayer.

21 MS. MAYER: I don't know that I have much  
22 to add that hasn't been said. I am always looking for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 tools that much more specifically can find high risk  
2 populations. So I am particularly interested in the  
3 classification of tumor types that are found by this  
4 tool in terms of future research.

5 The stage of the tumor, the size, node  
6 involvement, and particularly to look at it in terms  
7 of the R status, 2 status -- we might find that this  
8 is particularly a good tool to identify fast growing,  
9 very highly proliferative tumors, and that might guide  
10 the design of future research.

11 So whereas there are other tumors that  
12 might be so slow going that, in fact, waiting until  
13 age 40 might not make a difference in terms of overall  
14 survival, that's the kind of sort of patient specific  
15 information I think we need to find out.

16 CHAIRMAN CEDARS: I would like to ask  
17 Nancy Brogdon if she has anything to add.

18 MS. BROGDON: I would just like to thank  
19 all the panel members for your time in preparing for  
20 this meeting and for the travel here, and we know that  
21 is getting increasingly difficult.

22 I would like to thank you for your energy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and your expertise in your evaluations today. Thank  
2 you very much, and we wish you a safe trip home.

3 CHAIRMAN CEDARS: And I would like to  
4 extend my thanks to the panel as well, and I would  
5 like to ask you to leave all materials specific to  
6 this product on the table.

7 If you have completed your questionnaire,  
8 if you could leave that as well or send it back. It  
9 was in your initial patient -- or your product folder  
10 that was mailed to you. It was in the initial product  
11 folder.

12 With this, this meeting of the Obstetrics  
13 and Gynecology Devices Panel is now adjourned. Thank  
14 you.

15 (Whereupon, the foregoing matter went off  
16 the record at 4:38 p.m.)

17 - - - -

18  
19  
20  
21  
22 

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701