

1 So that's what we're trying to address
2 here.

3 Just to clarify what we're voting on now,
4 are we voting basically on the original question that
5 this be limited to approval or for mammography use
6 basically the 510K process, or that FDA would address
7 a broader aspect of writing specifications for how
8 this should address mammography quality?

9 DR. FINDER: While the question itself was
10 addressed, the approval process I think that probably
11 if we were going to actually write something here, it
12 would be more an either/or, either approve or meet
13 some list of specifications.

14 But again that's in the details, and I
15 guess we're pretty much trying to go just in the
16 general direction.

17 DR. SANDRIK: I was just saying, it wasn't
18 clear what the general direction was here, if it was
19 going to be limited to ODE approval as the direction,
20 or a broader direction?

21 DR. FINDER: I think we would probably try
22 and go for both, either an ODE approval or some list

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of specifications that would be allowed.

2 And again it's a difference between what
3 ODE approves and what the manufacturer can claim in
4 their advertising. They'd still have to go through
5 that process. We wouldn't negate that. But it's
6 again what the end user could use.

7 And we are quite aware of the other issue
8 you brought up about even if they are approved, and
9 are of the right specifications, the compatibility
10 factors, and whether they are actually compatible with
11 various systems is going to be another issue that is
12 still problematic.

13 But again right now we have very little
14 control over the situation, and we're trying to get a
15 better handle on it.

16 So if I could again see a show of hands
17 for printers, yes.

18 (Show of hands)

19 DR. FINDER: No?

20 (Show of hands)

21 DR. FINDER: And again, it's a yes.

22 How about digitizers, yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Show of hands)

2 DR. FINDER: No?

3 (Show of hands)

4 DR. FINDER: They're kind of split.

5 And for PACS, yes?

6 (Show of hands)

7 DR. FINDER: No?

8 (Show of hands)

9 DR. FINDER: And that's a no.

10 Okay, next one is, should a unit that is
11 converted from one mammographic modality to another,
12 and we give the example of screen film to computer
13 radiography, be considered a new unit for mammography
14 equipment evaluation and accreditation purposes?

15 And again this is something that has just
16 come up with the recent approval of the CR system.
17 We're basically talking about in many cases a unit
18 that has been used and accredited as a film screen
19 unit. Now all of a sudden through the addition of the
20 CR system it now can do either film screen or digital
21 mammography, and the question here is, if it's going
22 to be used for digital, should it in effect be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 considered a new unit for purposes of the equipment
2 evaluation, and accreditation purposes.

3 DR. WILLIAMS: It seems like the aspects of
4 the unit that pertain to the tube and so on, and the
5 unit assembly itself, that would be sort of a
6 redundant set of tests.

7 If there was an up-to-date physics
8 inspection on those, I think it should still stand.
9 But of course evaluation of the individual plates and
10 the scanner and the reader and so on, absolutely.

11 So in that sense I don't think it's a new
12 unit, by my understanding of the definition of a new
13 unit.

14 DR. SANDRIK: You could explain the
15 difference between the new unit, say, versus calling
16 this a major repair that was based on an image
17 receptor replacement.

18 DR. FINDER: Well, in terms of trying to
19 get in to all the details, it becomes very
20 problematic. For example the film screen unit could
21 have had its AEC already tested as a film screening
22 unit. But now all of a sudden you insert this CR

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plate in there. Well, would you say that you don't
2 have to retest it under the new conditions? Well,
3 some people might.

4 And the idea of saying that this is a new
5 unit for mammography equipment evaluation basically
6 says at that point that a physicist has to go out and
7 do testing, and has to go through the unit now.

8 You are correct that we don't have all the
9 answers yet to exactly what components need to be
10 tested and what don't, what you can actually use from
11 the previous equipment evaluation.

12 The other issue here is again that the
13 survey is not the same as an equipment evaluation.
14 There are other tests that are done in the equipment
15 evaluation that are not required in the survey.

16 So a survey that was done 10 years ago
17 when the unit was first put into operation as a film
18 screen, I'm not sure that we wouldn't want even those
19 tests repeated again.

20 Those details I think would have to be
21 worked out. I think here we're going for the broader
22 picture of, should we be considering these, that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 must undergo a mammography equipment evaluation, and
2 that it must in effect reapply or apply for
3 accreditation and submit new images under the new CR
4 system.

5 There are going to be plenty of details
6 that need to be worked out, but again, the general
7 concept is the one we're going for.

8 Yes?

9 MS. MOUNT: Theoretically, if you had a
10 system that you were doing CR on, if you were working
11 in a dual environment, you could still use home.

12 DR. FINDER: Yes.

13 MS. MOUNT: So would that unit be
14 accredited as two different units then?

15 DR. FINDER: Yes. Under what we've been
16 talking about, we would be talking about - if it's
17 being used for film screen and for CR, it's going to
18 be accredited, and as two separate units they'd have
19 to submit films for film screen and for CR.

20 I will tell you that we suspect that with
21 the trends that are going there, that situation won't
22 last very long, and that facilities would tend to go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over to CR exclusively. That's the thought.

2 But again we would leave the possibility
3 open that they could, if they wanted to do both. But
4 again they'd have to have their unit accredited as
5 both for that type of situation and inspected as both
6 under that situation.

7 DR. FERGUSON: If you add this, is this not
8 - could it not just be considered a major repair type
9 situation where you are going to have all your
10 evaluation done, and then you're not going to hit
11 somebody for a couple of different fees for one
12 machine?

13 DR. FINDER: Well, they are going to get
14 the fees anyhow, because I think the general consensus
15 is that a mammography equipment evaluation needs to be
16 done. It's now a question of just how extensive that
17 has to be. And that again gets more into the details
18 of this.

19 I think in terms of accreditation you have
20 to submit images, and that's the major part, and also
21 a phantom, and those types of things.

22 There may be some components that wouldn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessarily have to be repeated, but again that would
2 be in the details of what is necessary or not.

3 DR. TIMINS: I seem to be hearing from the
4 physicists and industry members that rather than this
5 being a new unit that is an old unit with a new image
6 receptor. I'm concerned about requiring
7 reaccreditation under those - which is another cost,
8 which kind of discourages people from updating the
9 image receptor.

10 So I'm coming against this based on what
11 I'm hearing.

12 DR. WILLIAMS: I can certainly appreciate
13 what Dr. Timins said. On the other hand I think that
14 probably reaccreditation in this instance is probably
15 appropriate since the image receptor will determine a
16 great deal of the overall image quality in this
17 particular case where you are swapping out a screen
18 composite and replacing it with a very different
19 technology.

20 And so I think that probably, even though
21 it is probably going to be an extra cost and so on,
22 just like switching to digital from general is, it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably appropriate to have those images reviewed and
2 reaccredited.

3 DR. FINDER: I would like a show of hands.

4 Should we basically go along - should a unit that is
5 converted from one mammographic modality to another be
6 considered a new unit for equipment evaluation
7 purposes, and for accreditation?

8 A show of hands, yes?

9 (Show of hands)

10 DR. FINDER: No?

11 (Show of hands)

12 DR. FINDER: No. Two hands.

13 DR. WILLIAMS: I would say no to the first
14 one, but yes to the second one.

15 DR. FINDER: Okay.

16 All right, next question, should a light
17 be required on all mammography systems? The way the
18 current regulation is written it says that if there is
19 a light it must meet certain requirements, but it
20 doesn't require that there be a light.

21 Any comment?

22 MS. MOUNT: I would like to comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 A light is very important. Just seeing
2 the shadow of the breast on the image receptor can
3 determine whether or not you are missing tissue before
4 you even shoot the exposure. So yes, definitely.

5 DR. BYNG: I think the only clarification
6 we wanted here was whether you were literal about
7 light, or whether you were talking about
8 identification capability. In other words it didn't
9 necessarily have to be a light.

10 DR. SANDRIK: Yes, I guess I appreciate Ms.
11 Mount's comment here, because the other possibility
12 could be, say, an LED or laser indicator of the
13 boundaries, the detector, which might serve partly
14 that purpose, but it wouldn't be a light field in the
15 conventional sense that we have it right now. And
16 then also then it would effect this requirement on the
17 illumination requirement.

18 So if you just had a device that indicated
19 the boundary that might serve the purpose yet not
20 quite fit in this rule as it stands right now.

21 MS. MOUNT: I don't think the boundary
22 would be as efficient in helping the technologists as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a whole field.

2 DR. FINDER: Okay, so can we have a show of
3 hands? Light, yes?

4 (Show of hands)

5 DR. FINDER: Light no?

6 (Show of hands)

7 DR. FINDER: That's a yes.

8 Does anybody happen to know offhand how
9 many units are going to be thrown out of operation
10 because of this? If we go ahead with it?

11 DR. WILLIAMS: I don't think I've seen any.

12 DR. FINDER: Okay, but that would be one
13 thing that we would obviously want to look at.

14 Next page under compression, number 77,
15 should we delete the effective date here?

16 Yes?

17 (Show of hands)

18 DR. FINDER: No?

19 (Show of hands)

20 DR. FINDER: It's a yes.

21 Under 78, should we clarify what is meant
22 by technique factors? And again, that would go back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into the definition section too.

2 Yes?

3 (Show of hands)

4 DR. FINDER: No?

5 DR. SANDRIK: Just a comment. I mean right
6 at the beginning oif the rule you state what the
7 technique factors are. Say, the technique factors,
8 two potential, two current, and all that. So it's
9 already stated in the rule.

10 What is the need for putting it in twice
11 in the same rule?

12 DR. FINDER: Okay. We'll look at that
13 again.

14 MS. VOLPE: I'm concerned about somebody
15 who may need to flip back and forth. Having a
16 definition section would be worthwhile.

17 DR. FINDER: Okay.

18 All right, the next one is number 79 on
19 page 34. Should configuration here be redefined to be
20 contact, magnification and different sizes, and
21 exclude target filter combinations.

22 First, do you want to comment?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SANDRIK: Yes, I'd comment, I guess.
2 Again, I think this represents one of these situations
3 of trying to define by providing a list. And again I
4 think that's where we run into trouble by including in
5 that list things that really don't belong.

6 And in this particular case, target filter
7 combinations is one of the things that doesn't belong
8 in at least in the context of trying to do this AEC
9 test.

10 So it might be something again to consider
11 a definition of what really you mean by the
12 configuration, and then try to decide what fits in
13 there or not.

14 DR. WILLIAMS: That's right and I agree.
15 But on the other hand I think that there are some
16 manufacturers who have AEC for some target filter
17 combinations and not for others.

18 So the question would be, should there be
19 AEC for all target filter combinations that are
20 possible on the unit? And I think there are arguments
21 that would say yes to that.

22 MS. MOUNT: And I question should we stop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at six centimeters? Should we go to eight?

2 DR. FINDER: That was the next question.

3 Let's go with the first one. Should it be
4 redefined? Yes?

5 (Show of hands)

6 DR. FINDER: No?

7 (Show of hands)

8 DR. FINDER: No one cares. Very few people
9 care. All right, we'll take that as a limited yes on
10 that.

11 Now should the range be limited to just
12 two to six centimeters, or should it be expanded to
13 eight?

14 DR. SANDRIK: Comment on that. I think
15 part of the problem is that eight centimeters of
16 acrylic does not represent an eight centimeter
17 compressed breast. It is considerably more dense than
18 the breast. Most of the studies that have done
19 evaluations tracing the composition versus thickness
20 indicate that an eight centimeter breast is probably
21 close to 20 percent glandular, 80 percent fatty, even
22 the average standard breast is somewhere closer to 30

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 - 35 percent glandular, not the 50 percent we talk
2 about.

3 And eight centimeter acrylic phantom is
4 probably more than a 99 percent percentile level of
5 the patient population. A six centimeter is around a
6 90 to 95 percentile coverage of the population.

7 So really going out to eight centimeters
8 kind of pushes the limit. It doesn't really indicate
9 a particular value in what you can cover in your
10 population.

11 DR. FINDER: So a show of hands - oh,
12 sorry.

13 DR. WILLIAMS: I was just going to ask a
14 question. I think that the ACR recommendations in the
15 current MQSA regs are a little bit different in that
16 the ACR I think recommends going up to eight
17 centimeters.

18 I was just wondering if there were data
19 that were or are available to get at what Dr. Sandrik
20 is raising here, which is how important of a
21 representation in the population is whatever would be
22 simulated by eight centimeters of acrylic? And was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the basis on which any of these decisions was
2 made?

3 DR. SANDRIK: Publication Medical Physics,
4 2001, by Kruger and Schueler goes through that
5 definition, percentile ranges, whatnot, what is
6 represented by various breast equivalent types,
7 acrylic, BR-12, and that sort of thing, and what is
8 the average composition of the breast.

9 And theirs is not the paper that covers
10 that area.

11 DR. WILLIAMS: And so about what percentile
12 then are we talking about?

13 DR. SANDRIK: Yes, they say eight
14 centimeters of the BR-12 corresponds to the 99.8
15 percentile; eight centimeters of acrylic corresponds
16 to more than the 99.9 percentile. I'm not sure if I
17 can find the one on six centimeters right off. I
18 think it was somewhere around 90 to 95 at six
19 centimeters. I can check that later.

20 In fact they say, a more realistic
21 recommendation for AEC performance may be obtained by
22 referring to the 10th and 90th percentile levels, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Table 3; 90th was like 6.2 centimeters of BR-12 or 5.7
2 centimeters of acrylic would cover you out to the 90th
3 percentile level of the breast population.

4 And this in fact is from the Mayo Clinic.

5 DR. BYNG: In this part of the regulation
6 you are essentially covering what's ultimately
7 referred to in 900.12E-5, ion automatic exposure
8 control performance. So in this section, if it
9 referred to meeting the requirements of that paragraph
10 rather than the explicit definition, would that cover
11 it?

12 I know you are not interested in
13 wordsmithing now.

14 DR. FINDER: I think the difference between
15 the section D and E is, again, the difference between
16 a mammography equipment evaluation and a survey.

17 And under the equipment evaluation, which
18 would be the one we're talking about right now, part
19 B, you talk about doing the AEC component, or the AEC
20 testing under the various different configurations.
21 Whereas under the annual it's only done in one.

22 But yes, there are obviously correlates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 between the way you would do the test for the
2 configuration between what's done here and what would
3 be done in section E.

4 I don't know if that's an answer to your
5 question or not. Close enough?

6 Okay, so let's go with, should we limit
7 the range to two to six centimeters?

8 Yes?

9 (Show of hands)

10 DR. FINDER: No?

11 (Show of hands)

12 DR. FINDER: That's a yes.

13 Next one is, should kilovoltage peak
14 reducibility be added here? Right now it appears in
15 the annual testing. We'd be talking about moving it
16 out of there into the mammography equipment evaluation
17 so it wouldn't be done as an annual test. It would
18 jsut be done when the equipment was first installed,
19 or when there were major repairs.

20 MS. VOLPE: I'd like to see that added on
21 900.2 definitions as well.

22 DR. FINDER: Okay. So again, show of hands

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1

-

2

DR. SANDRIK: Pardon me, so do I understand, again, when you say added here, and you are also saying delete it from the 2C test?

5

DR. FINDER: Correct.

6

Show of hands for yes.

7

(Show of hands)

8

DR. FINDER: No?

9

(Show of hands)

10

DR. FINDER: That's a yes.

11

Okay. Next is number 81, where we talk about X-ray films. Should a similar requirement be added for film use for hard copy interpretations basically meaning that the film has been designed for that use.

16

Or is that necessary?

17

Show of hands, yes, should we include such a definition or an attempt at such a requirement? Yes?

20

DR. SANDRIK: I guess there is the issue of how this is in any way verified, or can any manufacturer just put a label on their film and say

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this is good for mammographic use?

2 As I understand it there is no 510(k) or
3 anything required for mammographic film. There is no
4 validation of this statement. In a sense it's
5 reasonable to say, yes, it should be suitable for
6 mammography, but there is nothing that really
7 validates it or really means anything.

8 DR. FINDER: I would only point out the
9 fact that that wording is already in there for X-ray
10 film, and has served its purposed presumably for the
11 last several years.

12 DR. SANDRIK: Well, I think another aspect
13 of that that was brought up was that a lot of issues
14 regarding printers, and the compatibility between
15 printers and film. So you may have a very bad result,
16 film may be labeled suitable for mammography use, but
17 perhaps not in any printer. And it may be more of an
18 issue of compatibility between printers and media than
19 there is just for the film being used for mammography.

20 DR. BYNG: And I would also add that I
21 don't see a particular hardship associated with this,
22 but I'm not sure what it would accomplish.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINDER: Well, from what I'm hearing,
2 should we instead try and do something about
3 compatibility? State that there is some requirement
4 that there be compatibility between the printer and
5 the film?

6 DR. SANDRIK: I think again it comes back
7 to kind of looking at the system, the outcomes-based
8 aspect of this, that the film that you use should
9 provide some level fo quality that serves a
10 mammographic quality purpose.

11 DR. FINDER: So let me have a show of
12 hands. Should we add a similar requirement, basically
13 saying that the film has been designated by the
14 manufacturer of the film as apporopriate for
15 mammography? Should we include one for hard copy
16 films?

17 Yes?

18 (Show of hands)

19 DR. FINDER: Or no?

20 (Show of hands)

21 DR. FINDER: Looks like it's a no.

22 And then should we instead do something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about maybe coming about compatibility issues between
2 the film and the printer.

3 Yes?

4 (Show of hands)

5 DR. FINDER: And no?

6 (Show of hands)

7 DR. FINDER: And that's a yes.

8 Number 82, should view box and room
9 lighting conditions be specified?

10 We had not specified those for film
11 screen. However this is becoming a larger issue with
12 digital in terms of illuminance from the monitors and
13 the ambient light conditions in the reading areas.

14 So the question is, should we attempt to
15 do some specification on that.

16 Yes.

17 DR. BYNG: When the regulator person
18 proposed, this was obviously left out at that time, so
19 there is some history with this now.

20 Is there any additional information you
21 can provide as background on this particular item?

22 DR. FINDER: Not really more than what I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just said. Again, for film screen it was not felt to
2 be sufficient enough to require regulation, although
3 there was a lot of debate at that time.

4 Now we're dealing with full-field digital
5 systems, and again, the manufacturers have specified
6 certain requirements in the QC manuals. The question
7 is, do we follow along with that in terms of
8 regulations.

9 MS. MOUNT: Doesn't the ACR have
10 recommendations in place?

11 DR. FINDER: The American College of
12 Radiology does have recommendations for view boxes,
13 and I believe even for viewing conditions for - we'll
14 have ACR address that.

15 MS. BUTLER: Penny Butler, ACR. There is a
16 chapter in the QC manual in 1999 for view boxes and
17 viewing conditions with 3,000 candelas per meter
18 squared for the view box, and no greater than 50 lux
19 for ambient viewing conditions.

20 We feel that the - know a whole lot more
21 about ambient viewing right now, and in the table that
22 was distributed as handouts, the committee is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proposing 10 lux for viewing conditions at this time.

2 DR. BYNG: Obviously great potential
3 benefits from controlling the viewing conditions. But
4 it's been operating this way for some time, and this
5 would have potential significant ramifications
6 throughout the facilities to implement it, and
7 including which view boxes would be covered, and all
8 of the different reading conditions, and it would
9 probably need a definition of reading locations as
10 well.

11 DR. WILLIAMS: Yes, and again I think there
12 is the problem - like Penny quoted the ACR's QC manual
13 of a couple of numbers. But what are those - somewhat
14 like the five megapixel monitor, whether those are the
15 most appropriate under all conditions is not
16 necessarily clear.

17 I don't think there has been much
18 literature discussing that situation. Some that does
19 indicates that wide varieties in lumens and
20 illuminants can be accommodated by the user.

21 So trying to specify the number could be
22 problematic. And again, maybe some sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 performance based requirement that you be able to
2 detect certain objects under the conditions that you
3 are using. If you can see those things then you are
4 probably in the right conditions for it.

5 And again look at the whole system, the
6 density of the film you use, its contrast, the
7 ambient, the view boxes.

8 DR. FINDER: I think that although we might
9 not have enough data right now to really specify
10 exactly what these numbers should be, I think there is
11 probably a significantly greater incentive for us to
12 try to push in that direction now than there was in
13 the past simply because of the prevalence of these
14 mixed reading rooms where you have roloscopes
15 (phonetic) and view boxes right alongside the
16 monitors. And I think it kind of makes it a little
17 bit different problem now.

18 So while I agree that if the data are not
19 clear, if our intent here is to say, should we think
20 about this, then I would vote yes.

21 And so let's see a show of hands. Should
22 we try and specify conditions for view box and room

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lighting?

2 Yes?

3 (Show of hands)

4 DR. FINDER: No?

5 (Show of hands)

6 DR. FINDER: And it's split, but it's
7 mainly yes.

8 Should we require - next one is 83 -
9 should we require masking devices where hard copy
10 images are interpreted or compared?

11 Basically it's an issue of should we
12 specify specifically that you have to have these types
13 of masking devices available for hard copy.

14 Probably the bigger issue here for
15 facilities that are mainly doing soft copy
16 interpretation, and occasionally are doing printed hard
17 copy and are interpreting off that. Just to specify
18 that.

19 Yes?

20 (Show of hands)

21 DR. FINDER: No?

22 (Show of hands)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINDER: It's kind of split, but it's
2 overall yes.

3 Okay. So all right, so we finished that
4 section. Now we're going to move to page 38. And
5 here we're dealing with Section 900.12E, which is the
6 quality assurance equipment testing.

7 And starting with real easy question,
8 number 99, should a new section be established to set
9 specific requirements for full-field digital
10 mammography? And what tests should be included?

11 I'm sure that's a simple question, should
12 be. Shouldn't take more than a minute or two to
13 figure that one out.

14 DR. SANDRIK: I don't understand why you
15 looked our way.

16 DR. FINDER: Let me give you a little bit
17 of background on this. Under the current regulations
18 the QC testing that is required for these F-50 MDM
19 units is basically determined by the equipment
20 manufacturer.

21 And for the last five years that's a
22 system that's been in place, and it's worked fairly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 well. So one of the options is to say, let's leave
2 things the way they are, and not address this issue,
3 leave it in the manufacturer's hands.

4 The problem with that is that as we get
5 more experience, and as there are more units out
6 there, and as the manuals keep changing, it becomes
7 harder and harder for the accreditation bodies, the
8 medical physicists, our inspectors, anybody who works
9 on these units to know exactly what testing needs to
10 be done and how to do it.

11 So the hope has been that at some point a
12 unified universal type QC set of procedures could be
13 published and implemented. I can tell you that there
14 are a number of people who have been working on this
15 for quite a long time, and at this point they have not
16 been able to do a universal QC manual.

17 However, there are efforts, and Nina
18 presented yesterday, that they have come up with
19 guidelines for hard copy printers and monitors. Their
20 kind of universal type QC testing, and other
21 organizations have been working on them for equipment.

22 The question really now is, do we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enough information to go ahead and start this process,
2 maybe not to work out all the details, but at least as
3 we've done for film screen, to establish certain
4 tests, certain frequencies, and in the cases where
5 known, at least some action limits for various types
6 of technologies.

7 I will point out that screen film was
8 around for about 20 - 25 years before a unified QC
9 manual came out. Here we've only got about five years
10 of work with FFDM, and a major difference between film
11 screen and FFDM is that with FFDM there are different
12 technologies, four or five different technologies,
13 that are involved. So the QC testing for one type of
14 apparatus may not be appropriate for another.

15 So I guess the real question is, do we
16 feel that there is at least enough information to
17 attempt to include in the regulations a type of
18 universal QC set of procedures?

19 And I would ask for a show of hands, yes?

20 (Show of hands)

21 DR. FINDER: Or no?

22 (Show of hands)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINDER: And again we're split, but
2 basically it's a yes.

3 DR. HENDRIKS: I just wanted to comment.
4 My vote was influenced by the ACR recommendations that
5 were distributed. Do we feel that these would be
6 similar to the type of testing that would be proposed?
7 In other words, these current guidelines seem to
8 encompass most of the technologies that you are
9 referring to?

10 DR. FINDER: At this point we have not had
11 a chance to review what ACR has developed. They are
12 not the only one out there who is working on this. I
13 would think that this would involve a process of
14 reviewing all the available information, all the
15 proposals that have been out there to try and wean out
16 what's reasonable to put into regulation and what
17 would have to wait for further additional information.

18 DR. TIMINS: I'd like to ask Dr. Williams,
19 how many different types of digital mammography
20 systems you're working with?

21 DR. WILLIAMS: There are right now I
22 believe either four or five different technologies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that have FDA approval as digital mammography systems.

2 And each of those has some fairly sizeable
3 distinctions in the way that they operate.

4 But there are some fairly universal
5 performance tests, and metrics, that can be applied to
6 all of those systems and produce meaningful
7 information.

8 I think that what the ACR has done to this
9 point in time is to, number one, recognize the fact
10 that a digital mammography quality control program
11 probably ultimately will involve some tests that we
12 don't do with screen film, and they may be in fact
13 tests that can only be done with - or only practically
14 done with software.

15 And right now we're in a little bit of a
16 transition state in which the software to do those
17 tests doesn't exist on the current systems, and it
18 makes it impossible therefore to do those tests on the
19 acquisition workstation.

20 And it's very difficult for the physicist
21 to get the images off to do those evaluations.

22 So the ACR has taken a sort of phased

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approach to this in which partially based on DMIST,
2 DMIST was the very large research study that compared
3 screen film and digital and incorporated most of the
4 current technologies.

5 Based on those data, the ACR has generated
6 a table, and that's the one that everybody has now,
7 that has criteria, performance criteria, in cases
8 where it seemed to be clear, either based on screen
9 film or based on DMIST.

10 But in most cases there weren't specific
11 criteria, because the data really don't exist yet.
12 However there are recommendations in terms of what
13 tests should be performed and how often.

14 DR. TIMINS: Dr. Finder, does the FDA and
15 the Center for Devices and Radiological Health have
16 any problems with writing QC regs on new evolving
17 equipment under these circumstances?

18 DR. FINDER: I think the process that would
19 be involved would have to be well thought out in terms
20 of writing things that could stand for at least some
21 significant period of time without having to be
22 rewritten.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It's a balancing act between leaving it
2 the way it is right now, or at least starting a
3 process of setting certain requirements to kind of
4 stabilize the field out there. Because right now it
5 is very, very confusing to a lot of people with all
6 these PC manuals written by all these different
7 manufacturers where they change fairly quickly.

8 And again we can't get down into all the
9 details, because a lot of these action limits and the
10 testing procedures haven't been developed. But if we
11 can even establish a framework for just making it
12 clear that everybody should name the same test the
13 same thing, and then giving a frequency of when it
14 should (Sound-System Failure) and that would help.

15 So I think there may be a benefit to
16 considering it as a new section.

17 (Sound-System Failure)

18 (Sound-System Failure) should we try and
19 attempt this process and start working on it? Or
20 should we just say we're not at that level yet (Sound-
21 System Failure) -- ask again.

22 Show of hands, should we at least attempt

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this process?

2 (Show of hands)

3 DR. FINDER: And again -

4 (Sound-System Failure)

5 DR. BYNG: Dr. Finder I wanted to show
6 (Sound-System Failure) couple of things sort of mixed
7 in here, including (Sound-System Failure) should this
8 be done as a new section (Sound-System Failure).

9 And I think we all look forward to the
10 point where there is some universal set of
11 requirements. But I think the major concern would be
12 that - for lack of specific information. And that was
13 some test that get written down aren't really
14 necessary.

15 And we had to work around to the
16 regulations, in spite of those innovations and
17 creation of new technologies and modes of developing
18 that system.

19 DR. FINDER: The only thing I can say about
20 that, it certainly is a concern (Sound-System Failure)
21 any time you put something into regulation and lock it
22 into place. The one saving grace in this is that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do have that alternative capability. So it's not as
2 bad as some regulations where you can't do anything
3 with it once it's in there again once it's recommended
4 into evidence.

5 The alternative is either we leave it the
6 way it is or we try and do something. And again we
7 ask that question twice we've gotten yes.

8 Next one is number 100, should we approve
9 alternative standard for (Sound-System Failure) isn't
10 available to be added here.

11 Basically what we're talking about is an
12 alternative standard that was approved many years ago.

13 And again the concept of the alternative standard is
14 that when you get a chance you do include it in the
15 regulation.

16 So yes, we should go ahead with it?

17 (Show of hands)

18 DR. FINDER: No?

19 (Show of hands)

20 DR. FINDER: That's a yes.

21 Number 101, should criteria for
22 establishing new process or operating levels be added?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We have guidance that addresses this, but
2 should we kind of formalize that guidance into
3 regulations? Part of the issue here is that we have
4 encountered some facilities that for no, quote
5 unquote, good reason they reestablished their levels,
6 instead of trying to find out what the problem was,
7 they had the levels shifting to begin with.

8 So the idea here is, and it has been
9 stated in guidance, basically you are not to use the
10 establishment of new operating levels as a mechanism
11 to basically not address the issue of why those levels
12 are changing.

13 So the question here is, do we leave it in
14 guidance, or do we put it into regulation?

15 Yes, for regulation, show of hands?

16 (Show of hands)

17 DR. FINDER: Okay, no?

18 (Show of hands)

19 DR. FINDER: Okay, and the general
20 consensus is no, and I presume it's to leave it in
21 guidance.

22 All right, next, on page 39, should we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 require a phantom image to be obtained for each unit
2 and process or combination?

3 This is the situation where you've got
4 multiple units, multiple processors. Should you be
5 running phantom images so you at least get a
6 combination through each one of them.

7 DR. MONTICCIOLO: I just have a question.
8 I mean the processors, the QC on the processor is run
9 everyday, so if all the processors are within limits,
10 I guess my question is, maybe to Dr. Williams and to
11 Carol, I mean that's a lot of work for those
12 technologists to do all those phantom images. Is
13 there any gain here?

14 DR. WILLIAMS: Well, the phantom of course
15 is sort of a bottom line test that looks at the entire
16 chain right to the final product. And one thing that
17 you might argue you could do in order to prevent doing
18 all permutations would be to make the argument that
19 each unit would have to pass with at least one
20 processor, which meant that the unit was okay, and
21 then each processor would have to pass with at least
22 one unit, which means that the processor is okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINDER: This is one of those areas
2 where we've actually issued guidance and dealt with
3 this. And part of the issue is that while each
4 processor may be within its own limits, right now
5 there is no requirement that those limits be close to
6 each other, either.

7 So in our guidance we've talked about if
8 the processes are matched, and there is some question
9 about, I don't have the exact specifications of what
10 it meant to be matched, then point oh five, if they
11 were matched then you could just run phantom images
12 through one processor, but at least a film has to be
13 run through all the processors so as to cut down on
14 the number of images that have to be run.

15 Again, the question is being asked, should
16 it be required of each unit processor combination? Or
17 should we go kind of more with what we've got in the
18 guidance and put that into regulation, or leave it in
19 guidance?

20 So there are actually three components to
21 this one. One, is a show of hands, yes, should they
22 be run for each combination?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Show of hands)

2 DR. FINDER: No?

3 (Show of hands)

4 DR. FINDER: That's a no.

5 Should we consider the guidance that we've
6 issue and put that into regulation here to allow the
7 combination or the ability to limit the number of
8 films that are run through each processor if the
9 processors are matched?

10 Yes?

11 (Show of hands)

12 DR. FINDER: No?

13 (Show of hands)

14 DR. FINDER: And again that's a no. I
15 assume that means we should just leave it in guidance.

16 Number 103, should the minimum optical
17 density of the phantom be raised? Right now it's set
18 at 1.2. There's been a lot of discussion about
19 raising that to 1.4.

20 So should it be raised? Yes?

21 (Show of hands)

22 DR. FINDER: No?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Show of hands)

2 DR. FINDER: Let me just say that the
3 consensus was more of yes. But go ahead.

4 DR. SANDRIK: From the no side. I think
5 this is a problem again, chasing after technology.
6 And that once upon a time, 1.2 seemed like a good
7 number. Now maybe 1.4 seems like a good number.
8 Maybe in another couple of years 1.6 will seem like a
9 good number.

10 A lot of this could depend on your
11 luminance, your view boxes, the illuminance of your
12 viewing area as far as what is a good number. So I
13 think perhaps changing it from 1.2 is reasonable, but
14 probably more again a performance based result is what
15 you should be looking for, not trying to specify an
16 optical density based on today's technology that may
17 not be valid later on.

18 DR. WILLIAMS: While I certainly agree with
19 that philosophy, I just have observed in practice that
20 films that are now 1.2 OD are generally not acceptable
21 to the radiologists. And this has been a trend over
22 the past few years. So if we're going to keep

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something in, I think it ought to be higher.

2 DR. BYNG: But is 1.4 going to achieve
3 something that 1.2 is not?

4 MS. MOUNT: I would say from a
5 technologist's standpoint, because this is to test the
6 technologists, sometime they take it literal, and 1.2
7 is where they want to be. But I think the bottom
8 level should not be lower than 1.4, and I would even
9 increase it higher.

10 DR. SANDRIK: Well, this might be tied
11 again to exposing the phantom under normal clinical
12 conditions; that you get the density that you'd expect
13 to get for your normal, in the standard breast
14 conditions. And whatever that density is where you
15 operate. You don't necessarily set it to some
16 particular density value, but the guidance says you
17 have to operate in your automatic exposure control
18 mode that you normally use for the standard breast,
19 that gives you some density. And if that density
20 allows you to pass the test, then your view boxes and
21 your illuminance situation, that should suffice.

22 But saying where the number has to be I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think is micromanaging the situation.

2 DR. BYNG: And because this is part of that
3 weekly quality control test, it's done at the standard
4 imaging condition, so you're not imaging at 1.2
5 anyway. It just means that you wouldn't go below 1.2.

6 And I'm just wondering, if you make a change to the
7 regulation, it will percolate through the system. And
8 yet it's not going to impact a lot of facilities that
9 are already imaging well above this anyway.

10 Do you have people that are doing this
11 test at 1.2?

12 DR. WILLIAMS: No, in our institution the
13 background optical densities are more like 1.7, 1.8.
14 And so I just think that 1.2 is just historically a
15 little outdated.

16 DR. MONTICCIOLO: I think we should - I was
17 taking into account what Carol said. She's right,
18 though. There are technologists who feel that if you
19 put a number there - I mean maybe there shouldn't be a
20 number there. But if there is going to be a number,
21 which there already is, it should be raised.

22 DR. FINDER: Okay, next one. Should the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 position and composition of the added test object -

2 VOICE: I'm sorry, there's audience
3 comment on that, from Penny, ACR?

4 MS. BUTLER: Penny Butler, ACR. I just
5 wanted to point out that we accredit 95 percent of the
6 facilities in the country, and we still do see
7 accreditation of phantom images come through at very
8 low densities, optical densities, and result in
9 failure with us of course.

10 So it still is a problem out there.

11 DR. FINDER: Okay, 104 should the position
12 and composition of the added test object be further
13 defined, yes, no.

14 Yes?

15 DR. MONTICCIOLO: I don't understand this.
16 Has this been an issue, or can it stay in guidance?
17 I wasn't sure about - if you could give me some
18 background on this so I can understand it.

19 DR. FINDER: I can't tell you how big a
20 problem it is or isn't. But in fact the issue has
21 always come up when you're trying to measure the
22 density difference, it just says, with an added test

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 object. It doesn't say what that is. So you
2 theoretically could put any type of test object to get
3 the answer that you want.

4 The idea here was that you are supposed to
5 put a standardized test object that would be similar
6 around the country to get this type of difference, but
7 the way it's kind of worded, the regulation doesn't
8 address what that object is. You could put a quarter
9 there. You could put a piece of cellophane. It could
10 be anything.

11 And the idea here is to kind of
12 standardize that so it's uniform throughout the
13 country.

14 DR. BYNG: And one additional point is,
15 rather than a potential fixed specification is whether
16 it could be a range of specification, and the point
17 that they should use the same one on an ongoing basis.

18 DR. FINDER: Okay, so do we have a show of
19 hands, yes?

20 (Show of hands)

21 DR. FINDER: No?

22 (Show of hands)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINDER: That's a yes.

2 Next one is should criteria for
3 establishing new phantom image and optical density
4 operating levels be added?

5 Again this is similar to that for the
6 processor. So let's go with a show of hands for I
7 guess the two or three possibilities here.

8 Either we do include some criteria in the
9 regulations, yes?

10 (Show of hands)

11 DR. FINDER: No?

12 (Show of hands)

13 DR. FINDER: So it's kind of split.

14 Or do we just kind of try and leave it in
15 guidance? The guidance that we've actually issued,
16 which again people can obey or not obey as they fee.

17 Yes, leave it in guidance?

18 (Show of hands)

19 DR. FINDER: No?

20 (Show of hands)

21 DR. FINDER: It looks like a yes.

22 All right, number 106 deals with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 repeat analysis, and then brings us back to the
2 definition we talked about earlier.

3 Should this test be limited to either the
4 repeat or reject rates but not both?

5 Right now the way it's worded, it's a
6 little bit unclear. It says if the total repeat or
7 reject rate changes from the previous rate by more
8 than two percent, you've got to take action.

9 The question is, what does that mean?
10 Does that mean you have to do both those tests? Or
11 you can pick one of them? It's been a matter of
12 debate amongst facilities about what they are actually
13 doing. And if we want to do both, we should basically
14 say that specifically. If we want them to do just one
15 we should say that. And if we have one that we want
16 them to do and not the other one, we should say that
17 too.

18 So that's the issue here, and again it
19 really goes back to what the definition of the repeat
20 rate is and what you're trying to accomplish here.

21 We have tried to issue guidance on this as
22 best we can with the regulation written the way it is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I guess one of the things that we've been trying
2 to do, or at least get the concept across, and we
3 want to see if the committee agrees, that the idea of
4 this repeat rate would be situations that added
5 additional exposures to the agent more so than how
6 many test films needed to be run, and how many had to
7 be discarded.

8 But it would be those types of situations
9 that resulted in a repeat view for technical reasons,
10 thereby resulting in additional exposure to the
11 patient. So we can have I guess a little bit of
12 discussion about this.

13 MS. MOUNT: I guess I would say that it
14 isn't really any extra burden to do them both.
15 Because you still have to have your total number of
16 films, the total you threw away, and you're just
17 sorting them out between repeats and rejects. So to
18 me it would make sense to do them both.

19 DR. WILLIAMS: Which leads to the question,
20 is the reject rate of value? Or is repeat sufficient
21 in that it gets at what we are trying to determine,
22 the spirit of why we are doing the test?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BYNG: Well, and is there a concern
2 over reject rate, because it's factoring in a lot of
3 different uses for film. The quality would be assured
4 by the repeat rate.

5 DR. FINDER: Those are exactly the issues
6 that we want to raise and bring up.

7 For those who aren't familiar, there are
8 different - there is no formal definition in a
9 regulation for what a repeat or reject rate is. The
10 ACR does have as part of its QC manual procedures for
11 performing both these tests. One of the problems that
12 we saw with the reject rate is that in the ACR manual
13 they include films that for example might be involved
14 in a biopsy as part of their reject rate. And again
15 that's outside our authorization area.

16 It also includes QC films that might have
17 been thrown away.

18 So again, the question is, where do we
19 want to be, and do we want to clarify this?

20 DR. BYNG: Is there a definition you had in
21 mind for total as well? Because it says total
22 included in the analysis, but you haven't indicated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how total is defined?

2 DR. FINDER: Right. Again, we're getting
3 into the details here. This would have to be, again,
4 depending on what you're talking about. A reject
5 total would be different necessarily than a repeat
6 total. Because again, with the repeat you are going
7 more toward the clinically exposed films; with the
8 reject you would be including other films. So you
9 could be talking about the total number of films that
10 have been processed.

11 And there are procedures that have been
12 put in place to try and determine these things, but
13 it's still not totally clear. And facilities are doing
14 them in different ways. Some facilities will keep
15 every single film that's taken on a patient in the
16 film jacket; will not throw any of those films away.
17 Your reject rate in that case will be significantly
18 different than a facility that will throw away films
19 that they feel are not optimal.

20 So again we are trying to get into this
21 issue.

22 DR. SANDRIK: Both the FDA guidance and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACR QC manual agrees from the language of repeat
2 involving extra dose. I think that should really be
3 more the focus of MQSA. The reject probably has value
4 to the facility. More of a financial impact perhaps
5 than the efficiency of the use of film. I don't think
6 that should be part of MQSA.

7 DR. FINDER: Let's have a show of hands
8 about should we keep repeat rate and define that.

9 Yes?

10 (Show of hands)

11 DR. FINDER: Okay, should we reject the
12 reject rate?

13 (Show of hands)

14 DR. FINDER: Yes. And therefore we
15 wouldn't necessarily have to have a definition for the
16 reject rate.

17 Now here is another question. What would
18 constitute an acceptable corrective action in this
19 situation? And the reason I raise this issue is
20 because this test if it's failed is under one of those
21 30-day corrective action issues, but the test itself
22 is a quarterly test done over 90 days, and it also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doesn't have a defined action limit other than the
2 sense that if there is more than a two percent change
3 there is a problem you have to look at.

4 So what is the effect of corrective
5 action, and in what kind of time frame do you do this?

6 And I'd like to hear just experience out
7 there?

8 MS. MOUNT: What we have done, because we
9 have exceeded at times, we simply write, I as
10 supervisor of the department, when the data has been
11 shared with me, write a note, and we put it in the QC
12 log, that we are going to repeat this weekly.

13 Now the volumes are high enough that we
14 can do it weekly until we can establish the cause of
15 the problem and reduce that repeat percent. That's
16 how we do it.

17 DR. FINDER: Okay, obviously it's not a
18 hard and fast rule here, and for smaller facilities it
19 becomes an issue.

20 And again it takes us back to the issue
21 of, if we define corrective action as failing the
22 test, taking some type of action and repeating the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 test until you get it right, it becomes somewhat
2 confusing here because we are talking about a two
3 percent change. And for example if you are looking at
4 90 days worth of films and you see a two percent
5 change that's one thing. But then if you have to go
6 and do it on a weekly basis, you may see that number
7 bouncing around quite a bit.

8 And it's not only two percent one way;
9 it's two percent in either direction. So it's
10 interesting just to hear how people are dealing with
11 this type of problem without guidance from us on this.

12 DR. WILLIAMS: And I think Carol's approach
13 makes a lot of sense, because it differentiates
14 between the time to correct, or at least try to
15 correct, the underlying issue, and the amount of time
16 it takes to evaluate whether or not that worked.
17 Which may be for some facilities that don't have the
18 volume that she has a very long time. It could be 90
19 days. It could be something more than 30 days.

20 And so maybe that is the thing to do is to
21 differentiate between the times to take the
22 correction, and make sure that it's separated from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time it takes to evaluate whether that is the right
2 correction.

3 DR. FINDER: Next is 107 -

4 DR. BYNG: Dr. Finder, one more question
5 there. Because it's based on two percent change. And
6 no absolute criteria.

7 DR. FINDER: Correct.

8 DR. BYNG: Has there been consideration in
9 the past about an absolute criteria?

10 DR. FINDER: Yes, there was a lot of
11 discussion early on about whether there should be a n
12 absolute rate of like five percent or something like
13 that.

14 The problem that we were dealing with at
15 that point is, again, how people do these analyses,
16 and how they collect the films. And there is
17 certainly the situation out there where a facility
18 could, as I said, keep all the films and have a zero
19 repeat rate in that sense and keep that and maintain
20 that.

21 One of the problems, the other was the
22 issue of if you set a certain limit below which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everything is okay but you don't check the change in
2 that limit, you could have a situation where you get
3 different readers in there, and all of a sudden
4 they're willing to accept bad films because they don't
5 want to change this rate.

6 So the idea was that we needed to have
7 some type of standard in here. Neither one of them
8 totally dealt with the problem, but we felt that
9 allowing the facility to set some type of baseline,
10 and then trying to measure off that baseline, which
11 would be consistent with many of the other quality
12 control standards in the regulations where the
13 facility sets its own baseline within certain types of
14 limits, and if there is a deviation from that, then
15 they're supposed to look at that. Because it
16 indicates a change in what is going on in the
17 facility.

18 It may be a good change; it may be a bad
19 change. But the idea here was, as long as there is a
20 change, the facility is supposed to look at it and try
21 to figure out why the change existed.

22 DR. BYNG: But the difference between those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 established operating levels is that they have a
2 range, and this one just says you can go two percent
3 each time you do this test; two percent on two percent
4 on two percent.

5 DR. FINDER: Right. It's not firm science
6 on this one.

7 Okay next one is 107 dealing with the dark
8 room fog test. Should this requirement be expanded to
9 mention all areas where films are stored, handled or
10 processed?

11 Because some facilities do that in rooms
12 other than the dark rooms.

13 So just a quick show of hands, yes -

14 DR. BYNG: But just a quick clarification,
15 I'm sorry. Your definition of handled.

16 DR. FINDER: Right.

17 DR. BYNG: Do you have a definition for
18 handled?

19 DR. FINDER: No, but we could work one up
20 for you.

21 DR. BYNG: I guess I'm wondering about what
22 you have in mind, because an automatic film handling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device that loads it into a machine, or where film is
2 stored it says. So if I store it in a box in a
3 warehouse versus in my dark room, do I have to go down
4 and check where I stored it?

5 I just see some difficulty with trying to
6 interpret how to deal with this.

7 DR. FINDER: Yes, in fact the issue of the
8 daylight systems has already been addressed in a
9 guidance. And obviously you can't go inside those
10 machines and try and figure out what the light levels
11 are in there.

12 The issue of where films might be stored,
13 it's a good one. Usually we would be imagining that
14 the films would be stored somewhere at the facility in
15 a place where they could actually do this type of
16 testing.

17 Again the idea would not be - you could
18 carry this back and have measurements all the way from
19 the manufacturer, the truck, whatever. I don't think
20 we're talking about something like that. We're
21 talking about where films I think would be stored at
22 the facility level.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Any other comments?

2 DR. SANDRIK: Yes, we're also talking here
3 about a semiannual test. I'm just wondering whether
4 from Dr. Williams' experience if you did say a
5 radiation safety survey, you decided, determined there
6 was no radiation level of significance where you are
7 storing the film, there is no radiation of
8 significance where the film is being loaded, whatever,
9 that sort of thing, there is no light levels in those
10 areas that could sort of do it once and forever that
11 these are safe areas that film can be stored, rather
12 than having to repeat this every six months.

13 DR. WILLIAMS: So then the question is, is
14 six months too often to do the test?

15 DR. SANDRIK: Yes, I mean if we're talking
16 about including all the things - storage, handling,
17 process, particularly storage. If you have already
18 determined no radiation level where the film is
19 stored. There is no light there for the film that's
20 in the boxes that can be detected. Is it really
21 necessary to go and repeat such a thing every six
22 months?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMS: I don't really have a strong
2 opinion, but it seems to me that six months is not
3 unreasonable given the possibility that things change
4 in the next room; you get a floor unit moved in there;
5 or nuclear medicine moves in there, something like
6 that. Things could change. It seems like once every
7 six months is not an undue burden, I don't know.
8 Maybe Carol has a comment on that.

9 MS. MOUNT: Well, are we talking just a fog
10 test? Or are we talking some sort of radiation
11 monitoring test?

12 DR. SANDRIK: Well, it's described under
13 fog test. I guess in this case it could be - we're
14 talking about storage for example, it could be more
15 radiation leakage in their facility as opposed to
16 light gets in the dark room.

17 DR. BYNG: But just a clarification, there
18 isn't a radiation fog test.

19 DR. FINDER: If I could just kind of jump
20 in here, I think we're basically talking about light
21 fogging the films. And I will say that it's one of
22 the - if I'm not mistaken, somebody correct me - it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one of the more frequent citations found during the
2 inspections.

3 The issue really comes up with, for
4 example, a room where the seals on the door of the
5 room over time either peel off or deteriorate, and all
6 of a sudden you've got light coming in there. That
7 was the basis for requiring that it be done every six
8 months. I don't think anybody is really that worried
9 about some type of radiation coming in from the
10 outside, other than light. And again it's a fog test.

11 It's really being used to determine this light
12 leakage thing, and we're trying to get at the issue of
13 where these films are being handled more so than if
14 they're just plain in the boxes.

15 But with the advent of various different
16 types of machines, and multiple places in the facility
17 where for example the daylight systems might be
18 loaded, there may not even be a quote unquote dark
19 room. There may a bag where somebody does this inside
20 the bag.

21 There are all issues about this. I guess
22 the consensus that we're looking for is, should we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look at this issue about dark room fog? Should we at
2 least consider expanding this to these types of areas
3 if we can come up with something that's reasonable
4 that kind of addresses these issues that we just
5 talked about?

6 Yes.

7 DR. MONTICCIOLO: Could I just ask - you
8 brought up something to remind me of something that
9 used to happen during my fellowship. I mean what
10 about people who handle films in a mobile unit and use
11 a small area to take the films and work with them? I
12 think it is going to add a lot of burden for them.

13 DR. FINDER: Well, it certainly could if we
14 go to that level. I mean there are situations where
15 you've got remote processing where they don't have a
16 defined darkroom, where they are using a storage room
17 or something else to load cassettes and things like
18 that.

19 This also in terms of the burden that's
20 imposed, right now every single dark room would have
21 to be evaluated at some point. It is reasonable to do
22 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Yes?

2 DR. TIMINS: I had to step out for a
3 moment. Is film being evaluated for fog?

4 DR. FINDER: Yes.

5 DR. TIMINS: So then there you have it, I
6 think this becomes redundant. If you have a problem
7 with your film with fog, then you are going to pursue
8 the problem. I think it's sufficient to just limit
9 this to dark room.

10 DR. FERGUSON: I would agree. The dark
11 room we don't open any film anywhere else. I can't
12 imagine very many people do.

13 DR. FINDER: Okay, so a show of hands, yes,
14 no.

15 Yes?

16 (Show of hands)

17 DR. FINDER: No?

18 (Show of hands)

19 DR. FINDER: Okay, we'll take that as a no.
20 Moving right along, screen film contact test.
21 There's been a recommendation that this be made an
22 annual test instead of a semi-annual test.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Yes for that move?

2 (Show of hands)

3 DR. FINDER: Yes, number 109, should the
4 effective date be deleted here?

5 Yes?

6 (Show of hands)

7 DR. FINDER: Yes.

8 Number 110, this basically also deals with
9 the issue of the date. Should sections A and B be
10 combined? And specific reference be made to
11 performing the test in the contact configuration with
12 at least one image size using the appropriate
13 technique factors.

14 And then should we clarify that all AEC
15 detectors and AEC modes be tested?

16 So there are a couple of questions here.
17 One is basically do we get rid of the date and combine
18 those things.

19 Yes on that?

20 (Show of hands)

21 DR. FINDER: No?

22 (Show of hands)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINDER: And it's a yes.

2 Should we specify that it be done in the
3 contact configuration as listed here? Again this is
4 what we've pretty much gotten guidance already.

5 Yes?

6 (Show of hands)

7 DR. FINDER: No?

8 (Show of hands)

9 DR. FINDER: No one cares.

10 DR. WILLIAMS: A question. Could we just
11 say of all the modes that are used clinically be
12 evaluated? Would that cover this?

13 DR. FINDER: Well, that creates more
14 testing than what we are requiring right now.

15 DR. WILLIAMS: In some cases it might, but
16 in other cases, there are rooms that don't ever do
17 mags. There are rooms that don't ever - that don't
18 ever use anything except auto kV. And so you wouldn't
19 test anything that was an auto time or anything like
20 that.

21 DR. FINDER: Right. Again the purpose of
22 this is to kind of bring the testing down, put the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 majority of the testing at the mammography equipment
2 evaluation stage when it's just done once, and limit
3 the testing to just this one configuration, one set of
4 systems, where they wouldn't have to go and do
5 multiple configurations, or multiple additional
6 testing. And again, this is guidance that we have
7 kind of already published, put out there. It's an
8 attempt to try and put this into regulation.

9 DR. MONTICCIOLO: The guidance in this case
10 is to not do all these modes?

11 DR. FINDER: Right, correct, and save that
12 basically for the mammography equipment evaluation
13 when that has to be done.

14 DR. BYNG: So there is not currently an
15 outstanding issue you are trying to address with this
16 one?

17 DR. FINDER: What we are trying to do is,
18 we've addressed it in guidance, but the regulation
19 itself could be clarified to make that statement. So
20 again if we are going to be rewriting the regulations,
21 it helps to have this in many cases in the regulation
22 itself rather than as an accessory in guidance.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MOURAD: Wally Mourad. The feedback
2 from physicists since we published this guidance has
3 been very positive. They all like it, for whatever
4 it's worth.

5 DR. FINDER: Okay, so in an attempt to move
6 on, we'll go for a show of hands, yes on this?

7 (Show of hands)

8 DR. FINDER: No?

9 (Show of hands)

10 DR. FINDER: Yes, to move the guidance,
11 right.

12 We'll take that as a yes.

13 Now the other part of this is, should all
14 AEC detectors and AEC modes be tested here?

15 Some units have multiple AEC detectors.
16 Should they be tested on an annual basis?

17 Yes?

18 DR. SANDRIK: I think in many cases you can
19 probably have a similar sort of reduced level of
20 testing where you don't necessarily test every
21 detector, say within the two, four, six centimeter
22 range, but pick one thickness, you do say the most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 commonly used detector over the full range, and then
2 check the other ones at just one thickness; again
3 offers a reduced set.

4 DR. FINDER: Sounds somewhat similar to
5 some guidance I've seen.

6 Again, this is - again, we have guidance
7 that basically says what Dr. Sandrik just said. And
8 it's a question of, do we move it into regulation to
9 make it clearer?

10 DR. WILLIAMS: And this is different than
11 where Mark was pointing out about modes used
12 clinically? It wouldn't apply to this section?

13 DR. FINDER: The AEC detectors, there are
14 some units that have as I say multiple detectors. And
15 if you just limit your testing to one, and the other
16 one is broken, you may not pick that up during this
17 type of - if you limit yourself to one detector, you
18 won't pick that up. And yet any time that detector is
19 selected during clinical exams you will run into that
20 problem.

21 So the guidance that we issued was an
22 attempt to reduce the amount of testing yet still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cover over all the detectors to make sure that they
2 work in a manner that was consistent with the spirit
3 of the regulation.

4 DR. BYNG: But to Mark's point about, if
5 they don't use a particular detector in a particular
6 room, do they need to test that?

7 DR. FINDER: Again, the guidance that we
8 talked about deals with those items that are used
9 clinically. So we've already excluded in guidance
10 things like for example if the unit is never used in a
11 certain manner, it certainly wouldn't have to be
12 tested.

13 Now that does - every time we have those
14 issues it always raises the question of, what does
15 that truly mean, that somebody says, I'm never going
16 to use it that way, and then behind somebody's back
17 they do?

18 But we have gone with the general guidance
19 that if the unit is going to be limited in some manner
20 there is supposed to be a notification on the unit
21 that it's not supposed to be used in X configuration,
22 because it hasn't been tested in that configuration.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So those are the types of things.

2 So again, yes for this? Or should we
3 limit it to, yes should we test all the detectors, or
4 basically no, should we kind of put the guidance in
5 there that allows for a reduced amount of testing.

6 We would test all the detectors, but have
7 reduced testing. I'm getting a little tired up here.

8 So a yes on that or a no on that.

9 Yes? Does anybody know what I'm talking
10 about anymore?

11 Okay, let's go with, all the detectors
12 that are used clinically would be tested, but they
13 would be reduced testing for more than one detector.
14 So if you get three detectors there you would do one
15 at the two-four-six configuration, and the others
16 would be done at let's say the four centimeter level,
17 and then you would compare across those.

18 DR. BYNG: And that's what's in your
19 current guidance?

20 DR. FINDER: Yes.

21 DR. WILLIAMS: And that goes under the
22 logic that the same lookup table would be used for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 each one of the detectors, so therefore if you tested
2 one over the range you don't need to test the others?

3 DR. FINDER: Right.

4 So show of hands, yes?

5 (Show of hands)

6 DR. FINDER: No?

7 (Show of hands)

8 DR. FINDER: I take that as a yes.

9 Next is 111, should focal spot dimensions
10 be deleted? We no longer have that in our regulations
11 any more, because the dates have expired on it. So
12 should we delete it?

13 Yes?

14 (Show of hands)

15 DR. FINDER: No?

16 (Show of hands)

17 DR. FINDER: It's a yes.

18 For system resolution, should we
19 specifically mention that this test is being performed
20 in a contract configuration rather than in some other
21 configuration?

22 Show of hands, yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Show of hands)

2 DR. FINDER: No?

3 (Show of hands)

4 DR. FINDER: Take that as a yes.

5 Should resolution also be tested in the
6 magnification configuration?

7 Go for a yes?

8 (Show of hands)

9 DR. FINDER: No?

10 (Show of hands)

11 DR. FINDER: Kind of split here.

12 DR. HENDRIKS: I'm sorry, I would need more
13 background on that to answer that.

14 What is the background on that issue?

15 DR. FINDER: I think Dr. Sandrik would be
16 more than happy to.

17 DR. SANDRIK: If I could make a few
18 comments on that.

19 Publication by Gary Barnes and Don Fry,
20 screen film mammography, talks about the benefits of
21 magnification mammography, the main benefit being
22 signal to noise ration not limiting resolution.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 A paper by Kuniodoi, advantages of
2 magnification radiography, main benefit, signal to
3 noise ratio; secondary benefit, improvement of
4 contrast by the error gap. Improvement of MTF, or the
5 observer's eye, because you made the object bigger.
6 Some benefit because of limiting resolution, but it's
7 not proportional that you get benefit measured by
8 limiting resolution.

9 A very significant document by J. Law from
10 the British Journal of Radiology, the influence of
11 focal spot size on image resolution and test phantom
12 scores in mammography, one thing looking at the
13 statement in his abstract, these two phantoms and
14 others were used to investigate the changes of
15 perceptibility of realistic details, all of which
16 depend on a combination of contrast, resolution and
17 noise, with changes of focal spot size and
18 magnification.

19 As expected, when judged in this way, that
20 is based on image quality, the image quality for
21 realistic objects improved as focal spot size
22 decreased. With fine focus it also improved as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 magnification increased unlike changes in high contrast
2 resolution which decreased for high magnification.

3 Thus conventional bar patterns are not
4 always a good guide to detailed perceptibility in
5 mammograms where the effects of noise may be as
6 important as those of focal spot size.

7 He goes on to say further that limiting
8 resolution can be misleading as far as identifying
9 which quality value for magnification.

10 Taking his data, I redid his measurements
11 on a GE 600T system fortunately enough for me. And if
12 you look just at his data, he talks about resolution
13 values up at like 16 lines pairs per millimeter at
14 magnifications near two. But that was measured far
15 out from the chest wall. If you actually convert that
16 back to equivalent measurements of limiting resolution
17 near the chest wall as done under MQSA, where he says
18 that image quality is still improving, the limiting
19 resolution is about six lines pairs per millimeter,
20 well below the 11 and 13 requirement that we are
21 trying to apply to systems.

22 So I think basically he's saying that for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aspects of clinical image quality, limiting resolution
2 by bar patterns is misleading; our numbers in MQSA are
3 way off from what's clinically relevant.

4 DR. MONTICCIOLO: I just have a question
5 then. For these two questions, I guess it's 112 and
6 113, I guess I don't understand what we're
7 recommending versus what's recommended now in QC
8 manuals or what's being done now.

9 DR. SANDRIK: The problem with the current
10 regulation is that it provides no limit on where the
11 magnification value should be set for testing
12 magnifications.

13 I think it says, let's see, somewhere it
14 tells you to do the magnification, doesn't it?

15 DR. HENDRIKS: I'm sorry, I'm still
16 confused. So you're not questioning the value of
17 notification. I do agree, I don't understand the
18 question that we are addressing about whether these
19 tests should be performed in these configurations.

20 DR. TIMINS: Actually the question is
21 whether to do resolution testing on magnification.
22 After hearing those studies cited, I am against it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SANDRIK: Right. I think as far as - I
2 guess I am not finding the magnification. The issue
3 that comes about is that there is no issue applied to
4 magnifications that will be used.

5 Now some physicists then have taken this
6 as, let's look at the worst case situation. Let's go
7 to the highest magnification and see if we still meet
8 these requirements.

9 And the indications are that maybe you
10 won't, but very likely it's irrelevant.

11 Now I'm not saying there is anything wrong
12 with the magnification. I think all the papers
13 basically say there is a lot of value in magnification
14 mammography.

15 The fallacy is trying to evaluate that
16 clinical value based on eliminating bar pattern
17 resolution measurement.

18 That, as well as applying these 11 and 13
19 line pair numbers to apply to an area where it's not
20 really a valid measurement either.

21 DR. HENDRIKS: So you're addressing a
22 bigger question than is raised by this question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SANDRIK: Well, basically it's item
2 113, should resolution be tested in the magnification
3 configuration?

4 I say no. It's directly addressing 113.

5 DR. BYNG: Is your point more about not to
6 test it there? Or what's the performance limit if you
7 did test it there?

8 DR. TIMINS: What he's basically said is
9 that the value of magnification is not necessarily
10 image resolution; that there are other things that
11 make a lesion more conspicuous than just resolution,
12 and that the value of magnification is not necessarily
13 a fine resolution value.

14 DR. BYNG: That I agree with.

15 DR. MOURAD: I think nobody would argue
16 that the advantage in mags lies more in better S&R
17 than in resolution. But in a mag configuration,
18 entirely new focal spots are brought to bear than are
19 used in contact.

20 And I think it's probably worth - although
21 I agree that the current way of doing it, or by
22 default doing it since it's not well stipulated, may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not be appropriate, I think there should be some way
2 to just head off the fact that the small focal spot
3 may in fact be unacceptably large for doing
4 magnification views.

5 Now there may be an argument for including
6 some more global assessment of image quality that does
7 take into account the impact of S&R. But I don't
8 think that's what we're asking right now, is it?

9 DR. SANDRIK: Well, I think someone could
10 address that. Yes, I would prefer seeing the test
11 going that way, and testing based on what's relevant
12 to the imaging.

13 As a fall back position we could consider
14 at least what was in the proposed final regs that the
15 magnification be limited to 1.5. The number is still
16 irrelevant, but at least it does no harm.

17 DR. HENDRIKS: Audience comment from Penny.

18 MS. BUTLER: I'm Penny Butler, ACR. I'd
19 just like to bring out that this is one of the items
20 we evaluate when equipment evaluations come through,
21 the accreditation body.

22 And during annual surveys, and I just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 checked with my staff back there, and this is not
2 something that fails very often at all. So it does
3 appear that the facilities are currently meeting these
4 standards for magnifications.

5 Now what we may not be seeing if there is
6 work being done at the facility in order to get them
7 to meet these requirements. But it's not a big reason
8 why physicists are failing their reports.

9 DR. SANDRIK: If I can perhaps offer a
10 comment to that, before the guidance was issued and a
11 statement was made at a previous NUMQWC (phonetic)
12 meeting by FDA that testing be limited to 1.5, I
13 probably got several calls a month from physicists or
14 facilities or field engineers saying that they failed
15 this test because the physicist felt it had to be done
16 at the maximum magnification available on the system.

17 Since the guidance went into effect the
18 calls have essentially gone to zero. So as long as
19 physicists agree to limit the magnification to 1.5, I
20 agree with Benny that there is essentially no
21 failures. And now the only calls that come out again
22 are the ones where somebody says, well, I'm going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clinically use 1.8 so it should pass this test at 1.8,
2 and that is not a valid conclusion based on these
3 rules.

4 DR. FINDER: Okay, I think we've heard the
5 issues here.

6 Let's move on to 114, where we talk about
7 focal spot dimensions. Should this be deleted?
8 Again, we're now past the time when focal spot
9 dimension is actually being used. It's now a
10 resolution test.

11 So should we delete this?

12 Yes?

13 (Show of hands)

14 DR. FINDER: No?

15 (Show of hands)

16 DR. FINDER: It looks like a yes.

17 Next one is X-ray field light imaging.
18 Should these tests be performed for all combinations
19 of collimators, image receptor sizes, targets and
20 focal spots used for full field imaging and the
21 contact configuration.

22 Anybody? Yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Show of hands)

2 DR. FINDER: No?

3 (Show of hands)

4 DR. HENDRIKS: I think we have to have some
5 background. These questions are a little bit
6 technical for most of the non-physics members of the
7 panel.

8 DR. FINDER: Not everybody has to answer
9 the question here. But so far I've only seen two
10 people who said yes to this.

11 Anybody have a problem with having this
12 testing done for the various combinations? And I'll
13 that that no, yes?

14 DR. MOURAD: Yes, targets, certainly.

15 DR. FINDER: Okay.

16 DR. BYNG: This is for all configurations,
17 again, or all configurations used clinically?

18 DR. FINDER: Clinically.

19 Number 116, should medical physicist
20 oversight be allowed for the performance of this test?
21 And the one we're talking about here is uniformity of
22 screen speed, from the physicists? Would you be happy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with medical physicist oversight?

2 Yes, a show of hands, yes?

3 (Show of hands)

4 DR. FINDER: No?

5 (Show of hands)

6 DR. FINDER: Okay, that's a yes.

7 Should separate averages for different
8 speed cassettes be allowed? I will tell you that we
9 have guidance that allows this already. It's been
10 used for many years, and seems to be quite useful.

11 So a show of hands, yes?

12 (Show of hands)

13 DR. FINDER: No?

14 (Show of hands)

15 DR. SANDRIK: You say averages here. Is
16 that averages or ranges that you were referring to?

17 DR. FINDER: Ranges, as written in the reg.

18 118, this deals with system artifact test.

19 Since there is no specific standard, should the
20 medical physicist have the final say as to whether
21 this test passes or fails?

22 Yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MONTICCIOLO: How does the radiologist
2 enter into that?

3 DR. FINDER: Well, that is the exact
4 question here. This is a test in which there is no
5 objective action limit value. So somebody looks at
6 this test and decides whether it's a pass or a fail.

7 The problem we have is, at the present
8 time, who makes that decision? Is it an interpreting
9 physician? Is it the medical physicist? Is it the
10 owner of the facility?

11 So this has happened where because there
12 is no firm basis of this somebody can say, well, it
13 failed, and somebody else will say, no it passed.

14 So who gets the final say on this quality
15 control test?

16 DR. BYNG: But currently by default doesn't
17 the radiologist have oversight as the lead
18 interpreting physician?

19 DR. FINDER: Right. That's why we're
20 asking the question. Is that one the best person to
21 address this specific test? Or is it the medical
22 physicist? Just a yes or a no.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMS: I think it's going to be
2 tough to get the radiologist in during the annual
3 testing or whatever it is to evaluate particular
4 artifacts. That may not be something that is so
5 practical. Physicists may have to make their best
6 judgment, and then if it's a situation where it seems
7 to be ambiguous, get the radiologist in.

8 But I'm not sure that that needs to be
9 done on a -

10 DR. MONTICCIOLO: That's a difficult one,
11 Mark. Because I mean we work very closely with our
12 physicists. And if my physicist told me I'm
13 uncomfortable, this should fail, I would fail it.

14 But usually when something like that
15 comes up, they come to us and ask what should we do,
16 and we make the decision together.

17 DR. WILLIAMS: Right, so those are the
18 tough ones, where you need to have the radiologist's
19 eye.

20 DR. HENDRIKS: Audience remark on that,
21 Penny Butler.

22 MS. BUTLER: Penny Butler, ACR. Currently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this artifact test is a medical physicist test. It's
2 part of the report that they produce, and they are the
3 ones who actually pass-fail this test.

4 However it might be helpful to note that
5 the - in the quality control manual we have a
6 statement that if the artifact is difficult or
7 expensive to eliminate, and it's settled, not
8 mimicking or obscuring clinical information, it may be
9 tolerable. The medical physicists should consult with
10 the interpreting physician as to whether the artifact
11 is tolerable.

12 Tolerance for artifacts should be lower
13 with new imaging equipment.

14 So it really encourages communicating with
15 a radiologist on some of these things.

16 DR. FINDER: Okay, but now lets get back to
17 the situation we've got. They disagree. And the
18 question has come up where we've had the physicist say
19 that it fails, and other people in the facility say it
20 passes.

21 So in order to move things along, should
22 the medical physicist have the final say, yes or no.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Yes?

2 (Show of hands)

3 DR. FINDER: No?

4 (Show of hands)

5 DR. FINDER: When the medical physicist
6 says no, that he shouldn't have the final say.

7 (Laughter)

8 DR. FINDER: I'll take that as a split,
9 maybe.

10 DR. MONTICCIOLO: Can we vote again? I
11 want to change my vote.

12 DR. FINDER: Okay, then we'll do another
13 vote here.

14 Show of hands, yes, medical physicists
15 should have the final say?

16 (Show of hands)

17 DR. FINDER: No?

18 (Show of hands)

19 DR. FINDER: I guess that's a no now.

20 (Laughter)

21 DR. FINDER: Again, for the system artifact
22 test, should medical physicist oversight be allowed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for this test at mobile facilities using remote
2 processors?

3 This is one of the things that we have
4 already addressed in alternative standard. We do
5 allow it under medical physicist oversight. It's a
6 question of putting it into the regulations.

7 Show of hands, yes?

8 DR. BYNG: Clarification on this. This
9 allows them to do the system artifact test remotely
10 under medical physicist oversight?

11 DR. FINDER: Correct.

12 DR. BYNG: And who has the final say in
13 that case?

14 DR. FINDER: Not the medical physicist any
15 more.

16 (Laughter)

17 DR. HENDRIKS: Is the concern then that
18 there would be more - that there is a higher tendency
19 or likelihood of artifacts using the mobile, and then
20 some relaying of the images to evaluate artifacts?

21 DR. BYNG: I think as I looked at it the
22 concern was that medical physicists might not see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them, because the technologist said there was no
2 problem.

3 DR. FINDER: No, no. That's not what
4 medical physicist oversight is. Medical physicist
5 oversight is that the person does the test, and then
6 sends the film to the medical physicist to review.

7 It's just that they don't have to be there
8 on site.

9 DR. BYNG: I'm sorry, I didn't read the
10 definition of medical physicist on site, which doesn't
11 exist.

12 DR. FINDER: Exactly, which doesn't exist
13 yet; that's exactly correct.

14 DR. SANDRIK: Dr. Finder, the aspect of
15 sending these as films, that sounds like that's
16 perhaps a process aspect the physicist works out with
17 the technologist as part of the oversight.

18 Is there anything in guidance or something
19 that says that the films must be sent and reviewed by
20 the physicist?

21 DR. FINDER: The concept has always been
22 that the test was not just done by somebody else. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was that the medical physicist would evaluate the
2 results of those tests. So - okay?

3 But again, that's the purpose of putting
4 these things into regulation and defining them, to
5 address these types of issues where there can be some
6 confusion.

7 Next is on 120. Should the regulation be
8 simplified - and this is on radiation output - to
9 basically require 21 milligray within a 3-second
10 period?

11 There's always this business about
12 averaging over three seconds and things like that.
13 And again this is pretty much what we've put in
14 guidance to clarify this, because we got a whole
15 number of questions about it.

16 So a show of hands, yes?

17 DR. BYNG: Just before we take a vote on
18 this particular one, because I agree with the basic
19 point but it begs the basic point about the overall
20 intent of this particular measurement.

21 And it's one of those things that has
22 worked into the regulations over time. But it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really about a clinical problem of making sure that
2 you can image in an adequate period of time.

3 DR. FINDER: Exactly. It's a question of
4 units having enough output to image a large breast in
5 a sufficiently short period of time to basically
6 reduce motion artifacts on this, so that they are
7 capable of doing this.

8 The way it is written right now, it talks
9 about averaging over a certain amount over three
10 seconds. Which in effect is the same as what we're
11 kind of putting in here as the total.

12 DR. BYNG: Well, I'm okay with that part of
13 it, with the interpretation of 21 over three seconds.

14 It's just the relationship to what you're really
15 trying to achieve here. Is this the right measurement
16 to be making?

17 Maybe it comes in more when we talk about
18 the non-molly molly configuration.

19 DR. FINDER: Well, that's the other issue.

20 Well, first let's address the first one.

21 Show of hands, should we make the change?

22 Yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Show of hands)

2 DR. FINDER: No?

3 (Show of hands)

4 DR. FINDER: Looks like a yes.

5 Now comes the question: Because the
6 procedure is actually established using a molly molly
7 system, what about other systems that might use
8 different systems. And we have encountered those
9 types of situations, where type of standard if any
10 should those types of units do?

11 And they come back to us and say, we don't
12 use molly molly. They're not using it clinically, so
13 what should they do in that case?

14 And if you're using different targets and
15 filters, the 21 milligray may not be the appropriate
16 output to be considering.

17 DR. SANDRIK: And I think that's exactly
18 the point here. Because what you are really measuring
19 is an entrance exposure to the breast. And what you
20 really want to know is the exposure rate that occurs
21 at the detector. And really what you're after is the
22 setting on exposure time limits.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So rather than setting entrance exposure
2 limits for other track filter combinations, rather
3 than generalize the rule that any use, clinical use of
4 this system under certain conditions of breast
5 thickness should give you an exposure of three seconds
6 or less. I think those add directions, then you don't
7 have to worry about parsing out different track filter
8 combinations or other conditions.

9 The main thing that you're after is the
10 exposure time.

11 DR. WILLIAMS: I think that makes a lot of
12 sense, and I might just add as a passing comment,
13 given the current criteria, most if not all mammo
14 units greatly exceed the current standard. So just
15 something to think about whether or not those need to
16 be changed to make them useful.

17 DR. FINDER: Okay, just a question. If we
18 tried to go with what Dr. Sandrik is suggesting, how
19 would the fact of digital units affect that if we
20 couldn't establish like an optical density or anything
21 like that, it would have to be something else.

22 DR. SANDRIK: No, but still on exposure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time, do you acquire the useful image? If it's like
2 say 4-1/2 centimeters, 5 centimeters, acrylic, image
3 on the system, that it can complete its exposure under
4 say its normal automatic operating procedure within
5 that exposure time. Or say the manufacturer's
6 recommendation is to get to a certain signal level on
7 the detector or something, those kinds of things could
8 be done.

9 So whatever would be the reference for a
10 properly exposed image of that detector under some
11 conditions, you'd have exposure time limit.

12 DR. FINDER: Okay, next -

13 DR. BYNG: But there is some value in
14 making sure that you isolate the equipment component
15 of that as well, in the screen film context.

16 DR. FINDER: Okay, number 121,
17 decompression, should we rename that compression
18 release? Because that's basically what this
19 requirement deals with.

20 Show of hands, yes?

21 (Show of hands)

22 DR. FINDER: No?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Show of hands)

2 DR. FINDER: That's a yes.

3 Number 122, should we include an already-
4 approved alternative standard which allows units which
5 are always in the automatic mode to be added here?

6 Again it's a show of hands, yes?

7 (Show of hands)

8 DR. FINDER: No?

9 (Show of hands)

10 DR. FINDER: That's a yes.

11 Moving right along, should the film screen
12 contact test be added into the annual basically taken
13 out of I believe it is the quarterly? Semi-annual,
14 and move it into the annual test?

15 Yes?

16 (Show of hands)

17 DR. FINDER: No?

18 (Show of hands)

19 DR. FINDER: That's a yes.

20 All right, when done for new cassettes, as
21 they're placed into service, should this test be done
22 under medical physicist oversight?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is a situation, as facilities add
2 cassettes throughout the year, and if we wouldn't
3 allow this, and we have under guidance, if we were not
4 to allow it, the physicist would have to come out each
5 time a new cassette was added to the facility.

6 So again we dealt with this in guidance,
7 but we're talking about including it in the
8 regulations here.

9 So yes?

10 (Show of hands)

11 DR. FINDER: No?

12 (Show of hands)

13 DR. FINDER: That's a yes.

14 We've kind of addressed 125 before, should
15 requirements for view box and room conditions be
16 added? So we've already addressed that one.

17 Next one is should a 90-day period for
18 repeat analysis for corrective action be allowed? And
19 again that kind of takes us to our earlier discussion
20 about what's a corrective action for a test that's
21 done on a three-month basis. So does it make sense to
22 allow a 90-day period here?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And again we did have some discussion
2 about what it really means to be a corrective action
3 anyhow, and what the standard is. So I don't think we
4 need to address that again here. Let's move to the
5 next.

6 Next one is next page, 127, kind of
7 address some of the other issues we talked about
8 before, components. Should this section be limited to
9 units, and a new section be created to deal with
10 components that can be evaluated under medical
11 physicists oversight?

12 DR. SANDRIK: Clarification on that. The
13 way unit is used in this, it refers to the mammography
14 system. Are you saying something about the leading
15 processor or having a separate section for processor
16 then? Or where are you going?

17 DR. FINDER: Yes, I think what we're
18 talking about is making the mammography radiographic
19 unit separate from processors, from monitors, from all
20 the other components, listing those in as components,
21 and then allowing medical physicist oversight for the
22 processor and all the other components that we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 talk about.

2 So do we have a show of hands, yes, for
3 that?

4 (Show of hands)

5 DR. FINDER: No?

6 (Show of hands)

7 DR. FINDER: Then it looks like a yes.

8 And now we've come to the last question,
9 which we have a few minutes so we can discuss. We've
10 already discussed it.

11 So I'm actually not going to raise this
12 question about should we write a quality control
13 manual or test required for FFDM units. But I do have
14 a question that has come up that I'd like to get some
15 input from the committee on.

16 And it comes back to this issue about what
17 is a final interpretation quality mammogram in the
18 FFDM, in the digital era?

19 The following situation. A facility does
20 digital studies, reads soft copy, does not produce
21 hard copy unless the patient is requesting the
22 transfer of those images to another facility.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Does - we have already stated that
2 facilities have to have access to, and the use of,
3 printers to be able to produce those images for
4 patients when they want those films. Is it
5 understood, or do people here believe that it's
6 understood in the community out there that when those
7 images are printed off that the quality control
8 testing needs to be performed, needs to be up to date
9 on those infrequently used printers?

10 If anybody has any experience with that
11 type of situation, do you think when a patient comes
12 in, asks for her films, and the file room prints off
13 those images that that printer has undergone the
14 quality control testing as prescribed by the image
15 receptor manufacturer, the FFDM manufacturer, or not?

16 This is a question that has come up, and
17 the real underlying question is, is a film that is
18 produced off printers that haven't had the appropriate
19 QC testing, should those be considered final
20 interpretation quality images based on the fact that
21 the printer may not have been - had the QC testing.

22 So some thoughts?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. MOUNT: At our facility we do it
2 everyday. I mean we do it just like a processor. The
3 printer is, however, in our department, and not in the
4 records area.

5 I can see where there may be some issues
6 if the printer were located in some other area that is
7 not clinically used on a daily basis.

8 I think it probably needs to be addressed.

9 DR. FINDER: Any other comments?

10 DR. WILLIAMS: I think it would be clear
11 that if the printer was not under QC that those would
12 not be technically speaking viable for final
13 interpretation. Now if the question is, how do we
14 make sure that those machines undergo QC, because
15 right now it's kind of - well, do whatever they said,
16 do whatever they said kind of thing, then that's a
17 little bit of a different question. And I would urge
18 us to go towards a direction where the facility
19 itself, if they're going to print films to give to the
20 patients that could ultimately be used for final
21 interpretation, that they take QC of those machines on
22 as their responsibility.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINDER: I guess the question that
2 we're asking here is, the sense of the committee, do
3 most of the facilities out there realize what we just
4 talked about, and are they doing it?

5 Because one of the questions we have is,
6 should we start enforcing this? And if most
7 facilities understand this aspect of it, that's one
8 thing. If they don't, then we would go out with
9 guidance of some kind to tell them that this is
10 required, and they need to start doing this, and make
11 sure that they're doing it.

12 The concern is, the facility as I said
13 that reads soft copy, and only produces images for
14 these transfer purposes fairly infrequently.

15 I will tell you, we have seen images in
16 which all the identifying information has been
17 portrayed on the image all over the breast, so you
18 can't read the image. So it is a question of who is
19 looking at these images, who is making these copies.

20 And in those types of situations, I have
21 great concern that the QC may not have been done in
22 these types of situations, considering the fact that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the image is uninterpretable with all the identifying
2 data appearing on the film.

3 DR. HENDRIKS: So in that instance would
4 that trigger another survey or some evaluation of the
5 facility?

6 DR. FINDER: Well, no.

7 DR. MONTICCIOLO: Well, I mean, I assume
8 that the interpretation - you're saying they gave
9 their interpretation off the soft copy. They're
10 giving the patient the films, and your concern then is
11 that those images other people couldn't use. Is that
12 correct?

13 DR. FINDER: That's exactly right.

14 DR. MONTICCIOLO: It's not like somebody
15 read them with all that stuff on.

16 DR. FINDER: Correct. The final
17 interpretation originally was not done, presumably,
18 with all that information on them. However, they are
19 being transferred to another facility. The patient
20 has now gotten the study. And we are now getting the
21 complaint from the second facility about the quality
22 of the images that they are getting, that these are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 uninterpretable.

2 So does anybody have any kind of feeling
3 for how many facilities out there they think might not
4 be doing quality control on these printers?

5 DR. BYNG: Dr. Finder, which quality
6 control test do you think would find that problem of
7 text written over top of images?

8 DR. FINDER: None. These are two separate
9 issues. I'm just saying I would tend to imagine that
10 if somebody is releasing films in that status, they
11 are probably not doing QC also.

12 DR. TIMINS: I have not run into that
13 problem myself when I've received copies of digital
14 mammograms from other facilities, but I'm in a large
15 urban area.

16 DR. FINDER: And again, we're not talking
17 about the problem with identifying information over
18 the film right now; that's quite clear, and that can
19 be addressed.

20 It's the issue of, do you think that
21 facilities out there know that they are supposed to be
22 doing QC, even when they use the printers infrequently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for film transfers?

2 MS. MOUNT: I think, it's been my
3 experience anyway, that when the vendor comes in and
4 does applications, they pretty much tell you to do
5 that as part of your QC.

6 We just have a page in our book.

7 DR. FINDER: Okay. All right.

8 Does anybody else have any other issues
9 they want to bring up in this aspect, this section?

10 Yes?

11 DR. SANDRIK: On pages 42, 43 line 17 -
12 it's the X-ray field, light field testing. One item
13 that is absent I think in some of those is a reference
14 plane for doing the actual measurements. Only in item
15 B is an actual reference plane identified.

16 But reference plane for the extension -
17 the light field X-ray field, and the chest wall
18 extension is not identified in these rules. And I
19 would ask that a plane be identified.

20 DR. FINDER: Okay, any other comments?

21 Okay.

22 MS. BUTLER: Penny Butler, ACR. And this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is not in reference to the comment that John just
2 made. But in the definition section there was a
3 discussion about Kvp reproducibility, and I thought I
4 heard Dr. Finder mention that there was consideration
5 to remove Kvp measurement from the annual tests,
6 because that was in the GAO report, that was my
7 understanding.

8 But I didn't hear it come up here.

9 DR. FINDER: In the sense of time, do you
10 want to ask about the section we went over yesterday
11 while we look at this?

12 DR. FERGUSON: Yes, I was going to mention,
13 yesterday on page 36 we talked about release of
14 medical records, and the timeliness of that, and doing
15 it within 15 days. And one thing we didn't address
16 that I thought was important was that if you contact a
17 facility and say we want your previous studies for
18 this patient, if you - many times you never hear back
19 anything. And I thought it'd be important to put in
20 there that within 15 days they'll send you the films
21 or notify you that they are not available; they have
22 been destroyed or whatever; where you're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 constantly calling back and back and looking for the
2 film.

3 MS. SEGELKEN: I'd just like to make a
4 comment about that. I think 15 days to be notified
5 that they are not available is too long. So seven
6 days maybe, something like that, five days to notify
7 you that they're not available. Because then at least
8 you can move on.

9 DR. FERGUSON: And I have no problem with
10 that. I said 15 because 15 is what we came up with
11 for sending them to you. But I'd like to know right
12 away.

13 But I think there ought to be something
14 addressed as to notifying you that the films are not
15 available rather than just leaving you out there
16 hanging.

17 DR. FINDER: But how much time would you
18 like to be able to send the films to another
19 institution?

20 DR. FERGUSON: You know, I'm good.

21 DR. MONTICCIOLO: I appreciate both of
22 those comments, that we do have to give the facilities

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time to look if they do have them in a storehouse and
2 all that, because otherwise there will be the
3 temptation for the facility to say, they are not
4 available. So if we don't give them enough time to
5 look, they won't look.

6 DR. FINDER: Well, the problem is, if they
7 are not available that's a problem. Because they are
8 supposed to be available for up to 10 years.

9 So if you get a response back, they're not
10 available, you probably should let us know about that.

11 DR. MONTICCIOLO: From a practical
12 standpoint, Dr. Finder, patients sign them out. Their
13 clinicians sign them out. So we don't have control
14 over a clinician not returning films or a patient not
15 returning them to us. So there are lots of reasons
16 they are not available.

17 DR. FINDER: I should have modified what I
18 said. Obviously if the patient has signed out the
19 films, that's a reason for the film not to be there.
20 I was trying to go more toward the situation of, we
21 don't know where the films are. We have no record.
22 We can't find them. That's an issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But you're quite correct. Most of the
2 time what it's going to be, is the patient signed them
3 out and they are somebody else's office. And that is
4 perfectly legit; that's not a problem.

5 Okay, any others?

6 MR. DIVINE: This is Mike Divine with the
7 FDA. I apologize for not bringing this up when we
8 were discussing it earlier, but I wanted to mention
9 something about changing the operating levels for the
10 processor and the phantom image testing.

11 Over the years we've had a problem where
12 this is I guess the lowest subset of facilities that
13 have problems where they have their processors
14 fluctuating, or they're having phantom data go out of
15 limits. And what they do is, rather than trying to
16 fix the problem, they will change their operating
17 levels frequently. And we don't consider that to be
18 having their system be in control. Basically they
19 keep trying to move their levels to accommodate the
20 fact that they are not taking corrective action,
21 either because they don't want to fool with it, or
22 because they figure that they are going to have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 replace the equipment or have it repaired which will
2 be expensive.

3 So I know that we don't want to get into
4 the situation where we're trying to micromanage the
5 decisions of the facility when they're appropriate
6 decisions like they replace equipment, they change
7 their film, they change their cassettes, when changing
8 operating levels are a good idea.

9 But I think it would be worthwhile to have
10 something in the regulations that they are only
11 changing those when it's appropriate such as major
12 changes in the processing system, or changes in the
13 equipment such that it's necessary rather than trying
14 to accommodate changes which are occurring in the
15 equipment, rather than just changing their limits to
16 avoid having to do anything about the problem.

17 DR. HENDRIKS: Are there any more comments
18 from the committee members or the audience related to
19 the quality standards?

20 If not, Nancy Wynne has a few comments
21 about the past meeting and future meetings before we
22 adjourn.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 REVIEW OF SUMMARY MINUTES AND FUTURE MEETINGS

2 MS. WYNNE: I'd just like to tell everyone
3 that I have copies up here of the summary minutes from
4 the September, 2005 meeting.

5 They are also on the MQSA website if you
6 need to see them.

7 Our future meeting is currently planned
8 for the spring of 2007, so sometime in spring of 2007,
9 or summer of 2007, we will be meeting again.

10 At this time I'd really like to thank Dr.
11 Hendriks for being our chair for the past two years,
12 and also for serving on the committee for several
13 years before that.

14 Her contributions have added greatly to
15 the quality of these meetings, and we really do
16 appreciate it.

17 I'd also like to thank John Sandrik for
18 being on our committee, representing industry this
19 year. And it is his last year and Dr. Hendriks' last
20 year for being on the committee.

21 And before Dr. Hendriks adjourns, I'd like
22 to add my thanks to the committee for their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 participation and their help in my first meeting as
2 exec sec. And I'd also like to thank all of the
3 attendees and public speakers for their contributions.

4 DR. HENDRIKS: And with that the meeting is
5 adjourned.

6 (Whereupon at 12:11 p.m. the proceeding in
7 the above-entitled matter was adjourned)

8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701