

1 | the mike, in terms of your first question, looking at those  
2 | patients with elevated triglycerides and those effects,  
3 | fortunately that's a subset analysis that we have done, but  
4 | we've not again had a chance to discuss with the agency.  
5 | So, we're not able to show that.

6 |           But we'll let Dr. Brunzell answer the remainder  
7 | of your question.

8 |           DR. BRUNZELL: Is it okay if I talk about a  
9 | class effect with a different drug?

10 |           DR. BONE: I have no objection.

11 |           DR. BRUNZELL: You know, troglitazone, for  
12 | example, has a variable effect on triglyceride. There are  
13 | about 20 published papers. In about half of them, it comes  
14 | down; in the other half, it doesn't. But in the studies  
15 | where they looked within the subgroups, those that had the  
16 | high triglycerides actually are the ones whose triglyceride  
17 | came down. Those who had normal triglycerides did not.  
18 | And it fits with Dr. Mele's data where those that get the  
19 | greatest response in glucose lowering are the ones that  
20 | seem to get the best benefit.

21 |           Related to the APO lipoproteins, as I mentioned  
22 | before, there's a small, but significant increase in LDL  
23 | APO-B, but a marked, much higher increase in LDL  
24 | cholesterol. The only way to do that is to get rid of the  
25 | small, dense LDL, and the only way I know to do that is to

1 decrease hepatic lipase.

2 Now, your argument about the LDL/HDL ratio --  
3 the people with cholesterol ester transfer protein  
4 deficiency, about 1 in 10,000 individuals in Japan, and  
5 nobody here. It's highly unlikely that that's what's going  
6 on here.

7 So, I think that with the changes in LDL  
8 composition that suggest hepatic lipase-mediated changes,  
9 the changes that are occurring in HDL would, by argument, I  
10 think be such that should be done. There is a study going  
11 on in New York looking at body composition, insulin  
12 sensitivity, hepatic lipase, and these lipoproteins, and  
13 APO lipoproteins. It is started and it's about a third of  
14 the way initiated.

15 DR. BONE: Thank you. Any further comment from  
16 Dr. Illingworth and then Dr. Misbin? Nothing from Dr.  
17 Illingworth. Dr. Misbin, please.

18 DR. MISBIN: I think one should be very  
19 cautious about quoting the troglitazone data. With respect  
20 to triglycerides, there was a general fall in triglycerides  
21 in patients treated with troglitazone. Now, there was an  
22 exception in that some of the patients -- in one of the  
23 trials, there was an attempt to take patients off of  
24 insulin. So, there was a rise of triglycerides that was  
25 associated with that reduction. But in general, I think

1 | the statement is true that the triglyceride levels fell  
2 | with troglitazone, and that actually we see as a  
3 | distinction with rosiglitazone.

4 | DR. BONE: Dr. Brunzell returns to the  
5 | microphone.

6 | DR. BRUNZELL: What I attempted to do in  
7 | getting prepared for this is to look at all of the  
8 | troglitazone literature. There are about 21 papers that  
9 | actually discuss the issue of the response of triglyceride  
10 | to troglitazone. Two-thirds of the ones between 1991 and  
11 | 1996 were associated with a decrease in triglyceride. The  
12 | ones subsequent to that aren't. If you start then doing  
13 | subgroup analyses, it goes back to the high triglyceride  
14 | people. The ones who get the best response to glucose  
15 | lowering are the ones that come down.

16 | DR. MISBIN: With all due respect, we have  
17 | actually seen the data. We've done more than count the  
18 | papers. There will also be data presented tomorrow which I  
19 | think is relevant. So, I would respectfully suggest that  
20 | we might not make any definite conclusions about class  
21 | effects. That I do not think is appropriate.

22 | DR. BONE: Thank you, Dr. Misbin. I appreciate  
23 | your comment.

24 | Well, I think we've had some very good general  
25 | discussion on most of the safety related topics we are

1 going to be addressing later in the questions and some  
2 additional ones that were brought up. Maybe one of the  
3 things to come back to is how the members of the committee  
4 would envision using this drug from a standpoint of its  
5 efficacy if it were available, how would that fit into  
6 practice, and does it appear to be useful. Maybe we would  
7 ask the diabetologist, Dr. Genuth, to start the discussion.

8 DR. GENUTH: I think I would use the drug. I  
9 think it will be useful. One of the hard decisions that I  
10 think has to be made these days is if you're going to begin  
11 monotherapy in someone who has either failed on diet and  
12 exercise or is a new onset patient whose glycemic levels  
13 are so high that you feel you need to start with a drug and  
14 maybe take the drug away later, I think the hard question  
15 is whether to begin in an obese patient a thiazolidinedione  
16 or metformin, which is one of the reasons for my concern  
17 about comparing them. But I think that this drug would be  
18 a fair competitor to metformin in that in those two  
19 clinical situations, obese patient, newly diagnosed with  
20 type 2 diabetes, who has glucose levels so high that you  
21 think they need pharmacological therapy to relieve their  
22 symptoms, et cetera, maybe later hoping to get them  
23 controlled just with diet and exercise, or the patient who  
24 has done well for a while with diet and exercise and is now  
25 uncontrolled.

1                   What I haven't come to a conclusion about yet  
2 in my own mind is how useful this drug will be in treatment  
3 of patients who have failed, are no longer controlled, on  
4 other drugs. At the moment, I think the weight of the  
5 evidence is that it would be useful to add to metformin,  
6 just kind of extrapolating from that other  
7 thiazolidinedione, whose name I won't mention. It would  
8 likely be useful added to a sulfonylurea or added to  
9 insulin.

10                   So, I basically think whatever we thought that  
11 other thiazolidinedione is good for, this one is equally  
12 good for, possibly even slightly better for, at least with  
13 no worsened risk and likely a decreased risk. I'm still  
14 not sure in my own mind to what extent we should apply the  
15 lessons of that other thiazolidinedione --

16                   (Laughter.)

17                   DR. GENUTH: -- to this one as far as the liver  
18 is concerned. I'm just kind of mulling over whether we  
19 need to have monitoring or --

20                   DR. BONE: We can come back to that. I guess  
21 I'm thinking about how we would use this.

22                   DR. GENUTH: I would monitor perhaps for a  
23 year. You don't have to monitor forever. If you monitor  
24 in a post-marketing phase for a year and nothing happens,  
25 that would, I think, be quite reassuring.

1 DR. BONE: I'm going to ask Dr. Molitch and  
2 then Dr. Levitsky to comment and then everybody else kind  
3 of discuss about how they would think this might be used  
4 and whether they do think it would make a useful addition  
5 to the armamentarium.

6 DR. MOLITCH: I think I would agree with Dr.  
7 Genuth. Again, I've also learned, as Dr. Greene, never to  
8 argue with Dr. Genuth. He's always right. It would be a  
9 useful drug under these same circumstances.

10 I think some of the side effects that we've  
11 heard about today I think bear continued watching. One of  
12 the concerns I have is perhaps this amenorrhea issue with  
13 monkeys and whether this will be seen in humans when we are  
14 able to give it to women who aren't using contraceptives.  
15 It's a little bit of a concern to me. I think it's  
16 something that could be looked for in a larger population  
17 as we go along, when we look for all of these other  
18 complications as well.

19 But it seems like it would be a very useful  
20 drug in patients who have some degree of insulin resistance  
21 which is really the majority of patients who have got type  
22 2 diabetes.

23 DR. BONE: When we're asked in a little while  
24 to address the question of monotherapy, would you  
25 distinguish between its efficacy, a little bit along the

1 | lines that Dr. Genuth did, between initial therapy of a  
2 | previously untreated patient or a patient not treated  
3 | pharmacologically, I should say, and as an alternative?  
4 | Would you make that same distinction?

5 | DR. MOLITCH: I think the distinction to be  
6 | made under the circumstances that we talked about last time  
7 | was probably because of risk/benefit ratio differences, and  
8 | if that risk/benefit ratio for this drug is to be perceived  
9 | to be considerably less perhaps than troglitazone, then it  
10 | could be thought about as a first-line drug as well.

11 | DR. BONE: I see what you mean, but I'm talking  
12 | about for patients who are already on a drug.

13 | DR. MOLITCH: Yes.

14 | DR. BONE: You would just replace it.

15 | DR. MOLITCH: No. I think if a patient is  
16 | already on one oral agent, the tendency in general now is  
17 | to add a second medication.

18 | On the other hand, one of the things that was  
19 | sort of brought home to us today and maybe we should think  
20 | about more carefully for all of these drugs is the  
21 | responder issue. We're seeing that perhaps with this drug  
22 | there's a 70 percent responder issue which means that 30  
23 | percent of patients don't respond and maybe they would  
24 | respond to a monotherapy with another drug. But we haven't  
25 | extrapolated that to all of the drugs that we're using and

1 | maybe we should be thinking more carefully about that.

2 |           But in general, the idea is if you don't  
3 | respond to one drug, you add a second drug rather than  
4 | switching.

5 |           DR. BONE: Dr. Levitsky.

6 |           DR. LEVITSKY: Well, as a pediatric  
7 | endocrinologist, we always think that our patients with  
8 | type 2 diabetes are more difficult than everybody else's  
9 | patients with type 2 diabetes because they tend to be  
10 | adolescents.

11 |           I would use this as an additional drug, start  
12 | off with another drug and add it later on. I would not use  
13 | it as my primary agent because I think of its effect on the  
14 | adipocyte presently.

15 |           DR. BONE: From a patient standpoint, Ms.  
16 | Killion, do you have a comment at this point?

17 |           MS. KILLION: Well, I thought Dr. Genuth was  
18 | talking about me because I initially started out at a very  
19 | high glyceic level, went on two drug therapies to bring it  
20 | down, went to diet and exercise, continued for a while, and  
21 | then went back on another drug, on metformin actually. So,  
22 | I've sort of been all around that idea of having many  
23 | therapies that have worked for a while and then failed.  
24 | So, the prospect of an addition to the armamentarium is  
25 | very pleasing to me, and this one seems, at least



1 initially, to have less dramatic adverse effects as that  
2 other agent that we're not talking about today.

3 DR. BONE: One thing I think we all have to  
4 bear in mind when we're talking about trying to compare the  
5 safety information that's available about a drug prior to  
6 its registration and the safety information we obtain about  
7 a drug in about the next month after it's on the market is  
8 an order of magnitude difference in the number of  
9 exposures. I think one of the things we just have to  
10 understand is that with an exposure of 5,000 patients or  
11 so, we get certain kinds of information and we can get an  
12 estimate about what the rate is of common to uncommon  
13 problems.

14 But if we have an event that occurs in 1 out of  
15 10,000 people who are exposed, we may very well just not  
16 see it, and if there's no other signal, if it's truly an  
17 idiosyncratic phenomenon, what we can do and what the  
18 registration authorities in this country or anyplace else  
19 can do is try to make a risk estimate that the risk is  
20 relatively small prior to registration. But there's simply  
21 no way to estimate very rare or very uncommon things, so I  
22 think we all have to bear that in mind.

23 I know the members of the committee are well  
24 aware of this and the sponsor and the agency, but sort of a  
25 public awareness issue is you can narrow down what your

1 risk level is, but you will never have quite the same  
2 information before a drug goes on the market as you do  
3 after a million or so people have taken it. It's a  
4 different kind of information, more intensively studied,  
5 but just a smaller exposure level.

6 Any other comments from other members of the  
7 committee about the efficacy or use or how they would see  
8 this in clinical practice? Yes, Dr. Hammes.

9 DR. HAMMES: More a question I guess. If I'm  
10 interpreting the data correctly, we've seen studies  
11 comparing it to glyburide and sulfonylurea, which seem to  
12 indicate that the glyburide was more effective in lowering  
13 blood sugar, and in the combination therapy one where they  
14 went to monotherapy with metformin, when metformin was  
15 withdrawn, we lost glycemic control. So, trying to get my  
16 mind together on monotherapy here, it seems that we've been  
17 shown data that suggest that it's less effective than  
18 either of those two different types of drugs. Am I on the  
19 right line here, or where am I?

20 DR. GENUTH: With regard to glyburide, there  
21 was a time difference that was important. Glyburide was  
22 more effective early, the first few months, and then if you  
23 look at a year, the results are pretty similar between  
24 glyburide and rosiglitazone I think. I'd have to go look  
25 at the graphs again. So, I don't think that they are much

1 different, at least up to a year. Now, maybe at 5 years,  
2 they'd be very different because we know by then maybe 30  
3 to 50 percent of the patients on sulfonylureas would no  
4 longer be very well controlled. That might not be true  
5 with this class of drugs, and we'll probably never know  
6 that until we approve them and see what happens in 5 years.  
7 But I hope somebody tracks it as systematically as  
8 possible.

9 DR. BONE: I think the sponsor actually had  
10 extension studies that are out to 2 years now. Is that  
11 right?

12 DR. WHEADON: Yes.

13 DR. BONE: Good, okay.

14 Dr. Misbin had a comment.

15 DR. MISBIN: I wonder if anyone on the  
16 committee would like to comment on the gender difference.  
17 That perhaps went by too quickly, but Joy Mele presented  
18 data which we all thought was rather impressive on the  
19 gender difference, particularly relevant to the  
20 sulfonylurea. It seemed quite clear to us that  
21 rosiglitazone was equivalent to glyburide in women but was  
22 clearly inferior in men. I wonder if people would comment  
23 on this. This is something that could potentially be in  
24 the label.

25 DR. BONE: Dr. Molitch.

1 DR. MOLITCH: Maybe I can just ask a question.  
2 I think you did this, but I'm not sure. Did you reanalyze  
3 it just on a milligram per kilogram basis to factor in  
4 either body surface area or body weight and it still holds  
5 true that there's a difference in gender? Is that correct?

6 DR. MISBIN: We could make a presentation, if  
7 you wish. The question came up, was this simply a dosing  
8 matter? Are the men being under-dosed? I think that's  
9 what you're asking.

10 DR. MOLITCH: Yes.

11 DR. MISBIN: And we concluded that that was not  
12 the case, which was kind of surprising, but that's just not  
13 what the data showed. It's actually rather interesting.  
14 You do see a very nice dose-response curve in men, but it  
15 just doesn't go very far, and in women, it's clearly quite  
16 different and quite effective. We could show that if you  
17 wish.

18 DR. BONE: Do you want to see that? No, all  
19 right. We'll take your word for it, Dr. Misbin. Thank  
20 you.

21 Dr. New.

22 DR. NEW: I would like to just comment that as  
23 the women were taking contraceptive tablets and the men  
24 were not, the question is, is this an estrogen effect which  
25 could involve protein binding?

1 DR. MISBIN: The women were, by and large, 60  
2 years old, and I don't think many of them were -- the  
3 sponsor I think would have to comment. I don't think there  
4 were a large number of patients that were taking  
5 contraception.

6 DR. NEW: I was told everybody was.

7 DR. BONE: Some were postmenopausal he's  
8 saying.

9 DR. NEW: Postmenopausal women frequently take  
10 estrogens.

11 DR. BONE: Well, I think that's a good  
12 question. Was there a difference in the response between  
13 women who were either premenopausal or on hormone  
14 replacement therapy and those who had low estrogen levels  
15 due to postmenopausal status?

16 DR. MISBIN: No, we didn't analyze that.

17 DR. LEVITSKY: Didn't you show that there was  
18 an effect of weight so that the lean men were the ones who  
19 responded least well?

20 DR. MISBIN: Yes, that's exactly right.

21 DR. LEVITSKY: I guess I saw that and I assumed  
22 that when they finished their body composition studies,  
23 they're going to have the answer.

24 DR. MISBIN: No, no. You're exactly right.  
25 The lean men responded the least.

1 DR. HIRSCH: So, it's a matter of percent fat.

2 DR. MISBIN: Probably, yes.

3 DR. HIRSCH: The whole issue is a percent --

4 DR. MISBIN: Well, it could be. There are many  
5 potential issues, but this is exactly right.

6 DR. BONE: Dr. Rappaport was poised to make  
7 undoubtedly an informative remark.

8 (Laughter.)

9 DR. RAPPAPORT: Only to say that we know only  
10 that a small number of the postmenopausal women were on  
11 estrogen replacement therapy and we have not done an  
12 analysis to see whether they had a differential response.

13 DR. BONE: It seems like it's an interesting  
14 point, and probably you can do the experiment then with  
15 your existing data to a certain extent to find out whether  
16 this is an estrogen related phenomenon or a percent body  
17 fat related phenomenon from just available data. You know,  
18 we'll be having a little break, so --

19 (Laughter.)

20 DR. BONE: Ms. Killion.

21 MS. KILLION: Well, as a woman who is not  
22 postmenopausal, considering this drug, the thing that  
23 struck me was that there seems to be an additional  
24 risk/benefit analysis that has to take place here in that  
25 you may have some efficacy in your cardiac effects which

1 | you then have to balance against the reproductive effects.  
2 | That didn't seem to be as positive in that regard. So, as  
3 | a woman who would be taking something like this, I would  
4 | want to have more information on that. I'd want to be  
5 | carefully weighing that as an option.

6 | DR. BONE: I think it's a fair bet that we will  
7 | not get a prospective study on what happens if you're  
8 | taking this medicine and conceive. I don't think the  
9 | sponsor is going to be asked to do that study.

10 | Dr. Genuth.

11 | DR. GENUTH: Well, I'm not so sure of that.  
12 | One issue we haven't brought up with regard to gender is  
13 | the fact that this class of drugs has crept into the  
14 | treatment of polycystic ovary disease, and if this drug did  
15 | what the other drugs and metformin are claimed to do, some  
16 | women might become fertile while taking the drug and  
17 | conceive and possible we will learn at least early effects.

18 | But I really wanted to bring up the subject not  
19 | just from that point of view, but from the point of view of  
20 | the fact that I suspect people, if this drug is released  
21 | for treatment of diabetes, will be tempted to use it for  
22 | polycystic ovarian disease maybe even more than the  
23 | previous drug because of less fear of trying it. I'm not  
24 | quite sure what implications that should have for our  
25 | recommendations for labeling, but it's something running

1 around in the back of my mind that it's going to be used  
2 that way, and I don't know whether we should recognize that  
3 from the start and think of some way to guide that use.

4 DR. BONE: I think it would be very difficult  
5 for the agency to write labeling about unlabeled usage.

6 (Laughter.)

7 DR. BONE: I see shaking of the heads from that  
8 side of the table.

9 Other committee members, any additional  
10 comments about the clinical trial data, practical clinical  
11 issues, concerns about safety questions, or anything like  
12 that?

13 DR. GENUTH: If I may, I have a wish list. I  
14 don't know if this is the place for it, but I really wish  
15 that right now somehow somebody organized a comparator  
16 trial of thiazolidinedione versus metformin versus a  
17 sulfonylurea. I'm not so sure about an alpha-glucosidase  
18 inhibitor, but those three head to head in enough patients  
19 with enough spread in their body weights and starting  
20 hemoglobin A1c levels that we would develop some real  
21 guidelines for which patient is best off starting on which  
22 drug.

23 DR. BONE: That may be your answer to question  
24 5.

25 Let's see. It's just on 3 o'clock. Bear with



1 me for a moment.

2 (Pause.)

3 DR. BONE: Let me ask the sense of the  
4 committee. I don't know if there's any other discussion  
5 that we need to have before we actually go around the table  
6 for last comments and then vote on the topics. We have  
7 some people here who are nonvoting members with the panel,  
8 but we'll ask them to comment after the votes are taken at  
9 the end. Do we want to take a break or just go straight  
10 ahead?

11 DR. NEW: Straight ahead.

12 DR. BONE: Straight ahead, all right. I think  
13 we will.

14 DR. MISBIN: When were you going to discuss  
15 monitoring? Is that part of the questions?

16 DR. BONE: That's a point. That's one of the  
17 questions. Let's see. Actually as the questions are  
18 written, we have comments about labeling, phase 4 studies,  
19 risk/benefit, safety. Thank you, Dr. Misbin. I think what  
20 we will do, because I think it's worth having some  
21 discussion about monitoring since it's not directly  
22 reflected here in the questions --

23 DR. MOLITCH: Well, we could do it as part of  
24 2.

25 DR. BONE: That could be one way we could do

1 | that. Is that the sense of the committee to do? And we'll  
2 | have another opportunity to discuss some aspects of this as  
3 | well when we discuss tomorrow if it's something that would  
4 | be broadly applicable.

5 |           What I like to do usually then is just go  
6 | around the table, ask everybody to make their final comment  
7 | and then vote.

8 |           I want to ask one question, though, before we  
9 | get to that. In question 1, do we want to distinguish  
10 | within question 1a -- and I suppose this would apply to 3a  
11 | as well -- between initial monotherapy and change from one  
12 | monotherapy to another? Maybe what we'll ask people to do  
13 | is give their yes or no answer, but would be allowed to  
14 | append a short comment, very short, about how they feel  
15 | about that alternative. That would give the agency I think  
16 | a little additional guidance.

17 |           Let's just go around the table for one last  
18 | comment, if anyone wants to make one, including the guests,  
19 | and then we'll ask the committee members to vote. Then on  
20 | the comment questions, the essay questions that aren't vote  
21 | questions, we'll ask everyone to make their comments as  
22 | well. I guess we'll just start with Dr. Lewis.

23 |           DR. LEWIS: My comments are really going to  
24 | apply to what we do with the liver, and let me hold it  
25 | until we get to those questions.

1 DR. BONE: That will be fine because that's a  
2 short answer, essay question.

3 And Dr. Seeff, nods in agreement.

4 Dr. Levitsky, do you want to make a general  
5 comment?

6 DR. LEVITSKY: Later.

7 DR. BONE: Dr. Molitch, Dr. New, Dr. Genuth,  
8 any additional general comments? Dr. Hirsch?

9 DR. HIRSCH: The most compelling thing that I  
10 see here is that this is extremely useful or very likely to  
11 be very useful when someone on metformin is failing and the  
12 addition to this to metformin. Otherwise, it becomes a  
13 more difficult decision with increasing difficulty as you  
14 go down the line. That seems to be the top of it is the  
15 way I'm thinking.

16 DR. BONE: Thank you.

17 Dr. Critchlow, Dr. Hammes, Dr. Illingworth, and  
18 Ms. Killion? No? Thanks.

19 Well, let's see. The first vote on the left  
20 will come from Dr. Molitch on question 1, and just please  
21 answer (a) and (b), and if you wanted to make that  
22 distinction in (a) along the lines we discussed, that's up  
23 to you.

24 DR. MOLITCH: I would vote for (a) as  
25 monotherapy, yes. I would say that it could be used as

1 monotherapy in place of another drug if the other drug were  
2 shown -- the person was shown to be not responding to it.

3 DR. BONE: Let me read the question. I'm  
4 sorry. I should have done that for anyone who doesn't have  
5 it. The first question is, do the data demonstrate that  
6 rosiglitazone is effective for the treatment of  
7 hyperglycemia in type 2 diabetes mellitus: (a) as  
8 monotherapy, and (b) in combination with metformin? We're  
9 not talking about other combinations today. Just that one.

10 Dr. New, it's your turn.

11 DR. NEW: My answer to the first is yes, and I  
12 agree with Dr. Molitch that I would be most comfortable if  
13 it were a replacement monotherapy for one that has failed.

14 DR. BONE: Thank you.

15 Dr. Genuth?

16 DR. GENUTH: Question 1, yes and yes. Is that  
17 all you want, or do you want the rest?

18 DR. BONE: Did Dr. New vote on 1(b)?

19 DR. NEW: I said yes.

20 DR. MOLITCH: I did not vote on 1(b).

21 DR. BONE: I'm sorry.

22 DR. MOLITCH: And I would vote yes.

23 DR. BONE: So, now we've got yes, yes with  
24 comments; yes, yes with comments. And Dr. Genuth, on (a)  
25 and (b)?

1 DR. GENUTH: Question 1, yes and yes.

2 DR. BONE: Yes and yes. Okay. Thank you.

3 Dr. Hirsch.

4 DR. HIRSCH: Yes under monotherapy but I've  
5 only seen evidence that this is effective in those who are  
6 beginning therapy, not stopping other therapy and starting  
7 that. If that's what it means, then no, but if what you  
8 mean by monotherapy is beginning the therapy before, yes.  
9 And I would say yes to the second as well. But that other  
10 no is a very important one for me to not take someone off  
11 of another drug.

12 You all disagree with that I assume. Oh, you  
13 don't. That wasn't clear to me.

14 DR. BONE: I think Dr. Genuth had previously  
15 commented to that effect.

16 DR. HIRSCH: So, they have a partial yes then  
17 for (a).

18 DR. BONE: We're clear about this?

19 DR. NEW: Agreed with Genuth.

20 DR. BONE: And Dr. Molitch editorialized to the  
21 extent he wanted to already.

22 DR. MISBIN: Excuse me. I think that should be  
23 clarified. You may not intend it, Dr. New, but I think  
24 what you voted is that patients who are on metformin and  
25 they could be taken off of metformin to be put on

1 | rosiglitazone and we would see the deterioration that we  
2 | showed you before. I don't think that's what you're voting  
3 | for.

4 | DR. BONE: The Chair's understanding of Dr.  
5 | New's vote was the following, and please correct me, Maria,  
6 | if I misunderstood. Dr. New for question 1(a) felt that  
7 | this would be a yes for initial monotherapy of a previously  
8 | untreated patient, but if she were switching a patient from  
9 | another drug, she would only do that if they had failed on  
10 | the other drug, similar to Dr. Molitch's comment. Is that  
11 | a correct understanding?

12 | DR. NEW: That's correct. Which is not  
13 | identical to Jules' and Saul's --

14 | DR. MOLITCH: I think we're still not clear.  
15 | Can I further clarify this?

16 | DR. BONE: Please, Dr. Molitch.

17 | DR. MOLITCH: I wanted to say switching drugs,  
18 | if they're shown to be a nonresponder to the first drug,  
19 | then I would consider switching them, not that they had not  
20 | achieved goal with the first drug. That's a very different  
21 | issue.

22 | DR. BONE: Okay. So, you would only switch a  
23 | nonresponder.

24 | DR. MOLITCH: That's correct.

25 | DR. BONE: And you would use additive therapy

1 in a partial responder.

2 DR. MOLITCH: Correct.

3 DR. NEW: Agreed.

4 DR. BONE: Dr. New?

5 DR. NEW: I agree with Dr. Molitch.

6 DR. BONE: Any clarification, Dr. Genuth?

7 DR. GENUTH: Yes. I don't completely agree. I  
8 would not switch a person who was not doing well on  
9 metformin to this drug. I would add it. Now, if there was  
10 an excellent response, really phenomenal response, got down  
11 to normal, I might be then tempted to try withdrawing the  
12 metformin gradually to see if anything happened. If the  
13 patient started getting worse, I'd leave the patient on  
14 combination therapy. I know that's not answering your  
15 question.

16 DR. BONE: I think it will be very clear to the  
17 agency what your views are on that. I really do.

18 Dr. Hirsch?

19 DR. HIRSCH: I agree with Saul.

20 DR. BONE: Thank you.

21 We'll start with Dr. Illingworth on the right.

22 DR. ILLINGWORTH: Yes and yes. I would endorse  
23 its use as monotherapy and also as a potential drug to use  
24 in a poor responder to other drugs and as additional  
25 therapy in a person who needs combination drug therapy.

1 DR. BONE: Dr. Hammes?

2 DR. HAMMES: I would vote yes and yes. On the  
3 first issue, I think important with this obviously with a  
4 bit of confusion here among our own panel members is that  
5 there must be adequate precautionary measures in the  
6 labeling so that the average practitioner can make these  
7 same kinds of judgments and to who and when they are being  
8 used.

9 DR. BONE: Well, we'll have an opportunity to  
10 discuss labeling later too.

11 Dr. Critchlow.

12 DR. CRITCHLOW: Yes and yes. In terms of the  
13 monotherapy, it's clear that in the treatment naive there's  
14 a response and there is evidence that switching from a  
15 situation where the person is responding, that that's not  
16 appropriate.

17 DR. BONE: The Chair would vote yes to question  
18 1(a) with the clarification that it would be a useful drug  
19 for monotherapy in a treatment-naive patient. I would  
20 probably not want to switch from another drug if I thought  
21 that other drug were having any beneficial effect at all.  
22 And in combination with metformin, I believe that the  
23 evidence is in favor of efficacy as well, that is,  
24 certainly.

25 The next question has to do with the comments.



1 It says, what comments do you have from the safety  
2 standpoint about the effects of rosiglitazone on: (a)  
3 liver? B is lipids. C is hemoglobin, and D is the heart.

4 I think we'll go around the table. We'll  
5 include our nonvoting participants after the committee.  
6 There are no votes on this. This is going to be all  
7 comments. I will ask actually each person to just go right  
8 down the list rather than going around four times. So,  
9 let's start with Dr. Illingworth, if you will, just go down  
10 the list then on number 2.

11 DR. ILLINGWORTH: I think the question  
12 concerning the liver, based on the albeit low frequency of  
13 liver abnormalities, there's clearly a need to monitor a  
14 baseline liver enzyme test and assess these at to-be-  
15 defined treatment intervals on therapy. And I would add to  
16 that that in anybody with preexistent liver disease, the  
17 drug probably shouldn't be used.

18 Lipid profile. I think it would be important  
19 to get a decent baseline lipid profile and characterize the  
20 lipid disorder in the patient and recognize the fact that  
21 the drug may change the lipid profile over the course of 2  
22 or 3 months. And so, taking it a month later may not give  
23 you where it's going to get to on chronic therapy.

24 The potential for the drug to raise LDL I view  
25 as a potential adverse effect which may require more

1 aggressive lipid lowering drug therapy. Probably in  
2 somebody who has a high level of LDL to start with maybe  
3 this would not be the drug to use as initial monotherapy.

4 With respect to hemoglobin, I think the  
5 hemoglobin effects are probably due to dilution and monitor  
6 hemoglobin and hematocrit, but I don't think there's any  
7 data that suggests there's an adverse effect on  
8 erythrocyte, reticulocyte counts or on increased red cell  
9 destruction. So, I think the effects on hemoglobin are  
10 hemodilution.

11 Finally, the effects on the heart. I think  
12 those are, from my perspective, the effects probably  
13 secondary to increased fluid retention and are a  
14 compensatory mechanism for fluid retention.

15 DR. BONE: Thank you, Dr. Illingworth.

16 Dr. Hammes?

17 DR. HAMMES: In terms of the liver effects, I  
18 would agree that we need to have baseline monitoring and  
19 perhaps yearly or some defined interval follow-up given the  
20 drug class issues.

21 In terms of lipids, I think we need adequate  
22 precautionary labeling to encourage practitioners to screen  
23 out the people that may be at particular risk for  
24 increasing LDL in particular.

25 The hemoglobin and heart issues are I think

1 relatively minor. Again, particular at-risk patients  
2 probably need to be screened out in this regard and  
3 adequate precautionary labeling I think would suffice.

4 DR. BONE: Dr. Critchlow.

5 DR. CRITCHLOW: This is always difficult, as  
6 Dr. Bone said, when you've got an initial population that  
7 is just a small fraction of the numbers that will  
8 eventually be exposed to the drug.

9 The other issue is just the representativeness  
10 or lack thereof of the study population in comparison to  
11 the actual target population or the population with  
12 disease, particularly with the gender differential and the  
13 relative lack of information in premenopausal women. So,  
14 it's difficult to assess. I think that just underlines the  
15 importance of a thorough baseline assessment and continued  
16 monitoring especially in populations that were not as well  
17 represented in the study population as perhaps should or  
18 could have been.

19 DR. BONE: And you would just make those  
20 general comments on all those issues?

21 DR. CRITCHLOW: Yes.

22 DR. BONE: Thank you.

23 Dr. Molitch?

24 DR. MOLITCH: With respect to the liver, it  
25 appears that the risk for liver toxicity is probably

1 | considerably less, if there's any at all, compared to  
2 | troglitazone, but I'm not certain about that based on the  
3 | small patient population that was so far studied. So, my  
4 | own thought is that until we know better, I would consider  
5 | there still to be a hepatic risk and probably to think  
6 | about monitoring for this drug similar to troglitazone,  
7 | perhaps monthly for a year or something of that sort, until  
8 | we are quite sure with the numbers, as they accrue over the  
9 | next couple of years, that it does not constitute that same  
10 | kind of safety risk.

11 |           With respect to lipids, I am concerned, as is  
12 | Roger, that the patient with a baseline elevated LDL could  
13 | be made substantially worse, and I might well use another  
14 | drug as first line or even second line therapy in the  
15 | patient who already has baseline elevated LDL levels.  
16 | Certainly these are something that should be followed every  
17 | few months for the first year or so just to see where that  
18 | patient may be going since it does seem to be a change.

19 |           The hemoglobin and heart I think are linked  
20 | together with excessive fluid retention, and there clearly  
21 | are some patients that are at risk for substantial  
22 | worsening of congestive heart failure or fluid retention.  
23 | So, I think this actually should be included in the  
24 | labeling for this drug, that there may be some people who  
25 | are at greater risk.

1 I'm a little bit concerned about the decrease  
2 in blood pressure with perhaps increase in sympathetic  
3 output that may be occurring which might even potentiate  
4 arrhythmias. I think it's an unknown area which I'd like  
5 to see studied a little bit more carefully.

6 Finally, I would add an E to this which is the  
7 risk of ovulation disturbance, the risk of amenorrhea  
8 occurring in the monkeys. At least I'd like to see some  
9 data accrued fairly early on with this drug in ovulating  
10 women to make sure that the same thing that occurred in  
11 monkeys is not going to occur in humans.

12 DR. BONE: Thank you.

13 Dr. New.

14 DR. NEW: With respect to the liver, I must say  
15 that I'm confused because I'm told by liver experts that  
16 monitoring with enzymes is not a perfect monitor, that you  
17 can have normal to minimally elevated enzymes and still  
18 have bad liver disease. So, how do you evaluate liver  
19 toxicity if you don't have good remote monitors from blood  
20 tests or clinical exam? So, I don't know about the safety  
21 of the liver. I'm going to assume that what has been  
22 standard practice, which is to measure the enzymes, is the  
23 best we have and therefore that's as good as you get.

24 With respect to lipids, I agree with Dr.  
25 Molitch. There's probably no clear adverse effect except

1 | in the patient with increased lipids at the outset.

2 |           The anemia is very mild and I think of not  
3 | great consequence.

4 |           And as far as direct evidence that the drug has  
5 | an effect on the heart, I didn't see this in either the  
6 | animal models or the humans, that is, no direct effect on  
7 | cardiomyopathy, cardiac hypertrophy, or any of the things  
8 | that you would look for.

9 |           DR. BONE: Dr. Genuth.

10 |           DR. GENUTH: I don't really have much to add.  
11 | I would second everything Dr. Molitch said about the liver  
12 | and basically about the lipids. I agree that I don't have  
13 | any great concern about the heart in humans from the  
14 | evidence we've seen.

15 |           I do have one thing not mentioned yet with  
16 | regard to hemoglobin, and that's the phenomenon of a  
17 | sharper drop in the patients who received both metformin  
18 | and rosiglitazone. I somehow don't feel satisfied with the  
19 | explanations as to why that particular group behaved that  
20 | way, and I think that should be further observed in post-  
21 | marketing surveillance when that particular combination is  
22 | prescribed.

23 |           DR. BONE: Maybe you want to comment on that  
24 | again at the labeling discussion in a little while.

25 |           Dr. Hirsch.

1 DR. HIRSCH: I agree with what has been said.  
2 I think so far as the liver is concerned, I'm a little  
3 concerned about very late effects which we'll only know  
4 after use of the drug, but I think anyone who has  
5 demonstrable liver disease by the usual enzymatic  
6 determination shouldn't have the drug.

7 I think the lipids are a concern. I think  
8 everyone who's put on the drug ought to have a lipid  
9 analysis done and if they're abnormal, it certainly ought  
10 to be repeated within 2 or 3 months to see if other drug  
11 treatment is better or an additional drug is needed.

12 I'm concerned about the hemoglobin. I think it  
13 needs more study.

14 I don't think there's any restrictions that one  
15 can impose right now except that anyone who has frank  
16 congestive heart failure I think or edema obviously should  
17 not be put on the drug.

18 DR. BONE: With regard to the question of  
19 hepatic toxicity, clearly the information that we have at  
20 the present time is encouraging in comparison with the  
21 marketed drug in this class, troglitazone. But I think we  
22 would all be happier -- and certainly I would -- if we  
23 understood the mechanism of that toxicity. It's ever  
24 conceivable that the transient reversible enzyme elevations  
25 that we saw with troglitazone and the occasional

1 catastrophe actually have different mechanisms. I don't  
2 think we can say that for sure. I think that leads us to  
3 maintain a note of caution here because those catastrophic  
4 episodes were very infrequent.

5           So, I think we will all be more comfortable  
6 when we've treated a few hundred thousand people and  
7 hopefully have not seen that problem. But I think we all  
8 have to understand that we do the best we can with the  
9 information we have and go forward. There's no  
10 alternative.

11           With regard to the lipid situation, I have  
12 exactly the same concern here, that we don't completely  
13 understand the mechanism of this phenomenon of increasing  
14 LDL and what's going on with HDL. I'm guided very much by  
15 my colleague, Dr. Illingworth, that this is something that  
16 we really need to have sorted out and it sounds like it's  
17 something that the company is well along in addressing and  
18 just must get that done.

19           At the moment I think we would have to be  
20 cautious and at least check lipids at the beginning and  
21 after a period of time to make sure we aren't seeing an  
22 unusually bad result in an individual. I think we would  
23 particularly keep this in mind in patients who may have  
24 other cardiac risk factors, as many diabetics will, even  
25 over and above their diabetes.



1 I think the understanding we have of the  
2 hemoglobin and cardiac changes, the anemia and this  
3 question that came up about the heart, which doesn't seem  
4 to have been a clinical problem, is that the patients  
5 actually have an expansion of the extracellular fluid space  
6 with no expansion or shrinkage of the red cell volume, as  
7 far as we know. But I think this needs to be much better  
8 documented in terms of the fact that we only have the one  
9 small study on the red cell volume, and we don't, for  
10 example, have a red cell volume measurement in patients who  
11 achieved criteria for anemia, if I can call it that, whose  
12 hemoglobins fell into an anemic range and then were taken  
13 off the drug to see if there's an increase in the  
14 hemoglobin and hematocrit without a change in red cell  
15 volume.

16 But I think it would also be very important to  
17 actually understand what's going on here. We've talked  
18 about peripheral vascular resistance and so on without any  
19 real solid understanding of the mechanisms involved here.  
20 I don't think that the questions that are open on this  
21 issue are sufficient to prevent us from using the drug, but  
22 I think they are extremely important to our understanding  
23 and long-term use of the drug, and I will expect to see  
24 those results.

25 I'd like comments from the nonvoting crew here.

1 Let's say Dr. Levitsky will start please.

2 DR. LEVITSKY: Well, I guess I was sitting  
3 close enough to Dr. Molitch that he heard my thoughts very  
4 clearly. So, I really agree with what he said. I feel  
5 very strongly that the initial monitoring for the potential  
6 for liver disorder should be exactly as is now recommended  
7 for troglitazone because if there is going to be a problem,  
8 we should be able to pick it up with that technique and we  
9 would be I think really at fault if we didn't suggest that  
10 until more information even though it looks like the risk  
11 is much less.

12 DR. BONE: Any further comments on these  
13 topics, Dr. Levitsky?

14 DR. LEVITSKY: No.

15 DR. BONE: Dr. Seeff?

16 DR. SEEFF: Well, first of all, let me try to  
17 make the waters clearer that I obviously made murky. The  
18 transaminases are a very good measure. The only time that  
19 I think we've had problems is when people have viral  
20 infection in which the lack of enzyme abnormality does not  
21 preclude intrinsic liver disease, but I think other than  
22 that, I think the transaminases are a good measure.

23 Jim Lewis suggested to me that had we not had  
24 the history that we have and on the basis of the  
25 information that we have now, would we even consider

1 | monitoring, and I had to agree that we probably wouldn't  
2 | because I think that the evidence is that if there is  
3 | hepatotoxicity thus far, it has not been really fully  
4 | detected.

5 |           Nevertheless, given I think the background that  
6 | we have, given the fact that there has been shown to be  
7 | toxicity in one of the animal species, even though I  
8 | recognize that the two drugs that we are talking about are  
9 | different in structure and perhaps in the way they  
10 | function, I would agree that until we know more about this,  
11 | and particularly in view of the fact that in the first drug  
12 | that we don't want to mention here, much of the  
13 | hepatotoxicity appeared and became apparent after the  
14 | marketing, post-marketing, I would think at least for the  
15 | next year and perhaps for a couple of years, we should  
16 | monitor and get information.

17 |           I'm particularly concerned about people who  
18 | have preexisting liver disease. I'm not sure in my mind  
19 | that I would preclude such people unless they have overt  
20 | icteric disease, for example, because I'm concerned about  
21 | the fact that we may preclude treatment of individuals who  
22 | have steatohepatitis in which I just don't know whether  
23 | this is going to make things worse. I think we just have  
24 | to watch those people particularly carefully.

25 |           But in any case I would agree that we should

1 use the same criteria for this drug as we've used for  
2 troglitazone.

3 DR. BONE: Thank you.

4 Dr. Lewis.

5 DR. LEWIS: Well, I'm going to respectfully  
6 disagree. I think we're suffering from troglitazonian  
7 nervosa, to coin a phrase.

8 (Laughter.)

9 DR. LEWIS: As Leonard said, if this was the  
10 first drug in this class, liver injury wouldn't even be a  
11 slide. It would be put up. There was no difference  
12 between this drug and any of the other comparators, and we  
13 would move on and we would talk about some of the other  
14 issues that have been talked about.

15 I attended at the beginning of this week a two-  
16 day seminar put on by the FDA on how do you assess drug  
17 toxicity and how do you monitor it. It was apparent from  
18 the drugs of recent vintage, which have gotten us into  
19 trouble, that there was a signal in terms of an elevation  
20 in transaminases that far exceeded that seen with the  
21 placebo and with the comparators. You can look at  
22 bromfenac and tacrine and tolcapone and a whole bunch of  
23 others and including troglitazone. The signal was there,  
24 and we now know that those drugs cause serious injury.  
25 Monitoring is in place.

1                   This is a drug which, from what we know, 5,000  
2 patients, there is no signal. There are a couple of  
3 patients who have had elevated enzymes, but in general we  
4 have nothing really to base severe hepatotoxicity on.

5                   The notion that patients who have elevated  
6 enzymes shouldn't receive this drug I also find troubling  
7 because it was studied. There were 250 patients who had  
8 elevated enzymes who may have some benign underlying  
9 condition, and they would be precluded from this drug and  
10 it might be very beneficial to them. This is not  
11 troglitazone. From what I can tell from the pharmacology,  
12 from the metabolism, it's completely different. The only  
13 thing in common is it's a zone.

14                   We can only be wrong I suppose -- and it's easy  
15 to say, sure, it's got to be monitored for the next year.  
16 What does that buy us? Monitoring, number one, is not  
17 easy. It's expensive. We had 4,000 troglitazone patients  
18 who were monitored during clinical trials. There was a  
19 signal. Now, I wasn't around for when that drug was  
20 originally discussed, but 2 percent of the population on  
21 troglitazone had elevations in enzymes that were more than  
22 threefold elevated. This drug was also monitored for  
23 thousands of patients for more than a year. There's no  
24 signal.

25                   Now, does that mean it will never cause hepatic

1 failure? No. You can't ever say that about any drug, but  
2 I think we have to be reasonable. We have to be prudent,  
3 as people pointed out, and I just don't see the signal  
4 here.

5 And especially among patients who have elevated  
6 enzymes to begin with, I agree with Leonard that we ought  
7 to know why they're elevated. Do these people have  
8 hepatitis C? 2 percent of the population has that. Is  
9 that going to prevent them from getting this drug? No.  
10 There are many studies that I think should be done post-  
11 marketing including studying patients with this non-  
12 alcoholic steatohepatitis, find out if this drug helps  
13 them, follow people who need anti-lipid drugs, find out if  
14 there is any kind of toxicity. There's probably more  
15 hepatic injury from the statins than there are from this  
16 drug as far as I can tell.

17 We're assuming it's hepatotoxic. We haven't  
18 been shown data that it's really hepatotoxic. I really  
19 don't know what to do with dog studies. I don't know that  
20 any of us really know what to do with them. We don't have  
21 a perfect marker of monitoring, and if we are to believe  
22 that this is an idiosyncratic, unpredictable reaction, as  
23 we believe it is for troglitazone, which didn't appear  
24 during the clinical trials -- all the patients even with  
25 very high enzymes, even though they were kept on the drug,

1 | did not progress to liver failure, but there was a signal  
2 | that something was going on there. We don't have that  
3 | signal here either.

4 |           So, I must say I don't feel the compelling need  
5 | that the members of the committee seem to have thinking  
6 | that this is another troglitazone. I hope it is not and I  
7 | hope that these remarks don't come back to haunt me. But  
8 | the reality is I think post-marketing we will know what  
9 | happens. If we do not include monitoring in the labeling,  
10 | there's a whole bunch of competitors out there who are  
11 | going to be monitoring this drug for the company and for  
12 | the FDA, and we will know in fairly short order whether or  
13 | not the labeling needs to include monitoring if we start  
14 | seeing idiosyncratic reactions with enzymes, especially the  
15 | hepatocellular injury. And that is what we are interested  
16 | in. This is the injury that has a fatality associated with  
17 | it, not a little bit of a bilirubin rise or an alk phos,  
18 | but true hepatocellular damage.

19 |           As with many drugs, we don't know everything  
20 | we're going to know about them when they're initially  
21 | released. The big study, as you've said, is once it's out  
22 | there and we have hundreds of thousands of people who try  
23 | it, and if it's going to be useful, we'll know. If it's  
24 | going to have the toxicity, we will know.

25 |           But I don't think we gain very much by giving

1 | this the same class labeling as troglitazone. The labeling  
2 | is useful to guide physicians on what we know. I think you  
3 | put it in the label what this did in terms of enzymes  
4 | relative to the comparators. I think you can certainly  
5 | point out that it didn't have the same percentage rise that  
6 | the other drug had although there were no head-to-head  
7 | studies. These are issues that will come up later and  
8 | we'll talk about them then.

9 | DR. BONE: Ms. Killion, comments on the safety  
10 | questions?

11 | MS. KILLION: Well, I think that a healthy dose  
12 | of troglitazonian nervosa probably is a good thing, but it  
13 | has to be balanced. I think I agree with Dr. Lewis.

14 | It strikes me that the one thing I remembered  
15 | from the previous meeting was that even when you did  
16 | monitor, you recommended monitoring, it was not done anyway  
17 | for various reasons. One is it's hard to get the patients  
18 | in. The physicians don't always emphasize it, whatever.

19 | But I think that the safety standards -- I have  
20 | a level of comfort from what I've heard today not only from  
21 | the sponsor but from the panel as to the approach and  
22 | optimism for this particular drug. So, as a patient, I  
23 | think that I'm very pleased to see that it's going to be  
24 | added or that it is being considered for being added to the  
25 | armamentarium.



1 DR. BONE: Thank you.

2 The next question will be question 3, and we're  
3 just going to go around and vote on this amongst the voting  
4 members. We'll start with Dr. Molitch. Question 3 is,  
5 based on the available information, do the benefits  
6 outweigh the risks for the use of rosiglitazone in the  
7 treatment of hyperglycemia in type 2 diabetes: (a) as  
8 monotherapy, and (b) in combination with metformin?

9 DR. MOLITCH: Yes and yes.

10 DR. BONE: Dr. New?

11 DR. NEW: Yes and yes.

12 DR. BONE: Dr. Genuth?

13 DR. GENUTH: Yes and yes.

14 DR. BONE: Dr. Hirsch?

15 DR. HIRSCH: Yes and yes, but we understand (a)  
16 now to be not removing another drug and starting this. My  
17 vote is monotherapy if this is the beginning drug.

18 DR. BONE: I think this was understood to be  
19 subject to whatever footnotes were added to question 1(a).  
20 Is that fair enough?

21 DR. HIRSCH: Yes.

22 DR. BONE: Dr. Illingworth.

23 DR. ILLINGWORTH: Agree. Also yes and yes.

24 DR. BONE: Dr. Hammes?

25 DR. HAMMES: Yes and yes.

1 DR. BONE: Dr. Critchlow?

2 DR. CRITCHLOW: I would say as a statistician,  
3 it would appear so. Yes and yes.

4 (Laughter.)

5 DR. BONE: Thank you.

6 And the Chairman would vote the same way.

7 Now, we're going to have our recommendations  
8 for the labeling of rosiglitazone as question number 4.

9 I want to clarify something about our plan  
10 here. Because of the opportunity -- and we've actually had  
11 some remarks pertinent to this already -- to include the  
12 views of our hepatologists on questions that may relate to  
13 the class, we had originally intended to address these two  
14 questions about class labeling regarding hepatic toxicity  
15 or monitoring tomorrow. I think we'll allow people to make  
16 preliminary comments today, and then any additional  
17 comments they want make tomorrow will be made tomorrow.  
18 And the FDA will understand that we're doing this a little  
19 bit informally but information will be there for you.

20 So, to be clear, question 4 is going to be, do  
21 you have recommendations for the labeling of rosiglitazone?  
22 Question 5 will be recommendations about post-marketing  
23 studies, and then we will have two additional questions.  
24 In fact, I think we should take these before we get to  
25 question 5. And they are, should the labeling for other

1 | members of the thiazolidinedione class of drugs -- it means  
2 | other than troglitazone -- address the subject of  
3 | hepatotoxicity observed with troglitazone, and if so, how?  
4 | And the second question, should the labeling for other  
5 | members of the class specify that liver testing be  
6 | performed at periodic intervals, and if so, how frequently?  
7 | Those are going to be questions that will be asked after we  
8 | talk about rosiglitazone specifically in question 4.

9 |           Is everybody clear about this? Okay.

10 |           I think we'll just go around the table on  
11 | question 4 and ask if we have any recommendations for the  
12 | labeling specifically of rosiglitazone. Many of us have  
13 | already made comments during the previous discussion and  
14 | can just refer to those if they like. Let's start with Ms.  
15 | Killion. And I assume we're talking here about both the  
16 | product monograph and patient package insert as well.

17 |           MS. KILLION: From the patient perspective, I  
18 | would say I'd want to have the information with respect to  
19 | the increase in the LDL and also I'd like some information  
20 | for the effects on women of childbearing age.

21 |           DR. BONE: Thank you.

22 |           Dr. Illingworth, additional comments on  
23 | recommendations for labeling for rosiglitazone?

24 |           DR. ILLINGWORTH: I think the lipid changes  
25 | should be in the labeling information underscoring the need

1 | to monitor or get a lipid profile. I would include  
2 | actually putting the current ADA recommendations for what  
3 | is the optimal level -- LDL under 100, triglyceride under  
4 | 200 -- in patients with type 2 diabetes so patients are  
5 | aware of that and doctors who are using these drugs are  
6 | aware of those recommendations.

7 |           And the need to also potentially look at -- I  
8 | think this needs to be looked into further -- drug  
9 | interactions. I was pleased that the drug is metabolized  
10 | not by the C3A4 system, but I guess taxol and cerevastatin  
11 | go through the same enzyme metabolism pathway. Perhaps  
12 | those should be included or something should be included in  
13 | those. We don't know yet if they're going to have drug  
14 | interactions with these drugs, hence need for monitoring.

15 |           DR. BONE: Dr. Hammes?

16 |           DR. HAMMES: I'll just refer back to my  
17 | comments before and add to that I really think there ought  
18 | to be something about the gender differences and let the  
19 | clinician decide if that's significant.

20 |           DR. BONE: Thank you.

21 |           Perhaps we'll start with Dr. Lewis.

22 |           DR. LEWIS: We're not addressing the liver?

23 |           DR. BONE: You may include comments on the  
24 | liver, if you wish, that are specific to this compound.  
25 | We're going to address the class later.

1 DR. LEWIS: Every comment I've heard so far  
2 should be included in the labeling. Again, what's the  
3 labeling for? It's to guide physicians; it's to guide  
4 patients on what the drug is all about. Some people read  
5 it, some people don't. But I think all you can do is put  
6 down what the information is.

7 As far as the specific liver effects, I would  
8 certainly say that with troglitazone there was X amount of  
9 elevated enzymes compared to the other groups. I would put  
10 down what the figures were with this drug. You might even  
11 say there has been post-marketing fatal hepatitis with  
12 troglitazone. We don't know if that will happen with this  
13 drug. That's a fair statement.

14 But with no smoke, I would not support definite  
15 monitoring other than clinical signs of hepatitis or  
16 untoward events now. And even if we did a post-marketing  
17 surveillance study, you do another 5,000 patients and if  
18 this is a rare event that's 1 in 40,000, so we've got  
19 10,000 patients and we still might not see it. If the  
20 enzyme elevations had been more prevalent, that would not  
21 have been my recommendation. So, I'm going to stay with  
22 basically my explanation from before.

23 DR. BONE: Thank you.

24 Dr. Seeff.

25 DR. SEEFF: Well, with regard to everything but

1 | the liver, I agree with what everybody has said. I'm still  
2 | uncomfortable about not keeping an eye on the liver enzymes  
3 | even though I do recognize that the frequency of  
4 | abnormalities has been very low. I'm particularly  
5 | concerned that we would have no information in people who  
6 | have already got preexisting abnormalities, and at the very  
7 | least I would want to monitor somebody. I would like to  
8 | get the enzymes done before treatment is started, and if  
9 | the enzymes are abnormal, I would want to monitor those  
10 | patients without doubt.

11 |           For the rest, there are several options. One  
12 | is just to do a clinical assessment of the other groups.  
13 | The other one is to, in fact, monitor at the same rate,  
14 | which would be easier because it would fit, and the third  
15 | is to perhaps check out the enzymes at a shorter interval.

16 |           I am cognizant of the comment that had been  
17 | made at the last meeting that we are dealing with people  
18 | who have diabetes and therefore have to stick their fingers  
19 | at least on a regular basis. So, it's not that they're  
20 | going to bleed themselves unnecessarily. They're going to  
21 | have to do that anyway. I can't remember now whether there  
22 | is a test for ALT or whether there should be a test for ALT  
23 | which could be done on a finger stick. If so, there's  
24 | little doubt in my mind that I would monitor and check and  
25 | see.

1 I think that if something went wrong, even  
2 though this is quite unlikely, and we didn't keep an eye on  
3 this at least for the first year and maybe a couple of  
4 years and then reassess -- if nothing has happened and  
5 we've seen enough people, we can say that's it. We're  
6 comfortable, but I am not comfortable about saying I would  
7 just leave this to chance and wait for jaundice to occur  
8 because jaundice is too late in my view.

9 DR. BONE: Well, now that we've had the  
10 unanimous opinion of the hepatologists, everything is  
11 clear.

12 (Laughter.)

13 DR. LEWIS: Let me just comment that I would  
14 certainly agree that patients who have baseline  
15 abnormalities, just like we would watch them on any other  
16 medication, they should be monitored, if that's the term  
17 you want. We watch those. How far do we let them go?  
18 It's an individual decision based on the drug and the type  
19 of toxicity that we're seeing.

20 But I guess we have a fundamental difference on  
21 just basic monitoring of a drug where there's no signal. I  
22 harken back to if this was the first drug that we had seen  
23 in this class, I don't think we would be making that  
24 comment.

25 DR. BONE: I think we've got this very clear

1 from the hepatologists. Thank you both very much. You  
2 have been remarkably helpful on this.

3 Dr. Levitsky, comments on the labeling of  
4 rosiglitazone.

5 DR. LEVITSKY: Well, I think pretty much the  
6 issues that I agreed with before I'd like to be in the  
7 labeling, and I really do feel that although the  
8 hepatotoxicity risk is probably very low, we'd better be  
9 very, very nervous for a while and monitor frequently.

10 There are two other things which I think are  
11 important for patient labeling if they haven't been  
12 mentioned because they'll be of concern to patients, and  
13 that is the issue of the development of edema and of weight  
14 gain. I think that patients will worry more about those  
15 than some of the things we're worried about.

16 DR. BONE: Well, and what would you say?

17 DR. LEVITSKY: That there is a possibility of  
18 edema, which is not going to be a significant issue, but  
19 should be brought to the attention of their physician, and  
20 a small possibility of a slight increase weight, which  
21 shouldn't interfere with the efficacy of the medication.

22 DR. BONE: And you wouldn't regard this as  
23 something that required precautions in any particular group  
24 of patients, for example?

25 DR. LEVITSKY: Well, perhaps people with



1 preexisting cardiac disease should be particularly warned  
2 about it.

3 DR. BONE: Thank you.

4 Dr. Molitch?

5 DR. MOLITCH: I'm going to side on the side of  
6 requiring liver function testing with the idea that it  
7 could be a statement saying although nothing has been found  
8 with this drug, it has been found with other drugs in this  
9 class and monthly monitoring for a year or whatever is  
10 deemed appropriate would be worthwhile.

11 I would put in the precaution about in patients  
12 with preexisting heart disease that edema or exacerbation  
13 of underlying congestive heart failure can be significant  
14 and should be watched for as well.

15 I'd also have a concern about amenorrhea. It  
16 ought to be a potential labeling issue.

17 DR. BONE: And what would you say?

18 DR. MOLITCH: I'd say anovulation has been  
19 reported in animal species and it's a potential.

20 DR. BONE: Dr. New?

21 DR. NEW: I agree with Ms. Killion that it is  
22 very important to put in the label that women who are  
23 potentially pregnant should not use the drug until safety  
24 to the mother and the fetus is demonstrated.

25 DR. BONE: Thank you.

1 Dr. Genuth?

2 DR. GENUTH: Well, first of all, I would put in  
3 the labeling that patients who are inadequately controlled  
4 with regard to blood glucose on metformin should have this  
5 drug added and not substituted.

6 I also would put something in the labeling to  
7 alert the physician to the possibility of anemia in  
8 patients receiving a combination of metformin and  
9 rosiglitazone.

10 I found Dr. Lewis' arguments very rational, and  
11 if we were working in a vacuum, I would agree with you.  
12 But we're not. We are working in a situation where we've  
13 had a previous example from a cousin at least of this drug,  
14 and probably more like a brother-in-law, and I think that  
15 there are issues of public recognition that there is a  
16 serious problem with another drug in this class. I think  
17 it's just prudent to take cognizance of all those other  
18 factors and monitor. I don't know for how long.

19 I think the most rational way to decide would  
20 probably be on a number of patients; that is, statisticians  
21 could calculate for us how many patients you would have to  
22 treat without any event before you could conclude that the  
23 odds for having an event were just too low to worry about.  
24 There must be some number like that.

25 DR. BONE: Dr. Hirsch?

1 DR. HIRSCH: I agree with Dr. Genuth. I think  
2 somehow in the labeling we ought to indicate that the  
3 evidence is best for adding this to metformin when  
4 metformin is not doing the full job. And I think we have  
5 to take into consideration the fact that use otherwise  
6 means making a choice of beginning therapy of rosiglitazone  
7 or whatever else is available, and I think we can help  
8 people by saying that the liver disease should be kept in  
9 mind and there should be testing with this. The serum  
10 lipids should be kept in mind.

11 I think also not only the pregnancy, but I  
12 would agree with what I thought you were saying earlier,  
13 Dr. Levitsky, that if you have a choice, this is not the  
14 drug to use in type 2 diabetes in adolescents.

15 DR. LEVITSKY: I'm not sure. I think we don't  
16 know that. That would be my feeling.

17 DR. HIRSCH: Well, I'm just thinking what one  
18 would put down. Say there is concern about its use in  
19 adolescents because of the possibility of increasing fat  
20 deposition that may not disappear, whatever.

21 DR. LEVITSKY: I actually have on my list post-  
22 marketing studies.

23 DR. BONE: That may be a point to bring up at  
24 that time.

25 DR. HIRSCH: Well, I think the labeling is what

1 | we're on. I would feel this should be put in the labeling.

2 | DR. BONE: Dr. New.

3 | DR. NEW: We did not discuss whether this drug  
4 | is advisable in children or not because I don't think it's  
5 | been tested in children. Therefore, the question is should  
6 | it be excluded from being used in children. I didn't do  
7 | that. What I recommended is that it needed a post-  
8 | marketing study, but I really need guidance as to what the  
9 | lower age limit should be. If it's going to be used in  
10 | adolescents, should it be used in a 5-year-old?

11 | DR. BONE: I hate to interrupt a vote, but  
12 | since this is short answer, essay questions, I'm going to  
13 | just ask perhaps Dr. Misbin or the sponsor to tell us what  
14 | the entry age was for the clinical trials that have been  
15 | performed to date.

16 | DR. MISBIN: Not 5.

17 | DR. BONE: No.

18 | DR. WHEADON: In the trials that you've been  
19 | looking at, the lower limit was 40.

20 | DR. BONE: Presumably then the label can say  
21 | that the drug has not been tested in children or  
22 | adolescents.

23 | DR. MISBIN: It should be pointed out that we  
24 | don't have data -- the labels don't in general say that.  
25 | So, do you feel strongly enough to make a specific

1 | exception for this drug? Is this different from all the  
2 | other drugs that are used? We have labeling for type 2  
3 | diabetes --

4 |           DR. HIRSCH: I certainly do feel exactly that  
5 | way.

6 |           DR. BONE: Well, I guess I see Dr. Misbin's  
7 | point, though. If we have the same kind of data in all the  
8 | other -- I don't know. My view would be to be consistent  
9 | with policy, but I think there is some concern.

10 |           DR. HIRSCH: No. There's an additional item  
11 | here, and that is the way this drug works. In animals it  
12 | makes more fat cells and we think this has something to do  
13 | with obesity, et cetera, et cetera. Until we get data to  
14 | the contrary, at least the labeling should indicate that  
15 | when a choice can be made or whatever, the adolescents are  
16 | sure not the place to fool around with this.

17 |           DR. MISBIN: Well, it's one thing to put it in  
18 | the label as a statement of the facts, the way you just  
19 | said it. I think it's quite another to have in the  
20 | indications saying this drug should be used with caution or  
21 | contraindicated. That's really a different order of  
22 | magnitude. I don't think anyone would object to putting  
23 | facts in the label. That certainly should be, but to put  
24 | it in the indications section, is that actually what you're  
25 | recommending?

1 DR. HIRSCH: Caution. I'm not sure it ought to  
2 be contraindicated, but certainly caution would be  
3 appropriate I think.

4 DR. BONE: Well, go ahead. Dr. New, further  
5 comment?

6 DR. NEW: You know, this happens in my  
7 experience with many drugs where there is no clear evidence  
8 of the effect of the drugs in children, particularly on  
9 growth and development. Dr. Sobel knows that that's been  
10 one of my pleas here is that children shouldn't be orphaned  
11 from studies of new drugs. It takes a whole new study  
12 because all of you know that the drug clearance rates in  
13 children are different from adults and in fact they may  
14 need a bigger dose to get the same effect because their  
15 metabolic clearances may be bigger. Therefore, scaling it  
16 down by weight and all the other things which you'll have  
17 to put into this label, if you include children, becomes a  
18 big problem because I think to say that children should  
19 have their drugs scaled down based on weight or surface  
20 area is very flawed logic.

21 So, my own thing is I don't want to exclude the  
22 children, but I think that we should ask for studies in  
23 children to be done once they are going to be included in  
24 the population treated.

25 DR. BONE: What would you say about the

1 | labeling for now, though?

2 |           DR. MISBIN: I think, though, you have to  
3 | recognize -- everything you say is completely true, but  
4 | just recognize, I think, that if there are strong  
5 | cautionary statements in the label, it will be very  
6 | difficult to do those studies. So, a strong statement in  
7 | the label might actually preclude the very data that you  
8 | wish.

9 |           DR. BONE: So, I think the committee has  
10 | expressed some concern about this. Do we need to further  
11 | advise the agency about this? Dr. Bilstad, did you have a  
12 | comment?

13 |           DR. BILSTAD: No. We appreciate the comments  
14 | that the committee has made and we'll take them into  
15 | consideration.

16 |           DR. MOLITCH: I think this issue on the  
17 | children is not just not having studies done in children.  
18 | There's a lot of very strong theoretical issues about this  
19 | that may make it a contraindicated drug in children.

20 |           DR. BONE: So, you would make it more of a  
21 | point than usual.

22 |           DR. MOLITCH: I would make it much more of a  
23 | point than usual until there are clear data, clearly done  
24 | experimentally, to show that it is safe to do in children.  
25 | This is a very different drug than the other drugs, and I

1 think troglitazone falls in the same class.

2 DR. BONE: Dr. Misbin's point I think is that  
3 that will have to be artfully worded if it is to be  
4 considered consistent with doing the studies that you want  
5 and to have a label, it's going to be an exercise in  
6 writing probably to get that just worded.

7 DR. MISBIN: Well, it's more than that, Mr.  
8 Chairman. If people really think it's dangerous in  
9 children, we should discuss this. I mean, that's another  
10 matter.

11 DR. BONE: No, no. I don't think that's the  
12 implication at all.

13 DR. MISBIN: Well, I think that's what Dr.  
14 Molitch said.

15 DR. BONE: I think he's saying it's a potential  
16 concern.

17 Dr. Levitsky?

18 DR. LEVITSKY: I guess that I would feel very  
19 bad if you told me, since we've defined childhood as ending  
20 at age 18 or in a children's hospital at age 21, that I  
21 couldn't use this in a fully grown 14-year-old girl with  
22 type 2 diabetes or even a 12 or 13-year-old fully grown.  
23 So, I think I'm very worried about this. I think we need  
24 to test these drugs in people like that, but it's very hard  
25 to define what childhood with type 2 diabetes. I would



1 hate to think that we had taken this medication away from  
2 that population who are often quite poorly controlled.

3 DR. NEW: I'm very sympathetic with Dr.  
4 Levitsky's point of view, but I think we shouldn't exclude  
5 adolescents from taking it. Somehow somebody has got to  
6 say we need to study children. That's all.

7 MS. KILLION: I have a question if I can just  
8 develop it a little bit. Number one, how common, how  
9 prevalent is type 2 diabetes in children?

10 DR. BONE: The left side of the table are all  
11 nodding in unison. It's kind of a wave phenomenon.

12 MS. KILLION: So, that would be question one.

13 And two, just as an observation on how studies  
14 are conducted, as a woman I have to say that I often find  
15 that women are shorted on the study point. So, there's  
16 sort of a two-pronged problem here. It occurs to me from  
17 what I've read -- and I may be mistaken because I am  
18 certainly not as knowledgeable as the rest of the panel --  
19 that the majority of type 2 diabetes patients are women,  
20 and yet it seems to me, when I look at these studies, the  
21 predominance is overwhelming white male. So, not to inject  
22 too much feminism into this, but I have to say that there  
23 is a difference.

24 So, I am worried about what is the prevalence  
25 in children and I would ask that the studies be more

1 balanced to represent the population that is affected.

2 DR. LEVITSKY: It's the largest increasing  
3 group right now just because children are getting more and  
4 more overweight.

5 But in regard to this issue in general, we have  
6 a disorder which is more prevalent among Hispanic and  
7 African American women and it's been tested in white males.

8 MS. KILLION: And Native American I guess too  
9 as well.

10 DR. LEVITSKY: And Native American or native  
11 peoples in general. So, there are lots of groups that are  
12 disenfranchised here.

13 DR. NEW: I just want to say that in answer to  
14 this problem of deciding whether drugs are recommended in  
15 children or not, there's a big-scale effort to do drug  
16 trials in children. In my unit we've set up a whole thing,  
17 an infrastructure, for doing it.

18 DR. BONE: I guess I am the last one to comment  
19 on this. Oh, sorry. Dr. Critchlow.

20 DR. CRITCHLOW: I just wanted to add one  
21 comment to the others and that is just a note about the  
22 potential relationship between the lipid increases and  
23 decreased hemoglobin A1c response, that that was something  
24 that was noted, again just as a caution.

25 DR. BONE: Thank you.

1           For my part as far as comments on the labeling,  
2           it seems to me that the issue about use in childhood and  
3           adolescents is a thorny one in the absence of data. Dr.  
4           Molitch has raised the point that this may be more of an  
5           issue in this particular case than it might be with some  
6           other kinds of drugs. At the same time, both Dr. Levitsky  
7           and Dr. New have sort of, in a certain way, stepped firmly  
8           on both sides of the fence --

9                           (Laughter.)

10           DR. BONE: -- by commenting that they really  
11           want to be able to use the drug in type 2 diabetics who are  
12           adolescents, but are concerned about the fact that we don't  
13           have information and need to get more information.

14                           So, I'm getting the feeling here that the sense  
15           is that we'd like to see something in the labeling  
16           indicating the limit of the information that we have and a  
17           cautionary note without making the statement that this is  
18           contraindicated. I think that's sort of the drift here.  
19           Again, there are sort of nods around the table.

20                           DR. NEW: Yes.

21                           DR. BONE: Yes, okay.

22                           Then further, I would have thought that a  
23           comment along the lines previously mentioned about the  
24           fluid retention being a potential issue in patients who  
25           have basically any other disorder where fluid retention is

1 an issue. It's not limited to cardiac disease but patients  
2 with nephrotic syndrome or whatever, that any condition in  
3 which fluid retention is an issue may be potentially  
4 aggravated and should be carefully monitored at least  
5 clinically.

6 And I would endorse a number of the other  
7 comments that I've heard as well. I don't need to repeat  
8 them.

9 We now are going to discuss a little bit about  
10 class labeling questions and we'll have an opportunity to  
11 revisit some of these points tomorrow if we think that  
12 anything comes up that would alter our view. But we've  
13 been given a preview that suggests that the hepatic issues  
14 are likely to not be any more of an issue tomorrow. These  
15 are two questions. Much of this has already been covered,  
16 so we can be concise I think. I'm going to ask each person  
17 to take both questions. I think you all have copies of  
18 these questions. They're in your packages and it's in the  
19 briefing package for tomorrow as well.

20 Question 1, should the labeling for for other  
21 members -- and that would include this drug, other than  
22 troglitazone -- of the thiazolidinedione class of drugs  
23 address the subject of hepatotoxicity observed with  
24 troglitazone, and if so, how? And should the labeling for  
25 the other members of the class specify that liver testing

1 | should be performed at periodic intervals, and if so, how  
2 | frequently?

3 |           Maybe we'll vary the order here and ask Dr.  
4 | Hirsch to comment first.

5 |           DR. HIRSCH: Well, I don't think that we should  
6 | do anything different with any drugs of this class than  
7 | we've done with the current one, the rosiglitazone. I  
8 | understand we're addressing the class labeling issue, but  
9 | I'm just trying to develop my thoughts about it as quickly  
10 | as I can without having looked at this before.

11 |           So, I would agree that the -- because I think  
12 | the rosiglitazone has very little evidence at this point of  
13 | hepatic damage or hepatotoxicity, so I would assume that  
14 | other drugs coming along might be in the same category.  
15 | Therefore, I would recommend that we do with the other  
16 | drugs what we've agreed to do with the rosiglitazone. That  
17 | would seem to be the rational thing to do.

18 |           So, the answer to the first one is that we  
19 | should address the question of hepatotoxicity and indicate  
20 | that other members of this class have shown this. That's  
21 | the first thing, and therefore one should be cautious about  
22 | it. If we're going on, secondly, we should make the same  
23 | recommendations for testing that we've done with  
24 | rosiglitazone.

25 |           DR. BONE: Well, what recommendation would you

1 | make about testing with rosiglitazone?

2 |           DR. HIRSCH: Well, I agree that we should,  
3 | first of all, have liver profile before starting therapy,  
4 | and if there's any evidence of aggressive or liver disease  
5 | of any kind, one shouldn't use the drug. If one does use  
6 | the drug, that there ought to be monitoring. I guess I'm  
7 | not in a position to specify how frequently this should be  
8 | done, but I would imagine this should be done several times  
9 | within the first year.

10 |           DR. BONE: Would you monitor patients who had  
11 | no evidence of liver disease when they started on therapy?

12 |           DR. HIRSCH: Yes. I think that's what we  
13 | agreed to do with the rosiglitazone.

14 |           DR. BONE: I think we're just kind of getting  
15 | comments. There's no formal agreement on any of this.  
16 | There's a certain difference of opinion on some of these  
17 | points.

18 |           DR. HIRSCH: I would do it less frequently  
19 | perhaps, every 3 months or something of that kind, yes, for  
20 | the first year at least.

21 |           DR. BONE: Dr. Genuth.

22 |           DR. GENUTH: Without having a lot of time to  
23 | think about it, right now I would say all members of this  
24 | drug class should be -- well, labeling, okay. This drug  
25 | class should indicate in its labeling that one member has

1 | caused serious liver toxicity requiring transplant and  
2 | death. There can be an individual sentence that follows  
3 | regarding the data to date on the particular drug that the  
4 | label is for. So, if the data to date indicates very  
5 | little risk for rosiglitazone, then the sentence after that  
6 | could say so. But I think there ought to be an initial  
7 | sentence that says this drug class has exhibited a really  
8 | bad adverse effect.

9 | I would monitor on the same schedule that we're  
10 | doing for troglitazone, but not forever. I think you  
11 | either pick some reasonable interval of time arbitrarily  
12 | or, as I said before, try to calculate a volume of patient  
13 | exposure that would reassure everyone if no serious event  
14 | occurred.

15 | DR. BONE: Dr. New had made some comments on  
16 | this topic earlier.

17 | Dr. Molitch?

18 | DR. MOLITCH: I'll reiterate what I said  
19 | earlier, similar to what Dr. Genuth has said, that there  
20 | should be some warning that drugs in this class do have  
21 | toxicity. There's been none noticed for this particular  
22 | drug. Nonetheless, because of this, we recommend  
23 | monitoring on a monthly basis for the first year and then  
24 | less frequently thereafter.

25 | I agree with Saul that there should be some way

1 | of doing a power calculation. We heard last month that  
2 | even with a rate of 0 in 500, you could get an upper  
3 | confidence limit, even with no events. So, somehow we  
4 | should be able to come up with a number to see what the  
5 | power calculation should be.

6 | DR. GENUTH: It's called the eventless test.

7 | (Laughter.)

8 | DR. SOBEL: I just have one comment that I'd  
9 | like to make. That sort of calculation would have to look  
10 | at two variables, the number of patients and the duration  
11 | of exposure, but that's your idea.

12 | DR. MOLITCH: Yes.

13 | DR. GENUTH: Yes, patient years.

14 | DR. MISBIN: It would also need an estimate.  
15 | You can't make a power calculation unless you have an  
16 | estimate. What would you say the estimate is?

17 | DR. MOLITCH: 33 years?

18 | DR. MISBIN: No, no. The estimate for the  
19 | incidence of the event. Since there are no cases, what  
20 | estimate would you take?

21 | DR. MOLITCH: It's difficult to know.

22 | DR. GENUTH: Can we answer that tomorrow after  
23 | we have dinner together tonight?

24 | (Laughter.)

25 | DR. MISBIN: You can answer it tomorrow, but



1 | you won't have any more information.

2 |           DR. CRITCHLOW: I think you have to pose a  
3 | range of possible incidences of 1 in whatever, 1,000, up to  
4 | 1 in 1 million and just say, given this range, this is what  
5 | you would expect.

6 |           DR. MISBIN: Well, at best 1 in 2,000.  
7 | Anything other than that would be totally irrational. 1 in  
8 | 2,000 would be the estimate.

9 |           DR. CRITCHLOW: In the absence of any  
10 | information, all you can do is --

11 |           DR. MISBIN: To exclude that with any  
12 | confidence would be an enormous number of patients.

13 |           DR. BONE: Dr. Levitsky, comments on should the  
14 | labeling of the class address the troglitazone issue, and  
15 | if so, how? And secondly, what about monitoring?

16 |           DR. LEVITSKY: I agree with the two gentlemen  
17 | who just spoke, and I'll have to let the statisticians work  
18 | with us to deal with how that plan is going to be carried  
19 | out so you know when to stop.

20 |           DR. HIRSCH: Can I just make a little comment?

21 |           DR. BONE: Dr. Hirsch wishes to make a comment.

22 |           DR. HIRSCH: This is obviously not going to be  
23 | an uncommon thing to have a drug which is purposely -- I  
24 | don't know what this was -- but there will be drugs that  
25 | are purposely engineered to do the same job that other

1 | drugs do, but don't have an adverse effect, a common  
2 | adverse effect. With NSAIDs this is a big, big issue.

3 |           So, as you think about this, it's not so bad,  
4 | for me at least, to keep in my mind if some NSAID came  
5 | along now and it was purposely made to not have GI  
6 | irritation or something, would I or would I not say  
7 | something about drugs of this class, et cetera. So, we're  
8 | getting into that kind of arena here, and there are some  
9 | recent experiences out there that can help us with whether  
10 | we should or shouldn't say this.

11 |           DR. BONE: It's really show time now for the  
12 | hepatologists.

13 |           (Laughter.)

14 |           DR. BONE: We get the final distillation except  
15 | for comments about post-marketing studies, which will be  
16 | the final go-round.

17 |           DR. SEEFF: This is tougher than it was last  
18 | time around.

19 |           The evidence is that there is little toxicity  
20 | here, but I am convinced that given the history of what we  
21 | have faced up until now, that it is mandatory for us to at  
22 | least monitor this for a particular period of time. Again,  
23 | I recognize the fact that in the previous drug most of the  
24 | information came in the post-marketing period, and  
25 | therefore I think it's appropriate for us at least to keep

1 | an eye on this.

2 |           I don't know how to calculate it if you don't  
3 | have an incidence. It would be wonderful if we could say  
4 | we needed to follow for a year or 30,000 patients and that  
5 | will give us the answer. We may just have to choose  
6 | something that seems reasonable. But I do wish to state  
7 | that we should revisit at the end of the period of time  
8 | that we have set, and if there is no evidence whatsoever  
9 | that there has been toxicity, I think at that point we can  
10 | stop or we can change our minds.

11 |           But for the moment I believe it should be  
12 | monitored and that the information about troglitazone  
13 | should be in the label and the information about this  
14 | particular drug should be in the label, indicating that in  
15 | comparing the two, this is far lower, but because of the  
16 | history of the past drug, that we need to do something  
17 | about it.

18 |           I would like to monitor at the same interval  
19 | that we've decided to monitor individuals who are receiving  
20 | troglitazone, and if I remember correctly, we were at that  
21 | point monitoring at monthly intervals for the first 8  
22 | months and then at 2 monthly intervals thereafter. I don't  
23 | know if a change took place after our previous discussion,  
24 | but if it hasn't, I think at least --

25 |           DR. SOBEL: I can comment. After the first

1 year, it's more discretionary periodically. But everything  
2 else is as you said.

3 DR. SEEFF: So, I would follow the plans that  
4 we have for troglitazone.

5 DR. BONE: Dr. Lewis.

6 DR. LEWIS: Let me ask the agency whether  
7 there's any precedent for removing monitoring from  
8 labeling. Once it's in, has it ever been dropped?

9 DR. BILSTAD: I don't recall any situations  
10 right now, but it certainly is possible that we can do  
11 that. We constantly look at labeling to see whether it's  
12 still relevant, and if, based on new information, we think  
13 that it should be changed, we certainly would do that.

14 DR. SOBEL: A recent example that you probably  
15 are aware of is the statins which established a good track  
16 record, and we've altered the labeling considerably,  
17 monitoring not only about liver. We started with a rather  
18 severe position on the lens as far as cataracts. When we  
19 gained reassurance that that did not occur, that was  
20 dropped. These are just two instances in my division that  
21 have occurred within the past several years. So, don't  
22 think that once we've established the monitoring, it  
23 becomes an ingrained habit.

24 DR. LEWIS: Well, as far as the class  
25 information, it's what I said before. I think the

1 troglitazone information should be there, what we know  
2 about this drug should be there with the statement that  
3 there have been no -- we don't know if it's going to cause  
4 fulminant hepatitis. That's the only statement you can  
5 make. There hasn't been any and there wasn't any signal.  
6 So, that's about all you could say. But I think as a class  
7 -- there's only one chair in the room at the moment, but  
8 there's going to maybe two more added to that. You could  
9 certainly do that.

10 I would stand by what I said before about the  
11 monitoring. If you do pretreatment enzymes and they're  
12 elevated, those individuals need to be monitored on a  
13 clinical basis with whatever frequency the clinician  
14 believes is appropriate. I don't know that monthly is  
15 going to do it. Tacrine is every 2 weeks. If you're  
16 looking for something rare and idiosyncratic, we don't even  
17 have a signal that any of the enzymes occurred within a  
18 certain monthly period to know what the correct monitoring  
19 would be.

20 There will be individuals and clinicians who  
21 may want to monitor at their own discretion knowing about  
22 troglitazone even if monitoring is not stated as one of the  
23 labeling requirements.

24 DR. MISBIN: I just wanted to ask a  
25 clarification. The troglitazone label currently precludes

1 | the use of the drug in patients that have elevated  
2 | transaminases of, I think, 1.5. I gather from what you're  
3 | saying, you would not have that preclusion here. Is that  
4 | correct?

5 | DR. LEWIS: I would not preclude it. Those  
6 | individuals should be watched. Even though there was no  
7 | signal in that group either, they can be monitored as we  
8 | would monitor anybody with underlying hepatic dysfunction  
9 | because we don't know.

10 | DR. MISBIN: The rest of the committee feels  
11 | that as well?

12 | DR. SEEFF: Not only monitored, they should be  
13 | diagnosed. They've got an abnormal enzyme and you've got  
14 | to find out what the cause of that is.

15 | DR. MISBIN: It's not something the FDA  
16 | ordinarily does.

17 | DR. SOBEL: This is not a burning point, but  
18 | we're speaking of class labeling as of this early part in  
19 | the evolution of this field. I don't know if this class  
20 | labeling issue, once we have enough experience to show us  
21 | that chemical structure and lack of demonstration in the  
22 | clinical trials has been reassuring, whether this is going  
23 | to be forever. Every member of the class which may be  
24 | introduced may not have to go through this initiation. But  
25 | we're really very young in the field right now, so I think

1 | the class labeling is really quite specific to what's going  
2 | on in a rapidly evolving field.

3 |           DR. BONE: Dr. Hirsch had commented that he  
4 | would suggest labeling recommend against use in patients  
5 | with preexisting abnormal liver enzymes. Dr. Lewis has  
6 | said that he would not take that view necessarily. And Dr.  
7 | Seeff, I believe, also took the same position but endorsed  
8 | that we should figure out why their enzymes are elevated,  
9 | and Dr. Lewis agreed with that.

10 |           We have three other people who have already  
11 | commented here but didn't address the specific issue of  
12 | whether or not the drug could be used in patients with, let  
13 | us say, mild abnormalities of their liver enzymes,  
14 | particularly ALT, prior to starting treatment. I'll just  
15 | ask each of you to comment on that. Dr. Genuth?

16 |           DR. GENUTH: Well, at this point with the  
17 | relatively little knowledge we have, I would leave that to  
18 | the discretion of the physician, having given the physician  
19 | as much information as we can in the PDR.

20 |           Can I ask Dr. Sobel about a situation much  
21 | closer to home than NSAIDs? Is the FDA thinking about any  
22 | changes in the labeling for sulfonylurea drugs in light of  
23 | the UKPDS results?

24 |           DR. SOBEL: Yes, we are. We feel that the  
25 | reassurance provided by that should lead to a change in the

1 | labeling, and we have been discussing that internally, that  
2 | the sulfonylurea class, which got mostly stigmatized by the  
3 | UGDP, we now have countervailing evidence that is of  
4 | sufficient magnitude to remove that. But that will take  
5 | internal discussion.

6 |           DR. BONE: With regard to initiation of therapy  
7 | in patients with preexisting elevation of liver enzymes.  
8 | Dr. Molitch.

9 |           DR. MOLITCH: With less than threefold  
10 | elevation of liver enzymes I think we're talking about,  
11 | then I would not preclude its use if there are no obvious  
12 | cause, other than probable fatty liver. It's also  
13 | comforting to note that within a 30-year period, we'll be  
14 | able to get rid of the transaminases for this, if  
15 | necessary.

16 |           DR. BONE: Thank you.

17 |           Dr. Levitsky, do you want to comment on the use  
18 | in patients who have enzyme abnormalities prior to  
19 | initiation of treatment?

20 |           DR. LEVITSKY: My personal small anecdotal  
21 | experience with that other drug was that the diabetes  
22 | steatosis -- the enzymes often dropped when you gave them  
23 | the medication. So, I wouldn't want to deprive people  
24 | whose enzyme levels were only not more than 3 times normal  
25 | of the drug.



1 DR. BONE: All right. Thank you.

2 Let's see. We're talking about the class  
3 labeling. How should we do it and what about monitoring?  
4 Ms. Killion?

5 MS. KILLION: My thoughts are that because of  
6 the way the problems with troglitazone became evident, I  
7 think that patients would benefit from a very cautious  
8 approach. I think that's desirable, but I think you also  
9 have to weigh that with practical considerations and what  
10 you're likely to get. I know that previously the liver  
11 testing was -- I think the quote was -- abysmal. And I  
12 think that's due to a lot of reasons. It's inconvenient  
13 for the patients to come and get the test. They don't want  
14 to do it. It's whatever. There's a lot of reasons for it.

15 So, I think we ought to have some kind of  
16 information that says that due to the toxicity that was  
17 evident in this class of drugs, that you ought to have the  
18 initial profile done. If you have elevated enzyme levels,  
19 you should have some kind of heightened monitoring. And if  
20 you have normal enzyme levels, that you should have  
21 probably less frequent monitoring done to ensure that those  
22 levels are maintained. So, I think that's a fairly  
23 practical approach. It's probably not the most cautious,  
24 but I think that it's probably what you'll get.

25 DR. BONE: Thank you.

1 Dr. Illingworth.

2 DR. ILLINGWORTH: I agree that I think the  
3 labeling should include mention of what's known about  
4 troglitazone.

5 I think it's fair also to say that this may not  
6 be a class effect. The metabolism of troglitazone goes  
7 through the C3A4 and rosiglitazone goes through the C28 and  
8 9. So, this may be a different metabolism.

9 But I think without further data, we still need  
10 to endorse liver monitoring. I would probably, given the  
11 data I've seen presented in our background and today, be  
12 comfortable with a less frequent than a once a month for  
13 the first 8 months frequency. Perhaps once a month for 3  
14 months, then once every 2 months for 6 months, and then  
15 once every 3 months. That's probably going to be frequent  
16 enough. But if the patient went on the drug for a longer  
17 time, they'll get more reassured and the doctor will too.

18 I think also -- and this came up before -- the  
19 need for patient education about what are the symptoms of  
20 liver toxicity. That needs to be very, very apparent. So,  
21 patients are informed these are things to look out for, and  
22 perhaps the patient should be given a flow sheet  
23 underscoring their need to monitor and then get feedback on  
24 what the blood test results are.

25 I agree with the hepatologists that I don't

1 think preexistent moderate increase in transaminases should  
2 be an exclusion, but I also would strongly endorse find out  
3 why the liver enzymes are high. You know, just assume you  
4 have hemochromatosis that caused their diabetes he hasn't  
5 even recognized. But I would certainly say more frequent  
6 monitoring would be appropriate in patients who have mild  
7 abnormal liver enzymes at the start. And I would agree if  
8 it's more than 3 times normal, they shouldn't be on the  
9 drug and find out why.

10 DR. BONE: Dr. Hammes?

11 DR. HAMMES: I agree with most of what has been  
12 said here.

13 I want to give one little example, though,  
14 about a problem with class labeling of drugs. This was a  
15 personal situation we had a few weeks ago where we needed  
16 to give a patient a sulfonamide diuretic, acetazolamide,  
17 for a brain cerebral reserve study. And there's a class  
18 labeling clause in there that gives a precaution of  
19 allergies to other sulfonamides. This patient really  
20 needed this study and everybody was really nervous about  
21 giving him acetazolamide.

22 So, I spent the best part of an afternoon with  
23 the drug information center folks trying to find out what  
24 the significance of this reaction was in this case. And  
25 all we could find was one single case report many years

1 | ago, and we just wasted a whole afternoon and the patient  
2 | almost didn't get a study he needed.

3 |           So, I think if you do this kind of thing in  
4 | class action labeling, it's imperative that you give the  
5 | clinician some idea of what the magnitude of this problem  
6 | is. And in the case of the drug we're talking about today,  
7 | there really is no problem, and that needs to be indicated  
8 | there. In the case of the troglitazone, it was relatively  
9 | minor, and that should be part of it.

10 |           DR. BONE: Dr. Critchlow.

11 |           DR. CRITCHLOW: I have nothing to add to the  
12 | previous two speakers.

13 |           DR. BONE: Thank you.

14 |           I think that it is inevitable that we will have  
15 | some reference in the labeling of other drugs of this class  
16 | to the experience with troglitazone, and I think it is  
17 | appropriate to distinguish between drugs which have not had  
18 | this problem and troglitazone in that labeling, along the  
19 | lines previously discussed by several other members. I  
20 | think if it turned out that we had two different kinds of  
21 | problems, as I mentioned earlier with troglitazone, and one  
22 | was a truly rare, idiosyncratic problem, we are not at the  
23 | same level of certainty that we would like to be able to  
24 | say that it couldn't occur with these other drugs.

25 |           It seems to me that we have experience with

1 | drugs that have a rate of idiosyncratic, rare, catastrophic  
2 | reactions at the rate of perhaps 1 in 10,000. Certain  
3 | drugs come to mind, and the only way we're going to get  
4 | information of that kind of rare phenomenon is to have good  
5 | post-marketing data.

6 |           I take Dr. Lewis' point that if it weren't for  
7 | the prior experience, we wouldn't even consider any  
8 | requirements for monitoring, and I think that one also  
9 | takes Dr. Seeff's point that in a certain sense we cannot  
10 | ignore that context until we've got a high level of  
11 | certainty for a number of reasons.

12 |           It seems to me that we're a little bit torn  
13 | here about what to do about monitoring. To a certain  
14 | extent, an excessively burdensome monitoring program may  
15 | actually, as Ms. Killion pointed out, interfere with the  
16 | use of the drug. We may actually do more harm than good if  
17 | it turned out we didn't have a problem by making the  
18 | monitoring so burdensome that people refuse to use a drug  
19 | that might benefit them. So, we have to recognize that  
20 | it's not a free lunch, never mind issues of cost and  
21 | convenience and other practical issues, but we may actually  
22 | have an adverse effect in a sense for monitoring.

23 |           But it strikes me that the issue of potential  
24 | rare but serious events with this class is only going to be  
25 | put to bed rapidly if we do have a very intensive post-

1 | marketing surveillance program of some kind. For that  
2 | reason, I would say that a recommendation for periodic  
3 | monitoring along the lines several people have previously  
4 | discussed, with special concern in patients with prior mild  
5 | abnormalities, is probably what we're going to have to do.  
6 | I think in a way it may turn out that it will have been a  
7 | pity if we did that in a situation where the drug simply  
8 | had no problem, as appears to be the case here, but the  
9 | greater pity would be probably not to do it if it turns out  
10 | that there is a less common problem, but one that could  
11 | have been identified earlier in the clinical course of  
12 | using the drug.

13 |           This is a little bit of being tarred with the  
14 | other drug's brush, but I think there are so many issues  
15 | related to this, somewhat reluctantly I will recommend that  
16 | we do use periodic monitoring along the lines, frequently  
17 | if there are prior abnormalities, somewhat less frequently  
18 | -- not as much really -- I mean, there's a mixed purpose to  
19 | this. One is for the protection of the individual patient,  
20 | and the other is to accelerate detection of a problem if we  
21 | have it.

22 |           In a way, that's not the best use of patient  
23 | monitoring in a sense. That's trying to solve one kind of  
24 | problem with another kind of tool. What we come up with in  
25 | the way of recommendations for post-marketing surveillance

1 and studies I think would influence the importance of the  
2 individual surveillance. There's an interaction there. In  
3 a way, there shouldn't be, but I think it's inevitable that  
4 there's an interaction between individual surveillance and  
5 how quickly we develop a higher level of confidence about  
6 rare events for this drug and perhaps for others in the  
7 class.

8 So, that's a long, complicated answer.

9 Now we're going to return to question 5 on  
10 today's original agenda which is another short essay  
11 question, and I'll try to be shorter myself next time. If  
12 rosiglitazone were to be approved for marketing, do you  
13 have any recommendations for phase 4 (post-marketing)  
14 studies?

15 Dr. Bilstad?

16 DR. BILSTAD: I just wanted to make a comment.  
17 In view of the comments that were made previously about use  
18 in the pediatric age group, I would have, of course,  
19 encouraged the committee to address that issue here,  
20 including the issue of, if you were to recommend studies,  
21 down to what age would you recommend that the studies go.

22 DR. BONE: Thank you. We will address that.

23 Let's start with Dr. Molitch.

24 DR. MOLITCH: I think I'll leave the pediatric  
25 questions to the pediatricians and perhaps Dr. Hirsch as

1 well.

2 But I'm interested in some of the other issues  
3 that I think could be addressed in some relatively short-  
4 term studies to try to get a better handle on some of the  
5 complications so that either they're present and  
6 significant or not present and we can do away with them.

7 I'm interested in the decrease in blood  
8 pressure that can occur and what the mechanism may be. Is  
9 there increase in circulating catecholamines that may occur  
10 secondarily to that that may contribute to arrhythmias?  
11 And I think this is an area that can be looked at with a  
12 relatively short-term, relatively small numbers of  
13 patients.

14 I've alluded before to this issue of  
15 amenorrhea, and I think following women off birth control  
16 pills, a certain number of people, looking at ovulatory  
17 status I think would be something that would be very  
18 helpful to know so that we could either address it as a  
19 problem or get rid of that as well.

20 I think finally the issue of the mechanism of  
21 fluid retention, as to whether that's simply all secondary  
22 to this decrease in peripheral resistance and activation of  
23 renin angiotensin, aldosterone I think is again something  
24 that can be addressed with some mechanistic studies,  
25 relatively short-term in small numbers of people.



1 DR. BONE: Thank you.

2 Dr. Levitsky.

3 DR. LEVITSKY: Well, I guess I should address  
4 the pediatric study that I've been thinking about while  
5 I've been listening to everyone else. I think there are a  
6 number of issues that should be addressed in a pediatric  
7 study, and a beginning age somewhere around 8, 9, or 10 I  
8 think is about as early as type 2 diabetes of the classic  
9 non-MODY type as has been described. So, that would be  
10 fine. Up through 18 would be a fine age range.

11 I think the issues that I would like to see  
12 addressed would be the issue of body composition studies  
13 during puberty so that children should be followed to see  
14 whether there are different changes in body composition  
15 with puberty. These children who may be living on soda pop  
16 and Fritos -- potato chips -- we won't use any brand names  
17 -- probably will need to be followed to see whether their  
18 issues with anemia may be worse. And the issue of puberty  
19 becomes important because if they're felt to have a mild 3-  
20 beta-HSD block, well, that's something that's seen in young  
21 women who are anovulatory and look like they have PCOS. It  
22 can be easily monitored by following DHEA and DHEAS. It  
23 may also interfere with male puberty as well. So, I think  
24 that that will have to be looked at fairly closely.

25 Yes, I think body composition, puberty, and

1 anemia were the big issues, aside from diabetic control,  
2 obviously, which I thought should be focused on.

3 DR. BONE: Thank you.

4 DR. BILSTAD: Henry?

5 DR. BONE: Dr. Bilstad.

6 DR. BILSTAD: In the comments that were made  
7 earlier on monitoring liver function, there were some  
8 comments about size of patient population to get a certain  
9 degree of assurance that there was or was not a problem.  
10 It implied that there was thinking of some sort of post-  
11 marketing surveillance study of a certain number of  
12 patients as opposed to simply labeling the drug and waiting  
13 for the spontaneous reporting system to produce cases.

14 So, I guess I would like to urge the committee  
15 to address the question of do they really believe that  
16 there should be a post-marketing phase 4 study specifically  
17 looking at the incidence of adverse liver effects. I think  
18 it would be helpful if the committee did specifically  
19 address that question.

20 DR. BONE: I'm sure several of us are planning  
21 to.

22 DR. BILSTAD: Okay.

23 DR. BONE: Dr. Molitch, did you wish to address  
24 that yourself?

25 DR. MOLITCH: Just to come back to that issue,

1 I think that we came up with a figure of something like 35  
2 total cases out of somewhere close to a million patients  
3 having received the medication. So, it's hard to imagine a  
4 post-marketing study that's going to include that number of  
5 people for a drug that's going to have, presumably, a  
6 smaller incidence of effects as what our hypothesis is.  
7 So, I suspect we are going to have to rely upon Medwatch or  
8 some spontaneous reporting. There's no way that we can  
9 have an accurate surveillance of over a million people  
10 getting the drug I would think.

11 DR. BONE: Would either of our hepatological  
12 consultants have a specific recommendation about post-  
13 marketing studies as opposed to the surveillance mechanism  
14 earlier discussed?

15 DR. SEEFF: Well, the surveillance program is  
16 itself a sort of a post-marketing study, isn't it? If we  
17 talk about an endpoint to that and a reassessment at the  
18 end of that time, that is almost like a study. So, I sort  
19 of see that as a post-marketing study in a way, although I  
20 know it's difficult once you write something in the label.

21 The other thing that is obvious is that all the  
22 other populations that were not studied in sufficient  
23 quantities, African Americans, Native American Indians,  
24 women -- well, women have been studied -- children -- and I  
25 can't tell you how far down to go. There is precedence for

1 a difference in racial response. I'm sure you're aware of  
2 the fact that -- I'm sorry to bring this back to hepatitis  
3 C, but that's what I do -- there's a difference in efficacy  
4 of treatment between African Americans and caucasians. So,  
5 here's a response that differs by race. So, I think it's  
6 important for us to make sure that all segments of the  
7 population are included in the studies and learn more about  
8 the effect in other population groups.

9 DR. BONE: Thank you.

10 Dr. Lewis, do you have recommendations about  
11 post-marketing studies specifically?

12 DR. LEWIS: I mentioned it would be interesting  
13 to use this drug in a situation in the NASH patients, not  
14 necessarily diabetics. We've heard about some other off-  
15 label uses perhaps for polycystic ovaries and some other  
16 uses that will come up.

17 DR. BONE: Thank you.

18 Comments about post-marketing studies, Ms.  
19 Killion?

20 MS. KILLION: I don't really have anything to  
21 add to that. Thank you.

22 DR. BONE: Thank you.

23 Dr. Illingworth?

24 DR. ILLINGWORTH: Well, I think there's a need  
25 for some long-term follow-up of patients in a defined

1 setting. I like the suggestions that Dr. Misbin had  
2 comparing, in a well-designed clinical trial, patients  
3 given rosiglitazone, metformin, or the combination of both  
4 drugs, and looking at basically 3 to 5 years of follow-up,  
5 looking at renal function, looking at progression of  
6 atherosclerosis, and obviously not ignoring other risk  
7 factors. If somebody develops hypercholesterolemia, don't  
8 not treat it because they're in a study. So, there needs  
9 to be some flexibility in those studies.

10 As I mentioned before, premenopausal women  
11 haven't been adequately studied. That's a population that  
12 needs to be further addressed.

13 PCO was covered. I think that needs to be  
14 looked at.

15 I think there's also a need to look at patients  
16 with subtle renal disease such as nephrotic syndrome. The  
17 drug is bound to serum albumin. Is it safe to use in  
18 patients with nephrotic syndrome? Does it work? It's a  
19 common complication of diabetes and that would be a  
20 population to look at. A small number of patients well  
21 followed could give that information.

22 I think in a larger study, as well as following  
23 microalbuminuria, evidence of renal progression, eye  
24 examinations, it would be nice to follow also detailed  
25 assessments of body weight, where are the excess calories

1 | being deposited or where is the excess fat being deposited,  
2 | and compare those, and see do you progressively increase  
3 | beyond a year, or do you reach stabilization. Those kinds  
4 | of issues could be well addressed in a well-designed  
5 | clinical trial.

6 | DR. BONE: Dr. Hammes.

7 | DR. HAMMES: I go along with Dr. Illingworth's  
8 | statement completely. I'd just like to add to it that I  
9 | think we have the opportunity here to further elucidate the  
10 | role of the elevated insulin levels and C-peptides and  
11 | things like that which is a little controversial, as was  
12 | mentioned this morning. This drug gives us the opportunity  
13 | to study that in a little more detail.

14 | DR. BONE: Dr. Critchlow.

15 | DR. CRITCHLOW: I echo previous comments but  
16 | also want to re-emphasize Dr. Molitch's earlier questions  
17 | and concerns about looking in more detail at efficacy in  
18 | patients that might be at higher risk due to presence of  
19 | other comorbid conditions. That wasn't, I don't think,  
20 | quite adequately dealt with earlier in the discussion. And  
21 | that would also bring into play further examination of drug  
22 | interactions, issues along those lines.

23 | Then in terms of numbers of patients that might  
24 | be studied in terms of elucidation of rare events, it's  
25 | hard to know what appropriate flags or red flags would be.

1 | But, say, the incidence of something is 1 in 2,000, it  
2 | means you still you have to study 20,000 patients to see 10  
3 | cases, which at that point you might be where someone  
4 | starts to pay attention. So, that in and of itself is a  
5 | large undertaking.

6 | DR. BONE: Thank you.

7 | Let's see. Dr. Genuth, any additional comments  
8 | regarding post-marketing studies?

9 | DR. GENUTH: Yes. Some things I think are sort  
10 | of obvious, and the sponsor may already have these on the  
11 | drawing board. But I think we need relatively short-term  
12 | -- say, 6 months -- combination therapy studies. We need  
13 | to study rosiglitazone plus a sulfonylurea drug versus each  
14 | drug as monotherapy. We need the same thing using  
15 | repaglinide and rosiglitazone and insulin and rosiglitazone  
16 | so that we know for sure that we get similar efficacy as  
17 | has been demonstrated with troglitazone in those instances,  
18 | except repaglinide I guess I haven't seen in the literature  
19 | yet. So, I think those should be done quite promptly, and  
20 | they don't have to be long because I think it's just a  
21 | question of efficacy of lowering glucose.

22 | As far as the liver is concerned, I'm trying to  
23 | think of a study that would be better than the Medwatch.  
24 | It's very difficult. I think maybe it would be better for  
25 | the committee and the FDA to kind of think about if we're

1 | wrong and if this drug does eventually cause hepatic  
2 | failure in a small number of patients, how large a signal  
3 | would you require before sort of reconvening and  
4 | rediscussing the whole class? Maybe some thought ought to  
5 | be given to that by the experts. I don't know the number  
6 | other than to pull one out of the air, but it ought to be  
7 | less than 40, whatever the number is.

8 | DR. BONE: Dr. Hirsch, comments on post-  
9 | marketing studies.

10 | DR. HIRSCH: Yes. I can't see any way to do a  
11 | post-marketing study without the liver damage because of  
12 | all the problems that have been raised. So, I think even  
13 | though surveillance is not as a fine a tool for examining  
14 | this, I think we're going to have to rely on that.

15 | However, I do think post-marketing studies of  
16 | comparisons of monotherapy has been suggested; that is,  
17 | studies in children at puberty and adolescence and also in  
18 | adults comparing the rosiglitazone with other drugs would  
19 | be a very valuable thing. I think the issue of the obesity  
20 | should be looked at very carefully in all three groups,  
21 | that is, in pubertal children, in adolescents, and in  
22 | adults, and whether in fact fat is laid down, but  
23 | particularly in the pubertal children and the adolescents.

24 | I do agree that some more cardiovascular  
25 | studies are needed along the lines that probably the



1 | sponsor is already undertaking to examine the renal effects  
2 | of this and the pathogenesis of this vascular change or  
3 | whatever it is that causes the edema.

4 | DR. BONE: Dr. Genuth?

5 | DR. GENUTH: Can I just add one thing to those  
6 | short-term comparator studies? I agree with Dr. Misbin.  
7 | They should be designed so that patients, who have not been  
8 | doing well on some previous form of therapy, are put on a  
9 | placebo and allowed to be very hyperglycemic for more than  
10 | a couple days. I think that's both ethically very  
11 | questionable now, but also it gets in the way of the final  
12 | evaluations because the more patients you have to drop out  
13 | for lack of efficacy, the more confounding you have in your  
14 | final evaluations. So, I think the design of those studies  
15 | should really be scrutinized very carefully by the FDA  
16 | before they are allowed to begin.

17 | DR. BONE: Thank you.

18 | I might add that I would be inclined to study  
19 | adolescents before children. I don't know if the  
20 | pediatricians would agree, but it sort of seems logical to  
21 | me to work our way down the chronological ladder rather  
22 | than start with younger children first.

23 | I certainly would endorse the recommendations  
24 | for mechanistic studies.

25 | It seems to me that if the sponsor can devise a

1 way of improving the efficiency of post-marketing  
2 surveillance for their particular situation, they would be  
3 able to do themselves and the field an awful lot of good.  
4 Sort of a rough calculation in my mind is that if we had  
5 100,000 to 200,000 people followed through 6 months and  
6 didn't see any apparent drug-related, catastrophic liver  
7 problems, I think we'd be pretty comfortable. If we had a  
8 rate of 1 in 10,000, that would give us 10 to 20 cases,  
9 presumably, if they were all captured.

10 The issue would be the reporting rate. Now,  
11 there was a lot of discussion at the previous meeting about  
12 how efficiently cases are reported, and people had  
13 different views about whether these very serious cases have  
14 a certain reporting rate or a lower reporting rate. But it  
15 seems to me that this is -- I don't have the idea right  
16 here about exactly how to do this, but it strikes me that  
17 with a lot of attention to making that relatively efficient  
18 as sort of a proactive surveillance, rather than a passive  
19 approach to the surveillance in this situation, the  
20 efficiency might be improved quite a bit. If that were the  
21 case, then this becomes something where the manufacturer  
22 would not be very far into their marketing experience  
23 before they could have some very reassuring data.

24 I see no reason why this kind of surveillance  
25 could not be collaborative with the sponsor of the drug

1 | we're discussing tomorrow, if it turns out they're more or  
2 | less in the same boat, because the issue will very likely  
3 | turn out to be not either/or, but is troglitazone the  
4 | outlier.

5 |           So, those are some comments on this, and  
6 | there's a tremendous, I would think, interest on the part  
7 | of sponsors of the other drugs to really get the best  
8 | possible information as quickly as possible to confirm this  
9 | distinction which appears to be legitimate on the basis of  
10 | the data we have so far.

11 |           DR. LEWIS: You're going to have to define your  
12 | outcomes, though. If you get threefold elevations, what do  
13 | you do with that?

14 |           DR. BONE: I think we're talking about looking  
15 | for patients with these very severe episodes.

16 |           DR. LEWIS: That you pick up on whatever  
17 | frequency of the monitoring that may be done. It has to be  
18 | given a lot of thought.

19 |           DR. BONE: Yes, I know. The problem is, as was  
20 | pointed out to us, that the monitoring was not as helpful  
21 | as we would have liked. It probably caught some of those  
22 | cases before they went on for much longer, but in many of  
23 | those cases, there were these fast-developing cases. It's  
24 | a terrible problem, and I don't think we're going to stay  
25 | here in session long enough to solve it. But I think

1 | that's the line of thinking I just wanted to commend.

2 |           DR. GENUTH: Excuse me. I think that's a very  
3 | important line to pursue and elaborate on a little bit. It  
4 | seems to me that, first of all, the event we're really  
5 | looking for is jaundice, hepatic failure. That's going to  
6 | be more important to count those accurately than the  
7 | greater than 3 times the upper limit of normal of ALT. I  
8 | think we need to know about the hard events as accurately  
9 | as possible.

10 |           I wonder if that can't be done by design  
11 | through managed care organizations or through HCFA so that  
12 | some kind of alliance is created between the company, a  
13 | large enough number of managed care organizations that you  
14 | can assemble pretty quickly the 100,000, 200,000 patients  
15 | that Dr. Bone is guessing would be enough for 6 months or a  
16 | year. If some real thought was given to finally making  
17 | some health -- I shouldn't say that. If we can use our  
18 | managed care colleagues to a good purpose --

19 |           DR. BONE: It seems to me that an alternative  
20 | way of looking at it would be to consider using some kind  
21 | of an incentive process linking the prescribing and the  
22 | testing. That's an alternative that might be complementary  
23 | or could be used at the same time.

24 |           Well, let me just summarize then, if there are  
25 | no further comments on post-marketing. Oh, excuse me. Dr.

1 Illingworth.

2 DR. ILLINGWORTH: Just one further comment  
3 concerning -- I think we've discussed the lipid changes. I  
4 would encourage some post-marketing studies to define what  
5 the mechanisms responsible for these are and also, as John  
6 Brunzell mentioned, look at what happens in patients with  
7 different lipid disorders, hypertriglyceridemia of a  
8 moderate degree, perhaps some patients with type 3, and see  
9 if you can find are there any patients or any lipid  
10 disorder that shouldn't be on this drug and define better  
11 which patients get a bigger benefit. More likely patients  
12 with hypertriglyceridemia are going to get a bigger benefit  
13 than somebody with fairly normal triglycerides.

14 DR. BONE: Thank you.

15 Further comments on post-marketing studies?

16 (No response.)

17 DR. BONE: Well, just to summarize then, we  
18 only had a vote count on questions 1 and 3, as you know.  
19 The committee unanimously agreed that rosiglitazone was  
20 effective as monotherapy for hyperglycemia in type 2  
21 diabetes mellitus, with all of the committee members  
22 endorsing its use as effective in initial monotherapy but  
23 with several comments to the effect that switching from  
24 another drug that was partially effective wasn't what they  
25 had in mind as equivalent use.

1           In question 1(b), all committee members agreed  
2 that it was effective in combination with metformin.

3           In answer to the various sections of question  
4 2, there was an extensive discussion about issues on  
5 hepatic safety which I think can be fairly summarized as  
6 expressing some pleasure that we did not appear to be  
7 seeing the same kind of problem as we did with troglitazone  
8 but some caution that there may be less common problems  
9 that we can't completely exclude yet.

10           The comments on the lipids expressed some  
11 concern about the rise in LDL cholesterol and discussion  
12 about how this would be taken into account in prescribing.

13           The committee was generally impressed by the  
14 information suggesting that there was an effect of  
15 retention of fluid to expand the extracellular space, which  
16 apparently is the etiology of a decline in hemoglobin and  
17 hematocrit.

18           Additional comments were made about the  
19 possible effects, from a safety standpoint, on ovulation,  
20 gestation, and body composition in children or adolescents  
21 who haven't been studied.

22           Question 3 was the question of whether benefits  
23 outweighed the risk for the use of rosiglitazone in the  
24 treatment of hyperglycemia in type 2 diabetes mellitus.  
25 Question (a) referred to monotherapy, and there was

1 unanimous agreement that the benefits did outweigh the  
2 risks with similar comments about switching therapy as  
3 noted in question 1(a).

4 Question 3(b) was concerned with the risks  
5 versus benefits in combination with metformin and the  
6 committee unanimously agreed that the benefits did outweigh  
7 the risks in that application.

8 There were extensive discussions in response to  
9 question 4 and two related questions on class labeling.  
10 There were extensive discussions about how to handle the  
11 issue of the hepatic toxicity that's been recognized in  
12 troglitazone, and there were a number of recommendations  
13 that that be recognized, but that it not be considered a  
14 class effect, but rather a distinction be drawn between the  
15 other drugs and troglitazone while it is, nevertheless,  
16 incorporated into all of the drug's labeling for the time  
17 being.

18 There were a number of other recommendations  
19 generally along the lines of the safety concerns raised in  
20 question 2.

21 In the subsidiary questions, monitoring was the  
22 other important point, and there were a number of members  
23 who recommended some form of monitoring although there was  
24 considerable discussion about exactly how this could be  
25 done and what the effectiveness actually would be.

1                   In question 5, if rosiglitazone were to be  
2 approved for marketing, do you have recommendations for  
3 phase 4 studies, and the number of recommendations were  
4 also made which generally related to elucidating the  
5 mechanisms of some of the effects that were noted earlier,  
6 the physiological effects, and evaluation of groups that  
7 had not been adequately studied, especially adolescents and  
8 children. And there were some further discussions on the  
9 hepatic issue incorporated into that. I'm certainly not  
10 going to try to recount all of the comments.

11                   I want to thank the sponsor for their  
12 presentation, the agency for their excellent presentation,  
13 the guests and the members of the committee for their  
14 participation. I want to particularly again, as usual,  
15 thank the Advisors and Consultants Staff, and especially  
16 Kathleen Reedy, the Executive Secretary, for the very hard  
17 and effective work that's done in preparing for these  
18 meetings.

19                   If there are no further comments, anything from  
20 the agency, I think we're adjourned. Thank you very much.

21                   (Whereupon, at 4:55 p.m., the committee was  
22 recessed, to reconvene at 8:30 a.m., Friday, April 23,  
23 1999.)

24  
25