

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

SIXTY-THIRD MEETING  
OF THE  
ONCOLOGIC DRUGS ADVISORY COMMITTEE

8:01 a.m.

Friday, September 17, 1999

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

Kennedy Ballroom  
Holiday Inn  
8777 Georgia Avenue  
Silver Spring, Maryland

## ATTENDEES

## COMMITTEE MEMBERS:

RICHARD L. SCHILSKY, M.D., Chair - for Roferon-A  
Associate Dean for Clinical Research  
Biological Sciences Division  
University of Chicago  
The University of Chicago Medical Center  
5841 South Maryland Avenue, MC1140  
Chicago, Illinois 60637

KAREN M. TEMPLETON-SOMERS, PH.D., Executive Secretary  
Advisors & Consultants Staff, HFD-21  
Food and Drug Administration  
5600 Fishers Lane  
Rockville, Maryland 20857

DOUGLAS W. BLAYNEY, M.D.  
Medical Director, Oncology Program  
The Robert and Beverly Lewis Family  
Cancer Care Center  
Pomona Valley Hospital Medical Center  
1910 Royalty Drive  
Pomona, California 91767

DAVID H. JOHNSON, M.D.  
Director, Division of Medical Oncology  
Department of Medicine  
Vanderbilt University Medical School  
1956 The Vanderbilt Clinic  
Nashville, Tennessee 37232

DAVID P. KELSEN, M.D.  
Chief, Gastrointestinal Oncology Service  
Memorial Sloan-Kettering Cancer Center  
1275 York Avenue  
New York, New York 10021

SCOTT M. LIPPMAN, M.D.  
Professor of Medicine and Cancer Prevention  
The University of Texas M.D. Anderson Cancer Center  
Department of Clinical Cancer Prevention  
1515 Holcombe Boulevard, HMB 11.192c, Box 236  
Houston, Texas 77030

## ATTENDEES (Continued)

## COMMITTEE MEMBERS: (Continued)

KIM A. MARGOLIN, M.D.  
Staff Physician  
Department of Medical Oncology and  
Therapeutics Research  
City of Hope National Medical Center  
1500 East Duarte Road  
Duarte, California 91010

STACY R. NERENSTONE, M.D., Acting Chair - for Taxol  
Associate Clinical Professor  
Oncology Associates, P.C.  
Helen & Harry Gray Cancer Center  
Hartford Hospital  
85 Retreat Avenue  
Hartford, Connecticut 06106

JODY L. PELUSI, F.N.P., PH.D., Consumer Representative  
Cancer Program Coordinator  
Maryvale Hospital  
102 W. Campbell Avenue  
Phoenix, Arizona 85031

DEREK RAGHAVAN, M.D., PH.D.  
Associate Director  
Head of Medical Oncology  
University of Southern California  
Norris Comprehensive Cancer Center  
1441 Eastlake Avenue, Room 3450  
Los Angeles, California 90033

RICHARD M. SIMON, D.SC.  
Chief, Biometric Research Branch  
National Cancer Institute  
Executive Plaza North, Room 739  
Bethesda, Maryland 20892

## ATTENDEES (Continued)

## COMMITTEE CONSULTANTS:

JAMES E. KROOK, M.D.  
Principal Investigator  
Duluth CCOP  
400 East Third Street  
Duluth, Minnesota 55805

KATHLEEN LAMBORN, PH.D.  
Professor  
Department of Neurological Surgery  
University of California, San Francisco  
350 Parnassus Street, Room 805, Box 0372  
San Francisco, California 94143

## COMMITTEE GUEST:

JOHN KIRKWOOD, M.D.  
Medical Oncology, N-758  
University of Pittsburgh  
200 Lothrop Street  
Pittsburgh, Pennsylvania 15213-2546

## PATIENT REPRESENTATIVES:

Kenneth McDonough - for Roferon-A  
North Huntingdon, Pennsylvania

SANDRA ZOOK-FISCHLER - for Taxol  
New York, New York

## FOOD AND DRUG ADMINISTRATION STAFF:

MASSIMO CARDINALI, M.D.  
ROBERT JUSTICE, M.D.  
PATRICIA KEEGAN, M.D.  
PETER LACHENBRUCH, PH.D.  
JAMES O'LEARY, M.D.  
JAY SIEGEL, M.D.  
ROBERT TEMPLE, M.D.  
GRANT WILLIAMS, M.D.

## ATTENDEES (Continued)

## ON BEHALF OF BRISTOL-MYERS SQUIBB:

DON BERRY, PH.D.  
RENZO CANETTA, M.D.  
CRAIG HENDERSON, M.D.  
LARRY NORTON, M.D.  
DAVID TUCK, M.D.

## ON BEHALF OF HOFFMANN-LA ROCHE, INC.:

ANTONIO BUZAID, M.D.  
LONI da SILVA  
SAM GIVENS, PH.D.  
PROFESSOR JEAN-JOCK GROB  
LEON HOOFTMAN, M.D.  
MAURIZIO RAMISIO, PH.D.  
ELIZABETH WASSNER, PHARM.D.

## ALSO PRESENT:

MARGARET VOLPE  
MARISSA WEISS, M.D.

## C O N T E N T S - MORNING SESSION

NDA 20-262/S-033, TAXOL (paclitaxel) Injection  
 BRISTOL-MYERS SQUIBB COMPANY  
 Indicated for the Adjuvant Treatment of  
 Node-Positive Breast Cancer  
 Administered Sequentially to Standard Combination Therapy

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Dr. Karen Templeton-Somers	9
OPEN PUBLIC HEARING PRESENTATION by Margaret Volpe	12
BRISTOL-MYERS SQUIBB PRESENTATION Introduction - Dr. David Tuck	13
Breast Cancer Chemotherapy - by Dr. Larry Norton	16
Intergroup 0148 Results - by Dr. Craig Henderson	31
Concluding Remarks - by Dr. Renzo Canetta	49
QUESTIONS FROM THE COMMITTEE	51
FDA PRESENTATION by Dr. James O'Leary	98
QUESTIONS FROM THE COMMITTEE	108
OPEN PUBLIC HEARING PRESENTATION by Dr. Marissa Weiss	113
COMMITTEE DISCUSSION AND VOTE	117

## C O N T E N T S - AFTERNOON SESSION

BLA 97-1001, ROFERON-A HOFFMANN-LA ROCHE INC. Indicated for Use as Adjuvant Treatment of Surgically Resected Malignant Melanoma Without Clinical Evidence of Nodal Disease, AJCC stage II (Breslow thickness greater than 1.5 mm, NO)	
AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Dr. Karen Templeton-Somers	161
OPEN PUBLIC HEARING	163
UPDATE ON THE PRELIMINARY RESULTS OF EST 1690 (ECOG Intergroup Study of Intron A for the Adjuvant Treatment of Melanoma) - by Dr. John Kirkwood	163
HOFFMANN-LA ROCHE INC. PRESENTATION	
Introduction - by Ms. Loni da Silva	184
Clinical Overview of Malignant Melanoma - by Dr. Antonio Buzaid	185
Data on Roferon-A in the Treatment of Stage II Malignant Melanoma - by Dr. Leon Hooftman	194
QUESTIONS FROM THE COMMITTEE	209
FDA PRESENTATION	
by Dr. Massimo Cardinali	232
by Dr. Peter Lachenbruch	235
QUESTIONS FROM THE COMMITTEE	241
COMMITTEE DISCUSSION AND VOTE	244

## P R O C E E D I N G S

(8:01 a.m.)

1  
2  
3 DR. NERENSTONE: Good morning. I'd like to  
4 thank everybody for coming and starting on time.

5 I'd like to start with going around the table  
6 and introducing the committee members. If we could start  
7 with Dr. Krook.

8 DR. KROOK: Jim Krook, medical oncologist,  
9 Duluth, Minnesota.

10 DR. JOHNSON: David Johnson, medical  
11 oncologist, Vanderbilt University.

12 MS. ZOOK-FISCHLER: Sandra Zook-Fischler,  
13 Patient Rep.

14 DR. PELUSI: Jody Pelusi, oncology nurse  
15 practitioner in Phoenix, Arizona.

16 DR. RAGHAVAN: Derek Raghavan, medical  
17 oncologist, University of Southern California.

18 DR. BLAYNEY: Doug Blayney, medical oncologist,  
19 Pomona, California.

20 DR. NERENSTONE: Stacy Nerenstone, medical  
21 oncologist, Hartford, Connecticut.

22 DR. TEMPLETON-SOMERS: Karen Somers, Executive  
23 Secretary to the committee, FDA.

24 DR. LIPPMAN: Scott Lippman, medical  
25 oncologist, M.D. Anderson Cancer Center.



1 DR. LAMBORN: Kathleen Lamborn,  
2 biostatistician, University of California, San Francisco.

3 DR. MARGOLIN: Kim Margolin, medical oncology  
4 and hematology, City of Hope, Los Angeles.

5 DR. O'LEARY: James O'Leary, medical reviewer  
6 at the FDA.

7 DR. WILLIAMS: Grant Williams, medical team  
8 leader, FDA.

9 DR. JUSTICE: Bob Justice, acting Division  
10 Director, FDA.

11 DR. NERENSTONE: Thank you.

12 Dr. Somers will now read the conflict of  
13 interest statement.

14 DR. TEMPLETON-SOMERS: The following  
15 announcement addresses the issue of conflict of interest  
16 with regard to this meeting and is made a part of the  
17 record to preclude even the appearance of such at this  
18 meeting.

19 Based on the submitted agenda for the meeting  
20 and all financial interests reported by the committee  
21 participants, it has been determined that all interests in  
22 firms regulated by the Center for Drug Evaluation and  
23 Research present no potential for an appearance of a  
24 conflict of interest at this meeting with the following  
25 exceptions.

1 Dr. Richard Schilsky and Dr. Richard Simon are  
2 excluded from participating in today's discussion and vote  
3 concerning Taxol.

4 In addition, in accordance with 18 U.S.C.  
5 208(b)(3), full waivers have been granted to Drs. David  
6 Kelsen, Stacy Nerenstone, William Gradishar, Kathleen  
7 Lamborn, and Ms. Sandra Zook-Fischler, which permit them to  
8 participate in all official matters concerning Taxol.

9 Further, Dr. Kim Margolin has been granted a  
10 limited waiver which permits her to participate in the  
11 committee's discussion of Taxol without voting privileges.

12 A copy of the waiver statements may be obtained  
13 by submitting a written request to the agency's Freedom of  
14 Information Office, room 12A-30 of the Parklawn Building.

15 In addition, we would like to disclose for the  
16 record that Dr. Scott Lippman has an interest which does  
17 not constitute a financial interest within the meaning of  
18 18 U.S.C. 208(a), but which could create the appearance a  
19 conflict. The agency has determined, notwithstanding his  
20 interest, that the interests of the government in his  
21 participation outweighs the concern that the integrity of  
22 the agency's programs and operations may be questioned.  
23 Therefore, Dr. Lippman may participate fully in today's  
24 discussion and vote concerning Taxol.

25 Further, because of Dr. James Krook's and Dr.

1 David Johnson's past interests involving Taxol, the agency  
2 has determined, notwithstanding their interests, that the  
3 interests of the government in his participation outweighs  
4 the concern that the integrity of the agency's programs and  
5 operations may be questioned. Therefore, Dr. Krook and Dr.  
6 Johnson will be permitted to participate in today's  
7 discussion of Taxol without voting privileges.

8 In the event that the discussions involve any  
9 other products or firms not already on the agenda for which  
10 an FDA participant has a financial interest, the  
11 participants are aware of the need to exclude themselves  
12 from such involvement, and their exclusion will be noted  
13 for the record.

14 With respect to all other participants, we ask  
15 in the interest fairness that they address any current or  
16 previous financial involvement with any firm whose products  
17 they may wish to comment upon.

18 Thank you.

19 I'd also like to remind people that Dr.  
20 Gradishar was not able to travel to this meeting because of  
21 the weather. Thank you.

22 DR. NERENSTONE: We are now going to open the  
23 public hearing part of the meeting. We have one speaker  
24 who has been asked, Margaret Volpe of the Y-ME National  
25 Breast Cancer Organization. Ms. Volpe?

1 MS. VOLPE: Good morning. My name is Margaret  
2 Volpe from Y-ME National Breast Cancer Organization, and I  
3 have no financial connections with Bristol-Myers Squibb.

4 Thank you for allowing us to submit this  
5 statement to the committee. I am here today on behalf of  
6 the Y-ME National Breast Cancer Organization to express our  
7 position regarding the potential approval of Taxol  
8 injection for the adjuvant treatment of node-positive  
9 breast cancer administered sequentially to standard  
10 combination therapy.

11 Y-ME National Breast Cancer Organization is a  
12 nonprofit patient advocate organization whose mission is to  
13 decrease the impact of breast cancer, create and increase  
14 treatment awareness, and ensure, through information,  
15 empowerment, and peer support, no one faces breast cancer  
16 alone. We have 26 chapters nationwide, numerous  
17 publications, and several outstanding public education  
18 programs. Y-ME has no financial connection to Bristol-  
19 Myers Squibb Company.

20 The addition of Taxol to the adjuvant treatment  
21 of node-positive women after standard chemotherapy,  
22 doxorubicin and cyclophosphamide, represents a major  
23 advancement in the treatment of breast cancer. The results  
24 of the CALGB study 9344 showed that the addition of Taxol  
25 increased overall survival and disease-free survival rates.

1 Y-ME believes that women and men diagnosed with  
2 breast cancer should have access to as many treatment  
3 options as possible. We believe the approval of Taxol in  
4 the adjuvant setting will add a valuable option.

5 Thank you.

6 DR. NERENSTONE: Thank you very much.

7 Are there other public speakers at this time?

8 (No response.)

9 DR. NERENSTONE: If not, then we'll continue  
10 with the sponsor presentation.

11 DR. TUCK: Thank you. Good morning. I'm David  
12 Tuck from clinical oncology at Bristol-Myers Squibb.

13 We plan to present this morning the data from  
14 the supplemental new drug application for the use of Taxol  
15 for adjuvant treatment of node-positive breast cancer.

16 The initial presentation this morning will be  
17 by Dr. Larry Norton, who will discuss current approaches to  
18 adjuvant therapy for breast cancer. He will be followed by  
19 Dr. Craig Henderson, who will present the results from the  
20 pivotal study Intergroup 0148. Following this, Dr. Renzo  
21 Canetta from Bristol-Myers Squibb will present some  
22 concluding remarks, and then we will accept questions.

23 First of all, I would like to welcome our  
24 external consultants today. All of them had to make  
25 extraordinary travel arrangements to get here today, and we

1 appreciate that. But I would like to mention in particular  
2 the heroic efforts that Dr. Don Berry made to get here from  
3 Houston, driving in all night last night, at least the last  
4 leg, and arriving just a little while ago.

5 Dr. Stephen George, the Director of the CALGB  
6 Statistical Center, also participated in the preparation of  
7 the NDA but was not available today.

8 Dr. Craig Henderson was the study chair for the  
9 pivotal study.

10 And Dr. Larry Norton is the Chair of the CALGB  
11 Breast Committee.

12 The activity of Taxol is well established in a  
13 variety of settings with metastatic disease for breast  
14 cancer. Early in the development, Taxol was shown to have  
15 high response rates in metastatic breast cancer in phase II  
16 trials, including heavily pretreated patients and patients  
17 who had failed anthracycline therapy.

18 In 1994, a large randomized study led to the  
19 initial approval by the FDA of Taxol for the second-line  
20 treatment of metastatic disease using a dose of 175  
21 milligrams per meter squared over 3 hours.

22 In 1998, based on a large randomized trial,  
23 Herceptin was approved to be used in combination with Taxol  
24 using a dose of 175 milligrams per meter squared over 3  
25 hours for the first-line treatment of HER2 positive

1 metastatic breast cancer.

2           The pivotal trial, which is going to be  
3 presented today, is an intergroup trial, INT-0148, which  
4 looked at both doxorubicin dose escalation as well as the  
5 addition of Taxol versus no further therapy as part of the  
6 cyclophosphamide/doxorubicin adjuvant chemotherapy regimen  
7 for node-positive breast cancer.

8           The coordinating group was the CALGB, and most  
9 of the major cooperative groups in the U.S. participated,  
10 including the Eastern Cooperative Oncology Group, the North  
11 Central Cancer Treatment Group, and the Southwest Oncology  
12 Group.

13           A total of 3,170 patients were accrued between  
14 May 1994 and April 1997. This pivotal study then is the  
15 largest randomized trial of chemotherapy in the adjuvant  
16 treatment of breast cancer that has ever been submitted to  
17 the FDA.

18           As you will hear today, the results of this  
19 study show that Taxol, given with standard dosage following  
20 standard chemotherapy, demonstrates significant advantages  
21 in disease-free and overall survival.

22           The safety profile in this setting is  
23 consistent with the large experience accumulated with this  
24 approved dose and schedule.

25           Therefore, we propose the following indication:

1 Taxol administered sequential to standard combination  
2 chemotherapy is indicated for the adjuvant treatment of  
3 node-positive breast cancer.

4 Now I'd like to have Larry Norton discuss  
5 adjuvant chemotherapy.

6 DR. NORTON: Thank you. Good morning. My job  
7 is to sort of introduce the topic by giving some background  
8 and by showing some context. In this regard, I'd like to  
9 start off with the next slide which describes sort of the  
10 basic core kernel of knowledge of what we know at the  
11 present time about the adjuvant chemotherapy of breast  
12 cancer.

13 We know for sure that adjuvant chemotherapy  
14 improves disease-free and overall survival. We know that  
15 the use of multiple agents, so-called polychemotherapy, is  
16 superior in this regard to the use of a single agent,  
17 monochemotherapy. We know that multiple cycles of  
18 administration is superior to a single exposure. This is  
19 largely a single perioperative exposure in some very early  
20 trials. We know that there are no major advantages to  
21 durations of therapy exceeding 3 months, and we know that  
22 the anthracycline combinations are slightly better than  
23 CMF, which is probably the world's most studied regimen,  
24 that the anthracycline combinations are somewhat superior.

25 Now, how do we know all this? We know this



1 clearly from individual large studies, but also from the  
2 worldwide overview that's being conducted based in Oxford,  
3 England every five years. This activity, with which you're  
4 all familiar, puts together all of the investigators in the  
5 world who have done randomized trials, published and  
6 unpublished, for the treatment of breast cancer, as well as  
7 other therapeutic approaches in early disease.

8           Presented here is just a basic summary of some  
9 of the key points for prolonged polychemotherapy, meaning  
10 more than one cycle and involving more than one drug, on  
11 reducing the annual odds of recurrence and death. One of  
12 the really key things from this worldwide activity is not  
13 only putting together the world's experience, but also the  
14 way that the efficacy of therapy is expressed as a  
15 reduction in the annual odds of an event.

16           For example, if you look at the CMF combination  
17 versus no chemotherapy with over 8,000 randomized patients  
18 throughout the world, there's a reduction in the annual  
19 odds of recurrence by 24 percent. That's very  
20 statistically significant, as shown here in yellow, with  
21 this being the standard deviation. So, 2 standard  
22 deviations would be the borderline for significance.

23           Death is reduced by 14 percent per year.

24           Chemotherapy. This plus stands for additional  
25 agents, such as vincristine and prednisone and other such

1 agents, compared to no such therapy, is in the same ball  
2 park of efficacy showing no real advantage.

3           Nevertheless, anthracycline combinations versus  
4 CMF with almost 7,000 patients randomized shows an  
5 incremental benefit for the doxorubicin or other  
6 anthracyclines of 12 percent in recurrence and an  
7 additional decrement in the annual odds of death by 11  
8 percent.

9           A very important observation is that longer  
10 regimens versus shorter regimens of various trials  
11 involving 6,000 patients, that there's no statistically  
12 significant difference between the longer versus the  
13 shorter regimens.

14           Now, how does this translate to the familiar  
15 time to event curves? In this case we're doing the event  
16 being recurrence. If you take a simulated example shown  
17 here in yellow of no therapy being applied in the adjuvant  
18 setting for a patient with very poor risk breast cancer,  
19 relapsing at an average rate of 15 percent per year, you  
20 can see that the curve goes down by about 15 percent with  
21 each year, and at the end of 10 years, you're left with 20  
22 percent of patients free of disease.

23           CMF, if it reduces that 15 percent by 24  
24 percent, leaves you a residual risk of recurrence of 11.4  
25 percent per year, and that graphs out as this magenta

1 | curve.

2 |           AC involving an anthracycline reduces that 11.4  
3 | percent by 12 percent, leaving 10 percent. So, the light  
4 | blue line is 10 percent less each year than the year  
5 | immediately preceding it and that this is the overall  
6 | benefit.

7 |           So, this is how reductions in the annual odds  
8 | translates to time to event curves. We should keep this in  
9 | mind as Craig in a few minutes presents the data for the  
10 | use of paclitaxel in the adjuvant setting.

11 |           Now, we know a few other things which are very  
12 | relevant to planning research and analyzing research. We  
13 | know from CALGB study 8541 that looked at three different  
14 | dose levels of chemotherapy, that Adriamycin doses,  
15 | doxorubicin doses, less than 40 milligrams per meter  
16 | squared are inferior to the now standard dose of 60  
17 | milligrams per meter squared. This study did not go above  
18 | 60 milligrams per meter squared.

19 |           We know from the NSABP study B-22, that  
20 | cyclophosphamide doses greater than 600 milligrams per  
21 | meter squared are not superior, rendering this dose now the  
22 | standard in wide use.

23 |           And we know from the worldwide overview that  
24 | chemotherapy seems more effective in estrogen receptor  
25 | negative than estrogen receptor positive disease. And I

1 say "seems" because the tests for interactions are somewhat  
2 complicated and don't always reach statistical  
3 significance, but there certainly is a trend in that  
4 direction.

5 I'll show you what we mean by that. If you  
6 look at the impact of polychemotherapy versus no  
7 polychemotherapy in young patients under 50, the impact in  
8 patients with estrogen receptor disease is larger than the  
9 impact in patients with estrogen receptor positive disease.  
10 In fact, it's large enough in terms of survival that it's  
11 statistically significant here, but in the ER positive  
12 subset, it's not statistically significant.

13 For patients who are older, 50 and older, again  
14 the same thing is seen. The impact in ER negative disease  
15 is greater than in ER positive disease, and again for  
16 survival, the impact is significant here, but you don't  
17 even see a significant impact on survival for ER positive  
18 disease in the older age group.

19 Now, building upon this data set, where can we  
20 go to improve? These are some of the possibilities for  
21 where we can go, and these were certainly in consideration  
22 in the design of the intergroup study that we're presenting  
23 to you today.

24 One is, can you do better escalating the dose  
25 of the anthracycline? The previous CALGB study stopped at

1 60 milligrams per meter squared.

2 Is there any advantage to integrating new  
3 agents such as other chemotherapy drugs or biological  
4 agents?

5 And if we are going to integrate them, how  
6 should we do so? What is the best way to apply them in a  
7 drug schedule? I will show you in a few minutes a  
8 consideration of one approach which is called dose density  
9 or dose dense sequential therapy.

10 But first, if we are going to integrate a new  
11 chemotherapeutic agent, which one should we use?

12 Well, the four that have recently been approved  
13 for the treatment of advanced breast cancer are shown here.  
14 The first one, of course, was paclitaxel, docetaxel to  
15 following, capecitabine recently, and this not being a  
16 chemotherapy drug, this is the monoclonal antibody directed  
17 to the extracellular domain of HER2.

18 Well, of these, this was the one that was  
19 clearly available and had clearly demonstrated attractive  
20 features at the time that the study was designed in 1991-  
21 1992. So, the data we'll present to you today involves the  
22 use of paclitaxel, but I will show a little later how other  
23 agents are integrated into this overall treatment approach.

24 Why paclitaxel? It's active as first  
25 chemotherapy for stage IV disease with response rates

1 approaching 60 percent in two very carefully done phase II  
2 studies and now universally corroborated in hundreds of  
3 trials throughout the world.

4 It's also active after extensive prior  
5 chemotherapy, including patients whose disease is  
6 refractory to anthracycline. It's not just regression and  
7 regrowth, but flat-out failure of anthracycline response if  
8 their response is to paclitaxel, and overall after  
9 extensive prior disease, response rates as high as 30  
10 percent are seen at the NCI, at Memorial Sloan-Kettering  
11 Cancer Center, and now worldwide in multiple corroborating  
12 studies. So, it seems like a very reasonable drug to use,  
13 especially after standard therapy that may involve an  
14 anthracycline.

15 Now, this demonstrates a simulation of a tumor  
16 that's growing in a curvilinear fashion on a semi-  
17 logarithmic plot, the so-called Gumpertzian curve, and then  
18 responding to various doses of therapy with regression and  
19 regrowth, as you see. Leaving cells behind, even a small  
20 number of cells, one can get rapid regrowth, replenishment,  
21 and eventually recurrence at about 10 to the 11th cells and  
22 death at about 10 to the 12th cells.

23 Well, one concept that certainly has appealed  
24 to many people to try to improve upon this is just to  
25 escalate the dose of the chemotherapy, and that's shown on

1 | the next click where each dose of drug is higher. You get  
2 | more regression with each dose of therapy, but as you can  
3 | see, there's a very interesting biological phenomenon,  
4 | which is that as the tumor gets smaller, it regrows more  
5 | quickly, and that eventual regrowth is such that the  
6 | eventual outcome in terms of relapse-free and overall  
7 | survival can be extremely modest. This can actually  
8 | explain a great deal of data that we're seeing lately in  
9 | terms of the use of very high doses of chemotherapy purely  
10 | on a kinetic basis.

11 |           Now, there is one other approach that makes  
12 | sense and actually from a mathematical modeling view is  
13 | more rigorous, and that's shown on the next slide. The  
14 | next slide shows the standard dose intensity we're using as  
15 | a comparison, but I'll show you here with this simulation  
16 | that we're giving the same dose of drugs, but just pulling  
17 | them closer together in time. This is termed dose density.  
18 | You can see it's the same dose of drug, the same efficacy  
19 | with the first cycle. The second cycle is more efficacious  
20 | because it's given sooner when the tumor is smaller and so  
21 | on, and in this simulation, you actually get eradication  
22 | with four doses of exactly the same chemotherapy, just done  
23 | more closely together in time.

24 |           Now, how does this relate to the current study?  
25 | That's shown on the next simulation where you have two sub-

1 | lines growing, one responsive to one therapy, one  
2 | responsive only to the other. It's certainly seems to be a  
3 | rational, intuitive thing to come in with the other dose of  
4 | drug here because the tumor cells are growing. But you can  
5 | see, when you do that, you are actually spreading the doses  
6 | far apart of both the red treatment for the red cells and  
7 | the white treatment for the white cells, so the dose  
8 | density is very poor for both treatment plans. As a  
9 | consequence of which, both sub-lines are actually grossly  
10 | sub-adequately treated.

11 |           This can be overcome -- next simulation, please  
12 | -- by giving all of this therapy first in a dose dense  
13 | fashion, as we showed in earlier simulations, allowing this  
14 | tumor to grow but then coming in with dose dense therapy  
15 | for these tumor cells and therefore, because it's dose  
16 | dense, causing eradication of the subpopulation. This  
17 | simulation, therefore, shows how sequential therapy is  
18 | actually a form of dose dense therapy.

19 |           Well, this was actually tested prospectively by  
20 | Bonadonna, Buzzoni, and colleagues in a trial in stage II  
21 | breast cancer patients with 4 or more involved axillary  
22 | lymph nodes, involving doxorubicin sequentially with CMF or  
23 | the alternation of CMF with doxorubicin, a carefully  
24 | designed trial where the doses are exactly the same, the  
25 | time between therapy is exactly the same, duration the



1 same. Everything is the same except that this is  
2 sequential, as shown in my second simulation, and this is  
3 alternating, as shown in the first.

4 As predicted by the model, there is superiority  
5 in both relapse-free survival and in overall survival by  
6 the use of the sequential Adriamycin followed by CMF versus  
7 the alternation of the two treatment plans.

8 Well, the CALGB, in preparation for applying  
9 this concept in the stage II setting, first did a pilot  
10 study that was presented by George Demetri at ASCO in '97  
11 in node-positive breast cancer patients. It was a very  
12 large size pilot involving 172 patients with node-positive  
13 stage II or IIIa disease. It involved an escalated dose of  
14 cyclophosphamide -- this is before the B-22 data became  
15 available -- involving G-CSF for actually 5 cycles with  
16 doxorubicin at 75 milligrams per meter squared. This was  
17 obviously a very aggressive treatment program. Following  
18 this, patients received 4 cycles of paclitaxel at 175  
19 milligrams per meter squared as a 3-hour infusion every 3  
20 weeks for 4 doses.

21 Of the 172 patients, 145 reached the paclitaxel  
22 stage, and of those, about 90 percent were able to complete  
23 the paclitaxel. During that period, the only major  
24 toxicities were the grade IV neutropenia in a quarter of  
25 the patients, grade IV thrombocytopenia in 4 percent of the

1 patients, all short-lived toxicities from which the  
2 patients recovered very rapidly with no sequelae.

3 As a consequence of this, this was regarded as  
4 a pilot, and the intergroup study that we'll present to you  
5 today was designed according to this model. It's shown  
6 here and Craig Henderson will show it to you again shortly.  
7 The cyclophosphamide dose was reduced because of data to  
8 600 milligrams per meter squared. That's the  
9 cyclophosphamide dose. The doxorubicin dose was --  
10 patients were randomized between 60, 75 or 90 milligrams  
11 per meter squared, this requiring G-CSF, to test the  
12 concept of dose escalation of the anthracycline. Then  
13 patients were either crossed over or not to paclitaxel at  
14 standard dosage and sequence. Patients with hormone  
15 responsive disease, starting with estrogen responsive and  
16 then changed by amendment to progesterone receptor  
17 positive, received tamoxifen for 5 years thereafter.

18 Well, that trial obviously is going to be  
19 presented to you in a great deal of detail. I just want to  
20 close by showing the relationship between that trial and  
21 other trials that were started before the results of this  
22 trial were available and afterward, just to put it into  
23 global context of where the American cooperative groups are  
24 going.

25 NSABP started their study called B-28 in a

1 | comparable group of patients. They started accruing to  
2 | this trial about 16 months or so after we started accruing  
3 | to the intergroup study that we'll present as the pivotal  
4 | trial today.

5 |           Another major difference between that trial and  
6 | the trial we'll present today is that the dose of  
7 | paclitaxel is higher. It's 225 milligrams per meter  
8 | squared. The trial has an endpoint of survival, so that it  
9 | will require a longer follow-up to give results.

10 | Concomitant tamoxifen was used for hormone receptor  
11 | positive disease for 5 years, and the eligibility was very  
12 | broad, involving all patients with hormone receptor  
13 | positive disease or patients who are over age 50 regardless  
14 | of hormone receptor status, meaning that a much larger  
15 | percentage of the patients received tamoxifen. Because  
16 | this study was started later, because it has a survival  
17 | endpoint, it has finished accruing, but no data is  
18 | available. No analysis has been done, and we do not have  
19 | any information about this trial at the present time.

20 |           CALGB, upon closure of the study, the pivotal  
21 | trial study, opened this study which also now has closed to  
22 | full patient accrual which took the regimen that I've just  
23 | presented to you from our study and compared it with three  
24 | others. One of the other trials that was done using dose  
25 | dense sequential therapy was done at Memorial Sloan-

1 | Kettering by Cliff Hudis, et al. involving doxorubicin,  
2 | followed by paclitaxel, followed by cyclophosphamide, so-  
3 | called ATC. Everything was given every 2 weeks to  
4 | maximized dose density by the use of G-CSF permitting that  
5 | manipulation. So, the intergroup CALGB trial involved this  
6 | regimen and the same regimen given every 2 weeks to see if  
7 | that dose density makes a difference, and this regimen also  
8 | given every 2 weeks the standard way and every 3 weeks  
9 | without the G-CSF, so you have a two-by-two factorial  
10 | design. A very rapidly accruing trial, but much too early.  
11 | No data has been provided on this study at the present  
12 | time.

13 |           Also before the results from the pivotal trial,  
14 | this study was initiated as an intergroup study coordinated  
15 | by SWOG in patients with 4 to 9 positive lymph nodes, stage  
16 | II or IIIa breast cancer, using the ATC regimen in actually  
17 | augmented doses, as was originally done by Hudis, et al.,  
18 | and comparing it to an induction with AC, followed by high  
19 | dose chemotherapy requiring hematopoietic stem cell  
20 | support, STAMP I or STAMP V. This study is about halfway  
21 | completed with its accrual and continues to accrue well.

22 |           Lastly in this category is a trial that's about  
23 | to be coordinated for the intergroup by ECOG that takes the  
24 | same regimen as is in the pivotal trial, AC followed by  
25 | paclitaxel, and also randomizes patients to three other

1 possibilities: paclitaxel done weekly, which is actually  
2 more dose dense, a variety of paclitaxel, and docetaxel  
3 done every 3 weeks and weekly. So, there will be a  
4 comparison of schedule here, as well as comparison of  
5 different taxanes.

6 Now, the last, of course, important thing to  
7 keep in mind is that the integration of biological agents  
8 has long been considered a real possibility for improving  
9 prognosis, and the biological agent we have to work with,  
10 because of approval, is of course trastuzumab, or  
11 Herceptin, the anti-HER2 antibody.

12 Based on the data that led to approval of Taxol  
13 with Herceptin, that integration into the adjuvant setting  
14 is being conducted by a number of trials. The NSABP trial  
15 will involve HER2 positive disease, use the same design as  
16 the pivotal trial that's being presented today, but add  
17 Herceptin during and after chemotherapy for these patients  
18 who have HER2 positive disease in a randomized fashion.

19 The North Central Cancer Treatment Group will  
20 be coordinating an intergroup study that has some other  
21 features, the same basic crossover design involving  
22 paclitaxel alone, paclitaxel alone followed by Herceptin,  
23 or paclitaxel with Herceptin followed by Herceptin, asking  
24 the same basic questions but also asking the question is  
25 the simultaneous exposure to Herceptin an important feature

1 of this particular regimen or not.

2           Lastly the CALGB has designed a two-by-two-by-  
3 two factorial experiment in stage IIIb, or locally  
4 inoperable breast cancer, of AC followed by the weekly  
5 paclitaxel that the North Central Group will be  
6 coordinating, with surgery and radiotherapy to follow, with  
7 three randomizations of the Zinecard or not during AC to  
8 minimize cardiac effects to show, we hope, that the  
9 dexrazoxane does not impede the doxorubicin efficacy in  
10 this setting, Herceptin or not during the paclitaxel, and  
11 then Herceptin or not to complete a year after the  
12 paclitaxel. So, all the critical questions will be  
13 addressed in this particular trial.

14           Hence, this approach, the sequential dose dense  
15 approach, has some real advantages. In the study we're  
16 presenting to you, it integrates paclitaxel, which is  
17 active as a single agent and active post anthracycline.  
18 We'll be showing you data that it significantly augments  
19 the efficacy of chemotherapy in the adjuvant setting.

20           It does so in a way that actually minimizes  
21 incremental toxicity, and as we all know, the combination  
22 of taxanes with anthracyclines can have considerable  
23 incremental toxicity. And we'll demonstrate to you that we  
24 can minimize, truly minimize, that incremental toxicity by  
25 the sequential approach.

1           And the sequential approach also allows the  
2 integration of biological therapies such as Herceptin, as  
3 I've just presented to you.

4           Thank you very much.

5           The next speaker will be Craig Henderson, who  
6 chaired the pivotal trial, and he will be presenting the  
7 data on this trial to you.

8           DR. HENDERSON: Thank you. Good morning. It's  
9 always a pleasure to be able to present and discuss with  
10 this group.

11           This is an intergroup study addressing two  
12 questions, a Taxol and doxorubicin question. It was led by  
13 the Cancer and Leukemia Group B and involved substantial  
14 participation as well by ECOG, SWOG, and the North Central  
15 Group.

16           The study rationale has really been presented I  
17 think quite nicely by Larry. Just to remind you, based on  
18 everything we know, the dose response for doxorubicin may  
19 be steep. Cyclophosphamide, obviously, had been ruled out,  
20 and so we concentrated on doxorubicin dose escalation.

21           We know that Taxol and doxorubicin are not  
22 cross-resistant from a number of studies. So, Taxol was a  
23 logical drug to add here.

24           Finally, sequential use of AC and Taxol allowed  
25 us to evaluate two separate questions, that is, the

1 doxorubicin dose and a promising new drug.

2 Our study objectives then were quite simple:  
3 to assess the effects of three doxorubicin doses, 60, 75,  
4 and 90, in combination with a fixed dose of  
5 cyclophosphamide; and to assess the effects of sequential  
6 addition of Taxol following cyclophosphamide.

7 Now, we very consciously tried to make this a  
8 large, simple trial in many ways, which I think is  
9 increasingly more important. The number of patients that  
10 you accrue and having a large trial is probably more  
11 important than fine definitions, and in addition to that,  
12 it means that when you finish, the results are going to be  
13 applicable to a broad population of patients.

14 So, this included all patients who had operable  
15 breast cancer where you could remove the entire tumor with  
16 clear margins. Patients had to be node-positive.  
17 Treatment had to start within 84 days from the last  
18 surgery, whether that was lumpectomy or node dissection.  
19 No non-surgical treatment was allowed, and they had to have  
20 normal liver function.

21 It was a three-by-two design, asking first in  
22 three arms either 60, 75, or 90 per meter squared of  
23 doxorubicin the doxorubicin dose question, and in one of  
24 two arms the Taxol versus no Taxol. We gave 4 cycles every  
25 3 weeks of the cyclo/adria and we gave 4 cycles every 3



1 weeks of the Taxol. Again, cyclophosphamide remained  
2 constant. Patients on the highest dose of doxorubicin  
3 received G-CSF routinely, while patients on the other two  
4 arms received G-CSF in accordance with the label for G-CSF  
5 in the product insert. Patients on the 75 and 90 per meter  
6 squared dose received doxorubicin on day 1 and day 2, that  
7 is, split because of our concerns of cardiotoxicity, while  
8 these patients received it as a bolus in the usual fashion.  
9 When Taxol was given, 175 milligrams per meter squared over  
10 3 hours was administered based on the fact that this is the  
11 approved dose and is the most commonly used dose in the  
12 community at the present time.

13 So, study design. Three-by-two with  
14 stratification based on nodal groups only, 1 to 3, 4 to 9,  
15 and 10-plus.

16 Tamoxifen was given for 5 years for all  
17 patients that were ER positive, and regardless of the arm  
18 to which the patient was randomized, tamoxifen was begun on  
19 week 24 so that tamoxifen duration or the duration of  
20 exposure did not become a confounding factor.

21 Radiation therapy, however, was given  
22 immediately after the completion of chemotherapy, so that  
23 in the patients randomized to cyclo/adria, that would be  
24 after 3 months; for those randomized to cyclo/adria plus  
25 Taxol, that would be 6 months.

1                   We powered the study to detect the effect of  
2 Taxol, the effect of doxorubicin dose, and the interaction  
3 between Taxol and doxorubicin dose.

4                   Our median disease-free survival for our power  
5 calculations was assumed to be 6 years without Taxol.

6                   Our power was 95 percent to detect a 25 percent  
7 decrease in the hazard rate from the addition of Taxol.

8                   Based on these assumptions, we planned to  
9 accrue 3,000 patients over 3 years, and we assumed that we  
10 would have 1,800 occurrences 4 years thereafter.

11                   The randomization was central. Data management  
12 was conducted by the Cancer and Leukemia Group B using its  
13 standard procedures.

14                   There was an independent data safety monitoring  
15 board. They were the only ones who saw the data. In fact,  
16 as the PI in the study, the first indication I even had of  
17 the trends that were happening in this study were 6 weeks  
18 before the data were presented at ASCO. They did an  
19 interim safety analysis every 6 months. They did analyses  
20 of disease-free survival after 450, 900, 1,350, and a  
21 planned 1,800 events. So, we've completed this analysis  
22 and had dramatic effects that the data safety monitoring  
23 board felt justified for publication.

24                   3,170 patients were accrued. However, between  
25 giving informed consent and the time when they received the

1 first dose of treatment, a certain number of patients  
2 dropped out, leaving 3,121 who received at least their  
3 first course of therapy. Usual policy in the Cancer and  
4 Leukemia Group B is to omit these patients from the  
5 analysis. So, everything you will see now is based on the  
6 3,121 patients who were randomized and treated. We do not  
7 have data and did not follow up the patients who elected to  
8 drop out of the study.

9 Accrual was from May 1st, 1994 to April 15th,  
10 1997. So, we accomplished the accrual goals in slightly  
11 less than the planned 3 years.

12 We had a preplanned interim analysis based on  
13 450 events, so it was actually done at 453 events. And the  
14 data safety monitoring board decided that the results were  
15 such that it was important to release them to the public  
16 and that patients who were participating in it, making  
17 future decisions, deserved to know the results of these  
18 analyses in March of 1998.

19 And in May of 1998, we presented them to ASCO,  
20 and at that time had a 22 percent reduction in risk  
21 recurrence and a 26 percent reduction in mortality.

22 Now, it was after that that we began a  
23 collaboration with Bristol-Myers Squibb for the first time.  
24 They were not involved in the design or management of this  
25 trial at any point before that. The interactions between

1 BMS were with the National Cancer Institute, but not  
2 directly with the Cancer and Leukemia Group B.

3 In October of 1998, BMS and the CALGB had a  
4 pre-sNDA meeting with the FDA. It was decided to update  
5 the trial and have a larger database, and that was  
6 conducted in December of 1998. And the sNDA submission was  
7 in April of 1999.

8 Now, just to give you some sense of the  
9 differences between the first presentation and ASCO, May  
10 1998, and at the time of the sNDA, the median follow-up at  
11 the first presentation was 20 months; for the data that  
12 you're looking at today, 30 months.

13 Number of events for disease-free survival:  
14 453 in the first analysis; 624 today.

15 For overall survival, the number of events:  
16 200 at the time of ASCO; 342 today.

17 Just to put this in perspective, in 1979 a  
18 National Cancer Institute consensus conference decided that  
19 it was appropriate to recommend adjuvant chemotherapy to  
20 all premenopausal node-positive women, and at that point,  
21 the number of events in these two categories from all  
22 trials worldwide was less than half of what was available  
23 at the time of the ASCO meeting. I state that to  
24 underscore the power of this very large trial.

25 The pretreatment characteristics are well

1 | balanced between the two arms in all subsets.

2 |           You will notice particularly that about two-  
3 | thirds of the women are premenopausal, which I think is  
4 | understandable in a study of chemotherapy of this  
5 | intensity.

6 |           The number of women who had 1 to 3 positive and  
7 | 4 to 9 positive nodes, however, is about the same. The 10  
8 | positive node group is somewhat smaller, reflecting the  
9 | fact that this is less prevalent in this society as a rule;  
10 | that is, among breast cancer patients, having more than 10  
11 | positive nodes is not that common in the United States.

12 |           Secondly, patients who were enrolled in this  
13 | trial had to be offered participation in a randomized trial  
14 | evaluating high dose chemotherapy in bone marrow first, and  
15 | if they declined that, then they could participate in this  
16 | trial.

17 |           About two-thirds of the patients were treated  
18 | with a modified radical mastectomy.

19 |           About two-thirds of the patients were receptor  
20 | positive.

21 |           Now, among all the patients who were enrolled  
22 | and started on course number 1, you can see that there is  
23 | no significant difference between those randomized to AC  
24 | and those randomized to AC plus Taxol in terms of dropout  
25 | over these first 4 courses. So, approximately 3 to 4

1 | percent of patients in the two arms dropped out over their  
2 | first 4 courses of AC.

3 |           Now, among the patients who then went on and  
4 | had been all previously randomized to Taxol, there were 4  
5 | percent who said, look it, I've had enough and decided not  
6 | to go on as they had been previously randomized. So, we  
7 | have 92 percent of all the patients randomized to AC plus  
8 | Taxol who started on course number 1 of Taxol and there's a  
9 | 7 percent dropout rate during those 4 courses of Taxol.

10 |           This shows you now the disease-free survival  
11 | differences between AC, shown in white, and AC plus Taxol,  
12 | shown in yellow. You'll notice that at the 1-year point,  
13 | almost all of the patients who had been randomized had  
14 | reached that point and had a year of follow-up. At the  
15 | time of even the initial analysis, all patients were a year  
16 | from randomization and at least 6 months from the  
17 | completion of chemotherapy.

18 |           You can see that even at 3 years of follow-up,  
19 | the number of patients at risk exceeds 600, which is  
20 | considerably more than most randomized trials in the  
21 | adjuvant setting in the past.

22 |           We see that these differences are highly  
23 | significant, based on a multivariate Cox model. This is  
24 | the model that was used. It shows, first of all, the  
25 | comparison of Taxol with no Taxol and the risk ratio is .78

1 | or a 22 percent reduction, highly significant.

2 |           On the other hand, when we look at doxorubicin  
3 | dose, for example, comparing 60 with 90, we see no  
4 | advantage from adding dose.

5 |           We see that there is a twofold increased risk  
6 | if you had 10 positive nodes instead of 1. There's an  
7 | increased risk, which is statistically significant for  
8 | patients with larger tumors than with smaller tumors.  
9 | However, there is no difference in patients who are pre-  
10 | and post-menopausal in terms of disease-free survival.

11 |           Finally, patients who were receptor negative  
12 | had about a two-and-a-half-fold increase in risk compared  
13 | to those who were receptor positive.

14 |           If we look at the same data now for overall  
15 | survival, shown here in white is the AC. Shown in yellow  
16 | again, AC plus Taxol. Highly significant in our Cox model,  
17 | and this shows you the model Taxol versus no Taxol, a 26  
18 | percent reduction in risk. Highly significant. No  
19 | evidence of effect of doxorubicin dose. Again, positive  
20 | nodes, tumor size show an increased risk. Estrogen  
21 | receptor negative, increased risk. Here we also see an  
22 | increased risk of dying -- this is dying of any cause now  
23 | -- among the post-menopausal compared to the pre-  
24 | menopausal, which isn't surprising considering that it's an  
25 | older population.

1                   Now, just to look at the two different times  
2 that we analyzed the data, we see that the results are  
3 identical. At the time of ASCO, a 22 percent and 26  
4 percent reduction in risk of recurrence and mortality; at  
5 the present time, 22 and 26 percent.

6                   Now, we saw no evidence of a dose effect  
7 whatsoever for doxorubicin. This shows you the three  
8 curves for disease-free survival, the white being the 60,  
9 the yellow being the 75, and the blue being the 90 per  
10 meter squared, and also for overall survival. You see no  
11 evidence of effect.

12                   Further, we could show that individually, for  
13 example, the effects of adding Taxol to 60 milligrams per  
14 meter squared of doxorubicin are greater than the effects  
15 of giving 90 per meter squared of doxorubicin alone, which  
16 is only one part of the evaluation showing no evidence of  
17 an interaction between doxorubicin dose and paclitaxel  
18 addition.

19                   Now, we did a number of subset analyses. These  
20 were not necessarily planned subset analyses and are  
21 confounded, obviously, by multiple comparisons, but I think  
22 most physicians and I would imagine most of the ODAC panel  
23 would be interested in seeing these, so we've summarized  
24 them here.

25                   I think the take-home points are, first of all,



1 that we saw a similar effect in almost all of the subsets  
2 we looked at, certainly the node-positive groups where  
3 there is no significant difference in the effect of adding  
4 paclitaxel in these groups, tumor size, and interestingly  
5 in terms of menopausal status.

6 Secondly, the size of the effect is quite  
7 substantial in all cases, ranging from 20 to 25 percent.

8 Now, the one exception to that are in patients  
9 who have receptor positive versus receptor negative tumors.  
10 This was not a planned subset analysis and it's not one  
11 that has traditionally been done either by the Cancer and  
12 Leukemia Group B or, until very recently, by any groups.  
13 The overview data that you saw from Larry Norton is a first  
14 that they have actually looked at that.

15 We looked at this a little bit further and here  
16 we can show you the disease-free survival hazard ratios by  
17 receptor status. So, here is the hazard ratio with 95  
18 percent confidence intervals for the entire study. So,  
19 we're at about 78 percent there, or 0.78.

20 Now, we look at the same thing, but just for  
21 those patients who are receptor positive and for those  
22 patients who are receptor negative. You can see that there  
23 is a greater effect. Even though the confidence intervals  
24 overlap here quite substantially, there appears to be a  
25 greater effect in the patients who were receptor negative

1 compared to those who were receptor positive.

2 We can see the same thing in terms of overall  
3 survival. The overall survival of the group as a whole  
4 with the hazard ratio here being .74, as I showed you  
5 earlier, with the effects in the receptor positive and in  
6 the receptor negative patients. Again, considerable  
7 overlap but the appearance of a greater advantage in the  
8 receptor negative patients.

9 Now, to summarize then what I have just gone  
10 over in terms of efficacy, we conclude the following. The  
11 addition of Taxol following standard combination  
12 chemotherapy in patients with node-positive breast cancer  
13 reduces the risk of recurrence by 22 percent and reduces  
14 the risk of death by 26 percent. And if you do that in  
15 terms of annual odds of recurrence, you come up with  
16 exactly the same number.

17 There is no evidence of a dose response to  
18 doxorubicin for doses above 60 per meter squared.

19 There is no evidence of an interaction between  
20 doxorubicin dose and Taxol.

21 And the benefits of Taxol in various subsets,  
22 including the receptor subsets, are consistent with the  
23 effects of chemotherapy in the worldwide overview.

24 Now, to turn to safety, the first thing it's  
25 important to understand about safety is that this study was

1 | designed to intensely evaluate the first 325 patients. We  
2 | concentrated on those patients because we did not feel, in  
3 | the design of this study, that it was necessary to collect  
4 | extensive safety data on cyclophosphamide, doxorubicin, and  
5 | paclitaxel, drugs in which there are already huge safety  
6 | databases. On the other hand, we were escalating the  
7 | doxorubicin dose, quite substantially and we wanted to make  
8 | sure that we monitored that very carefully.

9 |           So, the first 325 patients we obtained CBCs,  
10 | for example, twice weekly. We required safety information  
11 | on all types of toxicity, and we collected and put in our  
12 | database anything that was grade 2 or above. These 325  
13 | patients were appropriately distributed among the major  
14 | participants, so they weren't all from the CALGB. In other  
15 | words, we had the same number from CALGB, ECOG, SWOG, and a  
16 | slightly smaller number reflecting a smaller group from the  
17 | North Central.

18 |           Now, our original plan, or at least the  
19 | original plan that I had in my mind and a number of the  
20 | people on the Breast Committee, was to only report ADRs  
21 | after collection of these data very intensely and very  
22 | carefully. However, as happens oftentimes with groups,  
23 | there was a continuing discussion of whether we should stop  
24 | all collection of data and get only ADRs, which we did by  
25 | default for 1,815 patients, or whether we should collect

1 more information mainly because of issues regarding  
2 presentation of the data and so on.

3 So, we made an amendment to the protocol here  
4 as a consensus among the different points of view, and for  
5 the last 981 patients, we collected grade 4 and 5  
6 hematologic toxicity and we collected grade 3 and above  
7 non-hematologic toxicity routinely.

8 Now, some investigators, having started with  
9 the intense reporting, continued to submit that even though  
10 it wasn't required by the protocol in the interim.

11 The take-home point is these are the data that  
12 are going to be most precise and represent the most careful  
13 monitoring for safety and those are the ones that I will  
14 emphasize. I will show you all of the patients together as  
15 well in separate columns as we go along.

16 First of all, grade 3-4 hematologic toxicity.  
17 Patients randomized either to AC or AC plus Taxol in the  
18 early population. First of all, you see that there is no  
19 difference in the overall hematologic toxicity in these two  
20 arms.

21 Secondly, you see that, as you would expect  
22 with the very intense therapy, that you have a high  
23 incidence of leukopenia and granulocytopenia. We'll talk  
24 about the degree to which this occurred in just the Taxol  
25 part in a few moments.

1                   You see that the numbers in the total  
2 population are smaller, but again, you see no difference  
3 when you look at the total population in the hematologic  
4 toxicity in patients randomized to AC or randomized to AC  
5 plus Taxol.

6                   Sequelae to hematologic toxicity, that is,  
7 infection, fever, hemorrhage. The requirement for platelet  
8 transfusions, requirement for red blood cell transfusions  
9 is also not significantly different. There's an appearance  
10 of a significant difference here, for example, in the  
11 incidence of infection, but among the 14 percent of  
12 patients randomized to AC plus Taxol who had infection,  
13 which constitutes 23 patients, 21 of the 23 patients had  
14 the infections while they were receiving the AC, not while  
15 they were receiving the Taxol. So, only 2 out of these 23  
16 patients had an additional infection as a result of Taxol  
17 directly.

18                   And the same thing is true for patients with  
19 fever. There were 4 patients, or 3 percent, who had fever  
20 that was grade 3 or grade 4, and all of them on the AC  
21 therapy.

22                   We looked at a variety of non-hematologic  
23 toxicities, first of all, cardiovascular, neuromotor,  
24 alopecia, nausea and vomiting, diarrhea, stomatitis, and  
25 abnormalities of liver or renal function. We see no

1 significant differences either in the early population or  
2 overall among patients randomized to AC or those randomized  
3 to AC plus Taxol.

4 The greatest difference is in stomatitis.  
5 Again, that's greater actually in the patients randomized  
6 to AC only rather than those randomized to AC plus Taxol.

7 Now, we looked very specifically at non-  
8 hematologic toxicities that are commonly associated with  
9 Taxol: neurosensory, neuropathies, arthralgia, myalgias,  
10 or hypersensitivity reactions. It's not surprising, since  
11 these are associated with Taxol, that there is a higher  
12 incidence among the patients randomized to the Taxol arm in  
13 the study than there are to the AC. However, the total  
14 percentage of grade 3-grade 4 toxicities in these three  
15 categories is relatively modest.

16 Other adverse events. Hospitalization, no  
17 difference. Late cardiac disease, no difference. This is  
18 being monitored on every follow-up form and has been  
19 consistently. So, this applies to the entire population of  
20 patients.

21 Secondary malignancies occurred in 2 percent of  
22 the patients. No difference in AC and AC plus Taxol. The  
23 incidence is about what we would expect to see in most  
24 adjuvant therapy trials, and also as with most trials,  
25 about half of all the second malignancies are second breast

1 | cancers.

2 |           Now, looking specifically at toxicities that  
3 | occur while patients were receiving Taxol, again looking  
4 | first at the hematologic toxicities, grade 3 and grade 4,  
5 | early population here and the total population here, we see  
6 | that 17 percent of the patients had a grade 3/grade 4  
7 | leukopenia while getting Taxol; 46 percent had grade  
8 | 3/grade 4 granulocytopenia. As previously,  
9 | thrombocytopenia and anemia are fairly uncommon with Taxol  
10 | therapy.

11 |           The sequelae, infection, fever, hemorrhage,  
12 | requirement for platelet and blood transfusions occurred in  
13 | 1 percent or less of the population.

14 |           We look at non-hematologic toxicity, again  
15 | specifically during Taxol therapy, the same group that I  
16 | showed you before, and you can see again it occurs very  
17 | infrequently, at most 1 percent of the patients.

18 |           Finally, we look at non-hematologic toxicity  
19 | for those things that are known to be associated with  
20 | paclitaxel and are unique to that drug, neurosensory,  
21 | arthralgia, myalgia, and hypersensitivity. This is only  
22 | while now the patients are getting Taxol and the numbers  
23 | are very similar to what you saw before.

24 |           Finally, you remember that at the beginning of  
25 | the presentation I showed you the dropout rate over the

1 course of therapy. What were the reasons why patients  
2 dropped out?

3 First of all, why did patients drop out of AC?  
4 First of all, the patients here who were randomized to AC  
5 and the patients here who were randomized to AC plus Taxol,  
6 but these two columns represent the dropout from AC itself.  
7 First of all, 95 to 96 percent of patients completed all 4  
8 courses, as I've shown you before.

9 2 percent of the patients on each arm requested  
10 that they drop out for one reason or another. That's not  
11 specified on the case report forms. 1 percent of the  
12 patients, again the same in both arms, because of specific  
13 toxicities, and then a small number because of disease  
14 progression or a mixed category.

15 Now, we had 1 patient here who died within 30  
16 days of having gotten a dose of chemotherapy, so still on  
17 active dose. That particular patient was on the AC only  
18 arm and that patient had respiratory failure and cardiac  
19 failure which was assessed to be due to neoplastic process.

20 Now, among these 1,570 patients randomized to  
21 AC plus T and got AC, you remember I showed you earlier  
22 that only 1,449 of those patients went on to receive  
23 paclitaxel. Now, of this group, 92 percent completed  
24 treatment. The reason for not completing it, 1 percent  
25 patient request, 6 percent because of toxicity, a small



1 | number for disease progression and other, and there were 2  
2 | patient deaths within 30 days of a chemotherapy regimen.  
3 | One had a hypersensitivity reaction as a cause of death,  
4 | and one patient had a brain infarction with subsequent  
5 | sepsis.

6 |           So, in conclusion, we believe that we've shown  
7 | that the benefit of adding Taxol to standard anthracycline-  
8 | containing therapy is similar to adding chemotherapy to  
9 | surgery. The basis of saying is that when you look -- and  
10 | you saw the numbers earlier from Dr. Norton -- at  
11 | chemotherapy versus nil, you see a reduction in the odds of  
12 | death or reduction in the annual odds of recurrence that  
13 | are about the same as we have shown here in adding  
14 | paclitaxel to doxorubicin.

15 |           The robustness of the results of this large  
16 | study is supported by the consistency of the treatment  
17 | outcomes in the two points of analysis, that is, first a  
18 | presentation at ASCO in 1998 and the presentation today.

19 |           And finally, the addition of a single agent  
20 | Taxol to standard combination chemotherapy is very well  
21 | tolerated compared to most things that we do as medical  
22 | oncologists today.

23 |           I thank you for your attention.

24 |           DR. CANETTA: Thank you, Craig.

25 |           I will just offer a very few concluding remarks

1 | to wrap up our presentation.

2 |           We believe that the data that we have shown  
3 | actually follow in the footsteps of what we have found out  
4 | about the effects of Taxol in breast cancer and I think it  
5 | is comforting to see that as you move to earlier stages of  
6 | disease, the magnitude of the benefit increases. The  
7 | pivotal study, whose results you've seen presented, is the  
8 | largest trial that's ever been submitted to this agency for  
9 | the approval of a new chemotherapeutic agent in node-  
10 | positive breast carcinoma.

11 |           The comparison of Taxol versus no further  
12 | therapy does demonstrate there is a significant effect, a  
13 | significant benefit in the two important endpoints in the  
14 | setting of the disease, disease-free survival and overall  
15 | survival.

16 |           I'd like to point out that when you look at the  
17 | subset analysis, multiplicity of analysis, but one data is  
18 | very, very comforting and very reassuring. No matter what  
19 | subset you look at, there is always a positive effect of  
20 | Taxol, and that is very, very solid evidence that it is the  
21 | drug that is exerting an effect.

22 |           Finally, although Taxol is a cytotoxic agent, I  
23 | think that what we have seen in terms of the safety  
24 | profile, even in this setting, is very, very consistent  
25 | with what had been seen with exactly the same dosages of

1 Taxol that have been approved for a long time in the  
2 treatment of this disease and in treatment of other  
3 diseases.

4                   Therefore, we do propose that Taxol  
5 administered sequential to standard combination therapy be  
6 indicated for the treatment of node-positive breast cancer.

7                   And the dosage and schedule that we recommend  
8 is the classical standard dosage of 175 milligrams per  
9 square meter given intravenously over 3 hours every 3 weeks  
10 for 4 courses, as you have seen.

11                   I'd be glad to take questions from the  
12 committee.

13                   DR. NERENSTONE: Thank you very much.

14                   We're going to open up now for questions from  
15 the committee to the sponsor. I would like to take the  
16 Chair's prerogative for just a moment and ask two points of  
17 clarification.

18                   One, on the patients who died on the Taxol, one  
19 had a septic related death. Can you tell me what the dose  
20 of doxorubicin that patient had received prior to the  
21 Taxol?

22                   DR. CANETTA: We need to check that.

23                   DR. NERENSTONE: While you're looking at that,  
24 the second question is really sort of a clarification of  
25 the toxicity slides. When Dr. Henderson reviewed the

1 toxicity data, especially of the grade 3 and 4 toxicities,  
2 his numbers were early population and then a percentage for  
3 the total population. But in fact, aren't those numbers  
4 incorrect because you didn't have data on 1,800 patients in  
5 the middle group who did not have recording of grade 3 and  
6 4 toxicity. They only had reporting of ADRs.

7 DR. CANETTA: I think I can address that. The  
8 early population, as Dr. Henderson said, is the one that  
9 has been intensely monitored, and that's very obvious when  
10 you look at granulocytopenia. Twice a week counts result  
11 in 90 percent incidence of grade 3 or 4 granulocytopenia in  
12 the early population. The late population, every patient  
13 was included in the denominator, but you need to remember  
14 that all the serious adverse events have been reported even  
15 after the early population. So, when you look at severe  
16 toxicity, of course, you have a slight underestimate, but I  
17 think it's very reassuring that for clinically important  
18 toxicities -- and you have the infection example -- the  
19 incidence is actually the same whether you monitor  
20 intensively or whether you don't monitor intensively.

21 DR. NERENSTONE: Okay.

22 DR. CANETTA: For that patient, Dr. Tuck will  
23 give you some details.

24 DR. TUCK: That patient was on the high dose of  
25 doxorubicin, 90 milligrams.

1 DR. NERENSTONE: Other questions? Dr. Blayney.

2 DR. BLAYNEY: You didn't specify as part of the  
3 trial protocol what premedications were used with  
4 paclitaxel. Could you review that? And as part of your  
5 proposed labeling, do you propose a premedication regimen  
6 with paclitaxel?

7 DR. CANETTA: Yes. During the Taxol phase, the  
8 standard three class of agents premedication was  
9 administered with a steroid, H1 and H2 blocker. We do  
10 maintain that in this proposed dosage we will retain the  
11 same type of premedication.

12 DR. BLAYNEY: Did the patient who died of -- it  
13 was reported as an anaphylactic event receive the  
14 premedication?

15 DR. CANETTA: Yes. That patient did receive  
16 premedication. It is very unfortunate, but severe  
17 hypersensitivity reaction can still occur despite  
18 premedication in a very, very small percentage of patients.

19 DR. BLAYNEY: Are there other medicines that  
20 you would caution physicians to avoid as part of the  
21 paclitaxel administration? For instance, trastuzumab or  
22 Herceptin?

23 DR. CANETTA: I think it's important to point  
24 out that there is nothing special about this patient  
25 population vis-a-vis the pharmacologic behavior of Taxol.

1 So, all the type of cautions that are already attached in  
2 the current package insert for Taxol for this dosage and  
3 schedule of Taxol will be maintained. So, whatever we say  
4 that refers to Taxol for metastatic disease will also refer  
5 to this population.

6 We are not in the possession of data of the use  
7 of Taxol and Herceptin in combination in the adjuvant  
8 setting, and we cannot refer, at least in our package  
9 insert, so we've been told by the agency, to the Herceptin  
10 data. So, I think patients and care providers will have to  
11 be directed to the Herceptin package insert.

12 DR. BLAYNEY: Thank you.

13 DR. NERENSTONE: Dr. Raghavan?

14 DR. RAGHAVAN: I have two questions. I guess  
15 Dr. Henderson drew out the issue of receptor positive  
16 disease and showed that there was a reduction, but probably  
17 the least significant level of reduction. I'm just  
18 interested just to confirm that the randomization was not  
19 stratified for receptor status.

20 And secondly, the group with 10 nodes positive  
21 disease seemed also to be one with a relatively small  
22 impact, and the question on that relates to does Dr.  
23 Henderson feel the study was well powered to identify  
24 clearly the level of difference in that context.

25 So, the questions are receptor positivity. Was

1 | the stratification included for receptors? Second  
2 | question, lymph node 10 plus. Were there enough cases to  
3 | have a strong feeling of where that fits into the scheme of  
4 | things?

5 | DR. CANETTA: I'll let Dr. Henderson answer.

6 | DR. HENDERSON: First of all, there was no  
7 | stratification based on receptor status.

8 | Secondly, when you read over the statistical  
9 | section -- and I very carefully checked this, writing the  
10 | paper -- there is no mention even of the possibility of  
11 | doing that subset analysis. That was an unplanned subset  
12 | analysis and even the overview data that we've shown you  
13 | weren't out at that point. This idea of doing subset  
14 | analyses in receptor positive patients is something that  
15 | really has popped up in the last couple years, maybe even  
16 | in the last year, year and a half, and not something that  
17 | was done before that.

18 | The second question had to do with the power  
19 | within the group that has more than 10 positive nodes. The  
20 | way I look at this is to ask the statisticians to say can  
21 | you tell me that there is a significant difference, using a  
22 | regression model, in these three groups, even though it  
23 | would appear that way just by eyeballing it. And the  
24 | answer has come back repeatedly no. There is not evidence  
25 | of a significant difference.

1                   Now, I believe that that's because of the  
2 difference in the power in the first two groups, 1 to 3 and  
3 4 to 9, versus the 10 group. But using a test for trend,  
4 for example, you do not see a significant difference.

5                   DR. NERENSTONE: Dr. Lippman.

6                   DR. LIPPMAN: Yes, I really had a related  
7 question to Dr. Raghavan's regarding the subset analyses,  
8 because this will come up again I guess in the FDA  
9 presentation. I'd like some thoughts from your  
10 statisticians perhaps on the issue of subset analyses  
11 because, particularly if you look at overall survival in  
12 the two different receptor groups, it's 17 percent  
13 reduction in the positive group and 29 percent, so still  
14 substantial in both groups. It wasn't a prespecified  
15 subset analysis, and I guess from Dr. Henderson's  
16 presentation, it has never been done in a prespecified way  
17 in any large phase III adjuvant study. When you look at  
18 the graph and the confidence overlaps on the overall  
19 survival between the two, it's pretty large. So, how  
20 strong is that particular subset analysis for clinical  
21 recommendations to patients?

22                   DR. CANETTA: Dr. Don Berry will address this.

23                   DR. BERRY: Subset analyses are problematic, as  
24 you know. This was unplanned. Is the result strong? Is  
25 the result real? I don't know. I don't think anybody can



1 say. I think that it is a subset analysis and that there  
2 is no difference between the two. It may turn out, as we  
3 go down the line, that other studies show that there is a  
4 relationship and that's one of the reasons we announced the  
5 study when we did is so people could look at this question.  
6 I don't think it's very strong.

7 DR. LAMBORN: While you're up there, could I  
8 just ask a clarification? The actual test for a difference  
9 or for an interaction was non-significant or what was the p  
10 value? I recognize that it is a subset analysis. We don't  
11 have the information about the potential difference.

12 DR. BERRY: It actually was significant at the  
13 time of the ASCO presentation in terms of disease-free  
14 survival. It is not significant now. Am I correct in that  
15 statement? The test for interaction using a Cox model in  
16 which receptor status and Taxol is included in the  
17 interaction term. I don't believe that it is significant  
18 now, but it was at the time of ASCO.

19 DR. NERENSTONE: Dr. Williams, did you have a  
20 question?

21 DR. WILLIAMS: I do have a question regarding  
22 Dr. Henderson's statement about looking at subgroups on  
23 receptor status. Somewhat different but extremely closely  
24 related is looking at the effect of chemotherapy in  
25 patients who have received tamoxifen. Obviously, that's

1 | the very same group we're talking about here, not just  
2 | their receptor status, but the fact that all patients were  
3 | supposed to receive tamoxifen. Certainly it looks like in  
4 | the overview that was addressed specifically, and I would  
5 | imagine that goes back some years. Whether or not you do  
6 | it within a trial is another question, but clearly it was  
7 | specifically addressed as a concept that there might or  
8 | might not be an effect in this group.

9 |           DR. CANETTA: We have a few slides to show and  
10 | Dr. Henderson will present.

11 |           DR. HENDERSON: First of all, we didn't show  
12 | you the data separately, actually prepared slides, for the  
13 | overview data ER and tamoxifen. The reason we didn't show  
14 | them to you -- and I don't know whether we have them here.  
15 | We can -- is that my feeling was that when you look at the  
16 | overview data, the interaction is stronger for ER than it  
17 | is for tamoxifen.

18 |           Now, if you look at the four groups, because  
19 | the way the overview is set up, it's under 50 and over 50.  
20 | You don't have the whole population put together, as I'll  
21 | underscore in just a minute. That's the way the data were  
22 | shown to you.

23 |           For example, the tests for interaction on all  
24 | but one of the subsets for ER are negative. Only one of  
25 | them is positive.

1 DR. WILLIAMS: Could you clarify what you mean  
2 by that?

3 DR. HENDERSON: Well, if you do a formal test  
4 for interaction so that you say is there an interaction  
5 between the effects of therapy and the presence or absence  
6 of an estrogen receptor or the effects of therapy and the  
7 presence or absence of tamoxifen, the formal tests for  
8 interaction are negative.

9 As you know, that's not a very strong or very  
10 robust statistical test to use and some people aren't  
11 enthusiastic about it at all, but nonetheless, that was  
12 done as a formal evaluation and led people like Richard  
13 Peto to say we don't see a significant difference in those  
14 two populations.

15 Let me just show you briefly. First of all,  
16 these are the results using the Kaplan-Meier estimates for  
17 AC and AC plus Taxol disease-free at 1 year, 2 years, and 3  
18 years. This is for the entire population.

19 The point that we're going to make is that it's  
20 important to look at your patients at risk and look at the  
21 confidence intervals around the estimates in the receptor  
22 positive patients at each of these points. This is for the  
23 entire population of patients, but if you look at just the  
24 receptor positive subset, you'll see that as we get further  
25 out, the confidence intervals around any differences grow

1 larger at each point.

2 The take-home point then is that our ability to  
3 use just a single point, such as 3 years, which was put  
4 into the questions and the summary of the questions, is  
5 probably inappropriate. You want to look at the growing  
6 effects, and you can see a difference with fairly tight  
7 intervals of about 1 percent at 1 year in the ER positive  
8 patients in absolute difference in disease-free survival  
9 and about 2 percent at 2 years. At 3 years you see a  
10 smaller effect, but with very, very wide confidence  
11 intervals.

12 DR. TEMPLE: Is that for the whole population,  
13 Craig?

14 DR. HENDERSON: Pardon.

15 DR. TEMPLE: That's for the whole population.  
16 Right?

17 DR. HENDERSON: Yes. No. This is for the  
18 whole population. The slide I wanted up here -- we just  
19 made a mistake. Sorry about that -- was patients who were  
20 receptor positive. And maybe they'll get that up for you  
21 in a moment.

22 DR. WILLIAMS: So, where would be the  
23 appropriate -- I mean, in a normal adjuvant trial, we would  
24 have enough data that we would have a 5-year survival and  
25 that would be probably a fairly appropriate place to look.

1 | This is just as close to the plateau as one can get with  
2 | these data, which are somewhat premature. If you want an  
3 | estimate for women of what's going to be the case based on  
4 | these data, you have to pick some point other than a hazard  
5 | ratio which has little meaning.

6 | DR. HENDERSON: Why you think a hazard ratio  
7 | has little meaning?

8 | DR. WILLIAMS: Because there's an absolute risk  
9 | of death from breast cancer in particular women, and that  
10 | absolute risk times the relative change in that risk is  
11 | your benefit. A 20 percent benefit, if there's a 1 percent  
12 | risk to start with, doesn't mean much.

13 | So, these women obviously have much less risk  
14 | of recurrence, and that relative risk, regardless of how  
15 | confident you are of it, overall means less in that  
16 | setting.

17 | DR. HENDERSON: I would take a slightly  
18 | different point of view. First of all, in terms of using  
19 | hazards or, as we have done in the last 15 years in the  
20 | breast cancer literature, using reductions in odds of death  
21 | or reductions in odds of recurrence, the annual reduction  
22 | in odds of death or the annual reduction in odds of  
23 | recurrence have been constant across all the subgroups that  
24 | we've looked at carefully with one exception well  
25 | established, that is, between ER and tamoxifen. So, when

1 | you use tamoxifen, the reduction in odds is much greater in  
2 | receptor positive than receptor negative patients.

3 |           We're working hard on that question to say is  
4 | that true for HER2 positive patients, but I would say  
5 | that's still a point of great controversy and we certainly  
6 | haven't looked at it yet in the adjuvant setting with any  
7 | statistical power.

8 |           Now, we have a third possible interaction where  
9 | the reduction in odds is different. That's a hypothesis,  
10 | hypothesis generated in part by this trial, that maybe  
11 | there is an interaction between chemotherapy and receptor  
12 | status that is a qualitative rather than a pure  
13 | quantitative interaction.

14 |           Now, when you accept those three, now you go  
15 | back to all the other subsets. Until proven otherwise by  
16 | careful prospective trials, it is reasonable to take the  
17 | reduction in annual odds, which is almost always, I'd say,  
18 | very, very close to the difference in hazard. In other  
19 | words, 1 minus the hazard rate is going to be very close,  
20 | within a percentage or two, in almost all cases to the  
21 | reduction in odds.

22 |           Now, for a doctor practicing, what I usually  
23 | encourage doctors to do is say calculate what the risk is  
24 | to your patient. You have to consider these qualitative  
25 | interactions, but for all other subsets, take your estimate

1 of 10-year mortality and multiply that by the reduction in  
2 annual odds. That's doable because what we have seen in  
3 almost all studies that are done is the reduction in annual  
4 odds is constant. In fact, if you look at the longest  
5 trials we have, the ovarian ablation trials which go back  
6 to 1948, you can show that the reduction in odds is  
7 constant up through 25 years at almost all time points.  
8 So, what is going to be dependent is what are going to be  
9 the effects within or the risks within that particular  
10 group.

11 So, I would say that for the overall analysis,  
12 I certainly wouldn't call these premature data when you  
13 have this much statistical power, but for the subset  
14 certainly these would be early data.

15 DR. WILLIAMS: Your statement that you expect  
16 the same proportional reduction in these groups -- didn't  
17 the overview show a different proportional reduction like  
18 19 percent for the 50- to 59-year group that received  
19 tamoxifen versus a higher percent, around 30 percent, for  
20 the groups overall? So, the proportional reduction in  
21 recurrence was not estimated to be the same for patients  
22 who had received tamoxifen versus the other patients  
23 studied.

24 DR. HENDERSON: That's a good point. I  
25 probably should put that into a fourth category. We have a

1 tendency, and have for some time, to a priori divide our  
2 patients into pre- and post-menopausal. So, that's a very  
3 well taken point. And the effects in older and younger  
4 women of chemotherapy are clearly different. For tamoxifen  
5 they're not clearly different.

6 DR. WILLIAMS: That's not older and younger.  
7 This is the patients who had received tamoxifen, those  
8 trials, plus or minus chemo versus the other patients. It  
9 wasn't specifically an age factor, and that's exactly the  
10 question we have here, the patients who received tamoxifen  
11 versus those who didn't.

12 DR. TEMPLE: You don't show tamoxifen yes or  
13 no. Actually the data look even more different when you  
14 do.

15 DR. HENDERSON: I'll show you those data right  
16 now. Okay? So, let's go back one slide.

17 This was the slide I wanted first. This is  
18 just now looking at disease-free survival for the receptor  
19 positive subset for the 3 years follow-up. The point that  
20 I was trying to make and describe to you before were the  
21 differences in the confidence intervals around a 3-year  
22 figure, for example, compared to either a 1 or a 2-year  
23 figure, just emphasizing follow-up is important, the  
24 duration and the number of patients at risk.

25 Next slide please.



1 DR. TEMPLE: Craig, before you leave that,  
2 we're familiar with the treacheries of subset analyses.  
3 Okay? We know that. This is a little striking, though.  
4 Two-thirds of the patients randomized seem to have not much  
5 going on and all of the good action is in one-third.

6 So, I guess one question you need to address  
7 is, when does something that you didn't plan overwhelm you  
8 so much, look so strong that you should believe it anyway?  
9 Some people would say the answer is never, and I always  
10 quote Salim Yusef and all that. We all do that.

11 But still, that's the question here. This is  
12 two-thirds of the population. It's not some little subset  
13 that emerged, and it can be defined either by receptor  
14 status or by the use of another tamoxifen. Concomitant  
15 therapy is the sort of subset one does look at. That's not  
16 pulled out of left field exactly.

17 DR. HENDERSON: Let me address that question,  
18 but let me finish the first one, which is just looking at  
19 the hazard risk for the two populations, the receptors  
20 which I showed you a moment ago, these again. Disease-free  
21 survival. These are the data that I showed you for  
22 disease-free survival.

23 Next, overall survival.

24 Next, this is now for tamoxifen, disease-free  
25 survival. This is the overall estimate. This is now the

1 patients who did not get tamoxifen and those who did get  
2 tamoxifen. Looking at this as receptor positive/tamoxifen  
3 or receptor negative/tamoxifen and so on is not very  
4 informative because the number of patients in these  
5 subsets, other than the two major ones, get down to 125  
6 patients to 150 patients at risk. So, we don't think that  
7 that's very meaningful. So, this is disease-free survival.

8 Next, overall survival again for the group as a  
9 whole and then the two subsets where you see wide overlaps  
10 for the tamoxifen, just as you did for the receptor.

11 Now, next slide please. This is getting now to  
12 more directly addressing your question. This is the  
13 effects of adjuvant chemotherapy in estrogen receptor  
14 positive patients from the overview. Now, again as I've  
15 told you, we have to look at younger women and older women  
16 separately because that's the way the data are available to  
17 us. Again, we see this same difference -- this is younger  
18 women -- in the effects of therapy in the receptor positive  
19 versus receptor negative. Among older women, it's even  
20 more marked, but again an overlap in the confidence  
21 intervals.

22 Next, please. And the effects now in terms of  
23 reduction in annual odds of death. Again, you can see that  
24 when you look at the younger women -- and you're looking  
25 now at adjuvant chemotherapy, over 1,000 women now in this

1 subset -- you see that for the receptor positive patients,  
2 there in fact is not a statistically significant survival  
3 benefit from adjuvant chemotherapy either in the receptor  
4 positive women under age 50 or the receptor positive  
5 patients over age 50, while it's in the group who are  
6 receptor negative in which you see significant survival  
7 advantages. Again, you see this same pattern of  
8 difference.

9           Next slide, please. Now, for this particular  
10 study, I think it's too early to make a firm conclusion  
11 because in the receptor positive subset, there appears to  
12 be a smaller benefit, but the relative effects are quite  
13 similar to what you see in the overview. And we believe  
14 that as time goes on and we have more events, particularly  
15 in this particular subset, the picture will become clearer.

16           So, now coming back to your direct question,  
17 when do you decide on the basis of a subset analysis, even  
18 if it's very large, that you are not going to give therapy  
19 to that particular group or that you're going to change  
20 therapy on the basis of an unplanned subset analysis? I  
21 would go so far as to say that thus far I've been resistant  
22 to doing that consistently across the board in all cases.  
23 It seems to me that what you do is a subset analysis. You  
24 generate a hypothesis and then you go out and test it.

25           The best example in my experience is in the

1 | issue of HER2. Should we use HER2 to select patients for  
2 | therapy? Our first subset analysis, which we published a  
3 | few years ago, showed a p value which was way out there. I  
4 | don't remember. .001 or .0001. And then our subsequent  
5 | analysis wasn't quite as clear. When we look at all of the  
6 | data, it's still being sorted out with results that are not  
7 | totally consistent. Is this due to doxorubicin? Is it due  
8 | to dose?

9 |           There were people who were prepared to argue on  
10 | the basis of that first study, which is a very large study,  
11 | 1,800 patients in the entire study randomized -- or 1,500.  
12 | I've forgotten the number that were in the HER2 subset, but  
13 | it was about 600 I think. So, it was a very large subset  
14 | analysis. There were people who were saying we should  
15 | declare a change in therapy at that time, others who said  
16 | let's wait. I personally was in that latter group and I  
17 | would be in that latter group here as well. I think that  
18 | the issue here is probably not an issue of Taxol. This is  
19 | an issue of chemotherapy and probably applies across the  
20 | board.

21 |           But I've been writing for a number of years on  
22 | the issues of chemotherapy in older and younger women and  
23 | some of these issues whether we should give chemotherapy at  
24 | all. The way I usually present this is to say your first  
25 | question is, is chemotherapy appropriate in a particular

1 patient? And then your second question is, if it's  
2 appropriate, then what is the marginal advantage of going  
3 from CMF to cyclo/adria, of cyclo/adria to cyclo/adria plus  
4 Taxol? Then what is the marginal increase in toxicity?  
5 And then asking the patient whether that's worth it to that  
6 patient. So, to me that's the thinking that you go  
7 through, but you wouldn't jump to the end of that process  
8 and say, I'm going to not give Taxol for this particular  
9 group of patients, but I would give cyclo/adria to that  
10 group of patients. I don't think that that's the  
11 appropriate sequence for thinking out the problem as a  
12 clinician.

13 Does that answer the question you're asking, in  
14 other words, when and why?

15 DR. WILLIAMS: I hate to keep going here. This  
16 is not our usual format. But this is the most central  
17 point for us.

18 I want to ask Dr. Berry, who mentioned the  
19 point about the interaction. I do remember now where I  
20 read that and it was in your study report that there was  
21 interaction either with tamoxifen or the estrogen receptor.  
22 So, I would imagine it holds up for these data, and if it  
23 was really present at ASCO, that means that there was a  
24 very strong interaction almost certainly at two times  
25 because you had less data then. If it was positive then

1 | with less data, that means that the effect was even  
2 | stronger.

3 |           DR. BERRY: Yes. I want to correct something  
4 | that I said to Dr. Lamborn.

5 |           By the way, I'm responsible for this subset  
6 | analysis. I plead guilty to that. It's difficult for me  
7 | not to look at these things, and my attitude was similar to  
8 | Dr. Temple's. I must say over time I've been moved in the  
9 | other direction.

10 |           This is the disease-free survival, Cox  
11 | regression, and you see the usual covariates, number of  
12 | positive nodes, et cetera, menopausal status, not  
13 | significant. This was the issue that Dr. Lamborn raised.  
14 | The interaction between Taxol and ER status is  
15 | statistically significant but barely, and the next slide  
16 | shows the corresponding thing for survival and it's not  
17 | statistically significant.

18 |           At the time, Dr. Williams, of ASCO, indeed it  
19 | was more highly significant than this.

20 |           And Dr. Temple is right. We don't have the  
21 | corresponding Cox regressions for interaction with  
22 | tamoxifen, but there is a somewhat stronger, although not  
23 | incredibly stronger, interaction with tamoxifen.

24 |           I would like to address something else that Dr.  
25 | Williams raised. Could I have the next slide? This is the

1 hazards over time, and this is a compelling picture for me.  
2 There are three curves on here. One is the AC plus Taxol.  
3 Another is the AC alone, and the third curve, the one that  
4 extends out here -- and I can't tell the difference between  
5 these two colors and I guess it doesn't make any  
6 difference. But this one is our previous study, CALGB  
7 8541. These are hazards, which means that one calculates  
8 the number of recurrences in a given time period, divided  
9 by the number at risk in that time period. So, it's like  
10 an actuarial comparison.

11 What that means is that these comparisons at 3  
12 months and 9 months are really independent. The set of  
13 occurrences in this time period is different from this, is  
14 different from this, and you see that the benefit -- the  
15 hazard ratio that we're talking about is averaged over this  
16 entire time period. You see the benefit of Taxol occurs  
17 early, and these are like four or five independent  
18 analyses. They're all in favor of Taxol.

19 The point I want to make here is that the  
20 benefit of chemotherapy -- and it's not just in this study,  
21 but in every study in node-positive breast cancer -- occurs  
22 early. After 3 or 5 years, there is essentially no  
23 benefit. The overview looks exactly like this, and the  
24 hazard for node-positive disease returns to the hazard for  
25 node-negative disease. If you were node-positive 5 years

1 | ago when you had breast cancer and you're still alive and  
2 | disease-free now, you're essentially like you were node  
3 | negative at diagnosis.

4 |           So, I think it's compelling that the benefit is  
5 | in the early time period. It's exactly where we would  
6 | expect the benefit to be for a chemotherapy.

7 |           DR. NERENSTONE: Dr. Lippman?

8 |           DR. LIPPMAN: As a non-statistician, I tend to  
9 | have a very negative view of subset analyses because, first  
10 | of all, this is a secondary analysis and a subset analysis.  
11 | When you look at the subset table, the changes over time,  
12 | although under disease-free survival there's a bigger  
13 | difference in receptor status, they come together under  
14 | overall survival, and there are much bigger differences,  
15 | for instance, when you subset out the nodal groups. So, I  
16 | think in terms of planning patient management on this, this  
17 | is why I raise this, whether we're confident about an  
18 | unplanned, secondary, subset analysis.

19 |           DR. CANETTA: I would tend to agree with Dr.  
20 | Lippman's statement. I think that in this subset analysis  
21 | story, what again it is important to keep in mind -- and  
22 | we're all aware of the vagaries of subset analyses, we're  
23 | all aware of the problems of multiple analyses. But one  
24 | consistent thing that has happened in this subset analysis  
25 | is that no matter what subset you look at and no matter



1 | what endpoint you look at, because this is true for both  
2 | disease-free survival and for overall survival, every  
3 | single analysis comes with a direction in favor of the use  
4 | of Taxol. And that is consistent with what Dr. Berry was  
5 | talking about.

6 | DR. NERENSTONE: Dr. Lamborn?

7 | DR. LAMBORN: I'd like to ask one question  
8 | about the subset analysis. Sometimes things will happen  
9 | over the course of the trial where you have new  
10 | information, and therefore, while it is a subset analysis,  
11 | there is a medical logic to why you're looking at it, where  
12 | perhaps you didn't originally plan it. What I thought I  
13 | have heard is that there has now been a large evaluation of  
14 | adjuvant chemotherapy which said that the risk reduction  
15 | would be expected to be substantially less in the node-  
16 | positive. So, in a sense, this is not one of a whole set  
17 | of cases. So, I just wanted to make sure I understood what  
18 | it was we were saying.

19 | DR. CANETTA: Dr. Norton or Dr. Henderson? Can  
20 | we give a chance to both of them?

21 | DR. HENDERSON: If it had happened the way you  
22 | described --

23 | DR. LAMBORN: Excuse me. ER positive.

24 | DR. HENDERSON: There are two possible  
25 | scenarios here. One scenario is that the committee of

1 | investigators or the CALGB breast group said, look it, this  
2 | is becoming an important question and turned to our  
3 | statistical group and said, let's look at it because the  
4 | hypothesis has been generated. Now let's look at it in our  
5 | data. That's one scenario. That kind of a scenario  
6 | implies what you were suggesting. There are other people  
7 | that have generated a hypothesis. People are beginning to  
8 | think about it and now going forward.

9 |           The other hypothesis is you've got somebody  
10 | sitting there saying, well, let me just look at the data  
11 | and see what happens in this group and happens in this  
12 | group and happens in this group. As you know, the  
13 | probability of getting a false positive result in subsets  
14 | when you do that approaches 50 percent. So, that's why we  
15 | usually don't do that.

16 |           Now, which scenario applies to what we showed  
17 | you? The latter, not the former. The first time that I  
18 | had ever seen these data, had ever thought about it and so  
19 | on was when the data were sent to me after the data safety  
20 | monitoring committee released the data. It had not been  
21 | something that had been discussed or planned or anything  
22 | prior to that. So, it was not something where the  
23 | scientists and the physicians involved in the study  
24 | generated and said, let's ask this question, but rather an  
25 | individual looking at it privately came to that conclusion.

1 | So, that's why I describe it as a hypothesis generating  
2 | subset analysis rather than a test of the question.

3 |           DR. NORTON: Could I just clarify this again  
4 | just to sort of emphasize it again? Because I think  
5 | there's a danger here that there's a lot of people who  
6 | potentially could really benefit from Taxol who may not end  
7 | up getting it depending upon what this committee does, and  
8 | I think it would be a very bad thing if that happens. The  
9 | reason I'm saying that is because let's just look at these  
10 | curves again in this thing.

11 |           These are overall because there are a lot of  
12 | patients here. You subdivide it. You get wider confidence  
13 | limits. Of course, that's always going to happen. And you  
14 | see that the effect by ER negative/ER positive, that this  
15 | is now subdivided and there's a little bit less effect in  
16 | ER positive and a little bit better effect in ER negative,  
17 | and they average out to an overall effect. This is for  
18 | disease-free survival.

19 |           Overall survival, same thing. They subdivide  
20 | out. The real issue here -- I mean, the median points  
21 | here, the central point of effect is still good. It's just  
22 | that the confidence limits widen out, and that's why we see  
23 | this. And the confidence limits widen out because we're  
24 | dealing with a subset analysis here.

25 |           Next slide, same thing. It moves in a positive

1 direction, but wider subset analysis.

2 Next slide. This is by overall survival by  
3 tamoxifen use, the same basic thing.

4 Next slide. The point I want to make is if you  
5 look at the whole worldwide overview, you're dealing with  
6 much larger numbers. Obviously, these get further away  
7 from the 0 line, the no effect line, because you're looking  
8 at chemotherapy versus nothing. Before we were looking for  
9 Taxol adding to AC, which is already good treatment. So,  
10 the magnitude of the effect is going to be somewhat  
11 reduced. But it's the same basic direction. The reason  
12 why these are impressive is because the larger numbers  
13 involved bring the confidence limits down and so it pulls  
14 it away from the line of no effect.

15 Next slide, please. In fact, when we start to  
16 do this with more reasonable comparisons, this is the  
17 effect on subsets by age in the overview, you see that  
18 basically you do, indeed, come to conclusions that the  
19 impact of therapy on the ER positive group, whether they're  
20 older or they're younger, starts to even get into that  
21 category. They start to actually get into this no effect  
22 kind of group.

23 Now, universally worldwide, we're giving  
24 chemotherapy to ER and PR positive patients that are pre-  
25 menopausal and post-menopausal. If this number were not

1 | 7,000 but this number were 70,000 or 100,000, then the  
2 | confidence limits would shrink down and the patients would  
3 | clearly be receiving benefit. There's absolutely no doubt  
4 | about this. Because we're dealing with a trial that's a  
5 | huge trial of over 3,000 patients, but it's not 20,000  
6 | patients, with the exact, same magnitude of the effects  
7 | here, that we could be misled into denying patients therapy  
8 | that could be lifesaving for them. And I think that we  
9 | really have to be aware of this as a potential danger.  
10 | It's really not a matter of subset type things. It's a  
11 | matter of when you subset, you have a smaller number of  
12 | patients and you have wider confidence limits.

13 |           There are very good kinetic reasons why the  
14 | effects are so. ER positive disease grows more slowly.  
15 | The effect of chemotherapy may be less because it's growing  
16 | more slowly, as is universally seen in all models we've  
17 | looked at. But also, it takes longer to see a benefit  
18 | because it takes longer for patients to relapse. So, for  
19 | very good kinetic and logical reasons we get these basic  
20 | effects, exactly the same effects we see for chemotherapy  
21 | universally in all of our experience as summarized in the  
22 | worldwide overview.

23 |           DR. NERENSTONE: Dr. Johnson, did you have a  
24 | question?

25 |           DR. JOHNSON: Yes, I had a couple and it had

1 nothing to do with subset analysis --

2 (Laughter.)

3 DR. JOHNSON: -- although I'm thinking about  
4 asking one now.

5 (Laughter.)

6 DR. JOHNSON: I had two questions. One had to  
7 do with the cardiac toxicity which seemed shockingly low to  
8 me, especially in light of yesterday's presentation where  
9 we saw a lot of data about the use of single agent  
10 doxorubicin. I guess it matters how one assesses the  
11 cardiac toxicity in order to make that determination.

12 So, it wasn't very clear to me how that was  
13 done in this trial, even in that first 300 patients. Were  
14 they required to receive MUGA scans, for example, and if  
15 so, on what basis and how frequent?

16 As a corollary to that, do we know what the  
17 late developing cardiac toxicity might be in an individual  
18 who receives AC followed by Taxol? We know, I think, a lot  
19 about giving the two together, but what about the  
20 sequential use of these?

21 DR. CANETTA: For the cardiac toxicity, can we  
22 show that?

23 While the data are being sorted out, let me  
24 make a statement concerning your last question, the  
25 sequential effect. The monitoring of this trial continues

1 and continues for late cardiac effects and for secondary  
2 neoplasm, as you know. Very recently in August, we filed  
3 the 120-day safety update, which is mandated by law, to  
4 this NDA. I can tell you that there was no difference  
5 again between the incidence of cardiac effects occurring  
6 late in patients who received AC as compared to patients  
7 who received AC followed by Taxol. By the same token,  
8 there was no difference in the incidence of secondary  
9 malignancies even with the 120-day safety update.

10 Here is the data. This is the data for the  
11 cardiac toxicity during the period of follow-up. As you  
12 can see, we decided to display this by doxorubicin dose,  
13 given the fact that there was the 60, the 75, and the 90  
14 milligrams per square meter dosage. There seems to be a  
15 certain increase of cardiac toxicity that is not really  
16 related to Taxol but appears to be more related to the  
17 dosage with Adriamycin administered. That's not  
18 surprising.

19 DR. HENDERSON: I think the important thing,  
20 comparing yesterday and today, is the fact that the maximum  
21 dose of doxorubicin, cumulative dose in the study is 360  
22 per meter squared. As you know, you don't really see a lot  
23 before you get to that point.

24 The second this is that when you're randomizing  
25 3,170 patients and you multiply that by the cost of the

1 MUGAs, if you're obtaining them on a regular basis, the  
2 costs are astronomical. We didn't feel that the costs  
3 justified the kind of intense monitoring that took place in  
4 the study you heard yesterday or, for example, in the  
5 Zinecard preparations. So, we had a baseline MUGA on all  
6 the patients. We require that every single follow-up form  
7 provide information on whether there have been any cardiac  
8 events of any type since the last follow-up form. So,  
9 unlike some of the data where it's hit and miss, this is  
10 one of the things that has been monitored on every follow-  
11 up form from day 1.

12 I was just checking the exact day. I think  
13 it's 5 years, but there is a required MUGA, as part of the  
14 long-term follow-up, and we felt that it was more important  
15 to look at this for all patients at the same point in time,  
16 but some time out. As you know, cardiotoxicities often do  
17 not manifest early and particularly not in an adjuvant  
18 setting. It becomes more manifest particularly when the  
19 patients relapse and undergo the extra stress to the heart  
20 and the various things that affect it.

21 So, I think that given 360 per meter squared is  
22 your maximum dose and given the fact that we're not  
23 intensely looking for things, that this is probably very  
24 reasonable to what a practicing oncologist would see.

25 DR. CANETTA: If we can show the slide, let me



1 back my statement with the actual numbers. This is the  
2 120-day safety update. As you can see, these are  
3 percentages, and there is no difference between the two  
4 treatment arms. This is consistent with what was presented  
5 in the NDA.

6 DR. JOHNSON: Now, what does cardiac function  
7 mean?

8 DR. CANETTA: This is left ventricular ejection  
9 fraction as contained in the follow-up form.

10 DR. JOHNSON: Is that statistically different?

11 DR. CANETTA: It's a reduction of the LVEF.

12 DR. JOHNSON: I don't understand. So, 40  
13 patients in the AC had a reduction versus 56. Nearly 50  
14 percent more? Is that what you're saying?

15 DR. TUCK: Because of the way the data was  
16 reported, it's not possible to give, for instance, a  
17 breakdown of the not specified. This could include a  
18 variety of different kinds of --

19 DR. JOHNSON: No. I'm looking at cardiac  
20 function there. It says cardiac function, 40 under AC, 56  
21 under ACT, total 96. I think those two add up.

22 DR. TUCK: It's not statistically significant  
23 according to the statisticians.

24 DR. JOHNSON: Just in response to Dr.  
25 Henderson's comment from yesterday. Actually the data

1 | yesterday showed -- and I agree that clinically we don't  
2 | see much in the way of cardiac toxicity, but in that  
3 | intensely monitored group, actually the largest number of  
4 | events, as it were, occurred between 300 and 399 milligrams  
5 | per meter squared of doxorubicin of left ventricular  
6 | ejection fraction decline, which if that in turn is a  
7 | marker or a surrogate endpoint I guess for subsequent  
8 | cardiac problems, it might be interesting to know in that  
9 | first 300 patients. I like the idea of doing the late  
10 | follow-up, though. I think that's critical.

11 |           The second question I have, though -- and  
12 | actually Dr. Norton addressed this in his overview, and I'm  
13 | appreciative of what he had to say about the number of  
14 | cycles, but I want to go back and ask this question very  
15 | specifically. That is, is the difference here Taxol, or is  
16 | the difference here cycles of therapy? And if it's the  
17 | difference in therapy, I would sort of like the impression  
18 | of the two breast cancer experts on their thoughts about  
19 | this.

20 |           DR. CANETTA: Dr. Norton will give you the  
21 | answer.

22 |           DR. NORTON: We thought about this very hard,  
23 | and I think you don't know for any individual patient  
24 | obviously if you're eradicating all, let's say, the AC  
25 | sensitive cells with 4 or if you need 5 cycles. There

1 | probably is some small percentage of patients that would  
2 | benefit from a little bit more of a monotherapy, but it's  
3 | probably going to be very small. Obviously, we thought  
4 | about this very intensively both in the design of the  
5 | analysis and the study.

6 |           If you look at the worldwide overview, this  
7 | splits it down by -- these are all the longer versus  
8 | shorter regimens, and these are the regimens that were  
9 | longer than shorter ones, but the shorter ones are at least  
10 | 6 months, and the more relevant ones are longer versus  
11 | regimens that are less than 6 months, especially these last  
12 | four which are basically 6 cycles versus 3 cycles of  
13 | something, three of them with CMF and one of them with  
14 | epirubicin. As you can see overall there, if there is an  
15 | effect at all of duration, it's in the 7 percent reduction  
16 | range with a standard deviation of 4, which doesn't meet  
17 | statistical significance. Even if you look at the most  
18 | relevant ones, you can see that the confidence limits  
19 | really overlap the no-effect curve for longer versus  
20 | shorter. This one actually goes in the other direction.  
21 | These may go in a direction, but it's a very, very slight  
22 | effect.

23 |           This particular study with longer follow-up was  
24 | recently reported, and the confidence limits just barely  
25 | shrunk down to make it. This is the only one. It's an

1 outlier effect, and it took a long follow-up to basically  
2 see the effect. So, there may be an effect of duration,  
3 but it's a very slight effect and it doesn't come close to  
4 the magnitude of the effect we're seeing in this trial.

5 The next, by the way, just shows the exact,  
6 same thing. This next slide just shows for mortality. In  
7 mortality the points I was making are made even more  
8 clearly.

9 DR. JOHNSON: Now I'm going to try to expand on  
10 this just a little bit, and the statisticians may come to  
11 my rescue here because I'm going to ask sort of a  
12 statistical question I think. How confident can we be of  
13 these data that this is not simply a duration effect? In  
14 other words, you've just shown us a 7 percent difference,  
15 and the magnitude of the difference here I see is quite  
16 large, in the 25 percent range. In other words, do those  
17 two confidence intervals overlap or are they really  
18 separate --

19 DR. NORTON: Well, in the overview it's 7  
20 percent for recurrence-free survival, less for overall  
21 survival. Neither of them reach statistical significance.  
22 Here we're talking about 22 percent and 26 percent  
23 reduction in death rate, both very statistically  
24 significant and early on. Obviously we have a very large  
25 trial and a large number of patients giving us great power,

1 | so that's why we're seeing it early on. But these are the  
2 | 7 percent and less than 7 percent, not statistically  
3 | significant, with 15-year follow-up. You see? So, if  
4 | we're seeing these kinds of magnitudes this early, you can  
5 | imagine how good it's going to look in 15 years. So, I  
6 | think it's really very clear we're seeing something very  
7 | different here than any kind of subtle duration effect.

8 | DR. NERENSTONE: Our time is running a bit  
9 | short. Drs. Temple, Lamborn, Raghavan, and Blayney all  
10 | have questions. Dr. Temple?

11 | DR. TEMPLE: When you showed the subset  
12 | analyses for the overall population, one of the things that  
13 | was, I guess, impressive was that whatever the number of  
14 | nodes, tumor size, et cetera, the hazard ratios were all  
15 | the same. Did you happen to do that for the tamoxifen  
16 | treated and for the no-tamoxifen groups? I'm absolutely  
17 | sure I know what the answer is -- I mean, I know what the  
18 | result of that is going to be, but each subset is going to  
19 | show nothing on the tamoxifen treated patients. Right?

20 | DR. CANETTA: I think actually Dr. Henderson  
21 | already showed that. We can show it again, the hazard  
22 | ratio bar graphs by tamoxifen treatment.

23 | DR. TEMPLE: For each of the node subsets and  
24 | tumor size subsets, things like that.

25 | I'm making the point that to achieve a hazard

1 ratio of approximately 1, you're going to have to have the  
2 same effect in all of the subsets that were impressive  
3 before because they all showed the effect. It's just that  
4 there's a consistent finding. What to make of it is a  
5 tough question. Do you understand the analysis --

6 DR. CANETTA: Yes. We'll try to pull out the  
7 data, if we can.

8 DR. BERRY: I don't understand the question,  
9 Dr. Temple. Are you saying that if you restrict to those  
10 who were treated with tamoxifen, what do you get? If you  
11 restrict to those who were not, what do you get? Are you  
12 saying if you look within 1 to 3 nodes, do you get the same  
13 effect for tamoxifen interaction?

14 DR. TEMPLE: Yes. One of the things that's  
15 always impressive in a large database is that you look at  
16 all the reasonable subsets and you find the same effect in  
17 all of them. That was done for the entire population.

18 My guess is if you do that, dividing the  
19 population up into the tamoxifen treated and the non-  
20 tamoxifen treated, or receptor positive/non-receptor  
21 positive, you will see the same phenomenon. The subsets  
22 will all look terrific for the receptor negative ones and  
23 the subsets will all look like nothing for the receptor  
24 positive ones or the tamoxifen treated patients.

25 DR. BERRY: Yes, you are absolutely correct in

1 | what you say. If you look at 1 to 3 positive nodes, 4-plus  
2 | positive nodes, and you look at the potential interaction  
3 | with tamoxifen, it's essentially the same in both.

4 |           And the effect of Taxol is the same in both.  
5 | In fact, it's essentially statistically significant in both  
6 | of those groups.

7 |           DR. NORTON: These are the actual data that we  
8 | pulled up because we analyzed. This is the overall effect,  
9 | which is good, narrow confidence limits. The one thing  
10 | that moves up here is -- and this is the one. This is the  
11 | ER positive or hormone receptor positive getting tamoxifen.  
12 | It moves up. The others are down. This even could be a  
13 | statistical fluke outlier, frankly, because the others move  
14 | in the direction. But even here, even in this subset, the  
15 | midpoint is still below the 0 line.

16 |           Remember, we're talking about subsets of  
17 | subsets, 129 patients, 800 patients, 150 patients. So,  
18 | when you start to get subsets of subsets, you're going to  
19 | get variable data.

20 |           DR. TEMPLE: I didn't mean the very small ones.  
21 | It's just the observation you made before, that when you  
22 | break it down by receptor status, it looks different. It  
23 | looks even more different actually when you break it down  
24 | by whether or not they were treated with tamoxifen because  
25 | in the small subset of receptor positive people who weren't

1 treated with tamoxifen, Taxol looked okay again.

2 DR. NORTON: Yes, but it's a subset of a  
3 subset, and who knows what to make of this. This was all  
4 unplanned. Patients were not randomized to tamoxifen.  
5 It's hard to know what to make of that.

6 DR. WILLIAMS: Before you leave that slide, I  
7 don't think that's a random subset, though. That is the  
8 group that would benefit from tamoxifen. The others  
9 wouldn't. So, it's not at all illogical that if the  
10 tamoxifen effect was competing for the chemotherapy effect,  
11 that that group alone would show it.

12 DR. NORTON: Yes. Obviously you would see it  
13 in that effect, and it would be a lesser effect. But we're  
14 dealing now with 1,900 patients. We're not dealing with  
15 190,000 patients. You know what I mean? It's not a matter  
16 of direction. It's exactly as the overview. It's a matter  
17 of the confidence limits and it's a question of how far you  
18 want to drive it. But it's entirely consistent with our  
19 whole worldwide experience over 15-20 years.

20 DR. TEMPLE: That's been said multiple times.

21 The idea that there's a difference between the  
22 groups in the overview is one thing. You're talking here  
23 about hazard ratios that are very close to 1.

24 One thing that Dr. Berry may want to comment on  
25 -- it has come up several times -- that patients were not



1 stratified by receptor status. What I always learned is  
2 that when you're talking about a characteristic that's very  
3 common in a large study, such as receptor status or  
4 something like that, it's a pretty fair assumption that  
5 patients were randomly assigned to treatments whether they  
6 were receptor negative or positive. You're talking about  
7 2,000 patients and 1,000 patients. That is not likely to  
8 be a problem. There are plenty of other problems in  
9 interpretation, but that doesn't seem like it would be one  
10 of them.

11 DR. BERRY: Yes, I absolutely agree.

12 DR. NERENSTONE: Dr. Lamborn.

13 DR. LAMBORN: I'd like to go to a whole  
14 different topic, which is the issue of how do you interpret  
15 the p values in this environment and the issue that came up  
16 of the fact that there was a decision to announce the  
17 results early, that the results have to be interpreted in  
18 the context of interim analyses, and there's obviously the  
19 recognition that if you look at the data multiple times,  
20 that you have an inflation of the p value.

21 I would like to get a sense from the thinking  
22 of the group that made the decision to make the  
23 announcement early. As I understand it, there was a change  
24 from the original stopping rule planned and the  
25 announcements were made early. So, I'd just like a

1 | discussion of that and the implications of that for our  
2 | ability to evaluate how strong this data is.

3 |           DR. CANETTA: The data safety monitoring board  
4 | of the CALGB proceeded with this decision. I'd like Dr.  
5 | Berry to discuss it. I just want to make the point that  
6 | Bristol-Myers Squibb was not part of the DSMB and  
7 | appropriately so.

8 |           DR. BERRY: This is to discuss a bit about the  
9 | DSMB deliberations. I can't tell you what the DSMB  
10 | deliberations were in closed session because I was not  
11 | there. I was not on the DSMB. I reported to the DSMB, and  
12 | so I can tell you what my deliberations were.

13 |           I was the person who drove from Charlotte in  
14 | the wee, small hours of the morning and lost sleep over  
15 | this study. That is not the first time I've lost sleep  
16 | over this study. I lived with it in the days when I was  
17 | the only one who knew the results. We presented to the  
18 | DSMB blinded results by three arms. They did not know that  
19 | the three best performing arms were the Taxol arms, and I  
20 | lost sufficient sleep that I wanted them to share my grief  
21 | and I unblinded them in the early days of the study,  
22 | December 1996 -- not early days, but after 2,000 patients,  
23 | when patient accrual was continuing. My question to myself  
24 | and the DSMB is, is it reasonable to continue with accrual  
25 | of this study in view of the results?

1           So, I'll address to some extent Dr. Lamborn's  
2 questions about significance testing, adjusting for interim  
3 analyses, announcing early versus early stopping, the  
4 factorial design and early monitoring, the receptor status  
5 interactions we've talked about, potential for treatment  
6 crossovers, predicted probabilities and power calculations  
7 versus ethics.

8           At the time that we announced the results of  
9 the study, all patients had completed therapy. In fact,  
10 the last patient was entered on April 15th of 1997, a year  
11 before we announced the results.

12           The predicted probabilities of positive  
13 significance results after 1,800 events were considered,  
14 and delayed announcement might have denied some women the  
15 potential benefit of receiving Taxol. That was a critical  
16 issue.

17           The O'Brien-Fleming -- this was based on four  
18 analyses including the final analysis at 1,800 events. Of  
19 course, it wouldn't be a final analysis. We'll continue to  
20 monitor this study -- indicates a p value of .000007. It's  
21 extremely conservative, and we did not reach it. So,  
22 strictly speaking, the results at that time were not  
23 statistically significant, even though the nominal p value,  
24 the actual p value if we ignore interim analyses, was .007.

25           O'Brien-Fleming boundaries were proposed for

1 early stopping. This is not a question of early stopping.  
2 We had stopped the study. There was no accrual of the  
3 study. The question was should we announce the results  
4 early or not.

5 There was no consideration in the protocol to  
6 adjust for a significance level for the factorial design.  
7 That was my fault and so, strictly speaking, we couldn't  
8 obey what the protocol told us to do.

9 The predictive probabilities -- and this was  
10 very important to the DSMB I'm led to believe -- of a  
11 statistically significant result, if we went to the 1,800  
12 events in May of 1998 for Taxol versus no Taxol, the  
13 probability of statistical significance was 93 percent. At  
14 the current time with 624 events, it's 99 percent; that is,  
15 if we were to continue and monitor it to 1,800 events, it's  
16 very likely we'd get statistical significance.

17 DR. LAMBORN: Could you just clarify under what  
18 assumption?

19 DR. BERRY: Yes. This is a Bayesian  
20 calculation assuming a non-informative prior.

21 This is related to Dr. Williams' question about  
22 1 year, 2 years, et cetera. The data are essentially in at  
23 1 year. There is a highly statistically significant  
24 difference at 1 year, and so if we were to go 30 years from  
25 now, this observation is essentially the same now as it

1 | will be then, and this is about a 40 percent reduction in  
2 | disease-free survival. And similarly, about a 45 percent  
3 | reduction in death.

4 |           This is a picture I showed you before, and in  
5 | this region we have essentially complete data. So, these  
6 | results are not going to change even if we were to follow  
7 | up longer.

8 |           One further question about this subset thing.  
9 | I didn't mention it. One of the reasons for announcing the  
10 | results was precisely so that laboratories could address  
11 | this question of Taxol versus tamoxifen or Taxol versus  
12 | hormone receptor status, and that is being done. To my  
13 | knowledge, the only extant explanation, to address one of  
14 | Dr. Lamborn's earlier questions, biologically for the  
15 | relationship is HER2 nu and estrogen receptor status.  
16 | There's a negative relationship between the two. HER2 nu  
17 | is known to affect Taxol. There are some people who  
18 | publish results showing sensitivity, some showing  
19 | resistance. If indeed there's sensitivity, then this might  
20 | explain some of the interaction, but it cannot explain all  
21 | of the interaction.

22 |           DR. NERENSTONE: Dr. Raghavan? Dr. Blayney.

23 |           DR. BLAYNEY: On page 20 of your briefing  
24 | document, you talk about the patients who were over 65  
25 | years old. 94 percent of your patients were less than 65

1 | years of age. Dr. Henderson went through a nice step-wise  
2 | progression of how he counsels a patient regarding the  
3 | benefits of chemotherapy. For those breast cancer women  
4 | who are estrogen receptor negative who are over 65 or for  
5 | those breast cancer women who might be candidates for  
6 | chemotherapy who are over 65, do you feel comfortable in  
7 | proceeding to the last step of your progression, which  
8 | includes AC followed by Taxol, based on this data?

9 | DR. CANETTA: Before we discuss the efficacy  
10 | subset, let me make a statement that I think is pertinent  
11 | to this. As part of our study report, we did analyze  
12 | toxicity in this subset of patients, and I can tell you  
13 | that when you look only at the AC plus Taxol arm and you  
14 | compare younger patients or 65 and older patients, the  
15 | incidence of grades 3 and 4 granulocytopenia in the entire  
16 | population is 50 percent for the younger patients, 55  
17 | percent for the older patients. The incidence of infection  
18 | is 6 percent, 6 percent. So, it doesn't appear at this  
19 | level of safety consideration that this population suffers  
20 | significantly more.

21 | I should add an important thing, though. In  
22 | August, we submitted to the FDA a complete reanalysis of  
23 | all our NDA pivotal trials done with Taxol in breast  
24 | cancer, in all the other tumor types, where we reanalyzed  
25 | the safety according to the age of the patient. These

1 encompassed actually a review of a fairly large database,  
2 more than 3,000 patients. It has been submitted to the  
3 agency as part of the modification of the package insert so  
4 as to provide this type of information to the care  
5 provider. And there doesn't seem to be an increased risk  
6 of toxicity in the older population. That is consistent  
7 not only with the finding of the study but with the overall  
8 experience with this compound.

9 DR. BLAYNEY: So, the febrile neutropenia is an  
10 acute toxicity. I think part of the issue I face in  
11 dealing with over 65 women is sort of the more the chronic  
12 or longer-term toxicity.

13 DR. CANETTA: We can show the data, but again  
14 in terms of mere incidence, there is no difference between  
15 the younger patients and the older patients in this study,  
16 nor in the overall database for Taxol for other stages of  
17 this disease and for other tumor types.

18 Can we show the data?

19 DR. NERENSTONE: We're running short on time.  
20 Did that answer your question, Dr. Blayney?

21 DR. BLAYNEY: There's a small number of  
22 patients, 6 percent of your 3,000. That's 180 patients  
23 were over 65. Is that significant? How comfortable can we  
24 be in advising the FDA that this is relevant to 65-year-old  
25 and older women?

1 DR. CANETTA: Again, when you put things in  
2 perspective, the reason of our comfort is that this is  
3 almost 200 patients in this study, but we have the entire  
4 experience with Taxol in the treatment of cancer that  
5 supports that. That's what makes us more comfortable with  
6 the fact that elderly patients will not be at an undue risk  
7 of toxicity receiving Taxol at these dosages and at this  
8 schedule.

9 DR. BERRY: I just want to make one comment  
10 about that. It is, of course, a very small subset. I just  
11 looked at the disease-free survival effect of Taxol in the  
12 greater than 65. It's exactly the same as in the younger  
13 patients.

14 DR. BLAYNEY: Thank you.

15 DR. NERENSTONE: And, Dr. Pelusi, did you have  
16 one more question?

17 DR. PELUSI: I just want to make a comment in  
18 terms of quality of life and I think that that is some of  
19 the things that have come out either in the long term,  
20 cardiac toxicities, as well as our older patients. I think  
21 it becomes very valuable to all of us as we're trying to  
22 decide which patients should go or be encouraged, if you  
23 will, or given options in different treatment, what really  
24 is the effect of quality of life because as we start to see  
25 different approaches to the same thing, the question is



1 | what is the quality of life. Nowhere did I see any quality  
2 | of life studies at this particular time, and I think it  
3 | might be interesting long term to see if that can be added,  
4 | not just necessarily toxicities, but what do those  
5 | toxicities translate into for quality of life for the  
6 | patients.

7 |           DR. CANETTA: Unfortunately, for this  
8 | particular trial, instruments of quality of life were not  
9 | used. I have to say that the surrogate marker for quality  
10 | of life would be the interpretation of toxicity, acute and  
11 | chronic toxicity. As you have seen, we've been monitoring  
12 | in the longer follow-up for cardiac events, for secondary  
13 | malignancies.

14 |           I can tell you that the toxicities that were  
15 | induced by Taxol during the Taxol phase consisted chiefly  
16 | of neurosensory toxicity. The vast majority of the  
17 | patients who dropped out of Taxol did so because of  
18 | neurotoxicity, and that was reversible, and 14 patients  
19 | altogether dropped out for hypersensitivity reaction out of  
20 | the 1,400 patients. Obviously this stopped as Taxol was  
21 | stopped. The other toxicities. Alopecia, unfortunately,  
22 | is a side effect of Taxol. It's fully reversible. And  
23 | there is no sign that Taxol added toxicity.

24 |           On the other hand, again we're talking about a  
25 | survival advance here. Therefore, I think you need to put

1 that in perspective with the efficacy.

2 DR. PELUSI: And I do appreciate that, but  
3 again when we look at overall quality of life, there are  
4 additional things other than those specific things. I do  
5 agree with you on that, but again there are family issues  
6 as well.

7 DR. NERENSTONE: I'd like to thank everyone and  
8 the sponsor.

9 We'll take a break now and I'd like everyone  
10 back at 10:20. We are running behind. Thank you.

11 (Recess.)

12 DR. O'LEARY: Good morning, members of the  
13 committee, ladies and gentlemen. My name is James O'Leary  
14 and I will be presenting the FDA review of the supplement  
15 for Taxol for adjuvant treatment of breast cancer.

16 Before I begin, I would like to recognize the  
17 members of the review team who were instrumental in helping  
18 the FDA perform this review.

19 As I said, I'll skip this first slide since the  
20 sponsor already went over the proposed indication.

21 We're all familiar with the title of the study,  
22 and the sponsor also addressed this.

23 So, I will go on to the third slide. I would  
24 just like to bring at this point that the applicant has  
25 performed the first interim analysis as prespecified in the

1 | protocol to take place at 450 events. The data presented  
2 | in this analysis represents an update to that first interim  
3 | analysis. Two more interim analyses are scheduled to take  
4 | place at 900 events and 1,350 events, and the final  
5 | analysis will take place when 1,800 events have occurred.

6 |           Accrual by arm, the sponsor already addressed  
7 | this. There was equal distribution of patients to each  
8 | arm.

9 |           And I'll get right into the FDA analysis. The  
10 | FDA agrees with the applicant's analysis of the overall  
11 | disease-free survival in the population studied. However,  
12 | the core of my discussion will focus on results of this  
13 | study in subgroups defined by hormone receptor status,  
14 | particularly those patients with estrogen receptor and  
15 | progesterone receptor negative tumors, those patient with  
16 | estrogen receptor positive and/or progesterone receptor  
17 | positive tumors, and finally those patients with ER and/or  
18 | PR positive tumors who received tamoxifen. Although these  
19 | analyses represent subgroup analyses, I think that the  
20 | large number of patients in each group and the notable  
21 | number of events occurring in each group lends credibility  
22 | to these analyses.

23 |           First of all, in the group of patients with  
24 | receptor negative tumors composed of over 1,000 patients,  
25 | the apparent beneficial effect of Taxol is dramatic, with

1 the hazard ratio of 0.66 suggesting almost a 34 percent  
2 reduction in risk of recurrence.

3           When the results of the disease-free survival  
4 analysis for the receptor negative patients are plotted,  
5 this graph, which was submitted by the sponsor, shows a  
6 substantial difference in disease-free survival in favor of  
7 the Taxol treated patients. The agency estimated disease-  
8 free survival estimates at 3 years using unadjusted Kaplan-  
9 Meier curves. The results of this analysis showed that the  
10 Taxol treated patients had an estimated 3-year disease-free  
11 survival rate of 67.3 percent compared to 56.8 percent for  
12 the control group. This difference represented by the two  
13 survival curves at 3 years is quite noteworthy at 10.5  
14 percent.

15           The next subgroup that we analyzed in terms of  
16 disease-free survival consisted of over 2,000 patients who  
17 had ER positive and/or PR positive tumors. The agency  
18 derived a hazard ratio of 0.93 with a p value of 0.56,  
19 which is similar to the sponsor's value for this analysis.

20           These statistical calculations at this interim  
21 analysis provide little justification for believing that  
22 Taxol, sequential to AC, confers added benefit to patients  
23 with ER positive and/or PR positive tumors. The following  
24 graph, which was also included in the sponsor's submission,  
25 shows that there's no appreciable difference between the