

0001

1

FOOD AND DRUG ADMINISTRATION

2

CENTER FOR DRUG EVALUATION AND RESEARCH

3

4

5

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCES

6

7

8

9

OCTOBER 6, 2006

10

CDER Advisory Committee Conference Room

11

5630 Fishers Lane

12

Rockville, Maryland

13

14

15

16

17

18

19

20

21

22

0002

1 ACPS Members- Voting

Charles Cooney, Ph.D. (Chair)

Carol Gloff, Ph.D.

Meryl Karol, Ph.D.

Melvin Koch, Ph.D.

Kenneth Morris, Ph.D.

Cynthia Selassie, Ph.D.

Marc Swadener, Ed.D.

ACPS Members- non Voting (Industry Representatives)

Paul Fackler, Ph.D.

Gerald Migliaccio

Special Government Employee (SGE)- Voting

Arthur Kibbe, Ph.D. (Topic: Implementation of Definitions for Topical Dosage Forms; limited to discussion only; non-voting)

Marvin Meyer, Ph.D.

FDA Participants at the Table:

Gary Buehler, R.Ph.

Nakissa Sadrieh, Ph.D.

Keith Webber, Ph.D.

Helen Winkle

Lawrence Yu, Ph.D.

(October 6th, 2006, Track 1 of CD.)

2 DR. COONEY: Advisory Committee for
3 Pharmaceutical Sciences and I'm delighted to call
4 this morning's meeting to order.

5 I'd like to begin today's meeting with a
6 roll call to ask the individuals around the table to
7 identify themselves and their affiliation to the
8 committee.

9 And I think we'll begin over on the left
10 with Keith.

11 DR. WEBBER: Yes, Keith Webber, Deputy
12 Director of the Office of Pharmaceutical Science, in
13 CDER.

14 MS. WINKLE: Helen Winkle, Director,
15 Office of Pharmaceutical Science, CDER, FDA.

16 DR. YU: Lawrence Yu, Director for
17 Science, Office of Generic Drugs, OPS, CDER, FDA.

18 DR. BUEHLER: Gary Buehler, Director,
19 Office of Generic Drugs.

20 DR. KAROL: Meryl Karol, professor
21 emeritus at the University of Pittsburgh.

22 DR. KIBBE: Art Kibbe, Professor,

0003

1 Department of Pharmaceutical Sciences, Wilkes,

2 University.

3 DR. MORRIS: Ken Morris, Professor of
4 Industrial Physical Pharmaceutical at Purdue,
5 University.

6 DR. COONEY: Charles Cooney, professor
7 of Chemical and Biochemical Engineering at MIT.

8 DR. PHAN: Mimi Phan, Federal,
9 Designated Federal Officer.

10 DR. GLOFF: Carol Glofff, Boston
11 University and Carol Glofff and Associates, an
12 independent consulting firm.

13 DR. SWADENER: Marc Swadener, emeritus
14 from the University of Colorado, Boulder.

15 DR. SELASSIE: Cynthia Selassie,
16 Professor of Chemistry, Pomona College, Claremont,
17 California.

18 DR. MEYER: Marvin Meyer, emeritus
19 Professor, University of Tennessee.

20 DR. KOCH: Mel Koch, Director of the
21 Center for Process Analytical Chemistry, University
22 of Washington.

0004

1 DR. FACKLER: Paul Fackler, Teva
2 Pharmaceuticals, representing industry.

3 MR. MIGLIACCIO: Gerry Migliaccio,
4 Pfizer, representing Pharma.

5 DR. COONEY: Thank you, very much.

6 We have a, we have a very full agenda
7 today. We will do our best to stay on time with
8 this agenda. There are four main areas for
9 discussion this, between this morning and this
10 afternoon and I'd like to remind the committee that
11 after we come to the completion of each of the
12 topics, we will go around and have an opportunity
13 for input, summary input from all of the committee
14 members for the specific recommendations. In one
15 case we have a specific vote and we'll take that as
16 it comes up.

17 The voting members of the committee are
18 at the table. We also have our two industrial
19 representatives who are non-voting members, but full
20 participants in the committee.

21 I'd like to call on Helen.

22 MS. WINKLE: This is probably one of the

0005

1 things I like to do least in the committee and
2 that's to say good-bye to some of the committee
3 members because I think that during the time that we

4 worked together that we actually become almost like
5 a family, I mean we really enjoy the conversations,
6 the discussions we have here, so it's always sad to
7 see someone leave the family. But they always come
8 back, as Marv and Art are examples of this. You
9 never really, really get to escape.

10 But to recognize your contributions to
11 the committee, I do have plaques for four of the
12 people here. The first one is Cynthia Selassie.

13 DR. SELASSIE: Thank you.

14 MS. WINKLE: Thank you. The next is
15 Marc Swadener, and in case you don't know, Marc has
16 been our consumer rep, I know he's taken all kinds
17 of good things back to the consumers on our behalf.
18 I want to thank you for that.

19 The next one is Charlie Cooney, who has
20 been our chair for the last two years and as I must
21 say, done a wonderful, wonderful job.

22 And the last is for Meryl Karol who,

0006

1 too, has been serving for several years and has
2 really been very helpful to us in our
3 decision-making. Thank you.

4 The last plaque I have is for Mike

5 Krisinski and I think most of you know, Mike passed
6 away about six or seven months ago or seven months
7 ago. We will send this plaque on to his family with
8 our recognition of the wonderful work he did for us
9 on the committee.

10 So, thank you very much.

11 DR. COONEY: Thank you, Helen. Speaking
12 certainly for myself, but I think for the others who
13 are retiring from the, from this position, it has,
14 indeed, been a pleasure to have a chance to get to
15 know and work closely with the FDA.

16 Before beginning the topics of today, we
17 thought it might be useful to quickly go back and
18 spend a few minutes reviewing the events of
19 Wednesday. We had a joint committee meeting with
20 pharmaceutical sciences and our metabolism and
21 endocrinology to discuss issues around Levothyroxine
22 and I'd like to thank the committee members and

0007

1 after they've had a chance to reflect on the
2 discussions of that day, are there issues that came
3 out of the discussion from Wednesday that should be
4 brought forward to the pharmaceutical sciences area
5 and this committee for further deliberation. I

6 think there were a number of topics that came out.
7 It was a very engaged and active and forthright
8 discussion and I'd like to take advantage of this
9 time to reflect on that and to, so that we can
10 provide any input to the, to the agency.

11 So, perhaps if I can open it up for a
12 few minutes of some discussion.

13 Ken?

14 DR. MORRIS: Okay. Well there were two
15 things I think that came out of it. One being the
16 fact that the clinicians expressed the opinions, I
17 think the consensus that the potential variation was
18 significant was, you know, the driver as Art had
19 said and other people had said that, you know,
20 their, their judgment as a group that that was
21 important for us I think decided that question of
22 its importance.

0008

1 Having said that -- yes --

2 DR. COONEY: Ken, before you say
3 anything, I neglected to ask Mimi to deal with the
4 conflict of interest.

5 DR. MORRIS: Oh, I don't take
6 Levothyroxine.

7 DR. COONEY: My apologies. Mimi,
8 please.

9 DR. PHAN: I think he did plot it.

10 Good morning. Conflict of interest
11 statement for the meeting of the Pharmaceutical
12 Science Advisory Committee. Today is October 6th of
13 2006. The following announcements addresses the
14 issues of conflicts of interest and is made part of
15 the record to preclude even the appearance of such
16 at this meeting.

17 This meeting is being held by the Center
18 for Drug Evaluation and Research. The
19 Pharmaceutical Science Advisory Committee will, one,
20 receive an awareness presentation on risk management
21 for complex pharmaceutical, two, receive
22 presentations and discuss bioequivalence issue

0009

1 pertaining to highly variable drugs. Three, discuss
2 current thinking on issues and definition pertaining
3 to nanotechnology. Four, discuss implementation of
4 definition for topical dosage form and five, receive
5 an update and discuss current strategies and
6 direction for a critical path initiative.

7 Unlike issue before committee in which a

8 particular product is discussed, issues of broader
9 applicability such as this topic of today's meeting
10 and more and for many industrial sponsor and
11 academic institution the committee member have been
12 screened for their financial interests as they may
13 apply to the general topic at hand.

14 Because general topic impacts so many
15 institution, it is not practical to recite all
16 potential conflicts of interest as they may apply to
17 each member.

18 In accordance with the 18 USC 208(b)(3),
19 full waivers have been granted for the following
20 participants, Dr. Jurgen Venitz, Charles Cooney,
21 Melvin Koch, Carol Gloff, Kenneth Morris and Marvin
22 Meyer.

0010

1 Waiver document are available at the
2 FDA's docket Website. Specific instruction as to
3 how to access the Web page are available outside
4 today's meeting room at the FDA information table.
5 In addition, copies of all waivers can be obtained
6 by submitting a written request to the agency's
7 Freedom of Information office, Room 12A-30 of the
8 Parklawn Building.

9 FDA acknowledges that there are many
10 potential conflicts of interest, but because of the
11 general fate of the discussion before the committee,
12 these potential conflicts are mitigated.

13 With respect to the FDA invited
14 industrial representative, we would like to disclose
15 that Mr. Gerald Migliaccio and Dr. Paul Fackler are
16 participating in this meeting as a non-voting
17 industry representatives acting on behalf of
18 regulated industry. Mr. Migliaccio and
19 Dr. Fackler's roles on this committee is to
20 represent industry interests in general and not any
21 one particular company.

22 Mr. Migliaccio is employed by Pfizer and

0011

1 Dr. Fackler is employed by Teva. In the event that
2 the discussion involve any other products or form
3 not already on the agenda for which FDA participants
4 have a financial interest, the participants
5 involvement and their exclusion will be noted for
6 the record.

7 With respect all other participant, we
8 ask in the interest of fairness that they address
9 any current or previous financial involvement with

10 any firms or product they may wish to comment upon.

11 DR. COONEY: Thank you very much. If we
12 could go back and Ken, if I could recognize you,
13 too.

14 DR. MORRIS: Yes, no problem.

15 So at any rate, the clinical part being
16 in hand because that's why we had the joint meeting,
17 I was wondering why we were there at first, but
18 after we got going, I figured it out.

19 I think one of the big issues that I see
20 that I think this committee has dealt with in the
21 past is that the, when everybody was talking about
22 the mechanism of degradation of the compound, they

0012

1 were talking about the molecular mechanism, the
2 chemistry of a vapor phase solution or otherwise
3 independent molecule, but that really has fairly
4 little to do with the actual solid state of the
5 material. And I know this sounds a little bit like,
6 you know, advertising our own areas of interest, but
7 the reality is that Levothyroxine is a perfect
8 example, it's a hydrated molecule, hydrated crystal
9 structure, it's rock stable, 40, there's work from
10 the University of Cincinnati from 2003 that has a

11 nice demonstration that if you just take crystalline
12 Levothyroxine pentahydrate sodium salt 60 -- 40 --
13 sorry, 70 -- 40, 75, open, closed, six month, no
14 degradation.

15 So, it's the processing that's changing
16 the, the structure and in all likelihood based on
17 work from, from decades ago that George Graffi
18 started, we know that if you dehydrate something,
19 you do run the risk of disordering it and we know
20 that disordered materials tend to degrade faster if
21 they are labile in any sense of the word.

22 So, again, it comes back to the

0013

1 materials properties and that's sort of the theme
2 that, the theme that I think we have to take up and
3 I think in all of the issues around we discussed
4 this at the joint meeting of quality by design, if
5 you don't nail the materials properties, you have no
6 quality by design. You can't have it.

7 And this is just a, this is perhaps a
8 more extreme example than most, but you at least
9 have to understand things at the level of ruling in
10 or ruling out the material variation as the cause of
11 what appears to be some other magical, as the, as

12 somebody said, magical variation, one of the MDs at
13 the meeting said that their titrations looked like
14 magic because they had to somehow balance these
15 variable slopes.

16 So, that's my biggest points. There's
17 some other things, but I'll yield the floor, I just
18 have a lot of energy from yesterday, you know, yeah,
19 I stored up a lot.

20 DR. COONEY: Thank you, Ken.

21 Mel?

22 DR. KOCH: Yes, I'd like to add to that

0014

1 that in the processing I think it brings up an issue
2 of what's involved in the processing and when you
3 get into some of the subtle things of variation in
4 excipients and processing conditions, even though
5 that wasn't what the question was addressing, I
6 think it was very obvious to sit in on that to hear
7 some of the clinical concerns with these narrow
8 therapeutic drugs and to see how important
9 processing can be.

10 So I feel the injection of a member of
11 this committee was very valuable to potentially get
12 the attention of folks who are on that side.

13 DR. COONEY: Thank you, are there --
14 yes, Paul?

15 DR. FACKLER: Yeah, I'd add to that that
16 I think there's some misunderstanding in the medical
17 community about the origin of the variability in
18 these products and I think the Office of
19 Pharmaceutical Sciences could help the situation if
20 they were able to educate the community on all of
21 the sources of variability.

22 The clinicians and the societies that

0015

1 made presentations felt very strongly that there was
2 a single source for the problems that they were
3 dealing with as physicians and for their patients
4 and I think the stability, of course, that was the,
5 the main discussion point for the meeting is one of
6 the origins of variability, but there are more than
7 just that and more than the issues that the
8 endocrinologists presented.

9 So, I would just encourage OPS as it can
10 to try to get the facts out there and educate the
11 people that would benefit from that education.

12 MS. WINKLE: I think that's a very good
13 point. I think maybe it would be useful for OPS to

14 do some research in this area and bring this back to
15 the committee and maybe we can determine the best
16 ways to disseminate this information in the future
17 and make sure that we are, in fact, recognizing all
18 those areas.

19 And I think, too, the connection with
20 quality by design, I think it would be helpful to do
21 that as companies are beginning to put information
22 together for their applications to be sure that they

0016

1 are covering these areas of variability.

2 Does that seem reasonable to the
3 committee that we should bring this back in another
4 meeting?

5 DR. COONEY: I believe it's, I believe I
6 can, can speak for the committee. Based on the
7 discussion we had on Wednesday and the comments
8 here, which I concur with, I think that would be
9 very useful to do.

10 I certainly pick up from comments from
11 people in the discussion that the process of the
12 joint committee to address the particular problems
13 of Thyroxin was actually quite a beneficial approach
14 and, again, based on the detailed and broad

15 discussion from both the medical and non-medical
16 community, it seemed to lead to some very useful
17 recommendations, above and beyond just the product
18 at hand.

19 MS. WINKLE: Well I, and again, I said
20 something yesterday, but I want to thank all of you
21 for participating. I do think this is a really good
22 opportunity for the clinicians and the scientists on

0017

1 this committee to be able to discuss products. This
2 is about the third time this has been done since
3 I've been in OPS and each one of them, the meetings
4 has been extremely valuable.

5 So, I appreciate your input and I'm sure
6 that it will be very beneficial in helping us make
7 the decisions where we need to go in the future with
8 Levothyroxine.

9 So, thank you.

10 DR. COONEY: It's a wonderful example of
11 what one should be able to do with quality by
12 design, with a better knowledge of the details of
13 mechanisms of what's happening, that would certainly
14 bring the whole level of conversation around the
15 product and the processes to a higher level, which

16 is I know where you want to be.

17 Okay, if there, yes, Gary.

18 DR. BUEHLER: No, I totally agree with
19 you, I thought it really was an excellent
20 interaction between the Pharmaceutical Sciences
21 Advisory Committee and the Endocrinology Committee.

22 I think that this committee really did
0018

1 help the endocrinologists to remain focused on what
2 the issue was, which is a very important issue for
3 Office of Generic Drugs and actually for the
4 treatment of endocrine disorders in this country. I
5 think that meeting on Wednesday really was the first
6 step in our being able to tighten up the therapy and
7 I really do appreciate this committee's input on it.

8 DR. COONEY: Okay. Now that I have
9 managed to make us almost 15 minutes late in getting
10 started, we will, we will proceed with the morning
11 session. The first topic on highly variable drugs
12 bioequivalence issues, we have four presentations
13 before a break, hopefully people will be on time. I
14 will try not to be too Draconian, but I will be if I
15 need to be.

16 The first topic introduction by

17 Lawrence Yu.

18 DR. YU: Thank you. Well, good morning
19 everyone. Yesterday we discussed ICH Q8, Q9, Q10
20 and Q4B, yesterday afternoon we discussed quality by
21 design. We really had a great discussion.

22 And this morning we will discuss the

0019

1 bioequivalence of highly variable drugs. This topic
2 is not new. It's very old. In fact, two years ago
3 we present these topics to you, we have the same
4 speakers for Les and Sam Haidar and Barbara Davit
5 and you provide the following recommendation to us,
6 to the FDA.

7 The committee emphasized the highly
8 variable drugs focused on the highly drug product.
9 We agree. That committee suggested the need to
10 demonstrate where the variability originated.
11 Members agree that the use of reference scaling and
12 good scientific methods could reduce the variability
13 in the short-term.

14 In conclusion, the members agree that a
15 limit on point estimate should also be used along
16 with reference scaling.

17 This morning the four other speakers

18 will address point one, point three and point four.
19 I will give brief addressing to the point two, what
20 is source of variability which we discussed for
21 Levothyroxine.

22 When we looking for the source of

0020

1 variability for pharmacokinetics or by current
2 studies would be by drug substance, could be drug
3 product, could be bioequivalence studies and then
4 finally, could be physiological factors.

5 Now understanding also variability is
6 important, but in the regulatory scheme, for generic
7 drugs in particular, for therapeutic equivalent
8 product, you want it designed to be equivalent which
9 we discussed yesterday, but nevertheless you need to
10 damage the bioequivalence in vivo, many cases.

11 So the understanding of source of
12 variability will facilitate to product design and
13 bioequivalence demonstrations to demonstrate
14 bioequivalence in vivo, in vivo bioequivalence
15 studies is often necessary.

16 Now, what this mean is that we agree
17 mechanistic understanding of sources of variability
18 is very important, yet demonstrated bioequivalence

19 for highly variable drug, the challenges remain.

20 So this morning our folks will address
21 the study designs as well as data analysis, I will
22 focus on those study designs and data analysis. We
0021

1 have invited two international authorities on this
2 topic, Les Benet and Kamal Midha came all the way
3 from California and Canada to, Ken, I think you're
4 from UK, right, to give us their view on highly
5 variable drugs and Sam, Sam will talk about FDA's
6 evaluation, FDA's simulation studies on highly
7 variable drugs and finally Barbara Davit is to
8 present to you FDA's proposal.

9 With this short introduction, unless you
10 have any question, I will turn podium to Les Benet.

11 DR. COONEY: Thank you very much.

12 Would Les Benet join us at the podium.

13 DR. BENET: Thank you and thanks for the
14 invitation to attend the Pharmaceutical Sciences
15 Advisory Committee. I had the pleasure along with
16 some of the older people in the room to be on the
17 first committee and it's always fun to come back and
18 talk about the same topics over and over again, so.

19 I have made two presentations on this

20 topic, one on November 29th when the title of my
21 talk was individual bioequivalence of the opinions
22 of the scientific community changed because six

0022

1 months previously I gave the recommendations of the
2 committee that I chaired on individual
3 bioequivalence at a number of both FDA and academics
4 and industry scientists in the room were on and then
5 as Lawrence said, I sort of gave the same talk in
6 April 14th of 2004 and many of the slides today are
7 the same as presented in my previous appearance, but
8 I'll actually say something different. I use the
9 same slides in every talk, so it doesn't.

10 This is something I said a long time
11 ago, what I didn't like about the U.S.

12 bioequivalence criteria were they were Procrustean
13 and if you remember from your Biblical times, the
14 Procrustean had a bed and if you traveled through
15 their area, if you didn't exactly fit on the bed, if
16 you were too short they stretched you and if you
17 were too long they cut your feet off. So one size
18 fits all and that's what I'm concerned about our
19 bioequivalence criteria, that one size fits all.

20 And obviously if one size fits all, that

21 means that you can't bring any clinical
22 considerations or scientific considerations in
0023

1 viewing this information.

2 So, again, the slide that I presented
3 both times before but I think relevant.

4 What are we trying to solve? The big
5 issue as you addressed on Wednesday is what is
6 supposedly narrow therapeutic index drugs like
7 Levothyroxine, practitioners need assurance that
8 transferring a patient from one drug to another
9 yields comparable safety and efficacy and we used to
10 call this switchability.

11 Second, and what we're talking about
12 here today, for wide therapeutic index, highly
13 variable drug, we should not have to study an
14 excessive number of patients to prove that two
15 equivalent products meet a pre-set one size fits all
16 statistical criteria.

17 And third, and probably most important
18 to give patients and clinicians confidence that a
19 generic equivalent approved by the regulatory
20 authorities will yield the same outcome as the
21 innovator product.

22

Now, it is surprising to some that, in

0024

1 fact, the easiest drugs to prove bioequivalence are
2 narrow therapeutic index drugs. They are never a
3 problem, if your drug is equivalent, it's easy to
4 show. Sometimes you can show it in six people if
5 the agency would allow you to do it. They won't.
6 Because, by information, approved drugs with narrow
7 therapeutic indices exhibit small intra subject
8 variability and if this were not true, patients
9 would routinely experience cycles of toxicity and
10 lack of efficacy and therapeutic monitoring would be
11 useless.

12 So patients on narrow therapeutic index
13 drugs, once you get them to the right dose, they
14 stay at the right levels and they don't jump around.
15 So if you're running a bioequivalence study, the
16 hardest problem in a bioequivalence study is
17 variability. So if there's little variability, it's
18 very easy to show that a product is either
19 equivalent or it's not equivalent.

20 So the issue of bioequivalence with
21 non- -- with narrow therapeutic index drugs is sort
22 of a contra issue, in fact, it's sort of easy to

0025

1 prove one way or the other, but it's a hot issue
2 from a thinking perspective.

3 Now, here is a list of narrow
4 therapeutic index drugs that are frequently proposed
5 to limit generic substitution. I want to point out
6 Levothyroxine is not a highly variable drug.
7 Levothyroxine inter subject variability is
8 20 percent across the population and intra subject
9 variability is less than 20 percent. This is more
10 of a perception issue and it's interesting to hear
11 that the issue has changed to -- I mean I've been in
12 the Levothyroxine issue five or six times, but now
13 it's a product stability issue which probably is a
14 new issue because the other ones didn't work in the
15 past.

16 And as you all know, I mean if you
17 listen to physicians in the U.K., they don't have
18 any problems with this and they know that you don't
19 have to titrate the way the U.S. physicians do, but
20 we have to pay attention to our own physicians and
21 convince them of what's right.

22 But I added a couple of things here, one

0026

1 is Cyclosporin because many people think Cyclosporin
2 is a highly variable drug and in fact it's not and
3 it never was, even the Sandimmune formulation never
4 got to intra subject variability greater than that.

5 And I just finished a study on
6 Furosemide that really surprised me and we're going
7 to present it at the clinical pharmacology meetings
8 in April. I definitely thought Furosemide was a
9 highly variable drug, but here's oral Furosemide
10 given to people on three occasions and the intra
11 subject variability is only 15 percent, so
12 surprisingly narrow drug in terms of giving it to
13 people, especially elderly women with congestive
14 heart failure that sometimes appear to have
15 problems.

16 Now in the old days, the committee I was
17 on and members in the room here addressed the
18 individual bioequivalence issues. The reason we did
19 is we thought or at least the agency thought that it
20 could address some of the problems related to high
21 variability. It would address the correct question,
22 switchability, you know, in an individual patient,

0027

1 it would consider subject by formulation

2 interactions, it was an incentive for less variable
3 tests.

4 You could have scaling based on
5 variability, the reference product both for highly
6 variable drugs and for certain agency defined narrow
7 therapeutic range drugs and it encouraged the use of
8 subjects more representative of the general
9 population.

10 This is what we thought would be the
11 outcomes or the potential outcomes, but when we
12 investigated it, none of these were true. It turned
13 out that there was no proof that we actually needed
14 this or that there was any problem whatsoever with
15 our drugs at the present time, with the present
16 criteria, even our Procrustean criteria.

17 This consider subject by formulation
18 interaction turned out to be an unintelligible
19 parameter that nobody could make any sense of or
20 make any predictions of. The incentive for less
21 variable test products, but we could also do that
22 with a proposal that you're going to hear today

0028

1 which is an average bioequivalence. Scaling based
2 on variable, we could also do that with a proposal

3 you're going to hear today and encouraged use of
4 subjects more representative of the general
5 population that failed.

6 So none of the processes that were
7 considered as the basis for individual
8 bioequivalence in my opinion ever were useful and
9 this is why we didn't use it and the committee
10 turned it down when it came before the advisory
11 committee and why we are considering an alternative
12 because we still have an issue of these highly
13 variable drug.

14 So highly variable drugs defined as
15 coefficient of variation, intra subject coefficient
16 of variation greater than 30 percent and for wide
17 therapeutic index, highly variable drug, we should
18 not have to study an excessive number of patients to
19 prove that two equivalent products meet the pre-set
20 statistical criteria. This is because completely
21 opposite of the narrow therapeutics, when you have a
22 highly variable drug, approved drug, it must have a

0029

1 wide therapeutic index, otherwise there would have
2 been significant safety issues and lack of efficacy
3 during phase three if you've got a highly variable

4 drug, so the individual patient goes up and down all
5 the time, with big swings in concentration, when
6 you're trying to provide efficacy, you can't do it
7 or you have toxicity, if that's an issue.

8 So these are drugs with very wide
9 therapeutic index that we can accommodate and when
10 we run those drugs in a phase 3 study, we prove that
11 the drugs work and they don't have toxicity,
12 considering this high variability.

13 So if you do have a highly variable
14 narrow therapeutic index drug, it drops out in
15 phase 2. It drops out in phase 2 because it's not
16 possible for to you prove the efficacy or the
17 safety. The patient jumps up and down, gets toxic,
18 lack of efficacy, toxic, lack of efficacy, and so
19 you don't see those kinds of drugs.

20 Now here's a drug, this is Progesterone,
21 this is a drug that really has a lot of high
22 variability, it's, as far as I know, the highest

0030

1 intra subject variability, the CV intra subject
2 variability 61 percent and C max 98 percent.

3 And there's, as far as I know at least,
4 it may be true now, but at least a year ago there

5 was no generic Progesterone products on the market
6 because with our present criteria you have to run
7 300 people. You have to run 300 post menopausal
8 woman to prove bioequivalence according to these
9 statistical criteria of the CVs.

10 So we are actually preventing some
11 highly safe and -- drugs, we're sort of giving a
12 license to the company because they proved efficacy
13 and nobody with our present criteria can get a
14 generic on the market because they can't afford to
15 run a study like that.

16 I thought I would bring up the issue of
17 pharmacogenetics, a subcommittee of this committee
18 on the 17th and 18th is going to talk about
19 pharmacogenetic issues. I was invited to that, I
20 chose to come here. I'm not going to that meeting.
21 Since I'm not going to that meeting, I thought I'd
22 give you my talk here, so.

0031

1 So, should pharmacogenetics be
2 considered in setting a criteria and for some drug,
3 high variability may be the result of genetic
4 Polymorphisms.

5 So in a lot of work that we're doing now

6 we're sort of saying we can make predictions about
7 when genetic Polymorphism is going to be important
8 clinically and when it's not.

9 And, for example, for sure in 2D6
10 products, genetic Polymorphism is going to be
11 important. For sure peak gica protein, MDR 1,
12 genetic variability is not going to be important.
13 For sure if there's a genetic variability in
14 cytochrome P450384, it's not going to be important
15 and here's some of the other explanations.

16 Well why is this? What are the
17 substrate characteristics that result in
18 pharmacokinetic variability affecting
19 pharmacokinetics.

20 Well, if you want a drug where
21 pharmacogenetics is going to be really important,
22 you want it to be a class 1 drug. Class 1 drug,

0032

1 high solubility, high permeability, no transporter
2 affects, it's all enzyme.

3 Genetic variants exhibit wide
4 differences in phenotype activity, preferably at one
5 extreme marked effect and at the other extreme no
6 effect.

7 If it's an enzyme, protein is not
8 present or not extraapitically (phonetic spelling),
9 especially not present in the gut, so if it's just
10 in the liver, really easy, pharmacogenetics is going
11 to end up being important.

12 If it's a class 2, class 3 or class 4
13 substrate, you want the efflux transporter effects
14 to be minimal because you're obviously going to have
15 those.

16 Compounds that are primary substrate for
17 a single metabolic enzyme, a single update
18 transporter, a single efflux transporter, then
19 pharmacogenetics might be expected to be important
20 and the primary genetic variability potentially
21 affecting substrate pharmacokinetics is not embedded
22 and the reason MDR 1 won't be important is because

0033

1 it's embedded. MDR 1 in the liver, you have an
2 update transporter, you have an enzyme, then you
3 have MDR 1. In the gut you have MDR 1 and the
4 enzyme. So it's embedded.

5 So the variability from MDR 1 is not
6 going to be important because you have all the other
7 variability from all the other things that also

8 affect the drug.

9 So, what's going to be really important,
10 2D6. Why? Because it appears to be predominantly
11 class 1 substrate, therefore, no transporter play,
12 can't identify substrates that 2D6 -- can't identify
13 transporters that 2D6 are substrates for, therefore
14 you're going to have good absorption. The enzyme
15 shows marked genetic differences in enzyme activity
16 between extensive and poor metabolizers, there's no
17 significant gut 2D6 activity. Many sub 2D6
18 substrates have minimal metabolism by other enzymes.
19 So all factors that minimize non-genetic
20 variability.

21 Now why did I bring that up? I bring
22 that up because we have a lot of Cip 2D6 substrates

0034

1 where we have genetic equivalent -- generic
2 equivalents of them, so obviously this variability
3 exists and when these drugs went on the market, that
4 variability was there.

5 Some of them went on the market before
6 we even understood pharmacogenetics, so we certainly
7 looked at patients that had low enzyme or high
8 enzyme when those drugs were approved. I know

9 because on many of the drugs I was a consultant in
10 those days at least in some of the companies that
11 looked at the data before we knew about it and we
12 had tremendous variability, but the drugs still
13 worked and they were still safe.

14 So the question should not be if such
15 drugs are eligible for scaling and bioequivalence
16 assessment or even if such drugs should be eligible
17 for approval of generic equivalence, rather this is
18 a labeling issue.

19 If the genetic Polymorphisms are
20 critical to drug dosing, this should be true for the
21 innovator as well as the generic, so I don't see
22 this variability as being different than any other

0035

1 sources of variability.

2 So the recommendations that the panel,
3 the individual bioequivalence panel gave were this,
4 sponsors should seek bioequivalence approval using
5 average bioequivalence or individual bioequivalence,
6 getting rid of the subject by formulation issue.

7 Scaling by average bioequivalence should be
8 considered, that's what we're going to talk about
9 today and let's forget the second one.

10 But then we made the recommendation that
11 you endorse in 2004 that there should be point
12 estimates and the point estimates recommend at that
13 time the point estimate criteria, AUCs of plus or
14 minus 15 and C max of plus or minus 20 or both ABE
15 and IBE and consideration of narrow therapeutic
16 index being lower.

17 When I came to the panel in 2004, I made
18 similar types of recommendations, slightly
19 different, and you're going to hear slightly
20 different recommendations today, actually different
21 recommendations today from the FDA panel.

22 Now, what's really important to know

0036

1 about point estimates and I really was the first
2 person to push the point estimate, was these three
3 things, there's no scientific basis or rationale for
4 point estimate recommendation.

5 There's no belief that the addition of a
6 point estimate criteria will improve safety or
7 improvement generic products. The point estimate
8 criteria is there to give confidence to patients and
9 clinicians, because they have trouble understanding
10 how you would allow a drug to have wide variability

11 and still accept it.

12 So the reason we made the recommendation
13 on the point estimate was to say, look, don't, we're
14 not going to come back and somebody come before a
15 committee and somebody come and say hey, these
16 things are allowed plus or minus 30 percent and the
17 FDA says plus or minus 25 percent and they are still
18 equivalent with scaling. So that was why a point
19 estimate criteria was.

20 Now, in my mind, the criteria that the
21 agency is going to select as they're going to
22 justify this, I believe you could easily be, have a

0037

1 narrower value on the point estimate and it won't
2 make any difference one way or another.

3 I think products will still as with a
4 narrow estimate, because when I did the statistics
5 and looked at the criteria, if any drug with an
6 average variability of about 15 percent was differed
7 by more than 6 or 7 percent, really different, it
8 fails our present criteria.

9 So we don't really have a problem, we've
10 never had a problem actually with generic
11 equivalence, our problem is always how do people

12 view us and how difficult are we making it for
13 individuals to get a generic on the market when we
14 have a highly variable drug. So, I'm very happy
15 that we're here today discussing this issue.

16 So my conclusions are highly variable
17 therapeutic index drugs are limited and most to a
18 few cancer treatment, but I'm actually not aware of
19 any that really are. You know, people say there's
20 highly variable drugs that are on the market, but I
21 don't know of any.

22 I mean when I go back to look at

0038

1 something like Furosamide or when I look at
2 Cyclosporin, they are not really highly variable in
3 terms of the coefficient of variability, variability
4 on the market that we want generic, but there may be
5 some cancer drugs.

6 Highly variable drugs on the market are
7 the safest drugs because marked swings in systemic
8 drug levels have been shown to not affect safety and
9 efficacies in individual patients and high
10 variability can result from a number of
11 environmental and genetic factors, none of which
12 appear to require any special consideration not

13 already found in the labeling of the innovator drug.

14 So, thank you.

15 DR. COONEY: Thank you. I'd like to
16 take a moment for questions from the panel.

17 DR. MEYER: Les, do you stand by your
18 April 14th, 2004, recommendation, or do you wish to
19 modify it now?

20 DR. BENET: All I want is a point
21 estimate issue. I, I want something, I want to see
22 average bioequivalence with scaling approved with a

0039

1 point estimate criteria.

2 So I'm willing to accept the present
3 criteria for the exact reason that I gave here,
4 because I don't think there's any scientific basis
5 and it's not going to improve the approval process
6 anyway.

7 DR. MEYER: So you're flexible on the
8 percentages that will be allowable?

9 DR. BENET: Yes, I am.

10 DR. COONEY: Ken.

11 DR. MORRIS: Just a quick question about
12 the mechanism, I mean the literature that I've
13 looked at which sort of started with Wagner, I

14 think, said that the variability was actually due to
15 difference in -- inter-patient differences and
16 clearance as well as intra, is that pretty much the
17 standard wisdom on the causes of variability?

18 I mean whether it's genetically.

19 DR. BENET: Yeah, yeah. I think the
20 inter is probably -- well, I don't know. I mean
21 certainly it's differences in clearances, but what
22 causes those differences in clearances have a

0040

1 large -- some of it can be genetic, I think there is
2 some that's genetic, but there's a lot of other
3 environmental factors that affect it.

4 DR. MORRIS: Yeah, no, I guess my
5 question is is the people like Asham Abdullah who
6 had written the paper, you know, in the late '90s
7 said that if you normalized the clearance, that it
8 sort of makes your point that, you know, if you
9 normalize the clearance, all these variations sort
10 of minimize, at least if not go away.

11 DR. BENET: Right, well that's exactly
12 what's being proposed.

13 DR. MORRIS: Right.

14 DR. BENET: Because when you normal AUC

15 and you steal on AUC, you're normalizing the
16 clearance.

17 DR. MORRIS: Yeah, exactly, so.

18 DR. COONEY: Any other questions?

19 Thank you very much, sir.

20 The next presentation this morning will
21 be by Dr. Kamal Midha of the Pharmalytics Research
22 Institute, University of Saskatchewan

0041

1 DR. MIDHA: My sincere thanks to the,
2 for the invitation to come and speak here.

3 As you know, it's always a difficult act
4 to follow when you get the youngest and the best
5 looking man speaks before you and we call him Les
6 Benet.

7 Every time I have to speak after Les, I
8 ask myself what did I do to deserve this?

9 Now, I think he and I have been in so
10 many meetings that sometimes I forget I'm showing
11 his slides or he's showing one of my slides.

12 Anyway, coming back to this issue of
13 highly variable drug, first of all, I would ask you
14 to pay attention to something which is said here
15 which is persistent. This problem we have been

16 discussing for many, many years and it has now
17 reached a point where sometimes I forget which
18 particular drug I'm talking about, which example I'm
19 taking. So, help me because I understand that the
20 slides have been changed so that even the generic
21 drug name does not appear.

22 So if I can move, I'm going to give you
0042

1 an outline of the presentation and I'm not going to
2 give a lecture which I prepared for someplace else,
3 as Les does it, and then he brings in
4 pharmacogenetics, and we are talking about within
5 subject variability here, so I'll have to have a
6 private meeting and I'll have some broken teeth
7 after.

8 Okay. Examples, actually I'm going to
9 give you from studies which have been carried out in
10 the institute and I have colleague here, Dr. McKie,
11 who's been with me for over 25 years and I think he
12 has persistently, like the persistent problem, dealt
13 with it. And I will then discuss about this IBR,
14 which you have been reading, including in other
15 places as Canada and Europe about just arbitrarily
16 widen the limits. I worry about these things when

17 you don't have a scientific rationale.

18 So scaling provides us a scientific
19 rationale and that's why my allegiance is towards
20 scaling like Les. And then I'll give you some
21 carefully constructive remarks about concluding it.

22 Okay. This we have heard that drugs

0043

1 with, within subject variability, because you know
2 that English is not my first language, although I've
3 lived in North America for over 40 years, I don't
4 like to call inter and intra, it get lost, so I use
5 the word within subject variability, which is intra,
6 and (inaudible) subject variability, which is inter,
7 to be clear in different parts of the world where we
8 have to go and sometimes speak.

9 So, drugs with high within subject
10 variability which we now call ANOVA CV because it's
11 an estimate of within subject variability, it's the
12 closest estimate we get, statistically, and I'm not
13 a statistician, but they've hit me enough time that
14 I should understand some of it.

15 Highly variable drug products are those
16 where the drug may not be highly variable but the
17 product formulated pharmaceutically is of poor

18 quality and that brings in added variability and
19 this is due to high within formulation variability.

20 And I think at one of the meetings
21 Lawrence and I discussed that this is an important
22 thing that the drug may not be highly variable, but
0044

1 poor pharmaceutical quality comes into the play.

2 Now we know that the width of 90 percent
3 confidence interval, and we have Don Schuirmann
4 here, is actually the width based on what we call
5 within subject variability, the number of subjects
6 in a study, as well as how far the geometric mean
7 ratio has deviated. That is the difference between
8 what we call the means and you're looking at in a
9 genetic conversion.

10 So all of this are the responsible one,
11 the wider the 90 percent confidence interval, more
12 likely you're going to fall outside the limits which
13 we have set as 80 to 125 percent. Les' words, one
14 size fits all without any rationale, and I think
15 what we have done all over is accepted that 80 to
16 125 percent is the limit. And I think it should be
17 more scientifically evaluated, this limit. And I
18 think this is already highly variable drugs would

19 fit in.

20 So highly variable drugs become a
21 problem and coming here is a good example of a drug
22 which has a low residual variance, 15 percent, shown
0045

1 as a cartoon in green and these are your limit,
2 80 to 125 percent. And you will find that the
3 90 percent confidence intervals are narrow.

4 The geometric mean ratio, which is a
5 point estimate, and the number of subjects in both
6 the studies are same. Red is the cartoon when you
7 have within subject variability of 35 percent and
8 you will have wide confidence interval, so
9 essentially what happens, that lowered bound here is
10 now below 80 percent and we would fail this product
11 because of the fact that it has a high residual
12 variance, ANOVA CV of 35 percent.

13 So this is the difference, the point
14 estimates are the same, narrow, here, confidence
15 interval, and here, wider.

16 Now, I think this is a slide which I
17 took from Les because he was first to note at the
18 poster in 2002 which came from MDs, they looked at
19 800 studies, fasting studies and looked at the intra

20 individual CV and the percent of the study which
21 failed. And you notice here as you go, as the intra
22 individual variance increases, the studies failing

0046

1 also increase. And essentially here when it is
2 greater than 30 percent, 62 percent of this studies
3 in their archives they indicated failed.

4 Okay, so at present there are no set
5 specific acceptance criteria for highly variable
6 drugs and drug product and when I chaired the
7 committee for WHO for multi-source
8 interchangeability, I had a chance to look across
9 regulatory acceptance criteria for highly variable
10 drugs and it has been very well reviewed in your
11 2004 presentation, Japan deals it one way,
12 South Africa deals it another way; however, here we
13 stayed in U.S. to 80 to 125 percent.

14 So in order to give you some evaluation,
15 I'm going to apply 90 percent CI to both C max and
16 AUC geometric mean ratios and set the criteria to be
17 80 to 125 percent, just so that I can make some
18 observations.

19 Now, there are three studies, if I
20 recall correctly, and please help me, product A is a

21 phenothiazines. Phenothiazine, an anti-psychotic
22 agent, this is one of the earliest study which was
0047

1 done in our institute when we actually submit it to
2 the agency to consider when reference to reference
3 fails, what should we do with the test product. And
4 at that time very correct answer was we have not yet
5 taken a decision.

6 Product B is an example, I think it's a
7 beta blocker and here you will see that the product
8 is highly variable and I think this drug, if I can
9 name it, is Nadalon.

10 And the third one, product C is a
11 Transdermal patch where systemic levels are
12 responsible for activity and it's a nitroglycerin
13 Transdermal patch, so I think I interpreted it
14 correctly because Dr. Yu said to me these were
15 changed and I can understand that.

16 Now, I'm just going to, for those people
17 who are interested in study designs and data
18 analysis, just to give you that ABE 1 is
19 non-replicated study design, two treatment,
20 two-period cross-over, we do the analysis of
21 variance (inaudible) and ABE 3 is where the

22 reference is replicated, but test is only given

0048

1 once. And again, here, we do ANOVA, analysis of
2 variants, the residual variants calculated, again,
3 SAS procedures are used here.

4 In ABE 4 where both test and reference
5 are replicated, it's a true, test is also
6 replicated, reference is also replicated and you do
7 (inaudible) mixed approach here. And this is how
8 the analysis of variant.

9 Now these are, I'm utilizing in order to
10 make cases for some observations. Analysis one, if
11 we look at ANOVA one, we are looking at residual
12 variance. We understand residual variance is made
13 up of several variance components, within subject
14 variability, which is due to the pharmacokinetic
15 parameter and since we measure serum and plasma and
16 whatever levels we measure by analytical
17 methodology, it always has inherent analytical
18 variability pooled in it. Within formulation
19 variability and this subject by formulation
20 interaction, which as you understand is a
21 statistical term, importance of subject by
22 formulation in terms of clinical reasons,

0049

1 repeatability, et cetera, during the debate of
2 individual bioequivalence we have constantly said we
3 don't even understand.

4 So it's a statistical term and
5 Laszlo Endrenyl who is here and unfortunately you
6 will not be able to hear him before you take a
7 decision, Laszlo has looked at it very, very
8 carefully and I think you should look at his slides.

9 And then there is unexplained random
10 variability.

11 ANOVA 2, if you go into it, you have
12 fixed affects, formulation, period, subject, and
13 subject by formulation interaction in the case of
14 the phenothiazine, which was called Promazine, was
15 equal to residual variance. So you could take
16 subject by formulation interaction variance or
17 residual variance, the numbers came out to be the
18 same.

19 And in the case of fourth theory, when
20 test and reference are replicated, you can separate
21 test and reference variances so you know whether you
22 have made a good pharmaceutical product for your own

0050

1 self and you also get an estimate of what kind of a
2 pharmaceutical product which is a brand product on
3 the market is like and that's where four-period
4 replicate design are very helpful.

5 Now I was asked this question during the
6 last maybe debate, but several years ago how stable
7 is ANOVA CV calculations when we are going to do
8 these studies in different laboratories, using
9 different methods and again, different operators,
10 what would be ANOVA CV like.

11 So what I did was I don't have an
12 example of different laboratories, but in our own
13 laboratories, we had done studies on, research was
14 on phenothiazines, those days, we looked at this
15 particular one case of Chlopromazine where the study
16 was done as a bioequivalence assessment study, 37
17 subjects and we, this was a, reference was
18 replicated, three-way study, test and reference,
19 reference and this is what we found ANOVA CV. And
20 these were done by three different lab assistants,
21 we call research assistants and three different
22 methods, it was GCMS in those days, it was HPLC

0051

1 using what we call electrochemical eductor and we

2 also did extraction RIAs.

3 The second study where, because we
4 wanted to know is the drug variable or the product
5 variable, we had done a study of the solution by
6 giving three doses of solution, so it's a three-way
7 cross-over again.

8 And this is a study, a very small study
9 where we give Quinadine to inhibit 2D6 because
10 cloned Promazine, one case you find is affected,
11 some metabolic part was affected in those days.

12 And again, the idea is to get residual
13 variance of ANOVA CV and you can see different
14 methods over several years you are actually able to
15 get similar kind of numbers and variability. And
16 that's important to keep in mind that, yes, we
17 should be, if we are doing the studies right and our
18 analytical methodology is well founded, then it's
19 worthwhile.

20 And just to show you what contributes to
21 this variability and if you see it's two
22 administration of reference product in the case of

0052

1 Phenothiazine (inaudible), I'm just going to show
2 you these subjects. This is in reference to

3 reference, you see interaction -- sorry, a variance,
4 these are the subjects and if you take away the bad
5 ground, they are contributing lot more to what we
6 call the ANOVA CV or residual variance. And this is
7 between reference to reference.

8 So if we go and analyze this data now
9 and we find, yes, the definition of 30 percent
10 greater ANOVA CV in both C max and AUC geometric
11 mean ratios are higher, the point estimates here are
12 10 to 15 percent off, so you will fail this study
13 because the C max does not meet the criteria of
14 120 percent.

15 Now, if you do (inaudible) wise
16 comparisons, which statisticians would not allow
17 and, because you've done a three-way study and
18 you've kind of, but just for somebody like me who is
19 a journeyman, I look at test compared to reference,
20 test compared to reference two, and reference to
21 reference, that numbers are indicating either you
22 are below 80 percent or above 125 percent, so you

0053

1 will fail that.

2 So test to reference one, test fails.

3 Test to reference two, test fails. Reference to

4 reference, reference also fails. So what should we
5 do in situations like this.

6 Okay. Let's turn to the second product
7 which as I said is a beta blocker, I think it's
8 Nadalon. This study was done in 22 healthy
9 volunteers, two formulation, four period,
10 four-sequence cross-over design, an adequate
11 wash-out period, 17 plasma samples over 96 hours, so
12 you have the background that this is properly done
13 and subsequently after we had done the study I think
14 Don Schuirmann was very good at one time, he said
15 you should be careful about how many sequences you
16 should have in these and I think since then we have
17 learned about these sequences effects and
18 statistically, I can tell you absolutely he's right.

19 So, if we look at test versus test,
20 residual variance and reference versus reference,
21 just front page we can say for C max, test appears
22 to be less variable as compared to reference and if

0054

1 you look at three subjects which are shown here, the
2 two observations are test, are closer as compared to
3 reference to reference.

4 Now, if you look at AUC in the same

5 subjects, test is less variable, formulated product
6 and reference to reference, lot more variable. And
7 so we know that this is marketed product which is
8 poor because tests could not have been made. So
9 it's not the drug which is highly variable, it's a
10 poorly-formulated product which is on the
11 marketplace.

12 So if we look at comparisons now, again,
13 based on the definition, we are greater than
14 30 percent for one parameter, so we would say yes,
15 this study would fail. We are outside the
16 confidence intervals, but bear in mind that your
17 point estimates are also 12 to 13 percent off.

18 Now, if I do test-to-test comparison
19 here, it's not highly variable and all of these
20 numbers in this white clearly tells us residual
21 variance is less than 30 percent both in the case of
22 C max and AUC lost and the confidence intervals are

0055

1 contained within the limits we accept, 80 to
2 125 percent, but what do we do when we look at
3 reference to reference, which is a product already
4 in the marketplace and clinically there are no
5 problems with this product, so essentially this is

6 happening -- this is a highly variable drug product
7 because it's in both the parameters, it's 40 percent
8 and 50 percent in terms of residual variance, so
9 when we look at confidence interval it falls below,
10 but bear in mind your point estimates are also 12 to
11 13 percent off.

12 Now, product C is a Transdermal patch
13 for systemic delivery and it's a nitroglycerin,
14 37 healthy volunteers, two formulation, four period,
15 four-sequence design, wash-out period one week,
16 collected samples, because the patch is applied for
17 12 hours, then you take the patch off, you continue
18 to take blood samples, so we followed it over
19 13.5 hours.

20 Here's another way of showing subjects
21 who contribute to the variability which we call
22 residual variance. This is all in these white

0056

1 rectangles. You will see the two observations of
2 tests as compared to two observations of reference
3 are far apart. Clearly test in this case, you can
4 see two observations of reference, test here,
5 reference, test, they are far apart. And the same
6 thing appears in AUC.

8 We don't have clinical problems with this. All we
9 have is a problem is when you want to bring a
10 multi-source of genetic product in the marketplace.

11 So, they are safe drugs. High -- within
12 subject variability of C max often is a problem
13 because it's a single determinant and it depends
14 upon the frequency of sampling around the T max, so
15 you have to pay attention and sometimes when I hear
16 in different jurisdictions that we also want to look
17 at the metabolites.

18 Well have you designed the study so you
19 can really understand that you are collecting
20 samples so that you can also understand the parent
21 drug as well as the metabolite. So C max is a
22 single determinant and it's dependent upon sampling

0058

1 around the T max.

2 In 90 percent confidential interval may
3 not be required. This is what is happening in
4 Canada, but I'm not suggesting that this is a
5 potential solution and I have discussed this with my
6 colleagues in Canada and they are also thinking
7 about should they change and do the same thing as in
8 U.S., set the standards of 80 to 125 percent.

9 Suggested approaches which are in the
10 literature from published literature. There's --
11 you do multi-dose studies. Now, I have learned over
12 the years that you can do multi-dose studies, but
13 what you are essentially doing, drugs which have a
14 tremendous pre-systemic clearance first pass
15 metabolism, when you dose in multiple doses, you
16 saturate the metabolism so the variance goes down.
17 That's not a solution. Whereas single-dose study is
18 lot more sensitive in terms of detecting changes in
19 the formulation, between test and reference, generic
20 and brand product, we have the same active
21 principal, same API, same milligram in terms of
22 quantity, we have that situation, so multi-dose

0059

1 studies is approach suggested in the literature and
2 Europe was very hard on this using multi-dose
3 studies. I think they have started to think more
4 carefully now.

5 BE on basis of a metabolite, this is, to
6 me is a no solution. Then error correction method,
7 I have no experience, but I'm not comfortable.
8 Application of stable isotope, which is earlier on I
9 heard the comment of correcting for clearance.

10 Now here is a situation, this was work
11 done first time with I think if I recall is
12 Imipramine, it's a Dehak paper, many, many years
13 ago. I had a chance to understand watching the
14 understanding we now have of isozymes and
15 transporters, what you are doing essentially in a
16 stabilizer dose situation is you give your test
17 product with a solution of stable isotopicable
18 behavior, first of all, making stabilizer
19 isotopicable compound is a very expensive convention
20 and then put the stable isotope at the site which is
21 not metabolizable is another major demand on you.

22 So it's not a simple thing, but what do

0060

1 you do when you give a solution with a tablet. The
2 distribution of solution is very different as
3 compared to tablet and I did enough animal studies
4 to tell you, I finally said to them, you know, this
5 is a great approach, but it does not work, at least
6 for correcting bioequivalence.

7 So statistical approaches which are,
8 there are scaled-average bioequivalence criteria
9 which you will hear more about the work done in the
10 agency. The one which Les has suggested be call it

11 GMR dependent scale average bioequivalence limits
12 and he clearly said no scientific rationale behind
13 putting that. It is political, because that's very
14 true. You want people to have confidence in your
15 product.

16 So the other is individual
17 bioequivalence, let me not say any more, because I
18 think this is done with. As far as I'm concerned,
19 we were chasing cars at that time, for whatever the
20 reasons were.

21 Bioequivalent study design, replicate,
22 group sequential design are add-on designs and I

0061

1 think Japan is doing some of this work.

2 Now, widening the BE limits arbitrarily
3 from 20 to 30 percent, I would like to ask why not
4 20 to 40 percent or 20 to 25 percent. So let's have
5 a scientific rationale for saying, so I'm not
6 comfortable with that. And I know that in CPMP this
7 approach is being taken in the case of C max. You
8 have to justify if it does not have any safety,
9 clinical rationale.

10 Lowering the confidence interval, I
11 think colleagues you would have to think of the,

12 what we call tight one out of consumer risk and I do
13 not think we want to change that. You can go from
14 90 to 80 percent, but that's for agency to decide.

15 Now, the BE limits can be scaled to
16 within subject variability. You can widen the BE
17 limits. Dr. Andrania suggested using two-period
18 design, sometimes back. Here you scale to the
19 residual standard deviation which you get out of --
20 that's the ANOVA CV. The problem with that approach
21 which I have presented to my honest colleague
22 Dr. Laszio in GENYA is we do not know if test is

0062

1 contributing more or reference is contributing more
2 because we know reference is already in the
3 marketplace, so that's why I was not comfortable
4 where scaling is done in a two-period design.

5 Replicate design gives you approach to
6 scale based on within subject standard deviation of
7 the reference formulation, because it is clinically
8 already operational. So you are doing something
9 which is already in the, in the marketplace already.
10 And this is the approach, essentially what you're
11 doing is log a point, the load bound of this and
12 here are the two parameters which I think, I hope as

13 Dr. Sam Haidar and other people who discuss,
14 Sigma WR is the standard deviation if you're doing a
15 two-period design, this is from the residual
16 variance, which is what we call ANOVA CV, the
17 standard deviation from there. And if you have a
18 replicate design, then you are doing reference to
19 reference using Sigma WR.

20 Sigma W zero is a point from where
21 widening begins and I think it's shown on the next
22 cartoon, I have shown here, here is the black box,

0063

1 one size fits all, 80 to 125 percent. If you set
2 Sigma W zero, you see from .2 onward, as reference
3 to reference variability or residual variants
4 increases, the limits widen, this is when you start
5 at .20.

6 When you start at .25, then it starts
7 here at .25, by the time you reach the point where
8 you want to define something is highly variable, you
9 have wider limits to go with.

10 And on an actually tabular form, if I
11 show, if your -- this residual variance or reference
12 to reference variance is here, you can see that this
13 would be your confidence intervals, this would be

14 the limits and if SW zero is .25, and they widen as
15 you go, increase the SWR. And here are when you
16 start from SW zero, this is just to give you a feel
17 for it.

18 Now here is observations which I can
19 make. I, average bioequivalence is insensitive
20 fortunately to this ghost of subject re-formulation
21 interaction. It is insensitive, so that's a good
22 thing.

0064

1 Unscaled average bioequivalence is
2 sensitive to difference between the means. That's
3 the point estimate which we call GMR from, away from
4 100 percent. Scaled average bioequivalence if you
5 scaled it is much less extensive to difference
6 between the means.

7 Now if you do replicate design, it
8 allows you to understand the pharmaceutical quality
9 of each formulation, the one in the marketplace and
10 the one you are making if you do proper replicate
11 design where test and reference both are replicated.

12 It also allows scaling if you want to
13 use reference to reference because you can get that
14 estimate. It reduces the number of subjects

15 required to achieve adequate statistical power, but
16 number of observations don't change because you dose
17 them several times. The number of observations
18 stays the same, two period versus three period or
19 four period.

20 Disadvantages of reference scaling are
21 scaling can allow the point estimate to rise
22 unacceptably high level which you heard Les Benet

0065

1 talk about and that's why he suggested that for
2 consumer and clinician, he thinks a constraint on
3 GMR would be appropriate to be set by the regulator,
4 agents, you can set it between 80 to 125 percent.
5 You can choose to set it between 90 to 111 percent,
6 that's your call.

7 Potentially what other can happen is
8 potentially different BE limits for different
9 studies on the same drug. That's a possibility.

10 A poor quality study might give
11 exaggerated variances and widen the BE limits.

12 Okay. Might encourage sloppy studies. These are
13 the concerns which generally are there.

14 But my way of thinking is it's unlikely
15 to occur with good laboratory practice in place,

16 with such an advancement in the bio analytics and
17 the instrumentation, every day, I think also the
18 fact that we are dealing with a regulated market
19 where you go out and really audit these facilities.
20 So this is a way that you can control, so these
21 concerns are there, but I'm saying we also have
22 systems and checks and balances in place.

0066

1 If reference scaled average
2 bioequivalence is to be considered, my suggestion to
3 you would be for your consideration set Sigma W
4 0.25. My only reason of suggesting this is because
5 when you get to .3 where you define this is a highly
6 variable drug product, you have widened limits.

7 Scaling can lead to point estimate to
8 rise to unacceptably high level and you heard the
9 suggestion from Professor Benet, therefore,
10 constraint on GMR can be considered, but that's not
11 scientific, that is because of political reasons.

12 And friends I like to acknowledge all
13 these people who have been working for many, many
14 years and Rabi is a good colleague who is here with
15 me and I have a chance to discuss with him several
16 times on this topic now.

17 So I want to acknowledge all of them and
18 I want to thank you for your attention.

19 MR. UNIDENTIFIED SPEAKER: That's
20 actually a slide that's calculating the number of
21 subjects. It's from the literature.

22 DR. COONEY: I think what we'll do is --

0067

1 (not talking in mic)

2 Cancel the break for the moment. I'd
3 like to open it up to the panel for questions and
4 comments.

5 DR. MORRIS: Thanks, that was very
6 interesting.

7 I guess one question I have when you're
8 talking about the potential impact of the quality of
9 the differences in the quality of the formulation
10 itself is that if I understood Les correctly, and I
11 think what you said, too, is that if true BCS 1s
12 according to Gordon's system so you don't have
13 transporter issues, et cetera, are the most likely
14 to show high variation; is that correct?

15 DR. MIDHA: No.

16 DR. BENET: I was just saying that
17 you're going to see pharmacogenetics.

18 DR. MORRIS: Pharmacogenetics. Oh, I
19 see.

20 DR. MIDHA: That's between subject
21 variability.

22 DR. MORRIS: Okay.

0068

1 DR. MIDHA: Yeah, you're not talking of
2 ANOVA CV which is an estimate of within subject,
3 that's why I could quietly say to Les what did it
4 say, did I miss it?

5 DR. MORRIS: All right. Well never mind
6 that one, then.

7 My second question is that on your
8 slide 6, I believe, where you said when will a drug
9 formulation pass or fail, what was the basis of the
10 fail?

11 Was it a clinical failure or was it a
12 tolerance failure? I mean a CV failure? Yeah,
13 there you go.

14 DR. MIDHA: There.

15 DR. MORRIS: Yeah, when you say studies
16 failing, do they fail?

17 DR. MIDHA: This is bioequivalence
18 assessment, this is a poster which we say, Les saw

19 and he took this slide. 62 percent of the studies
20 failed the 80 to 125 percent.

21 DR. MORRIS: Right, but that doesn't
22 necessarily mean they failed in terms of efficacy;
0069

1 is that correct?

2 DR. MIDHA: No, because they, see
3 essentially what they are doing is comparing
4 whatever genetic test products against it.

5 DR. MORRIS: Yeah, no, that's fine.

6 And just real quickly, one last question
7 if I can find it.

8 In the disadvantages on slide 41, you
9 talk about the disadvantage of reference scaling,
10 you said that there are potential differences in the
11 bioequivalence limits for different studies on the
12 same drug.

13 How likely is that? Is that a big
14 concern?

15 DR. MIDHA: Potentially BE limits?
16 Okay.

17 DR. MORRIS: For different studies on
18 the same drug, yeah, is that --

19 DR. MIDHA: Yeah, this is possible.

20 DR. MORRIS: But is it a likely outcome?

21 DR. MIDHA: It's, I would consider yes
22 it's likely, but it's not going to change very much,
0070

1 you know.

2 DR. MORRIS: Yeah.

3 DR. MIDHA: They are not going to, it's
4 just that, you know, somebody gets 32 percent
5 residual variance, another person gets 33 percent.
6 It's number of subjects and all that.

7 DR. MORRIS: I was just thinking in
8 terms of what sort of variation and direction you
9 could actually give companies.

10 Thank you.

11 DR. COONEY: Paul.

12 DR. FACKLER: I was going to speak to
13 the same slide and to that same point, while the
14 actual limits might be different for the various
15 applicants, the statistics applied to the applicants
16 would be consistent, so, I mean I agree, somebody
17 might measure a residual variance of 30 or
18 33 percent allowing them to have slightly different
19 scale boundaries, but the statistical approach
20 applied is consistent across all the products.

21 The other comment I wanted to make on
22 this slide was as to the disadvantages and how a
0071

1 poor quality study might be preferred in that it
2 would give you wider confidence limits, in essence.
3 FDA, of course, is in a position to judge the
4 quality of the studies that are submitted, so it's
5 not as if there are no, no checks and balances in
6 place to guard against that particular scenario.

7 DR. MIDHA: I think I'd want to add
8 something what Paul said, this is very true. The
9 way you are looking at, because when you evaluate,
10 that opportunity exists for you to go back to the,
11 if I understand, the review process takes into
12 consideration the bioanalytical technology, okay,
13 which is the main concerns that has been expressed
14 at many conferences and what I have said is
15 bioanalytic methodology has come of age, okay, and
16 second thing is regulatory agency has the chance to
17 look at if this is a sloppy study, I think they also
18 have the mandate that they can go and audit that
19 study.

20 And that is checks and balances that are
21 available, this was unlikely to occur, with good

22 laboratory practice in place and I think all you
0072

1 picked up that I'm putting all the disadvantages
2 which are there so you are aware of it, but these
3 are disadvantages which we can handle. I mean as
4 regulatory body, they can be handled. That's my
5 view.

6 DR. COONEY: In the previous question
7 Ken spoke to a point that Les Benet had raised and I
8 would like to see if Les would like to speak to
9 that.

10 DR. BENET: I'd like to give you a
11 little historical background. When Diazide was up
12 for generics many years ago, what a number of
13 generic companies did was just run studies with
14 30 subjects over and over and over until they got a
15 low CV and that was the one then that they used to
16 get approval on the basis.

17 And if you go back to the slide that Ken
18 asked a question on, if you go back to that sixth
19 slide, the reason that studies with greater than CV
20 greater than 62 percent -- greater CV greater than
21 CV 30 percent failed, there's another slide, is
22 because they were under powered.

0073

1 The company said, oh, I don't want to
2 spend that much money, I'm going to run fewer
3 subjects and that's why they were failed. Because
4 if they were correctly powered, they would have
5 passed and there was there that on a second slide,
6 it shows if you had correctly powered them, you'd
7 get the right pass, but you have to have much more
8 subjects. So they were just trying to save money
9 here.

10 And so, yes, you could run a bunch of
11 studies as now because the agency still does not
12 require all data to be submitted from generics.

13 MR. UNIDENTIFIED SPEAKER: I know they
14 ask for some of the studies. Now they are
15 submitted, fortunately, at that time they didn't.

16 DR. BENET: Okay, I don't want to raise
17 that issue.

18 But you definitely could get, because
19 there's high variability, you could run a study that
20 had very low CVs out of 10 studies and that would be
21 the one that you submit.

22 DR. MIDHA: But I think on the same

0074

1 wavelength which is I think your comment is valid, I
2 want to add, you can always increase the number of
3 subjects as calculated.

4 If you really, I mean right now people
5 are doing 150 subjects, but why are we doing this
6 unnecessary human experimentation? Why? For safe
7 drugs? What are we trying to regulate?

8 So, yes, I, the reason I didn't go into
9 it is because those are the concerns I carry myself,
10 why are we doing it, ethically, nobody's asking us.
11 If I was sitting on an IRB, I'd say why do you want
12 to do 168 subjects unnecessarily.

13 So those are the kind of questions you
14 have to deal. Right now that's what most of the,
15 most of the people who want to get their product
16 passed are doing it. Sorry, Marv is.

17 DR. COONEY: Marv.

18 DR. MEYER: Two questions, Ken.

19 Would it be fair to say if I were a
20 generic and I had a, was going to apply scaling that
21 I would want to get a number of lots of the
22 innovator and fish around for the one with the worst

0075

1 content uniformity?

2 DR. MIDHA: Good question. I, I cannot,
3 I can only give you of the few examples where I have
4 done the studies where I've taken two divergent lots
5 which in dissolution showed differences in content
6 uniformity. I think we'll have to sit down one day
7 with USP when we label them and might put some
8 numbers on it.

9 I did not see as big a problem from lot
10 to lot simply because lot to lot switchability is
11 already taking place clinically in the market. I
12 looked at, I have two examples which I have not
13 published them where I found that I did not have
14 difficulty worrying about the lot to lot. But
15 that's a very limited experience. But somebody can
16 purposely get a little edge, it's not going to be a
17 big edge, a little edge that he may have to take the
18 subject from 68, let's say, or 60 to something like
19 56. That's all. But lot to lot, generally at
20 least.

21 DR. MEYER: Second question, on your
22 conclusion slide, could you explain a little bit

0076

1 about your first point, if the reference-scaled ABE
2 is to be considered, we suggest that.

3 DR. MIDHA: Yeah. What I'm saying is
4 that sigma W zero, if that's what you're aiming at,
5 start at .25, because .3 is closer to where you call
6 something highly variable, so the limits are wider
7 at that point when you reach .3. That's the
8 suggestion.

9 DR. MEYER: But what's the impact of, in
10 a regulatory sense, apriori, you're going into the
11 first time anyone's ever tried to produce a generic
12 and they don't really know anything about a Sigma
13 WO, does that really have an impact in deciding what
14 kind of study to do or how to analyze it?

15 DR. MIDHA: No.

16 DR. MEYER: Okay.

17 DR. MIDHA: Essentially it's the
18 agency's call. They can set it at .2, they can set
19 it at .3, okay.

20 I think there has been several
21 suggestions made, I will let them discuss it, but it
22 is just a proposal that it allows you that when you

0077

1 reach that, whatever the point we have defined as
2 highly variable drug or drug product, your limits
3 90 percent confidence intervals are not 80 to

4 125 percent, they may be running 77 to something.

5 DR. MEYER: Isn't the proof, though, in
6 the actual study done by the generic firm, for
7 example?

8 See, the data, let the generic firm
9 decide whether they want to do a three-way
10 cross-over or two-way and if they do a three-way and
11 they get a Sigma WO of .26, there's justification
12 for doing scaling?

13 Is that what you mean, and if they get a
14 .24, they can't --

15 DR. MIDHA: No, the Sigma WO, they won't
16 get. Sigma WO has to be set. Sigma WR they will
17 get. That will allow them how many subjects they
18 can use, if they want to do a pilot study. But
19 Sigma WO is going to be set and I think that's what
20 would be the call which the regulatory authority
21 would make. It's just an acceptance criteria and I
22 think, you know, it's very clear that Sigma WO you

0078

1 won't get from this.

2 DR. COONEY: Okay, one more brief
3 question, because there will be more time for
4 questions during the discussion, but we're a good

5 bit behind time.

6 DR. YU: I just would like to say that
7 Sam is going to present the (inaudible) results.
8 You will see more data to come, thank you.

9 DR. COONEY: Thank you very much.

10 We are a bit behind, but I would like to
11 have the next presentation before we take the break,
12 so if the panel will bear with me for a few more
13 minutes.

14 The next presentation is by Sam Haidar
15 who will present the, actually some of the FDA data,
16 I believe.

17 DR. HAIDAR: Good morning everyone.

18 The topic of my presentation is a
19 research project that was conducted by the agency
20 and it evaluated a scaling approach for the
21 evaluation of highly variable drugs.

22 I will briefly go over the introduction

0079

1 and then provide some details regarding the research
2 project and then finally present the results and
3 conclusion.

4 As mentioned previously, different
5 approaches for evaluating highly variable drugs were

6 considered during the ACPS meeting in 2004. The
7 committee at that time, some of these options
8 included static expansion of the limits as well as
9 scaling approaches. The committee at that time
10 favored the use of scaled average bioequivalence.

11 For this reason, the highly variable
12 drugs working group at the FDA decided to pursue
13 this issue further.

14 After considering different scaling
15 approaches, we initiated a research project based on
16 scaled bioequivalence where the BE limits are
17 expanded as a function of the reference product variability.

18 This equation shows the scaling approach
19 which was shown previously by Dr. Midha. Basically
20 the upper and lower limits are expanded as a
21 function of the within subject variability. I would
22 just like to note again, repeat that Sigma W zero is

0080

1 a value that has to be set by the agency beforehand.

2 The objective of the study was to
3 compare power, or the percent of studies passing
4 when using average bioequivalence and scaled average
5 bioequivalence. And we wanted to do this comparison
6 at different within subject variabilities.

8 will show the, again, power or percent of studies
9 passing using within subject variability of
10 15 percent, 30 percent and 60 percent.

11 This graph shows the percent of studies
12 passing on the Y axis and the geometric mean ratio
13 on the X axis. A ratio of 1 reflects no differences
14 between the test and the reference product. And
15 from this we see that at low, within subject
16 variability, for example, 15 percent, the average
17 bioequivalence performs much better than scaled
18 average bioequivalence.

19 We see that when the two products have
20 no differences between them, the power or the
21 studies passing are very close to 100 percent while
22 using a scaled approach it starts at close to

0082

1 100 percent, then it drops off sharply with small
2 differences in the geometric mean ratio.

3 At within subject variability of
4 30 percent, the plots cross and the advantage
5 of the scaled approach becomes apparent, so the blue
6 line is the scaled average bioequivalence and the
7 red line reflects the average bioequivalence.

8 And we can see at small differences that

9 more studies would pass with scaled average
10 bioequivalence compared to average bioequivalence.

11 This advantage is much clearer as
12 variability increases, so at within subject variability
13 of 60 percent, we can see that with average
14 bioequivalence, even when the test and reference
15 show no differences at all, only 20 percent of the
16 studies would pass, while with a scaled average
17 bioequivalence, more than 90 percent of the studies
18 would pass. So this approach is intended to make
19 this type of correction.

20 Another variable we looked at was the
21 impact of constraining the point estimate to 80 to
22 125 and we did this comparison at two levels of

0083

1 variability, borderline variability 30 percent and
2 high variability 60 percent.

3 The red line on top shows the impact of
4 using the point estimate by itself, without any
5 other conditions, and then the green line which also
6 overlaps with the blue line, which is scaled, scaled
7 average bioequivalence without the use of the point
8 estimate constraint and finally the orange line is
9 average bioequivalence.

10 So at 30 percent CV, the point estimate
11 constraint has no impact at all on the percent of
12 studies passing. We see this because the green line
13 which reflects the two conditions, scaled and point
14 estimate constraint actually is the same as if we
15 were using scaled by itself without the point
16 estimate constraint.

17 So, at this level of variability, the
18 scaling method predominates, in effect, over the
19 point estimate constraint.

20 The opposite is true at higher variability.
21 When we reach a variability of 60 percent, within
22 subject variability of 60 percent, then the point

0084

1 estimate constraint has the predominant effect.
2 That's why we see with the red line and the green
3 line showing the point estimate constraint by itself
4 and the point estimate constraint with scaling, they
5 are very close, so in a sense the impact of the
6 point estimate constraint predominates, in effect,
7 the percent of studies passing.

8 Again, we also see how the average
9 bioequivalence performed very poorly at this level
10 of variability using 36 subjects.

11 Next we looked at the impact of using
12 different values for Sigma W zero, starting with the
13 0.2 and then .25 and then 0.294.

14 At low variability, we see that with the
15 0.2, Sigma W zero, it offers a large advantage at
16 the borderline for highly variable drugs compared
17 with average bioequivalence and this may be a
18 reflection of a maybe too liberal criteria.

19 The green line, green plot we're
20 presenting 0.25, it's not very different from
21 average bioequivalence, but clearly it does offer
22 some advantage. Using a Sigma W zero of 0.294,

0085

1 it looks like it's even, it's more restrictive than
2 average bioequivalence. So, if you were to apply
3 this value, the percent of studies passing would
4 actually decrease.

5 At higher variability, the impact of
6 the different Sigma W zeros is much decreased and we
7 can see the .2 and the .25, there isn't much
8 difference between the two.

9 Finally, we looked at the impact of
10 sample size using 24 subjects and 36 subjects. And
11 we did this test at within subject variability of 60

12 percent. We see with 36 subjects and two products
13 that have no differences, showing geometric mean
14 ratio of one, the percent of studies passing are
15 over 90 for 36 subjects, while they are about
16 80 percent for 24 subjects and this power drops off
17 at different rates. But still, much significant
18 than the average bioequivalence.

19 To summarize, the replicate cross-over
20 design appears to provide a good method that works
21 well. Constraining the point estimate has less of
22 an impact at lower variability, for example,

0086

1 30 percent and a predominant effect at higher
2 variability, for example, 60 percent.

3 And the Sigma W zero of 0.25 appears to
4 work well, providing a balance of being too --
5 between being conservative as well as a useful
6 approach or a practical approach.

7 In conclusion, scaled average
8 bioequivalence appears to present a good method for
9 evaluating bioequivalence of highly variable drugs.
10 It has a practical value of reducing the number of
11 subjects needed to demonstrate bioequivalence
12 without necessarily increasing patient risk and

14 as your constraint on the point estimate, if you had
15 narrowed that, I'm not thinking fast enough to
16 figure out, how much could you narrow it without
17 substantially affecting the conclusions that scaling
18 works nicely? 90 to 110 or?

19 DR. HAIDAR: I think, two things, it
20 would depend on the variability, degree of
21 variability. Dale mentioned at one point that most
22 of the drugs that they have seen, at least in the

0088

1 division of bioequivalence, they are between, for
2 highly variable drugs, between, you know, 30 and 40
3 percent.

4 This is true for most drugs, so for most
5 highly variable drugs, chances are it won't make too
6 much of a difference, just because the scaling
7 predominates at this degree of variability.
8 However, if we get some exceptions with variability
9 of 60 percent or greater, then using a narrower
10 point estimate constraint will definitely decrease
11 power, however it still offers a significant
12 advantage over average bioequivalence.

13 DR. COONEY: Are there any other
14 questions? We'll have an opportunity to come back.

15 Ken?

16 DR. MORRIS: One very quick, could you
17 run these simulations with lower sample sizes?

18 DR. HAIDAR: Lower than 24?

19 DR. MORRIS: Yeah.

20 DR. HAIDAR: No. I think with the
21 sample size issue it's not power, but also some
22 extent an issue of quality control, so we need like

0089

1 maybe a minimum number of subjects to obtain good
2 data, not just for the power, and also we started
3 with the 24 because publications use this figure for
4 this type of simulations.

5 DR. MORRIS: Yeah, I guess I was just
6 thinking of the sensitivity, not so much for a
7 regulation, just for, you know, to see if it blows
8 up or something.

9 DR. COONEY: Good. Thank you very much.
10 I'd like to suggest a 13-minute break to
11 come back. We'll reconvene at about 25 to the hour.

12 (Short break taken)

13 DR. COONEY: As people get settled back
14 into their chairs, we're going to have a couple of
15 adjustments to the schedule for this morning.

16 We will first in sequence have the FDA
17 proposal on highly variable drugs in just a moment;
18 however, I would like to have the discussion around
19 these presentations and the recommendations, I'd
20 like to delay that until after the open public
21 hearing this afternoon. This will provide an
22 opportunity for some additional input.

0090

1 I have asked Steve Kozlowski if we could
2 move forward a bit the awareness topic on risk
3 management. I recognize that this will be a bit of
4 a different topic than we've been on this morning.
5 It will be a bit of intellectual relaxation,
6 perhaps.

7 We will break a little bit, we may break
8 a little bit early for lunch, I meant that in a
9 positive sense, and then we may have an opportunity
10 to break a few minutes early for lunch which will be
11 quite good because the lunch venue gets quite
12 crowded right at 12:00.

13 So I'd like to call Barbara Davit to
14 speak to the FDA's proposal.

15 DR. DAVIT: Good morning. Well this
16 morning I will be summarizing the presentations

17 given by the previous speakers and I will discuss
18 our present bioequivalence approach and why it's
19 believed to be an inadequate approach for highly
20 variable drugs.

21 From there I'd lead on to, lead into the
22 FDA proposal under consideration right now for a

0091

1 bioequivalence evaluation of highly variable drugs
2 and finally I'll lead to the questions that will be
3 presented to the advisory committee.

4 So I'll start by summarizing some of the
5 characteristics of highly variable drugs. This was
6 already discussed in Dr. Benet's presentation this
7 morning. I'll discuss the present bioequivalence
8 study approach that the Office of Generic Drugs uses
9 for all drugs, including highly variable drugs,
10 including a discussion of the disadvantages of using
11 this approach for highly variable drugs.

12 I'll then discuss our proposal under
13 consideration, which is to use referenced scaled
14 average bioequivalence to evaluate highly variable
15 drugs. Some advantages of this approach and some
16 concerns we have about using this approach and some
17 of these concerns have already been discussed this

18 morning by the previous speakers.

19 And finally, I'll lead into the
20 questions that will be before the committee.

21 Okay, it's generally agreed that highly
22 variable drugs are drugs for which the within

0092

1 subject variability in the bioequivalence parameters
2 area under the plasma concentration curve and/or
3 C max, peak plasma concentrations is greater than or
4 equal to 30 percent.

5 As discussed by Dr. Benet this morning,
6 these are non-narrow therapeutic index drugs, and we
7 found in the Office of Generic Drugs, and this is
8 from evaluating a data set that we collected over
9 three years, highly variable drugs represent about
10 10 percent of the drugs that are in vivo and
11 reviewed by the Office of Generic Drugs.

12 Here are some reasons that we've
13 observed among our generic drug applications that
14 seem to be contributing to variability, high
15 variability in bioequivalence parameters. There are
16 properties of the drug substance that can lead to
17 high variability, such as variable absorption rate,
18 low extent of absorption, extensive pre-systemic

19 metabolism. These are features that we've noticed
20 that many of our highly variable drugs have in
21 common.

22 There can also be high variability due
0093

1 to features of the drug product. Inactive
2 ingredients can contribute, there can be
3 manufacturing effects, manufacturing processes
4 effects, and in terms of how the bioequivalent
5 studies are conducted, problems with bioanalytical
6 assay sensitivity, suboptimal pharmacokinetic
7 sampling. But the bottom line is that in each case
8 it is often impractical to identify the exact
9 mechanism.

10 We studied applications that we received
11 over a three-year period and we looked at the
12 variability of these drug products to get a sense of
13 the scope of the highly variable drug issue within
14 the Office of Generic Drugs. And what we did in
15 looking at these data from our abbreviated --
16 ANDA -- Abbreviated New Drug Applications, or ANDAs,
17 we used the root mean square error to estimate
18 within subject variability and the reason we used
19 this is because the majority of studies that are

20 submitted to us are two-way cross-over studies and
21 it's not possible to tease out how much variability
22 is due to the test product and how much is due to

0094

1 the reference product.

2 So this is really pooled variability and
3 it's an estimate.

4 And we concluded that if the root mean
5 square error from the ANOVA analysis was greater
6 than or equal to .3, then the drug was considered
7 highly variable. And using this criterion, about
8 10 percent of the drugs that we evaluate are highly
9 variable drugs.

10 And we looked further at these to see if
11 we could identify some commonalities and we noticed
12 that of this 10 percent, 55 percent are consistently
13 highly variable and we believe that the variability
14 in these cases is due to drug substance variability.

15 Then of these, 20 percent are borderline
16 cases, and by borderline cases we mean drug products
17 for which in any given bioequivalence study the
18 variability might be a little bit above 30 percent
19 or a little bit below 30 percent, but over the
20 average of many bioequivalent studies, the within

21 subject variability is approximately 30 percent.

22 And then for the remaining 25 percent of

0095

1 these highly variable drugs or drugs that met our
2 highly variable criterion, high variability occurred
3 only sporadically.

4 In other words, these drugs were not
5 highly variable in most bioequivalent studies, but
6 occasionally a study showed high variability.

7 Now these issues, bioequivalence issues
8 with highly variable, highly variable drugs have
9 been discussed extensively this morning. The issue
10 is that there's a very high probability that
11 bioequivalence parameters are going to differ when
12 the same subject receives a highly variable drug on
13 more than one occasion. And because of this high
14 variability, a highly variable drug that is truly
15 therapeutically equivalent to the reference may not
16 need bioequivalence acceptance criteria in any given
17 bioequivalence study with our present criteria. And
18 this is our present approach that we use for
19 bioequivalence of highly variable drugs. In fact,
20 we use this approach as Dr. Benet mentioned earlier
21 for all drugs.

22

Generally if a firm submits an ANDA for

0096

1 a highly variable drug, we require the same study
2 design as used for drugs with lower variability, and
3 that would be a two-way cross-over study and in some
4 cases applicants elect to submit replicate design
5 studies.

6 Highly variable drugs must meet the same
7 acceptance criteria as drugs with lower variability
8 and what our acceptance criteria are is that the
9 90 percent confidence interval of the AUC and C max
10 test to reference ratios must fall within the limits
11 of .8 to 1.25, or 80 to 125 percent.

12 And these disadvantages have been
13 pointed out this morning by the previous speakers
14 and I'll go through them again.

15 There's basically three approaches that
16 we currently give to applicants who are developing
17 highly variable drugs and ask us for guidance.

18 First, we recommend that the
19 investigator enroll an adequate number of subjects
20 to show bioequivalence in a two-way cross-over
21 study. Well, one obvious disadvantage of this
22 approach for a highly variable drug is that the

0097

1 study may require a larger number of subjects than a
2 study of a drug with lower variability. And,
3 indeed, we did find this to be the case in the
4 Office of Generic Drugs, looking at our collection
5 of data from three years, we noticed that the
6 average number of subjects in a study of a highly
7 variable drug was about 47, whereas the average
8 number of subjects in a study of drugs with lower,
9 with lower variability is about 33.

10 Worst case scenario for a highly
11 variable drug, if the first time the applicant
12 conducts the study it's been underpowered and does
13 not meet our acceptance criteria, then the applicant
14 must conduct an entire new study.

15 And we have seen this a number, in a
16 number of cases where we, we don't require right now
17 that company's submit their failed bioequivalence
18 studies, but we've seen a handful of applications
19 with failed bioequivalence studies in which the
20 first study failed and then the investigator perhaps
21 increases the number of subjects by 50 or
22 100 percent and then the second study passes.

0098

1 So this is a worst case scenario,
2 obviously repeating the study with more subjects.

3 We also recommend to applicants that are
4 developing highly variable drugs to use a replicate
5 design, and generally companies use a four-period
6 study, although, you know, a three-period study can
7 be used as well. One disadvantage about this type
8 of study that there may be a high drop-out rate
9 because of the four periods -- the four periods as
10 opposed to two and so the investigators may need to
11 enroll more subjects than they might otherwise for
12 just a two-period study.

13 And finally, we also have told firms
14 that they're welcomed to use a group sequential
15 design approach. The disadvantages of this is that
16 the applicant must know that a group sequential
17 design approach is going to be used at the outset,
18 there has to be a protocol in place, a priority and
19 there is a statistical adjustment required for such
20 studies to maintain an alpha of .05.

21 I'm going to talk now about the
22 evolution of our now proposal for bioequivalence

0099

1 studies of highly variable drug. This was first

2 suggested at the Pharmaceutical Sciences Advisory
3 Committee meeting in 2004 and one suggestion was to
4 look at a reference scaled average bioequivalence
5 approach.

6 And as Dr. Haidar presented earlier, the
7 OGD science team studied this approach by simulating
8 the outcome of bioequivalence studies of highly
9 variable drugs looking at different within subject
10 variability, looking at a different Sigma W, WR --
11 I'm sorry, thank you, W zero, and a point estimate
12 constraint as well as a number of subjects.

13 And as mentioned earlier, we're
14 currently considering this approach for
15 bioequivalence that is a highly variable drug. So
16 our new FDA proposal using scaled average
17 bioequivalence for highly variable drugs would
18 employ a three-period study design in which the
19 reference product is provided twice, the test
20 product is provided once, the sequences would be
21 TRR, RRT, RTR, and the bioequivalence criteria would
22 be scaled to the referenced variability.