

1 variant CJD was reported to be different from the classic  
2 forms whereas, in at least two of the three patients, the  
3 PrP-res was similar to that in the majority of sporadic CJD  
4 patients.

5 Exposure of the patients in the new-variant CJD to  
6 the BSE agent was highly plausible because of the widespread  
7 occurrence of BSE in the United Kingdom whereas exposure to  
8 chronic-wasting-disease-infected venison in our three cases  
9 was not so clear.

10 Finally, all the reported new-variant CJD cases  
11 had a methionine-methionine homozygosity on codon 129  
12 whereas each of our three patients had different  
13 polymorphisms at codon 129 of the prion-protein gene, in  
14 case 1 with methionine-methionine, in case 2, valine-valine.  
15 Case 3 was methionine/valine.

16 [Slide.]

17 In addition, in collaboration with state wildlife  
18 and agriculture representatives, Dr. Linda Detwiler's group  
19 at USDA collected and tested over 1,000 hunter-harvested  
20 deer and elk brain samples from the areas where the venison  
21 consumed by the patients originated. All these deer and elk  
22 brain samples tested negative for chronic wasting disease by  
23 immunohistochemical. All the samples were obtained from the  
24 areas where these patients actually collected their venison.

25 [Slide.]

1 variant CJD was reported to be different from the classic  
2 forms whereas, in at least two of the three patients, the  
3 PrP-res was similar to that in the majority of sporadic CJD  
4 patients.

5 Exposure of the patients in the new-variant CJD to  
6 the BSE agent was highly plausible because of the widespread  
7 occurrence of BSE in the United Kingdom whereas exposure to  
8 chronic-wasting-disease-infected venison in our three cases  
9 was not so clear.

10 Finally, all the reported new-variant CJD cases  
11 had a methionine-methionine homozygosity on codon 129  
12 whereas each of our three patients had different  
13 polymorphisms at codon 129 of the prion-protein gene, in  
14 case 1 with methionine-methionine, in case 2, valine-valine.  
15 Case 3 was methionine/valine.

16 [Slide.]

17 In addition, in collaboration with state wildlife  
18 and agriculture representatives, Dr. Linda Detwiler's group  
19 at USDA collected and tested over 1,000 hunter-harvested  
20 deer and elk brain samples from the areas where the venison  
21 consumed by the patients originated. All these deer and elk  
22 brain samples tested negative for chronic wasting disease by  
23 immunohistochemical. All the samples were obtained from the  
24 areas where these patients actually collected their venison.

25 [Slide.]

1           In conclusion, although the occurrence of three  
2 unusually young CJD patients who were reported to have  
3 regularly consumed deer and elk meat suggested a possible  
4 relationship of their illness with CWD, our follow-up  
5 investigation found no strong evidence for a causal link  
6 between CWD and CJD in the three patients.

7           However, our conclusions are limited to the three  
8 patients and continued surveillance remains very critical to  
9 continue to monitor the possible transmission of chronic  
10 wasting disease to humans.

11           Thank you.

12           [Applause. ]

13           DR. BROW-N: I have one question for you, Ermias.  
14 By analogy to the BSE situation in variant CJD, are there  
15 any characteristic or distinctive glycotyping patterns in  
16 deer or elk that might also have been seen in any of the  
17 patients glycotyped? That seems to me to be, by analogy,  
18 probably the single most important phenomenon that might  
19 totally blow away the straw man that you have constructed.

20           DR. BELAY: We have considered that possibility.  
21 Dr. Pierluigi Gambetti has been involved in studying the  
22 glycoform ratios of PrP obtained from chronic-wasting-  
23 disease-infected animals. I will give Dr. Gambetti a chance  
24 to comment on that.

25           DR. GAMBETTI: These studies are very preliminary

1 ut, in our hands, the protein, the scrapie prion protein  
2 rom the chronic wasting disease is what we call type 1. It  
3 s the unglycosylated isoform migrates at 21 kilodalton.  
4 he ratio of the glycoforms, as I said, we haven't examined  
5 sufficient number of cases, but, so far, it looks like it  
6 s not remarkable. It looks certainly not like one of the  
7 ew variants.

8 So, in terms of proteins, scrapie prion protein,  
9 he chronic wasting disease does not seem to offer very much  
10 help in being very typical and, therefore, from this area,  
11 ve cannot draw any conclusions.

12 DR. BELAY: Can I add some comments?

13 DR. BROWN: Sure.

14 DR. BELAY: I think what is also relevant is what  
15 both mentioned in terms of the strain typing that was  
16 performed by Dr. Moore. Although it was limited to just one  
17 animal, that investigation actually suggested that the PrP  
18 scrapie or PrP-res in CWD-infected animals is actually  
19 different from any other PrP-res that we are aware of.

20 DR. BROWN: Right; but to make sense of that, you  
21 would need--

22 DR. BELAY: With the limitations of the study.

23 DR. BROWN: That's fine, even one. But to make  
24 any interpretation of that, you would need to do one of the  
25 cases similarly. In other words, you want to see some

1 correlation between the human and the elk. I gather that  
2 as not possible.

3 DR. BELAY: We have not done any strain typing in  
4 the patients and also in the chronic-wasting-disease-  
5 infected animals.

6 DR. LURIE: I just want to understand how you  
7 chose these three cases. Obviously, one criteria was their  
8 age. But were they selected because you knew ahead of time  
9 that they had some kind of exposure to deer or elk, or did  
10 that only turn out in the course of your questionnaire?

11 DR. BELAY: No. We selected these patients  
12 because they were reported to us specifically these are  
13 patients who have been regularly consuming venison.

14 DR. LURIE: The point I want to make is you have a  
15 summary slide sort of comparing the causality elements of  
16 BSE and this. Really, two of them were vaguely positive.  
17 One was, perhaps, increasing incidence. The other was  
18 exposure to the meat in question. Really, those were the  
19 entrance criteria into the study.

20 DR. BELAY: We looked into CJD cases in that age  
21 group reported to CDC even in the past. The three patients  
22 stand out because of their venison consumption.

23 DR. BROWN: It is the age that entered them into  
24 the study.

25 DR. LURIE: That is not quite what he--

1 DR. BELAY: That's right. What Dr. Brown is  
2 saying is correct.

3 DR. LURIE: So it is only the age.

4 DR. BELAY: The age and because they also reported  
5 venison consumption, then that triggered our investigation.

6 DR. BROWN: Peter, this is not a systematic study.

7 DR. LURIE: No; I understand that.

8 DR. KATZ: Do you have the venison consumption  
9 data on the earlier young cases?

10 DR. BELAY: Almost all of them except one. That  
11 one was a patient who died in 1981 and we were not able to  
12 trace the--

13 DR. KATZ: And?

14 DR. BELAY: None of them had venison consumption.

15 DR. KATZ: Ever.

16 DR. BELAY: That's correct.

17 DR. BURKE: I was going to extend that question in  
18 terms of were any kind of case-control studies done. I  
19 don't have any sense of what the U.S.-based age consumption  
20 of deer and elk is across that region of the country. Do  
21 you have any data on that at all?

22 DR. BELAY: Can you rephrase the question again,  
23 please?

24 DR. BURKE: Is there some way to do a proper case-  
25 control study with whether or not ingestion of deer or elk

1 is a risk factor for the development of chronic wasting or  
2 new variant or whatever at this point?

3 DR. BROWN: Young CJD.

4 DR. BURKE: Young different CJD.

5 DR. BELAY: As you can imagine, a case-control  
6 study in this group of diseases is extremely difficult  
7 because, by the time the patients die, you would be  
8 eliciting information that took place pretty much for a  
9 lifetime period. So you would asking questions like, "Did  
10 you ever eat venison?" and that information would have to be  
11 obtained from family members.

12 The bottom line is case-control studies would be  
13 complicated. But I agree that case-control studies have  
14 some value at the same time. In addition to the limitation  
15 of getting the information from the family members, case-  
16 control studies are also limited by their ability to detect  
17 a low level of transmission.

18 In other words, if there was a low level of  
19 transmission, you may not see any difference between the  
20 cases and the controls that you would be investigating. But  
21 such a case-control study is underway in Canada that I am  
22 aware of. They have included questions like consumption of  
23 venison and we are awaiting that study to see if that would  
24 warrant a larger-scale case-control study in the United  
25 States.

1 DR. BROWN: Don, the short answer is no. The CDC  
2 and Dr. Belay and Dr. Gambetti really are to be  
3 congratulated because this could have been like the  
4 anecdotal stories about squirrel meat that just hang in the  
5 breeze without anybody ever really looking into it.

6 I give them all the credit in the world for  
7 actually driving these as far as they can. But they are  
8 still anecdotal.

9 DR. PICCARDO: Case no. 1, there is no immunoblood  
10 analysis. In case 2 and 3, there are immunoblood analyses.  
11 Extensive immunoblood analyses from different areas was done  
12 or from a single area?

13 DR. BELAY: Do you want to comment on that, Dr.  
14 Gambetti?

15 DR. GAMBETTI: could you say the question again?

16 DR. PICCARDO: On cases 2 and 3, the immunoblood  
17 was from a single area or were multiple areas analyzed by  
18 Western blot?

19 DR. GAMBETTI: In case 2, several areas. The  
20 diagnosis was initially established from a biopsy and, when  
21 the autopsy tissue was obtained, it was confirmed, the  
22 result was confirmed with samples from different areas.  
23 Case no. 3, I don't remember specifically whether it was  
24 several areas, but, generally, that is our rule. We perform  
25 a Western blot on multiple samples.



1 DR. PICCARDO: In all cases, you saw type 1, you  
2 never saw a mixture of type 1 and 2, or a weird pattern in  
3 any of the--

4 DR. GAMBETTI: Case 1, we did not receive frozen  
5 tissue.

6 DR. PICCARDO: No, no; from cases 2 and 3, all the  
7 Western blots show a type 1 PrP.

8 DR. GAMBETTI: Exactly. Correct.

9 DR. BROWN: Just in closing this presentation, the  
10 other interesting interface that one of these patients had  
11 for this group was that he was a professional blood donor  
12 and had donated multiple, multiple, multiple units of blood  
13 even into his early clinical phase.

14 Now, on to the next presentation, diagnostics by  
15 Dr. Kathy O'Rourke.

16 **Diagnosis of Elk-Associated and Deer-Associated**  
17 **Chronic Wasting Disease**

18 DR. O'ROURKE: Good morning. Thank you. I would  
19 like to assure you that I am not here under false pretenses.  
20 I am not a veterinarian nor a pathologist and there are  
21 those people representing those disciplines here, both on  
22 your committee and available for questioning that can help  
23 you.

24 [Slide.]

25 I am a research microbiologist with U.S.

1 Department of Agriculture with adjunct appointments at  
2 Washington State University and Colorado State University.  
3 I was asked to talk to you about the types of diagnostic  
4 techniques that are in use and that are being developed for  
5 chronic wasting disease, both in free-ranging and in captive  
6 animals.

7 As you will see from the title of this  
8 presentation, I consider that elk-associated chronic wasting  
9 disease and deer-associated chronic wasting disease are  
10 separate diagnostic entities. I will try to make clear  
11 during the presentation why that is so.

12 [Slide.]

13 As you will see, the number of participants is  
14 beginning to outstrip the capability of an overhead  
15 transparency. Dr. Spraker and Dr. Williams, and Dr. Jenny  
16 and Gidlewsky, represent the states of Wyoming, Colorado and  
17 the last two the federal government. These are the  
18 pathologists that bring you the work that I will be talking  
19 about today.

20 Dr. Balachandran does the equivalent work in  
21 Canada currently. Dr. Creekmore, who you will have an  
22 opportunity to meet later today, perhaps, and Dr. Rhyan  
23 operate the administrative aspects of the APHIS CWD Program  
24 at this present time. We are grateful to the area  
25 veterinarians in charge and the veterinary medical officers

1 of APHIS who have provided us samples from captive animals.

2 The state agriculture departments in South Dakota,  
3 Oklahoma, Colorado, Nebraska and Montana provided samples  
4 and, in particular, Dr. Sam Holland and Dr. Tom Klein have  
5 provided extensive samples as well as detailed epidemiology  
6 of a very serious outbreak of chronic wasting disease in a  
7 captive herd in South Dakota.

a The North American Elk Breeders Association are  
9 represented here today and Dr. Zebarth will be talking to  
10 you. There are others, but they don't fit on the  
11 transparency and I know your time is limited.

12 [Slide.]

13 The diagnostic marker that I will be- discussing is  
14 termed PrP-scrapie by convention and by analogy to sheep  
15 scrapie. There is no implication here that it is same  
16 protein that is associated with scrapie in sheep.

17 The areas of interest based on our previous  
18 results and those from around the world in sheep are to  
19 focus on the brain, the tonsil and other lymphoid organs of  
20 the head as well as lymphoid tissue in the third eyelid, in  
21 particular reference to the sheep live animal test that is  
22 being investigated currently. These are the target tissues.

23 Extensive surveys were made in other tissues.  
24 These remain the best candidates and I will show you why  
25 that is as we proceed.

1 [Slide.]

2 The assay that I will discussing is a  
3 immunohistochemical assay. It is done on a single piece of  
4 equipment at this point, or rather a single model of  
5 equipment, in Canada at our research lab in Pullman, in  
6 Colorado State University, University of Wyoming and at  
7 NVSL. We have available to us two different monoclonal  
8 antibodies. Again, the characteristics of these antibodies  
9 are different. I will try to point out the differences as  
10 we proceed because the use of the antibodies is critical to  
11 both the sensitivity and the specificity of these assays.

12 Neither of these antibodies is specific for the  
13 pathologic form of the prion protein. The tissues that I  
14 will be discussing are fixed in formaldehyde and paraffin  
15 imbedded for routine histologic diagnosis.

16 The pretreatments that typically reduce  
17 substantial PrP cellular reactivity are primarily the  
18 formalin fixation. However, this is variable among the  
19 different species as well as between the antibodies. Formic  
20 acid is used partly to reduce the cellular reactivity and  
21 also to increase the PrP scrapie reactivity.

22 Proteinase K is used in some laboratories. I have  
23 to caution you, however, that the proteinase K resistance of  
24 the prion protein is a diagnostic characteristics in the  
25 fluid phase; that is, in terms of ELISA testing or Western

1 blotting. PK alone does not distinguish this PrP cellular  
2 from the PrP scrapie in formalin-fixed tissues in chronic  
3 wasting disease.

4 [Slide.]

5 The sample populations that were available to us  
6 were not selected ahead of time for an optimal situation.  
7 As you know, these are free-ranging animals. So we are  
a grateful to get the samples that we get and we work on what  
9 is available to us.

10 We have several different types of populations  
11 beginning, originally, or course with the free-ranging  
12 clinically affected cases in which spongiform lesions were  
13 predominant. Those, of course, were the earliest cases  
14 diagnosed before the development of immunohistochemistry.  
15 Later, we were able to extend the studies to free-ranging  
16 clinically normal deer and elk.

17 Because of the extensive surveillance that is done  
18 in Colorado and Wyoming and because of the participation of  
19 APHIS and the state veterinarians in other areas, we are  
20 able to group tissues depending on whether they come from  
21 the endemic area or from well outside the endemic area.

22 Third, we have access to captive deer and research  
23 facilities and to game-raised elk. These are the study  
24 populations that were available to us.

25 [Slide.]

1           In terms of the use of the brain in diagnostic  
2 analysis, the question has already been well raised. We  
3 needed to know for sure that we were looking at the  
4 appropriate part of the brain. Dr. Williams had originally  
5 looked at many parts of the brain. Dr. Spraker extended  
6 that work by doing very detailed anatomical mapping of the  
7 prion-protein deposition in the brains of free-ranging deer  
a and elk. Those findings will be published later this year.

9           We had to answer some important questions. First  
10 of all, certainly, in advanced disease, where is the prion  
11 deposited? Secondly, in animals that don't have  
12 histologically evident lesions, is there a particular place  
13 in the brain that is always invariably involved. And, if  
14 prions are found in only one area immunohistochemically,  
15 where would that be?

16           The answer continues to be the dorsal motor  
17 nucleus of the vagus which, as you know, is the medulla at  
18 the level of obex. These are small paired tissues on either  
19 side of the midline. With careful trimming and embedding,  
20 our ability to visualize both of the nuclei is very powerful  
21 because the staining is almost always bilateral.

22           [Slide.]

23           The tonsil was the next best place to go because  
24 we have extensive data from sheep demonstrating that, in  
25 about 97 percent of the scrapie-infected sheep,

1 immunostaining in the tonsil will proceed that in the brain.  
2 We were looking for an early diagnostic test. What is the  
3 first place we can look?

4 So we asked the same types of questions; where is  
5 the prion found in the animals with advanced disease. Now,  
6 it gets harder after this. Where is it found in animals  
7 that don't show evidence of disease. Again, our ability to  
8 work from sample sizes in the thousands rather than the  
9 dozens and to separate those animals based on the geographic  
10 origin of the samples was crucial to our ability to work  
11 through this.

12 [Slide.]

13 here is where things begin to differentiate. In  
14 mule deer, in the CWD-endemic area, every deer that has been  
15 reported back to us by Dr. Williams and Dr. Spraker in which  
16 staining is in the brain, there was also immunostaining in  
17 the tonsil if that tissue was available.

18 Some deer in the endemic area have no detectable  
19 staining in the brain but they do have detectable staining  
20 in the tonsil. PrP-scrapie is abundant when it is detected  
21 in the tonsil, particularly when compared to sheep scrapie.  
22 No deer outside the endemic area have PrP-scrapie in the  
23 tonsil.

24 These findings were developed over a number of  
25 years and the tests did need to have some developmental work

1 done on it. We initially pooled the two monoclonal  
2 antibodies. They bind different parts of the prion protein  
3 and we had only limited information about the genetic  
4 variability within the animals, and we wanted to be able to  
5 maximize our chances of finding every single animal, so we  
6 pooled the two monoclonal antibodies.

7           However, it became clear, over time, that, as the  
8 sensitivity of the assay was increased by certain  
9 pretreatments, particular proteinase-K pretreatment, we were  
10 beginning to see an odd sort of staining in areas outside  
11 the endemic area that did not look the same way that we saw  
12 staining from animals in the endemic area, but it couldn't  
13 be disregarded.

14           We found that only one of the monoclonal  
15 antibodies retains its tight specificity for PrP-scapie in  
16 these fixed tissues. So, at this point, prion staining of  
17 the tonsil in preclinical deer is done with only one of the  
18 two monoclonal antibodies.

19           The take-home message here is that very large  
20 samples sizes are needed and the point about test validation  
21 is very well taken. At this point, these data are now based  
22 on a retrospective look at a hundred samples of deer with  
23 known CWD; that is, the most conservative definition which  
24 is spongiform lesions in the brain. The negative control  
25 sample is 300 samples of deer from outside the endemic area.



1 [Slide.]

2 In sharp contrast, elk have been tremendously  
3 difficult to work on. Some elk with prion staining in the  
4 brain, particularly those animals with histologic lesions  
5 and widespread immunostaining do, in fact, have prion  
6 detectable in the tonsil. However, this staining is not at  
7 all abundant and three years ago we were still feeling that  
8 we might not see prion staining in the extraneural tissue of  
9 elk.

10 We are able to see it. It is not abundant. The  
11 cellular form of the protein keeps its reactivity to one of  
12 the monoclonal antibodies, even after formic-acid  
13 pretreatment and even after formalin fixation; that is, the  
14 cellular prion protein is readily detectable in elk samples  
15 using antibody 89 but not antibody 99. So, again, careful  
16 choice of the primary antibody was critical here. We also  
17 need to use the most sensitive assays available to us at  
18 this point to even see something.

19 We only see it when we see advanced disease. So  
20 we have to caution you here that we see staining in the  
21 brain of elk when we don't see it in the tonsil, exactly the  
22 opposite of what we see with deer and opposite of what we  
23 see with the majority of sheep with scrapie.

24 [Slide.]

25 Therefore, in summary, earliest detection of CWD-

1 positive animals, based on the immunohistochemistry  
2 techniques available to us today and in use, in deer, the  
3 earliest site for diagnosis is the tonsil or the other  
4 lymphoid tissues of the head. In elk, the earliest  
5 diagnostic site remains the obex carefully collected and  
6 trimmed so that the dorsal motor nucleus of the vagus can be  
7 detected optimally bilaterally.

8 [Slide.]

9 These techniques are really terrific. However,  
10 they don't address the essential question; how early in  
11 disease can an animal be diagnosed. As you already know, in  
12 any infectious disease, there is a lag time between  
13 infection and the appearance of the diagnostic marker at  
14 detectable levels.

15 In the TSEs, this lag time can range from weeks in  
16 experimental mice to months in sheep and years, perhaps, in  
17 some of the other TSEs. In the sheep studies that we are  
18 conducting, we have a little bit of an advantage since most  
19 sheep are infected soon after birth and we are able to make  
20 some guesses based on the age of the sheep about whether it  
21 is an appropriate animal to sample or not.

22 However, in chronic wasting disease, this studies  
23 done by Drs. Miller and Williams suggest that the disease  
24 might be transmitted to animals outside that perinatal  
25 period. Therefore, we are not able to take an animal, look

1 at its age and make a guess about whether we might find  
2 detectable staining or not.

3           Therefore, I can tell you where the earliest place  
4 is that we can find prions. I am not able to tell you what  
5 a period of time is in which that animal cannot be diagnosed  
6 because of the limitations of our testing and because of the  
7 biology of these diseases.

8           [Slide. 1

9           The diagnostic site that certainly does not appear  
10 to be useful right now in cervids is the third eyelid.  
11 Lymphoid tissue accumulates in the third eyelid of sheep.  
12 This is the bulbar surface of the nictitating membrane in  
13 sheep. That lymphoid tissue is abundant in lambs and can be  
14 sampled in animals up until about age 4 or 5 when it is  
15 difficult to find adequate tissue.

16           Our studies to date on sheep have indicated that  
17 that tissue accumulates prions in roughly the same kinetics  
18 as the tonsil, although at a slightly lower rate. Estimated  
19 sensitivity of a third eyelid immunohistochemistry test  
20 using our current techniques is about 85 percent when  
21 animals over 14 months of age are tested.

22           The specificity of the test is greater than  
23 98 percent. We applied this test to mule deer, first of  
24 all, and found out that the bulbar surface of the  
25 nictitating membrane of deer is highly enriched in

1 lymphocytes. However, these appear to be solid sheets of T-  
2 lymphocytes. They are not the secondary germinal centers  
3 which are round, discrete areas, easy to recognize  
4 microscopically.

5 They primarily consisted of a stroma of follicular  
6 dendritic cells in which macrophages and B-cells  
7 predominate. These are the antigen-presenting sites in the  
8 lymphoid tissue. They are abundant in sheep and they are  
9 almost nonexistent in most of the deer that we looked at.  
10 Therefore, we have stopped looking at third eyelid on deer.

11 [Slide.]

12 In contrast, in elk, these are huge animals  
13 compared to the sheep that we have looked at. They have  
14 really big eyelids. Dr. Zebarth will talk to you next as an  
15 expert in collecting these third eyelid biopsies, where we  
16 are able to sample animals exposed to chronic wasting  
17 disease on a facility in South Dakota, animals that were  
18 housed in quarantine by the Elk Research Council.

19 The animals were sampled over time and followed  
20 through progression to chronic wasting disease. As with the  
21 tonsil, however, even when we do see staining, it is not  
22 abundant. We did not see immunostaining in the animals  
23 until probably six weeks or so before the animals went on to  
24 die,

25 The animals were sampled only every four to six

1 months so we don't have tight time curves on this. However,  
2 right now, our working conclusion is that while PrP-scrapie  
3 could be detected in the third eyelid of elk, it would only  
4 be useful as an immediate test preslaughter and only to  
5 indicate that the prion may be distributed outside the  
6 brain. It is not the earliest diagnostic site.

7 We predict that there would be many animals  
8 infected with chronic wasting disease--elk, that is--with  
9 staining in the brain but not in any of the lymphoid tissue  
10 including the third eyelid.

11 [Slide.]

12 Our conclusions, therefore, based on the findings  
13 of today is that deer-associated chronic wasting disease  
14 could be detected best by analysis of the tonsil, compared  
15 with the brain for confirmation and realizing that the  
16 tonsil-positives will outweigh the brain positives.

17 The tonsil contains relatively large amounts of  
18 PrP-scrapie and their paired tissues. Therefore, they lend  
19 themselves well to adaption to other test methodologies;  
20 that is, one tonsil can easily be formalin-fixed as a gold  
21 standard for reference and the other tonsil could be used in  
22 other types of assays.

23 There is tremendous interest, of course, out there  
24 in the world to make better, faster, cheaper, more high-  
25 volume TSE surveillance testing and we are working with all

1 laboratories requesting assistance using large tissue banks  
2 submitted by the Colorado Division of Wildlife. So the  
3 tonsil down the road in deer lends itself to larger-scale  
4 surveillance.

5 [Slide.]

6 In elk, we don't have that advantage. Right now,  
7 the staining of the brain is critical. The safest technique  
8 is to take an entire cross-section through the medulla at  
9 the level of the obex. Therefore, we don't have a paired  
10 tissue to use for other types of test methodologies. The  
11 immunostaining, however, is very, very sensitive.

12 In the hands of trained pathologists, we can  
13 detect two or three infected neurons. The fact that they  
14 are usually staining bilaterally lends an extra confidence  
15 to this. So the staining here, if the samples are taken  
16 correctly, is very, very sensitive and specific. However,  
17 it is time-intensive. It takes several days for these  
18 tissues to be processed and, in terms of slaughter samples,  
19 Dr. Spraker has worked with us on animals that need to have  
20 results back again with five days. That can be met, but  
21 only with the willingness of the pathologists and their  
22 technicians to work through weekends since we have been  
23 unable, so far, to convince people to work only on Monday  
24 mornings with tissue collection.

25 There is no other tissue in the elk that we have

1 yet identified that has the diagnostic significance of the  
2 brain but I must add a caution here. We have looked, not as  
3 extensively, at tissues in the gut as we have in the tonsil.  
4 We don't yet see any evidence that we have a huge buildup  
5 for prion in the gut that would precede that in the other  
6 lymphoid tissues in elk, but those studies are ongoing right  
7 now.

8 [Slide.]

9 Work in progress, then; we are working on  
10 development of rapid diagnostic tests for deer-associated  
11 chronic wasting disease so that, optimally, someone who  
12 harvests an animal in an area that is endemic or may be on  
13 the fringes of the endemic area would be able to know within  
14 a matter of a day or two whether that was an infected animal  
15 or not.

16 We certainly are looking at more cost-effective  
17 large-scale surveillance tests so that, as the United States  
18 moves towards scrapie eradication, they will be able to do  
19 very effective, large-scale ongoing surveillance for chronic  
20 wasting disease to try to bring that disease under control  
21 next.

22 [Slide.]

23 We are looking at improved methods for detection  
24 of lymphoid-associated PrP-scrapie in elk. However, we can  
25 only detect what is there. Bioassay will be needed to

1 decide whether our biochemical means are underestimating the  
2 true amount of infectious tissue there.

3           There are certainly people out there that are  
4 developing transgenic mice that have an elk or a deer gene.  
5 Our ability to do in vivo testing on animals in a timely,  
6 efficient manner will be critical to our understanding of  
7 the distribution of infectivity in these animals.

8           We are also looking at the relative genetic  
9 susceptibility to elk-associated chronic wasting disease.  
10 Elk, but not deer, have a reported polymorphism at codon 132  
11 which corresponds to codon 129 in humans. There are some  
12 changes upstream that change the numbering, but this is the  
13 corresponding codon to codon 129.

14           In elk, the animal can have either a methionine or  
15 a leucine or both, and we are looking at genetic  
16 susceptibility. Elk with the methionine-methionine  
17 homozygous state appear to be predisposed. However,  
18 heterozygous animals have certainly been diagnosed. The  
19 prevalence of leucine-leucine homozygous animals is so low  
20 that it will take a challenged study to determine if there  
21 is any resistance there.

22           Thank you.

23           [Applause.]

24           DR. BROWN: Dr. O'Rourke, I had one or two  
25 questions. Did I infer correctly from your presentation



1 that there is, at the moment, no data on the infectivity  
2 distribution in the tissues of either elk or deer with  
3 chronic wasting disease, apart--

4 DR. O'ROURKE: Beth can address this.

5 DR. WILLIAMS: I would say that the only ones that  
6 we have true infectivity studies on would be brain, and not  
7 for the other tissues. We do have evidence of PrP  
8 deposition in other tissues, but not in terms of bioassay.

9 DR. BROWN: The PrP--1 was going to say, barring  
10 infectivity assays, does the PrP distribution resemble that  
11 seen in other TSEs?

12 DR. WILLIAMS: Scrapie would be the best analogy.

13 DR. O'ROURKE: In mule deer.

14 DR. WILLIAMS: In mule deer; that's correct. In  
15 elk it may not be quite as much involved in the lymphoid  
16 tissue.

17 DR. O'ROURKE: That's correct. Elk seem to be  
18 intermediate between the TSEs in which only the brain is  
19 involved versus the models like sheep in which the lymphoid  
20 tissue is heavily involved. Elk are a new diagnostic  
21 challenge because they fall in the middle there.

22 The difficulty with doing infectivity studies on  
23 chronic wasting disease is that there is not currently a  
24 useful mouse model. The disease doesn't go readily into the  
25 mice that are used in conventional bioassays, so we are

1 waiting for a transgenic mouse to be available. It is not  
2 just that it will make it faster. I will even make it  
3 feasible to do those studies.

4 DR. BROWN: The second question is, in those  
5 animals, the deer, in which tonsil had PrP and brain did not  
6 have PrP--in those animals, were different areas of the  
7 brain sampled? I find it very difficult to believe that  
8 there are animals with positive tonsils and negative brains.

9 DR. O'ROURKE: Oh, no; that is not surprising.  
10 This is what happens in sheep scrapie, for a period of time.  
11 These are hunter-harvested animals of all different ages,  
12 probably suggest that these animals were in the first year  
13 to year and a half of infection.

14 DR. BROWN: Okay. So these are early-incubation-  
15 period animals.

16 DR. O'ROURKE: I'm sorry. These are what we have  
17 presumed to be early-incubation animals, clinically normal,  
18 hunter harvested. I apologize for not making that clear.  
19 In the animals that are clinically affected or that have  
20 staining in the brain, tonsil and brain always correlate. A  
21 small percentage of sheep are brain only. Mule deer, tonsil  
22 and brain, but tonsil first.

23 Beth?

24 DR. WILLIAMS: I would say one other thing in  
25 terms of pathogenesis work that we have done. It certainly

1 indicates that in animals that are slaughtered post  
2 inoculation that the lymphoid tissues do become positive  
3 before the brain does, which is to be expected.

4 DR. BROWN: As usual; right.

5 DR. O'ROURKE: I'm sorry; as usual for mule deer  
6 and sheep, not as usual in elk. That is why my initial  
7 title slide urges you to consider elk-associated diagnostics  
8 different from deer-associated diagnostics because the  
9 distribution of the prion is profoundly different in  
10 extraneural tissues.

11 DR. BROWN: Thank you very much, Dr. O'Rourke.

12 The final presentation of this morning is an  
13 industry perspective presented by Dr. Zebarth of the  
14 American Elk Breeders Association.

15 **Industry Perspective**

16 DR. ZEBARTH: My name is Glen Zebarth. I am a  
17 practicing veterinarian, do commercial practice primarily on  
18 cervids and elk. I have been involved with a group called  
19 the Elk Research Council and we have maintained a herd of  
20 infected animals and submitted tissues to Dr. O'Rourke and  
21 Dr. Williams and Dr. Spraker.

22 [Slide.]

23 I have been asked to present the industry  
24 perspective on chronic wasting disease. The North American  
25 Elk Breeder's Association has taken an active and leading

1 role in developing and implementing a control program with  
2 the goal of eventual eradication of CWD in farmed elk. The  
3 program includes a certification of a herd's CWD status.

4 I would, at this time, go down to item no. 2, the  
5 scientific evidence that the industry is aware of would  
6 indicate a lack of evidence of transmission of CWD to humans  
7 or cattle and most of these items have been covered earlier,  
8 the species-barrier evidence from Rocky Mountain lab, the  
9 oral-transmission study that is underway by Dr. Beth  
10 Williams. There is an interim report on that on twelve  
11 cattle that were exposed orally and are presently free at  
12 three years post-exposure.

13 Correct me if I am wrong, Beth, somewhere.

14 There was a cross-species transmission study done  
15 by Dr. Gould at Colorado State University and was conducted  
16 in the geographically targeted survey area of Colorado and  
17 Wyoming. It involved twenty-two ranches where cattle were  
18 commingling with free-roaming deer in the endemic area. 262  
19 cattle brains were followed through slaughter, collected and  
20 analyzed and were negative for the demonstration of prion.

21 [Slide. 1

22 Item d, on the next sheet, is the only data that I  
23 am aware of in regard to velvet antler and is very limited.  
24 So I would not propose to interpret that for any more other  
25 than exactly Dr. Rubenstein's comments contained here.

1 From South Dakota, Dr. Holland, the state  
2 veterinarian, had submitted to Dr. Rubenstein eleven  
3 antlers. Three of those were from animals that were brain-  
4 positive on slaughter. Three were unknown status and the  
5 rest were negative on brain examination. A detectable prion  
6 was not found at the log infectivity of three logs of  
7 infectivity.

8 In real-life experiences, as Dr. Miller reported  
9 earlier, free-ranging elk have a documentation of being in  
10 the endemic area from 1981 and in b, under there, I would  
11 say that there is a misprint and it should be, "hunters have  
12 been exposed to and consuming animals from CWD-endemic areas  
13 for at least twenty years with no apparent variant CJD  
14 occurring," apparent to us. We need to add that, please.

15 The take-home message that I would like to leave  
16 with the committee today is that the North American Elk  
17 Breeders Association, as an industry, has been active in  
18 trying to responsibly deal with this occurrence and has  
19 worked in developing proposed regulations, has provided  
20 financial support of ongoing scientific research, has  
21 supported the search for better diagnostic tools, has,  
22 through the Association and an organization called the Elk  
23 Products Board, developed quality processing and  
24 manufacturing standards for elk products.

25 When CDW was first diagnosed in a commercial

1 farmed operation in December of 1996 and January of 1997, in  
2 the farm facility in South Dakota, the elk breeders of South  
3 Dakota voted unanimously to support emergency legislation  
4 through the State of South Dakota that had the goal of  
5 banning the sale of products from any of those herds. Those  
6 herds were quarantined.

7 Subsequently, seven herds were identified in South  
8 Dakota. Six of those have been depopulated and the final  
9 herd has a few remaining animals that have been identified  
10 as genetic LLs and are scheduled to be moved to NADL at  
11 Ames, Iowa for an LL-challenge study.

12 [Slide.]

13 The North American Elk Breeder's Association, in  
14 August of 1998, convened a symposium in Kansas City at which  
15 time a model program for the control and surveillance of CWD  
16 was formulated. That problem was taken and submitted to the  
17 United States Animal Health Association in October of 1998  
18 and was passed through the Alternative Agricultural  
19 Committee and the Wildlife Diseases Committee and was  
20 published and put out to state veterinarians, to the state  
21 agencies, as a model control program to use for a template.

22 As of this date, eighteen states have adopted and  
23 are in some varying stages of a control program.

24 [Slide.]

25 On the very last sheet, this is basically somewhat

1 similar to what Mike had on his map. There actually are  
2 some more states that are included in here than I think your  
3 map shows. These states are the primary states that have  
4 armed animals and the estimate is that 80 percent of the  
5 armed animals are contained in these states. As you can  
6 see, it is a variety of a mix of different programs.

7 You can go back to the first page, please.

8 [Slide.]

9 The main component of the CWD model control and  
10 the goal for eradication program is really two factors. One  
11 is a verified inventory. The elk industry is already one of  
12 the most regulated farmed-animal industries in the United  
13 States. This means that we already have excellent inventory  
14 records on herds and animals.

15 In most of the states where farmed elk are raised,  
16 by law, the owner is required to have a license with the  
17 Board of Animal Health in that state and is required to  
18 submit an annual inventory. Some of those states, that  
19 inventory is verified by a third party and some not. Anyone  
20 who is on a CWD eradication, on this program, has to have a  
21 third-party-verified inventory.

22 The second major component of the program, then,  
23 is that the brain is examined on every animal that dies,  
24 regardless of the cause, that is in excess of sixteen months  
25 of age. So the two components of the program are a verified

1 inventory so that we can verify that we know we looked at  
2 the brain of every animal that expires, regardless of the  
3 cause, and then the diagnostic tests that we have used,  
4 examination of the brain, as a follow-up to the information  
5 Dr. O'Rourke just gave us.

6 This also, then, has the process of--we have in  
7 the states of North Dakota, South Dakota and Colorado, the  
8 entire states are--by law, all of the herds are mandatorily  
9 required to be in this program. Those states are going on  
10 thirty months. so we have three states with a fairly large  
11 number of herds that we have thirty months of a certified  
12 status.

13 In other words, the brains have been examined  
14 systematically from all of the animals that have died that  
15 were in excess of sixteen months for thirty months in those  
16 three states. We think that is a very critical fact in that  
17 we are starting to accumulate some herds that we have  
18 verified status and we can have some comfort that these are  
19 herds that not only do we say they have not had an  
20 occurrence of the disease, but we have looked and we have  
21 some proof of examination that there isn't something going  
22 on there.

23 At the present time, and with the state programs,  
24 it varies with different states as to whether there has been  
25 a ban of products out of those herds. We have checked, and



1 all of the herds that have been infected, the seventeen  
2 nerds that Dr. Miller spoke about, none of those herds say  
3 chat they have sold elk velvet antler into the trade since  
4 they were diagnosed.

5 [Slide.]

6 The industry supports ongoing research and a  
7 dialogue. This basically just underlines some of the facts  
8 of the research that Dr. O'Rourke is doing. As she  
9 mentioned, we did maintain a herd of fifty-two elk that were  
10 obtained from infected herds in a biosecure facility and did  
11 serial sampling. We maintained those animals for four years  
12 and subsequently they all went to slaughter.

13 Out of that, we also sampled, and have worked with  
14 Dr. O'Rourke, on the LL-genetic screening. We are taking  
15 some of those animals now for an LL-challenge at Ames.

16 One other study that is being done is on of the  
17 infected facilities has been depopulated and we are now in  
18 the process, with the South Dakota Board of Animal Industry  
19 and with the Colorado Division of Wildlife in a project and  
20 model study and reintroducing some animals in an  
21 environmental contamination study there.

22 [Slide.]

23 NEABA supports, requests and urgently needs  
24 indemnity. The importance of an indemnity and the  
25 importance of the industry to work with USDA APHIS

1 Veterinary Services is that if we can obtain indemnity, then  
2 we will obtain a lot greater compliance from the herd owners  
3 to be in the program.

4           If we do not have any indemnity and we are  
5 requesting people to be in the program, and they are  
6 diagnosed and we put them on a permanent quarantine, we  
7 basically, financially, have ruined them. So what the goal  
8 is of the industry is to survey and monitor every herd in  
9 the industry and to then, as soon as a herd is identified,  
10 to depopulate that.

11           That is the model that has been accepted and is in  
12 place now in Canada. The benefits of indemnity would be for  
13 a fair-market value. Indemnity would increase the market  
14 value of certified products and the market value, then,  
15 would be an incentive for the breeders to comply with the  
16 program.

17           The value of breeding stock gives meaning to  
18 federal requirements for monitoring interstate movement and  
19 the indemnity will enable more states to implement mandatory  
20 participation and immediate depopulation of any herds.

21           The elk industry not only has state regulations  
22 but it has a breed registry program where the value of the  
23 animals has made it economically advantageous that these  
24 animals, basically, are all registered and have a DNA  
25 profile, or record. So these animals can be tracked. If a

1 positive case is--they have a unique ID and they have a DNA  
2 profile and they can be tracked back to their origin.

3 [Slide.]

4 Many states have controlled licensing and  
5 inventory programs and especially the states that have had  
6 some cases and especially the states of North Dakota, South  
7 Dakota and especially the state of Colorado.

8 The elk industry is basically--the estimate I have  
9 is approximately a \$1 billion industry in the United States,  
10 the farmed-elk industry, with gross sales of elk farm and  
11 velvet antler estimated at \$150 million. The elk industry  
12 has a track record of aggressively addressing disease issues  
13 in that the same general format that we are proposing to  
14 address CWD was used for brucellosis and tuberculosis and  
15 that a model program was formulated, adopted by some states  
16 that have gone and approached USDA APHIS Veterinary  
17 Services.

18 UNMRs were written. Indemnity was created. That  
19 resulted in brucellosis--there has not been a case of  
20 brucellosis in a farm cervid herd for seven years. So we  
21 can, with some confidence, say that is eradicated in the  
22 farm population. There has not been a case of tuberculosis  
23 for two years, a newly discovered case.

24 That was done after nine years from the initial  
25 outbreak as far as t.b. and six years after a federal

1 program. The CWD program, then, that we are proposing or  
2 requested in the process of working with USDA APHIS, follows  
3 these same general guidelines of a control program that  
4 would be enforced by interstate movement, would be  
5 supplemented by indemnity so the producers have an incentive  
6 to rapidly and quickly dispose of and totally depopulate any  
7 identified herd.

8 We see this as the best guarantee we can give the  
9 public that no products from these herds that are either  
10 from infected animals or animals that have been in contact  
11 with infected animals, would enter commerce or get into the  
12 food chain. So the goal is to look at, aggressively, and  
13 identify every herd that is positive and immediately  
14 depopulate that herd.

15 We are confident that, with diligence and with the  
16 assistance of USDA APHIS Veterinary Services, that that is  
17 not easy but is doable.

18 Thank you.

19 [Applause.]

20 DR. BROWN: Thank you very much, Dr. Zebarth. Why  
21 is Pennsylvania still asking for elk to be sent to their  
22 state?

23 DR. ZEBARTH: I would refer that to Dr. Miller.  
24 That is free-ranging.

25 DR. BROWN: I don't know. Pennsylvanians

1 apparently think that it was wonderful in Colonial days to  
2 have elk ranging around the state. They have initiated a  
3 program to bring elk from the west.

4 DR. ZEBARTH: Mike, would you care to address  
5 that? There are a number of eastern states that have been  
6 involved in reintroduction of free-ranging animals; is that  
7 correct?

8 DR. MILLER: Exactly. I am sure it is part of a  
9 national species expansion program that the state is  
10 involved in. You would really need to get the folks from  
11 Pennsylvania to speak specifically to why they are doing  
12 that.

13 DR. BROWN: Is there any awareness--I am sure  
14 there is, but let me ask a different thing. Are they aware  
15 of the potential problem in this kind of interstate commerce  
16 of elk?

17 DR. MILLER: Certainly. As I mentioned, we won't  
18 allow animals to be taken from places where we know chronic  
19 wasting disease occurs. I think the states right now that  
20 are receiving animals are well-aware of the problems and  
21 trying to do what they can do insure that animals don't come  
22 from populations that are likely to be infected.

23 The same way with the elk industry.

24 DR. ZEBARTH: The elk industry proposes to do that  
25 but proposes, also, to do one step further because we have

1 the ability to identify and control these animals, we would  
2 propose, eventually, to only move animals that would have a  
3 certified status.

4 DR. MILLER: There are plans, I think, underway  
5 and desire, certainly, to try to identify free-ranging  
6 populations of animals that can be., to the best of our  
7 technical ability, certified as free. Certainly, there are  
8 places in the country that they could get animals from.

9 DR. BROWN: Would that certification include a  
10 third-eyelid test?

11 DR. MILLER: It wouldn't do a whole lot of good,  
12 it doesn't sound like.

13 DR. WILLIAMS: It wouldn't be third eyelid. It  
14 most likely would be a brain test on harvested animals to  
15 certify the free-ranging herd as being a negative herd.

16 DR. O'ROURKE: I have been asked to provide third-  
17 eyelid tests on animals that are intended to be reintroduced  
18 into the Great Smokey Mountain Park. Those animals are  
19 being sourced from a place in Canada in which the animals  
20 are free-ranging but protected from ingress and egress by  
21 free-ranging animals.

22 I have told them that if they choose to archive  
23 those tissues, they could feel free to do so. But, because  
24 the test right now does not have very much value, I didn't  
25 want to give them a false sense that they were, in fact,

1 guaranteeing the CWD-free status.

2           The geographic source of the animals is the key  
3 issue for them.

4           DR. BOLTON: How are the carcasses from the  
5 depopulated herds disposed of?

6           DR. ZEBARTH: The carcasses, primarily, have been  
7 incinerated and then, in a biosecure, land-fill facility.

8           DR. BOLTON: I have another question. Do you have  
9 an idea of prevalence of CWD is within an infected herd, a  
10 farmed herd?

11           DR. ZEBARTH: We have seen two different scenarios  
12 in the farm population, one in the index herd, the original  
13 index herd in South Dakota. Correct me, Beth and Katherine,  
14 if I am wrong on this. It was a concentrated feed-lot  
15 situation and there ended up being a high rate of incidence  
16 in a group of bulls, 125 bulls, that had a high incidence,  
17 in the neighborhood of 36 percent.

18           The other farm situations we have seen have  
19 generally been much, much lower incidence than that, at 1 or  
20 2 percent. The industry is taking the position and the  
21 desirability, one case and it is out. That has been our  
22 experience.

23           DR. BOLTON: One final question for me, In the  
24 depopulated farms, have any of them been repopulated and, if  
25 so, how long ago has that occurred?

1 DR. ZEBARTH: So far, no. The owners have  
2 voluntarily or in conjunction with--most of those have set  
3 up a herd plan with the state veterinarian and there has not  
4 been any depopulation in any of those facilities. We are  
5 proposing, under environmental contamination, to repopulate  
6 with a controlled number of animals from a certified-free  
7 herd into one small area in one of those facilities.

8 DR. BURKE: Don, do you know what percentage of  
9 your captive animal herds in this country are operating  
10 under your aegis?

11 DR. ZEBARTH: Dr. Creekmore might have that. I  
12 would say 50 percent and that is an estimate. But that  
13 would be my estimate at this time. The states that I  
14 maintained are 100 percent. The two largest states for  
15 farmed elk are Colorado and Minnesota. Minnesota is a  
16 voluntary program. There are 204 herds in Minnesota. 137  
17 of them voluntarily are in the problem.

18 DR. PRUSINER: Could you give me a little idea of  
19 the elk-farming industry relative to the deer-farming  
20 industry that produces venison? This is a billion dollar  
21 industry with \$150 million in sales annually? How many  
22 animals does that equate to and then could you give us the  
23 same numbers for deer, or do you know them?

24 DR. ZEBARTH: I do not know for deer. For elk,  
25 the number is approximately 110,000 farmed-elk in North



1 America of which approximately half of that would be in  
2 Canada and half in the United States. Canada is 52,000 and  
3 some.

4 DR. PRUSINER: How many are killed each year?

5 DR. ZEBARTH: I do not know that. I do know that  
6 in our looking and monitoring levels, checking the normal  
7 mortality of animals sixteen months of age and over is  
8 1 percent. The number of animals slaughtered in the United  
9 States this year, there are a couple of individuals in the  
10 audience that are in the meat industry. My estimate would  
11 be a total of 800 to 1,000 head.

12 DR. PRUSINER: 1 percent?

13 DR. ZEBARTH: No, no; two different things.  
14 1 percent death loss in a herd, and then the animals that  
15 were taken to slaughter, healthy animals taken to slaughter-

16  
17 DR. PRUSINER: 10 percent.

18 DR. ZEBARTH: The previous year was about 800  
19 animals.

20 DR. PRUSINER: So that is 1 percent. 1,000  
21 animals slaughtered out of a herd of 110,000 is 1 percent  
22 are slaughtered in a year.

23 DR. ZEBARTH: Okay. There are not very many of  
24 them slaughtered.

25 DR. PRUSINER: So how do you make money? How do

1 you make \$150 million a year out of this?

2 DR. ZEBARTH: Sale of breeding stock.

3 DR. BROWN: Velvet antlers.

4 DR. PRUSINER: wow. What an industry.

5 DR. ZEBARTH: There are several components.

6 Velvet antler is one economic proponent. There are a lot of  
7 people that own and have elk just because the regality of  
8 the animal and that is especially true of deer, but a lot  
9 people have elk just for the sake of having them and seeing  
10 them.

11 DR. PRUSINER: wow. Okay.

12 DR. BOLTON: Are game preserves included in your  
13 grouping?

14 DR. ZEBARTH: In the surveillance, yes. Their  
15 heads are examined in hunter operations. Yes.

16 DR. BELAY: How widespread is the use of antlers?  
17 It is from every dead animal? Is it 50 percent? Can you  
18 give us an estimate?

19 DR. ZEBARTH: Please repeat the question. I'm  
20 sorry.

21 DR. BELAY: How widespread is the use of antlers?  
22 Is it from every dead animal that antlers would be used?

23 DR. ZEBARTH: No. The velvet antler is a  
24 traditional product. It is harvested at a very specific  
25 stage of growth which is about a four- or five-day period of

1 time. It is harvested with an anesthesia of the antler,  
2 sawed off and immediately frozen. It is harvested above the  
3 growth line so that is an annual removable product.

4 DR. BROWN: Most of that is probably exported; is  
5 that true?

6 DR. ZEBARTH: Exported. . 70 percent of the world's  
7 supply goes to South Korea.

8 DR. LURIE: You said in your comments that the elk  
9 industry is one of the most regulated farm-animal industries  
10 in the country. What I mostly hear is a voluntary program  
11 to which 50 percent of elk herds do not belong, some state  
12 laws, not in every state, half of which are voluntary, and  
13 no federal requirement that should an animal come down with  
14 CWD that the entire herd be depopulated.

15 I don't know, but that--

16 DR. ZEBARTH: Those are all excellent arguments  
17 that we have proposed that we need indemnity to facilitate  
18 and then we need this to be made a program disease. The  
19 industry has requested to USDA APHIS that this would become  
20 a program disease and then the things you mentioned would  
21 logically follow, follow in that interstate movements  
22 requirements, depopulation of infected herds and indemnity  
23 for--

24 DR. LURIE: But those things are not in place  
25 right now in a widespread way.

1 DR. DETWILER: May I comment on that? That is  
2 something, actually, the USDA has requested but they have  
3 2,,000 herds. So you can imagine. You have to get the  
4 attention of Congress in order to do that. So that is why  
5 even recommendations from this committee carry weight in  
6 that regard.

7 DR. LEITMAN: I have a question for Linda. How  
8 does this compare to scrapie? In CWD, there is no evidence  
9 that the disorder has crossed species barriers into humans,  
10 from what we have heard this morning. That is true for  
11 scrapie in sheep as well. If a sheep herd, or a member of a  
12 sheep herd, has scrapie, does the herd have to be decimated?

13 DR. DETWILER: Have to be? No, not any longer.  
14 We have had a scrapie program from 1952 to the present.  
15 From 1952 until 1982, 1983, it was complete flock  
16 depopulation. We found that drove the disease underground,  
17 that you had one animal that might be newly introduced and  
18 all the sheep had to go.

19 We have actually, now, gone to a process where  
20 high-risk animals are removed. This is even changing as  
21 these new tests come on board, so high-risk animals are  
22 removed. Then the flock gets monitored after that with the  
23 certification so that you could--and, sometimes, if it is  
24 heavily infected, the flock is depopulated, but it is not  
25 mandated federally. In some states, it is. So there are

1 combinations now.

2 DR. ROOS: Isn't there some evidence of  
3 interspecies spread of scrapie, for example, TME? I don't  
4 know whether the data is that good.

5 DR. DETWILER: To my knowledge, there is no  
6 association with scrapie and TME. I think there has been  
7 speculation in the early literature about sheep. There has  
8 been speculation about cattle with TME. But none of those  
9 **have** been, to my knowledge, any conclusive evidence with  
10 TME.

11 Now, scrapie, with experimental transmission, yes.  
12 It has been transmitted to a number of species but not to my  
13 knowledge in any natural route.

14 DR. BROWN: I think, as you have probably noticed,  
15 we **are** not breaking. What I would like to do now is hear  
16 the open public hearing presentations and then we shall have  
17 lunch. Then we shall discuss this issue immediately after  
18 lunch.

19 **Open Public Hearing**

20 DR. FREAS: Following our Federal Register  
21 Announcement, I have received four requests to address the  
22 committee during the open public hearing. The first request  
23 is Mr. Dan Marsh. Is he present? The second request I have  
24 seen is from Barbara Fox from the North American Deer  
25 Farmers Association.

1 MS. FOX: I will pass.

2 DR. FREAS: The third request, Lloyd Riddle from  
3 Natraflex Brands.

4 MR. RIDDLE : Nobody else wanted to get between the  
5 crowd and lunch, I see. I will dispose of this quickly.  
6 Good morning and thank you for allowing me to share my  
7 comments with you. My company, Natraflex Brands, is the  
8 leading velvet-antler dietary distribution company in the  
9 United States. We estimate we have about two-third market  
10 share.

11 I am here to share with you, and the general  
12 public, some information regarding the safety of our product  
13 and the steps our company takes, as well as the general elk  
14 industry takes, to insure that our products continue to be  
15 safeguarded from CWD.

16 Let me state from the outset that Natraflex  
17 maintains documentation on the source and the chain of  
18 custody of our velvet-antler material and our records show  
19 that we have not purchased velvet antler from any ranch or  
20 any farm that has had a CWD-positive case diagnosis at the  
21 time of the purchase nor have we made a purchase from any  
22 farm or ranch that has had a subsequent CWD-positive case  
23 diagnosis.

24 Product safety is paramount to us at our company  
25 and the following are just some of the steps we take to

1 insure that our products are safe. Number one, Natraflex  
2 limits our velvet antler purchases to growers and states  
3 that are enrolled in state- or provincial-run CWD  
4 surveillance and eradication programs.

5 This means that those growers must submit the  
6 brains of required animals that perish or that are  
7 slaughtered to the state veterinarian for CWD testing. You  
8 can't find what you are not looking for. All of our  
9 suppliers are--in most cases, required by law--looking for  
10 CWD. In fact, our principal supplier of velvet antler is  
11 also used as the negative-index herd, if you will, for CWD  
12 live-animal testing.

13 This herd is subject to extensive veterinary and  
14 health review by some of the world's leading TSE scientists.

15 Number two, as a matter of policy, public  
16 perspective and common sense, we do not, and have not,  
17 sourced any products of any kind from any ranch that is or  
18 has ever been under CWD quarantine.

19 Number three, notably, and from a statistical  
20 management perspective, to date, Natraflex has sourced fresh  
21 velvet antler from only fifteen growers. As a consequence,  
22 we know exactly where our product comes from and we  
23 continually monitor these sources for quality and safety  
24 issues.

25 In fact, as you have heard from earlier speakers,

1 although CWD has been known to exist in the wild population  
2 for several decades, the elk and deer industry responded  
3 very proactively when CWD first appeared in farm stock  
4 several years ago and have worked with various state  
5 agencies to adopt state-run CWD surveillance programs. Some  
6 of these programs have been in place for as long as thirty  
7 months.

8           These programs are beginning to approach, or  
9 exceed, the generally accepted CWD incubation period and, as  
10 a consequence, several states are considering issuing CWD  
11 status certification similar to the accreditation you heard  
12 received for t.b. As you heard from Dr. Zebarth, there is a  
13 proposal to USDA to make this a national program. Natraflex  
14 welcomes these programs as a double check and as a  
15 validation on our own existing standards as well as  
16 providing confidence to the consuming public.

17           Number four, Natraflex supports USDA, American Elk  
18 Products Board, and North American Elk Breeders Association  
19 quality control and feed standards. These standards  
20 mandate, among other things, that farmed elk and deer feed  
21 not contain prohibited mammalian proteins, unlike the former  
22 European practice of feeding TSE-infected animal protein to  
23 cattle.

24           Natraflex also strongly supports the national  
25 model CWD eradication program developed by these same



1 agencies provided that the program included herd indemnity  
2 to maximize surveillance results and for basic fairness  
3 reasons.

4 Five, each batch of velvet antler we produce is  
5 thoroughly tested in an independently licensed laboratory  
6 not only for compositional conformity to our standards but,  
7 also, for food-borne pathogens and other contaminants such  
8 as heavy metals. When a live animal test for CWD is  
9 validated, we will require that test as well.

10 Six, Natraflex maintains comprehensive, chain-of-  
11 custody records that trace each bottle's lot number back to  
12 the ranches that produced that antlers. Each bottle of our  
13 product can be traced back to the farms that produced it and  
14 none of our supplying farms has ever had a CWD-positive  
15 case.

16 Seven, finally, all of our products are packaged  
17 at an FDA-licensed and inspected facility and are labeled in  
18 compliance with FDA regulations. CWD is rare among farmed  
19 elk and deer and complete eradication measures are advancing  
20 rapidly. Further, we have seen no scientific evidence that  
21 shows CWD can be transmitted to humans. Centuries of elk,  
22 venison and velvet antler consumption by humans would seem  
23 to bear this out.

24 The bottom line is that there is no evidence that  
25 velvet antler poses a public-health risk. However, and let

1 me be very clear on this point, Natraflex does not rely on  
2 centuries of empirical evidence or the science alone. We  
3 share the commitment of the elk and deer industry, USDA and  
4 the FDA to have safe and effective products. We will  
5 continue to take whatever steps are necessary to insure that  
6 our products are guarded against CWD.

7           Given the science and the information presented,  
8 and given the comprehensive array of Natraflex quality  
9 control and chain-of-custody procedures, we believe that you  
10 can be confident <sup>the</sup> our velvet-antler supplements are safe.

11           Thank you for the opportunity to share my comments  
12 this morning.

13           DR. FREAS: Thank you, Mr. Riddle.

14           Our next speaker is Dr. Michael McDonnell from the  
15 North American Elk, LLC.

16           DR. McDONNELL: Thank you. I am Dr. Michael  
17 McDonnell. I am a researcher in the beef industry but I  
18 also happen to be part owner in a slaughter facility and  
19 meat-distribution facility for elk.

20           In general, you have had specialists here today  
21 that describe CWD in great detail. I am going to try and  
22 give a quick overview and also a view from the meat  
23 industry. One thing, or two things, that we all agree with  
24 is we want to have a safe food supply and, really, we wish  
25 that we could control and eradicate this problem so that we

1 idn't have to have this type of discussion.

2           The first question that I look at from the meat  
3 ndustry is the question of is CWD directly transmissible to  
4 umans. I think, from what we have seen here, we have not  
5 een direct data but it may be premature to call it that it  
6 s not a risk. But it is also premature to declare it a  
7 isk. We need to work on it more. My desire would be to  
a ry to eradicate it so that we don't have to discuss that  
9 articular part of it.

10           As a meat company, and there were some questions  
11 sked of other producers and I was glad to be able to come  
12 p here and make some statements. Whenever we have a highly  
13 uspect herd, or a herd that has had a positive animal in  
14 t, all the meat, all the internal organs, from that herd  
15 ill be destroyed at the direction of the state in which we  
16 re, whether it is burned, whether it goes to a landfill or  
17 hatever. We try to be as safe as we can.

18           Any positive animals that come back will be  
19 estroyed. Only animals that test negative will be allowed  
20 nto the human food chain. The elk industry has done a very  
21 ood job of self-policing itself in that 80 percent of the  
22 lk herds that have had an initial positive have voluntarily  
23 epopulated their herd. By the end of this year, the  
24 emaining herds will be depopulated.

25           Some data that I will share with you in the herds

1 that we have been involved with the depopulation, if the  
2 herd was depopulated within six months of the initial  
3 positive sighting, we have had zero incidence of positive  
4 animals. If the time frame goes to one year to two years  
5 after the initial observation, we have a 7 percent infection  
6 rate in those herds.

7 If we go to the third year and on out, the  
8 infection rate goes up to 30 percent. Therefore, we would  
9 like to get indemnity so that we can eradicate this earlier  
10 because the quicker we break that chain, the less problems  
11 we will have in the long run.

12 We have had some discussion of elk being a  
13 nonamenable animal, which means it falls in a grey area and  
14 is really under FDA control because it is not under USDA. I  
15 would ask that the FDA consider putting it under their  
16 umbrella with USDA like they do FSIS and allow the APHIS  
17 program to be used in both the domestic and the wildlife,  
18 similar to what meat inspection is done by FSIS so that we  
19 could have a uniform program and could work to the  
20 eradication of this problem.

21 Thank you, sir.

22 DR. FREAS: Thank you, Dr. McDonnell. Could you  
23 stay for a question?

24 DR. McDONNELL: If you word it that way, yes, sir.

25 DR. BURKE: The question is if a herd is

1 depopulated and then they restart a new herd there that  
2 there is a progressive increase in the--

3 DR. McDONNELL: No; I'm sorry. If we have an  
4 initial animal diagnosed positive, and then we depopulate  
5 the herd within six months of finding the initial animal, we  
6 find no other positives in the herd. If we wait a year to  
7 find that, then we find 7 percent. The longer you wait, the  
8 more it builds up and, if we can do it quickly, we can nip  
9 it in the bud and stop it.

10 DR. NELSON: What do you mean by "depopulate?"

11 DR. McDONNELL: Kill everything.

12 DR. NELSON: All the animals are killed?

13 DR. BROWN: Does that square with what we heard  
14 from Katherine and you, that is there was one 35 percent  
15 bull herd and the rest of them were flat-out said to be 1 to  
16 2 percent. This sounds like it is a different set of data.

17 DR. McDONNELL: Those are the ones that I have  
18 been personally involved with. There have been three herds  
19 or four herds that I have not personally been involved with.  
20 I am just going on the data that I have been involved with.

21 DR. WILLIAMS: There is a situation with some of  
22 our experimental herds within the endemic research  
23 facilities where we do have cases where animals have been  
24 removed from particular paddocks and then animals from CWD-  
25 negative herds reintroduced into those facilities. Under

1 those circumstances, with environmental contamination and  
2 potentially fence-line contamination, we have had prevalence  
3 in those herds up to 50 or 60 percent.

4 DR. BROWN: So this is an extraordinarily  
5 contagious disease, relative to something like scrapie which  
6 is 1 to 2 percent, BSE which maybe' doesn't get horizontally  
7 transmitted at all. But, certainly, by comparison with  
8 scrapie, in terms of the data such as it is, this is  
9 explosive.

10 DR. WILLIAMS: Linda, do you want to comment on  
11 the occurrence or the prevalence of scrapie within endemic  
12 flocks?

13 DR. DETWILER: At least in things that are  
14 monitored--again, whenever you have controlled programs, I  
15 just have to caution you, you skew your data because if you  
16 get the first one, or what not, and the flock is  
17 depopulated, then you eliminate this finding. So scrapie is  
18 usually reported a little bit higher, Paul, 2 to '5 percent  
19 in most flocks. But you can have up to 10 to 20 percent  
20 infection.

21 Now, in retrospect, that is work done in the '80's  
22 prior to the genotyping. Probably now, if you went back and  
23 genotyped those, probably ones with higher prevalence, you  
24 would probably see some genetic differences in there. That  
25 is my own guesstimation.

1 DR. BOLTON I have a question, again, going back  
2 to the disposal of the animals, when the herd is  
3 depopulated, all of animals' carcasses are burned or  
4 incinerated or are they retested and only the positive  
5 animals are incinerated and the other animals are butchered  
6 and the meat used?

7 DR. McDONNELL: Using the data that we collected  
8 earlier, depending on how long we have for the infection to  
9 progress, if it is a short-term--you know, immediately or  
10 soon after we get the original where we do not anticipate  
11 any positives, those animals are held under a retaining  
12 order. Usually, the samples are sent to Terry Spraker at  
13 Colorado State. Those animals that test positive are all  
14 destroyed. Those animals that test negative would be  
15 allowed into the food chain.

16 DR. BROWN: One other question. In the herds of  
17 animals which you have allowed to progress over time up to  
18 several years, what happens to the placentas in these herds;  
19 that is to say, you have got a herd. You know there is an  
20 infected animal. You let the herd continue to exist.

21 I am looking for a method of transmission. In  
22 this kind of a herd, would the placenta be source of cross-  
23 contamination because it would be fed on by a number of  
24 animals?

25 DR. McDONNELL: I am going to pass on that

1 question because that is not my area of expertise but I will  
2 answer it in a different way. We have had it in herds that  
3 are all male and we have transmission in velveting herds  
4 where there is no placenta present.

5 DR. BROWN: At the same kind of rate; that is, 7  
6 to 30 to--

7 DR. McDONNELL: We don't have enough of those  
8 herds to establish a real positive number there. I was  
9 throwing those numbers out with the idea of saying we need--  
10 the earlier we get on it, the better control we have.

11 DR. BROWN: And, at a minimum, you have got some  
12 transmission in all-male herds.

13 DR. McDONNELL: Yes.

14 DR. BOLTON: What is the density of the animals in  
15 these meat farms? is this like a feed-lot situation or is  
16 the more like a wild--

17 DR, McDONNELL: No; they would be dispersed enough  
18 that grass still grows in the pasture, if you want to say  
19 that.

20 DR. BOLTON: How many animals per acre, for  
21 example?

22 DR. McDONNELL: Five animals per acre? Four to  
23 ten? It kind of depends on what part of the country you are  
24 in, what the grass-carrying capacity is.

25 DR. ZEBARTH: The one herd that I spoke of that



1 had the high incidence was a feed-lot situation. There was  
2 no vegetation in there. We are talking about 125 animals in  
3 a very, very small area. That is the only herd that we know  
4 of that had the real high incidence. The other herd, of  
5 which he speaks, that was maintained for a long time was the  
6 herd that we were maintaining and doing the serial sampling  
7 on. So that is why that herd was maintained and that is  
8 why, when that herd was killed, there was a fairly high  
9 infection rate.

10 DR. BOLTON: I am just asking the question in  
11 general, in the elk that are bred and kept for meat  
12 production, what would be the general density of the--

13 DR. ZEBARTH: It would vary according different  
14 parts of the country or vegetation, but a rule of thumb  
15 would be no denser than one animal per acre and, as a  
16 general rule, probably one animal per three acres.

17 DR. McDONNELL: In general, about twice the number  
18 of elk stocking rate than you would for cattle would be the  
19 normal. And that varies all over.

20 DR. PICCARDO: I need some clarification. Let me  
21 see if I understood correctly. If an animal is infected in  
22 a flock, then the whole flock goes through testing at the  
23 state; is that what you said?

24 DR. McDONNELL: If they are depopulated; yes. My  
25 company's standpoint is that we test everything that we

1 Laughter whether they are suspect or not as a monitoring  
2 rogram.

3 DR. PICCARDO: Right; but the ones that test  
4 egative, that means, by immunohistochemistry?

5 DR. McDONNELL: Yes.

6 DR. PICCARDO: Go back to the food chain?

7 DR. McDONNELL: They can go back.

8 DR. PICCARDO: They can go back? What do you mean  
9 y "they can go back?" There is no rule?

10 DR. McDONNELL: Some herds choose not to have them  
11 go back. There was a herd that was slaughtered two weeks  
12 ago and we passed on it because I thought it would have a  
13 higher infection rate than it actually did. We passed on  
14 that herd. So they were all destroyed even though they  
15 tested negative.

16 DR. PICCARDO: So there is nothing legal. It is  
17 your decision, basically? It is not like you are enforced  
18 to do one way or the other.

19 DR. McDONNELL: That is correct. Unfortunately,  
20 being a nonamenable animal, there are a lot of grey areas.  
21 I have had a number of requests, with both USDA and FDA, for  
22 further guidance to narrow up a lot of those loopholes. I  
23 have got to say the regulatory people look at me and say  
24 that I am a little bit odd to be asking for more  
25 restrictions but I feel it is appropriate in this area.

1 DR. PICCARDO: I don't follow very well the logic  
2 on this because if this is a highly infectious disease, and  
3 then the animals that tested negative are allowed, at least  
4 in this grey area, to go back to the food chain--

5 DR. McDONNELL: The human food chain.

6 DR. PICCARDO: Right; even worse.

7 DR. McDONNELL: But we have not seen it be  
8 infective yet into the human side.

9 DR. PICCARDO: No, no; I understand. But the  
10 issue of the negative is, of course, we know nothing about  
11 the preclinical stage, et cetera, et cetera. So we are in a  
12 grey area where we don't know enough. You have a positive  
13 animal. You have some negative animals. And then the  
14 decision is in a grey zone of what is going to happen with  
15 that and there is no regulation.

16 DR. McDONNELL: There is no regulation.  
17 Unfortunately, we have no test--if we can not find the  
18 presence of a compound, the general process is we assume it  
19 is not there. If we take a stand to remove all animals from  
20 the food chain, then we run into difficulties in the beef  
21 and the swine industry because it is a difficult question.

22 DR. BROWN: I think what you are getting at, the  
23 answer, it seems to me, is that there is a decent  
24 possibility, under these conditions, for animals that are  
25 undetected but infected to enter the human food chain. I

1 think you both agree about that,

2 DR. PICCARDO: You are absolutely right, Paul.

3 But, then, I have another question maybe for Beth or Linda.

4 For the ones that tested negative on immunohistochemistry in  
5 humans--in humans where it is supposed to be more ideal  
6 conditions, if you wait long enough, or the material is  
7 fixed long enough, sometimes you might have a negative by  
8 immunohistochemistry due to the long fixation or the not-  
9 ideal condition of the material.

10 How ideal is the material that you test?

11 DR. WILLIAMS: It is variable. But, in general,  
12 especially the plants that have been used to doing this, we  
13 get good samples from them. We get the right part. And  
14 they are typically only fixed for a short period of time  
15 because the carcasses are hanging and, obviously, they don't  
16 want to leave them hanging for very long if they are going  
17 to move on into the food chain.

18 So they do send us pretty good samples. I will  
19 say that we have a little bit of information in terms of  
20 experimentally infected elk looking at the time at which we  
21 can detect PrP in the brain. This would be for elk. It is  
22 a little bit different than deer, as has been mentioned. By  
23 six months, post oral inoculation, we can detect it at the  
24 obex.

25 In those two cases, the staining was relatively

1 strong suggesting that it could have been picked up even  
2 prior to six months. But, again, experimental or  
3 inoculation.

4 DR. BELAY: Dr. Brown, it was my understanding  
5 that there is actually a proposal to change what we are  
6 discussing in terms of whether or not a test-negative animal  
7 from an infected herd should be allowed to go into the human  
8 food chain. My understanding was there is a proposal to  
9 change that. Is that true? I am asking this question to  
10 Lynn. Dr. Creekmore?

11 DR. DETWILER: Isn't that what the committee is  
12 supposed to be discussing?

13 DR. BROWN: No; it is not. No; we have to decide  
14 whether or not residence in northern Colorado for six months  
15 is a deferral criterion.

16 DR. NELSON: If you are an elk.

17 DR. BELAY: Let me rephrase my question. We have  
18 heard about a national plan to eliminate or eradicate  
19 chronic wasting disease from farmed elk. My understanding  
20 was, as part of that national plan, any animal that tests  
21 negative, as long as that animal is coming from a CWD-  
22 infected herd, it would not be allowed to go into the human  
23 food chain regardless of whether or not the animal was  
24 positive or negative.

25 DR. BROWN: This is for your own curiosity; right,

1 rmiass?

2 DR. BELAY: Right.

3 DR. BROWN: Because it has nothing to do with the  
4 ssue.

5 DR. BELAY: Correct.

6 DR. BROWN: Linda, can you answer that, or can  
7 nybody?

8 DR. CREEKMORE: My name is Lynn Creekmore. I am  
9 ith USDA APHIS Veterinary Services, the National Animal  
10 ealth Program staff, and I am the staff veterinarian  
11 orking on the chronic wasting disease proposed program.  
12 ight now, the proposed program isn't dealing with that  
13 ssue of whether or not test-negative animals from a  
14 ositive or exposed herd should or should not enter the food  
15 hain.

16 The thrust of the program, as Glen described, is  
17 o have a herd-certification-intensive surveillance program  
18 rith the primary response to a positive herd being that of  
19 lepopulation with payment of indemnity. There is another  
20 option within our program also of a long quarantine period.  
21 he question of what can or cannot happen to the animals  
22 hile they are under that quarantine period in terms of  
23 roducts or slaughter is something that we are looking to  
24 the food-safety and public-health agencies to give guidance  
25 on.

1 DR. BROWN: We are closing, now, the public  
2 hearing. There may be further discussion on various points  
3 that were raised, both by our formal presentations and the  
4 public speakers. We will now adjourn for lunch.

5 DR. FREAS: Was there anyone else in the audience  
6 who wanted to address the committee in this open public  
7 hearing?

8 DR. BRACKETT: I just wanted one clarification  
9 both from what Linda said as well as what Ermias said. It  
10 goes back, and I would like to direct the committee back, to  
11 the questions that were asked which is we are really looking  
12 at the science available to look at the questions so that we  
13 can make some decisions. So that is really what the basis  
14 is for infectivity.

15 DR. FREAS: If there is no one else in the  
16 audience at this time wishing to address the commission,  
17 then I guess we are going to go for lunch.

18 DR. BROWN: We will reassemble here at 1 o'clock.  
19 It is now 12:20.

20 [Whereupon, at 12:20 p.m., the proceedings were  
21 recessed to be resumed at 1:00 p.m., this same day.

1 AFTERNOON SESSION

2 [1:10 p.m.1

3 **Topic 3**

4 **Committee Discussion**

5 DR. BROWN: We will have committee discussion.  
6 or the members of the committee, I have an option from the  
7 DA. We do not need formally to vote on **each** of the ten  
8 uestions--actually, five questions and five subquestions--  
9 n this particular issue. But they would like a sense of  
10 hat the committee is thinking about each of these  
11 uestions. It seems to me that two or three of the  
12 uestions are extremely easy and they really didn't need to  
13 .sk our advice at all.

14 Such as the first question; are there scientific  
15 lata or other scientific evidence for transmission of TSE  
16 from an infected elk or deer to uninfected deer or elk. It  
17 .s an interesting transposition, actually, isn't it; elk to  
18 leer, deer to deer--okay; elk or deer to uninfected elk or  
19 leer and, if so, how strong are these data?

20 DR. BOLTON: Strong enough to have an epidemic?

21 DR. BROWN: Strong enough to have an epidemic;  
22 exactly. So I don't think we really need to spend much time  
23 on that. Of all the things we heard this morning, that is  
24 probably the most secure.

25 DR. BOLTON: Could they give us more questions



1 like that?

2 DR. BROWN: Yes; I was going to say, we would love  
3 to have more questions on which we had some scientific  
4 observations on which to base our responses. The second one  
5 is not bad either; are there scientific data or other  
6 evidence for transmission of a TSE to people consuming or  
7 using products made from deer or elk with chronic wasting  
8 disease.

9 Remember to keep your focus on the things that FDA  
10 has some control over; namely, foods and cosmetics. We are  
11 not talking, for example, about an elk rancher who might,  
12 through contact, develop the disease. We are really talking  
13 about products. So the question, again, is are there  
14 scientific data that consuming or using products made from  
15 deer or elk with CWD are transmissible to humans.

16 Anyone who might have a comment on that?

17 DR. BURKE: Before we left the first one, I wanted  
18 to be sure that I understood. It appears, for chronic  
19 wasting disease, there is more evidence for horizontal  
20 transfer than there is in BSE. In BSE, there is relatively  
21 little evidence for sustained--

22 DR. BROWN: That is absolutely correct.

23 DR. BURKE: Just to make sure. So that the reason  
24 for the question here is largely to differentiate between  
25 the epidemiologies of these two types of diseases.

1 DR. BROWN: That is a good point. I guess so.  
2 That is very acute. I couldn't see the reason for the  
3 question, but I think you have hit on it.

4 DR. BURKE: I will try to interpret the next one,  
5 t00.

6 DR. BRACKETT: Actually, the reason we wanted to  
7 know that is if you have an exposed or an unexposed group of  
8 animals and they were moved in with exposed, are they, now,  
9 at risk, horizontal transmission.

10 DR. BROWN: And the answer, based on what we heard  
11 today, is certainly yes. Is there any disagreement on that?  
12 What about people? I would have said no, not on the basis  
13 of the data we have now. But I wouldn't cross off the  
14 possibility; right?

15 DR. PICCARDO: Right; so there should be further  
16 investigation. There should be a clause there.

17 DR. ROOS: I don't think we have any data to  
18 support transmission of CWD to humans. The issue, really,  
19 is how good is the surveillance system and what are we  
20 really looking for and, if it is a very atypical  
21 presentation and case, as it might be, would we miss it  
22 altogether. So I think it is open-ended.

23 DR. BROWN: I think that is a good point that I  
24 was going to make, also, Beth. I should know this because  
25 our laboratory conceivably has done it, but I am not aware

1 of it or I can't remember. Has CWD been put into any  
2 primate?

3 DR. WILLIAMS: It has been put into squirrel  
4 monkeys and it was positive in one case.

5 DR. BROWN: out of--

6 DR. WILLIAMS: I don't even know how many--Dick  
7 Marsh did the work and I don't know how many squirrel  
8 monkeys he inoculated.

9 DR. BROWN: It was intracerebral inoculation?

10 DR. WILLIAMS: Intracerebral inoculation; yes.

11 DR. BROWN: It looked rather like TSE?

12 DR. WILLIAMS: Yes; it was a spongiform  
13 encephalopathy.

14 DR. BROWN: Because there is no reason--in spite  
15 of what you heard this morning, or you might have taken away  
16 from this morning, a priori, there is no reason to equate a  
17 syndrome due to CWD in a primate with the syndrome of  
18 variant CJD. It might look like blue-bottle fever. We have  
19 no idea. But it is not likely and, from what you say, it is  
20 very unlikely that it would turn up as a very unusual  
21 unrecognizable syndrome in humans.

22 so if it looks like a TSE--and I won't go through  
23 the rest of it.

24 DR. ROOS: I wanted to note that the pathology is  
25 very different. I wondered whether there was data about the

1 subhuman primate transmission and its pathology.

2 DR. BROWN: That is a good point, also, about the  
3 primate neuropathology.

4 DR. WILLIAMS: Unfortunately, that was not well  
5 examined and the slides are gone. I have not been able to  
6 retrieve those slides. I, personally, haven't looked at  
7 them so I can't comment on how the spongiform encephalopathy  
8 in that squirrel monkey might compare with other  
9 intracerebral inoculations of other TSEs. I can't comment  
10 on that. I know it was a spongiform encephalopathy but that  
11 is not based on my personal examination and the slides  
12 appear, and the blocks appear, to be gone.

13 DR. BROWN: It is particularly interesting because  
14 nule deer have the nicest daisy plaques of any species  
15 outside humans.

16 DR. WILLIAMS: Actually, white tails have it even  
17 better. But that is right.

18 DR. ASHER: The neuropathology of TSEs  
19 experimentally transmitted have frequently not closely  
20 resembled those from the original host. That is true of  
21 kuru and it is even true of new-variant CJD and BSE.

22 DR. BROWN: It may be a question of degree. Let's  
23 just take kuru. The plaques don't transmit but the  
24 spongiform change certainly does.

25 DR. ASHER: Right, but the pathology is very

1 strikingly cerebellar in humans--

2 DR. BROWN: Yes; the topography is different but  
3 no neuropathologist would miss the diagnosis on that  
4 account.

5 DR. ASHER: But one distribution was not  
6 predictive--

7 DR. BROWN: Yes; you can't predict an identical  
8 neuropathology. But it is recognizable.

9 DR. PICCARDO: As long as it is with spongiform  
10 changes because when you move into plaques, then you have a  
11 big problem.

12 DR. BROWN: Yes; unless they are immunopositive.

13 DR. PICCARDO: Yes, of course. But what I am  
14 saying is that the experience in the transmission  
15 experiences show that the spongiform changes, although the  
16 topography might be different, are easy to transmit but the  
17 plaques are very hard to transmit.

18 DR. BROWN: Or they don't. They are simply not a  
19 part of the species reaction. Look at BSE in cattle. They  
20 don't have daisy plaques.

21 DR. PICCARDO: Right.

22 DR. BROWN: Not a plaque in a cow. But it is the  
23 pathogenic marker of the neuropathology in humans. so you  
24 can't predict.

25 DR. PICCARDO: I guess my point has to be

1 broadened not only to the neuropathology but also to the  
2 neurologists. There are prominent neurologists here. In  
3 order to look for these weird cases, other neurologies, the  
4 Academy of Neurology, or whatever, doing an active  
5 surveillance, looking for unusual cases of CJD, et cetera.

6 DR. BROWN: I think Pierluigi probably, and maybe  
7 other people--yes; you are certainly accumulating,  
8 increasing numbers of cases of CJD both typical and atypical  
9 such that there is an increasingly good chance that these  
10 atypical cases will be brought to your attention. I mean,  
11 you are actively searching them out and you are becoming  
12 known as the place to which such brains would be sent, not  
13 the only place, necessarily, but a major place.

14 So I think, Beth, it would be a very useful thing  
15 now to initiate an experiment of CWD in primates fed to  
16 squirrel monkeys and really look that in not necessarily a  
17 big, systematic way, but if you had three or four squirrel  
18 monkeys infected with a strain from, for example, an elk and  
19 three or four with a strain from a deer, you could sample.  
20 You could even take a brain biopsy. You could do all kinds  
21 of things now instead of ten years ago when there was much  
22 less interest.

23 DR. WILLIAMS: There are lots of projects to do.  
24 Funding, and all these kinds of things, obviously, come into  
25 play but I agree. It would be very interesting.

1 DR. ROOS I don't think that the CJD surveillance  
2 program is well advertised in the general neurology  
3 community. Maybe I am mistaken about that, but in journals  
4 and at meetings, at least up until this point. Ermias,  
5 maybe you have some idea about how many cases do you think  
6 you are missing in your registry?. What percent of general  
7 neurologists know about your registry?

8 DR. BELAY: Which registry are you talking about?  
9 We have several mechanisms for CJD surveillance. The one  
10 you are referring to is probably the national center that  
11 Dr. Gambetti is the head of. Dr. Gambetti will probably  
12 speak for himself that just recently have gave a talk in the  
13 American Association of Neurology.

14 I will let Dr. Gambetti speak for that. He went  
15 to a major neurology association meeting trying to advertise  
16 the system and encourage them to utilize this national  
17 center for diagnostic and surveillance purposes.

18 Dr. Gambetti?

19 DR. GAMBETTI: I agree 100 percent with the  
20 statement that our national surveillance center, that the  
21 National Prion Pathology Surveillance Center, is not really  
22 seeing a representative number of cases. So I agree with  
23 the statement that it is not really fulfilling his job. Why  
24 we are not seeing in a year a sufficient number of cases.

25 I give you some numbers. In the Year 2000, we

1 have examined or received already examined--for example,  
2 from Dr. Prusiner and DRM Laboratories, a total of 109  
3 cases. Now, these represent the prevalence of CDJ in the  
4 United States as the same as in Western Europe, just 35 to  
5 40 percent of the cases suspected.

6 Those cases are very thoroughly examined.  
7 However, as I said, they represent only 35 to 40 percent of  
8 the cases. We try very hard to increase this number. It  
9 looks like there are at least three problems and all, of  
10 course, are related to the fact that our resources are, at  
11 the time, limited.

12 One of the problems is exactly as Dr. Roos  
13 indicated. We have been unable, and maybe Dr. Belay can  
14 explain better--

15 DR. BROWN: I think we don't need or want a long  
16 explanation. It is a little off focus.

17 DR. GAMBETTI: But that was the question.

18 DR. BROWN: No, no; the question was would--I  
19 don't mean to be rude, Pierluigi, but we are off the focus.  
20 The question was is there an adequate surveillance, a  
21 systematic adequate surveillance. The answer is no.

22 DR. GAMBETTI: The answer is no.

23 DR. BROWN: It is not your fault.

24 DR. GAMBETTI: But you have to give me a chance to  
25 explain why. Yes; you have, because otherwise we are left



1 with the idea that the surveillance is doing nothing and it  
2 is not true.

3           The reason why we cannot see many more cases is  
4 one, we have been unable, for a question of regulation, to  
5 contact the neurologists at the national level. We have  
6 been able to contact several times neuropathologists and  
7 pathologists. I am planning to present, to give a  
8 presentation, at the American Academy of Neurology, the  
9 plenary session. So we try to inform all the neurologists.

10           Second, and perhaps the major reason, autopsies.  
11 The autopsy rate in the United States is about 20 to  
12 30 percent, no exception for CJD. So autopsies are not  
13 performed. If we had more resources, we would reimburse the  
14 institution for performing autopsies. I am sure that the  
15 autopsy rate will go up.

16           Third, we have to have a system like the European  
17 surveillance center in which the family of the patient and  
18 the caring physicians are contacted when the patient is  
19 alive and right away a rapport, a relationship is  
20 established, and the patient is followed and, if he expires,  
21 an autopsy is performed regularly.

22           So these are the thing I am trying very hard to  
23 pursue. Unfortunately, so far, the resources have not been  
24 sufficient to do all this.

25           DR. BROWN: Thank you.

1 Is there more discussion on this question?

2 DR. NELSON: The other issue is do we know the  
3 extent of exposure of the human population.

4 DR. BROWN: To TSE?

5 DR. NELSON: To potentially infected animals,  
6 either, because we have heard that animals from a herd that  
7 may have a case are tested and enter the food chain. There  
8 may be other exposures.

9 DR. BROWN: Is the distribution of products, let's  
10 say meat, from elk and deer widely distributed throughout  
11 the country or does it stay more or less closer to home in  
12 the regions where the farms are located? I am sure somebody  
13 from the industry who is here can answer that question.

14 DR. ZEBARTH: The meat primarily would be consumed  
15 in the local area. It is more of a cottage industry so it  
16 would be consumed in the local area. The greatest exposure  
17 would be free-ranging animals. As far as the farmed  
18 industry, they would be primarily locals.

19 DR. BROWN: Would you refresh my mind and,  
20 perhaps, that of the committee on what products are in  
21 commerce from deer and elk other than meat and velvet  
22 antlers?

23 DR. ZEBARTH: Those would be the products.

24 DR. BROWN: Those two.

25 DR. ZEBARTH: The meat and the velvet antler.

1 DR. BROWN: The meat primarily as--

2 DR. ZEBARTH: Primarily as steaks to local areas  
3 and upper-scale restaurants. It is not really a ground meat  
4 industry such as in bison.

5 DR. BOLTON: Just to add to that, the restaurant--  
6 and excellent restaurant, I must admit--that we ate at last  
7 night, venison was on the menu as was calf brains.'

8 DR. ZEBARTH: The venison you ate almost certainly  
9 was New Zealand red deer, Cervina. There is a lot of elk,  
10 venison, consumed in restaurants in the United States.  
11 99 percent of that is New Zealand red deer, Cervina. The  
12 domestic elk industry has very, very low, almost virtually  
13 no penetration into that market.

14 DR. NELSON: What about deer? The white-tail deer  
15 are all over the United States but is it just the localized,  
16 Western deer only?

17 DR. ZEBARTH: I would let some of the wildlife  
18 people speak to that. Primarily, white-tail venison  
19 consumption is hunter consumption. I don't think there is a  
20 large commercial white tail venison market. I am not the  
21 one to speak to that.

22 DR. NELSON: But it is throughout the United  
23 States, pretty much.

24 DR. WILLIAMS: White tails are found throughout  
25 the United States, but the disease is located just in the

1 corners that you saw.

2 DR. BROWN: What we are hearing is that most  
3 venison consumed in this country doesn't come from this  
4 country.

5 DR. BELAY: Dr. Brown, I think the question is not  
6 this exposure to venison but exposure to potentially  
7 chronic-wasting-disease-infected venison. I think what we  
8 can say is if we compare this situation with what happened  
9 in the United Kingdom, for example, where hundreds of  
10 thousands of infected, BSE-infected, cattle may have  
11 actually been consumed by the population in the U.K., the  
12 possibility that a huge chunk of the population in the  
13 United States would be exposed to chronic-wasting-disease-  
14 infected elk would be very, very minimal, particularly just  
15 because it is limited, geographically limited, to a specific  
16 area.

17 DR. BROWN: Probably more importantly, it is  
18 limited by the people who eat venison which is not the  
19 majority of the population.

20 DR. BELAY: If we look at Allan Williams' data  
21 from yesterday, the donor survey, the blood donor survey, he  
22 indicated to us that 62 percent of the donors actually  
23 reported venison consumption. So it is not uncommon.

24 DR. BROWN: That seems high. I stand corrected if  
25 that is true. Two-thirds of the American public eat

1 venison?

2 DR. BOLTON: They have at some point.

3 DR. NELSON: Ever.

4 DR. BROWN: Oh; ever. Okay.

5 DR. BELAY: Now, venison consumption obtained from  
6 the wild was about 40 percent from Allan Williams' data.

7 DR. BROWN: What proportion of the population  
8 hunts?

9 DR. BELAY: Again, from Allan Williams' data, it  
10 was a little over 13 percent.

11 DR. WILLIAMS: I might add, that data matches  
12 reasonably well with the information from game and fish  
13 agencies at around 10, 15 percent depending on the area.

14 DR. BELAY: Right. In fact, we used Allan  
15 Williams' data to our three patients, unusually young CJD  
16 patients, to see if the occurrence of the three unusually  
17 young CJD patients could have actually happened by chance  
18 alone, given the 40 percent or so exposure of the population  
19 to venison potentially coming from the wild.

20 Our statistical analysis showed that the  
21 occurrence of three cases could actually occur by chance  
22 alone, given that level of exposure in the population.

23 DR. BROWN: Right; so we are already working on  
24 question 3; are the scientific data or other evidence for  
25 transmission of the TSE to people consuming or using

1 products made from deer or elk exposed to chronic wasting  
2 disease, or at least we are leading in this direction.

3 I don't think we have any information on that at  
4 all.

5 DR. BOLTON: I think, as with question 2, there is  
6 no evidence for transmission but that does not mean that  
7 transmission could not occur.

8 DR. BROWN: Right. There are several subquestions  
9 here, the potential for transmission to humans depending on  
10 the kinds of exposure. These are hopeless questions even to  
11 address. The offspring of CWD-infected deer? I mean, we  
12 haven't heard a shred of evidence all day long bearing on  
13 that question. We weren't given anything to consider and I  
14 don't think we can consider a response.

15 DR. CLIVER: It has got to be moot.

16 DR. BROWN: Similarly, pen mates of--I will read  
17 these subset questions. If anybody on the committee thinks  
18 they have any basis to answer any of them, please speak up.  
19 Pen mate of CWD-exposed deer or elk, animals in close  
20 proximity but not in the same pen with CWD-infected deer or  
21 elk, animals exposed to equipment used in transportation of  
22 slaughtering of CWD-infected deer or elk, elk and animals on  
23 the same ranch but with no direct contact with infected deer  
24 or elk. That is the set of questions.

25 DR. BOLTON: I would propose that they are all the

1 same and that they are all unknown.

2 DR. BROWN: Any disagreement with that?

3 DR. KATZ: I have no vote so what I say can be  
4 taken any way you want.

5 DR. BROWN: Very, very seriously.

6 DR. KATZ: But I think the answer to 3, are there  
7 scientific data, the answer is no. Before we get onto a  
8 slippery slope about unknown and absence of proof and all  
9 that--I mean, I think that the answer to question 3 is no  
10 and should be recorded as such. There are no data,  
11 recognizing it doesn't mean there never will be data.

12 DR. BROWN: That's right. The question is worded  
13 in such a way so that no is the only possible answer.

14 Question 4, are there scientific data assessing  
15 the potential or actual infectivity of different tissues or  
16 other animal parts from CWD-infected deer or elk. I was  
17 looking ahead when I was asking about peripheral tissue  
18 infectivity of our speakers and, as you heard, there is none  
19 apart from the tonsil and third eyelid and brain. So, if  
20 there is no disagreement with that, we can dispense with  
21 that question, too.

22 DR. WILLIAMS: I would just say that there is some  
23 evidence from PrP examinations using immunohistochemistry  
24 for some of the nerves and for islet cells in the pancreas  
25 and for lymphoid tissues.

1 DR. BROWN: Right. It seems to me that what you  
2 said was that the PrP distribution was sort of intermediate  
3 between the very restricted distribution that has been seen  
4 in cattle and the much more widespread distribution that has  
5 been seen in scrapie, and CJD, too, for that matter.

6 DR. WILLIAMS: I would j'ust say that a number of  
7 these other tissues really haven't been examined adequately.

8 DR. BROWN: Right. But there is probable cause to  
9 suppose that the distribution will not be markedly different  
10 from scrapie on the one hand and BSE on the other. It is  
11 somewhere in between. So there will be peripheral tissue  
12 infectivity here and there.

13 DR. BOLTON: Again, the way this question is  
14 worded, the answer has to be yes. Scientific data or other  
15 scientific information assessing the potential or actual  
16 infectivity. So PrP distribution clearly indicates that  
17 there are some differences.

18 DR. BURKE: Here we take the term infectivity to  
19 mean detectable by any diagnostic technique.

20 DR. BROWN: PrP being a surrogate marker and  
21 plausible. It doesn't distinguish.

22 DR. BURKE: But it doesn't say human infectivity  
23 and it doesn't say infectivity for other animals. It says  
24 infectivity.

25 DR. BROWN: The operative word was spotted by



1 Dave, "potential." It probably is. I am sure there is.

2 DR. BURKE: We might answer this question if it  
3 said infectivity for other animals or infectivity for  
4 humans.

5 DR. BOLTON: If there is infectivity for other  
6 animals, then there is at least potential infectivity for  
7 humans since we don't know what the cross-species  
a transmission efficiency is from elk or mule deer into  
9 humans. So the word "potential" there, I think, is the  
10 catchall.

11 DR. BROWN: I think the FDA simply wanted us to  
12 record the fact that there is likely to be infectivity in  
13 various organs, tissues and cells of disease-affected elk  
14 and deer. We have no basis, really, to predict how that  
15 distribution is going to shake out, but it wouldn't be  
16 shocking if spleens and a heart and sinus and maybe  
17 something else in a bioassay that was sensitive turned out  
18 to have infectivity. It would be very surprising if they  
19 didn't.

20 So the potential is there. That is about all we  
21 can say.

22 DR. NELSON: It seems like, from what we were told  
23 today, that the highest human tissue exposure may be to  
24 velvet antlers. However, we were told that they were not  
25 coming from infected animals but whether or not, in other

1 producers, or--they could be.

2 DR. BROWN: And it is all going to South Korea  
3 anyway; right?

4 DR. NELSON: I can assure you it is in Thailand as  
5 well.

6 DR. BROWN: In any experiment that was undertaken,  
7 pathogenetically, that would certainly be a major tissue to  
8 assay.

9 DR. WILLIAMS: Those tissues are banked right now  
10 from several different pathogenesis studies and awaiting  
11 work, when and if.

12 DR. BROWN: Any other discussion on this aspect?

13 Question 5 was, if there is a potential for  
14 transmission of a TSE from infected or exposed animals or  
15 animal parts to human, what is the likelihood of  
16 transmission. If there is no objection, we will go on to  
17 topic 4.

18 DR. DETWILER: Should we vote on no. 2?

19 DR. BROWN: Would you like to? We can vote on  
20 anything that you--if the committee would like to register  
21 votes on any of those questions it is perfectly okay.

22 DR. DETWILER: I think the vote would go on  
23 record; right? I think that is important for the industry,  
24 for the FDA. I don't know how the FDA feels. I shouldn't  
25 speak for them.

1 'DR. BROWN: Why don't we very quickly, then,  
2 again, for the record, vote on 1, 2 and 3. We can run  
3 through these very quickly. 1 was the transmission animal  
4 to animal, elk to elk, deer to deer. Can I have just a show  
5 of hands? The hands are up for yes.

6 [Show of hands.]

7 DR. FREAS: Thirteen hands are raised.

a DR. BROWN: Anybody on the committee believe that  
9 there is no scientific data to support transmission of CWD  
10 from animal to animal.

11 [One hand raised.]

12 DR. BROWN: One negative.

13 The second question, are there scientific data or  
14 other scientific evidence for transmission of a TSE to  
15 people consuming or using products made from deer or elk  
16 with chronic wasting disease. Show of hands on this one as  
17 well? The hands, again, will be for yes, there is such  
la evidence.

19 [Show of hands.]

20 DR. BROWN: Since there are none, we will just  
21 make it concrete, a show of hands for no.

22 [No response.]

23 DR. FREAS: Fourteen no votes.

24 DR. BROWN: 3 just extends that. Do you want to  
25 vote on 3? Do you think 3 is important, Linda? We have no

1 idea about exposed to.

2 DR. DETWILER: I throw that back to FDA.

3 DR. BROWN: In this case, instead of saying  
4 consuming or using products, we are saying consuming and  
5 using products made from deer or elk exposed to, not even  
6 necessarily infected, just exposed to the disease. Show of  
7 hands for yes, there is such scientific evidence. .

8 [No response.]

9 DR. BROWN: Show of hands for no, there does not  
10 exist such scientific evidence.

11 [Show of hands. 1

12 DR. FREAS: Fourteen.

13 DR. BROWN: I guess we can continue on. Why not?  
14 This is a piece of cake.

15 DR. PRUSINER: Wait a minute, Paul. I have a  
16 question. Will you explain to us the difference between  
17 scientific data and other scientific evidence?

18 DR. BROWN: Well, in some cases, it is other  
19 scientific information.

20 DR. BOLTON: That's right. That is in 4.

21 DR. BROWN: That is in 4. No; I can't--

22 DR. BRACKETT: Data should be numerical.

23 DR. NELSON: We are talking about geologic or  
24 astronomical data, I guess.

25 DR. BROWN: Yes ; that is not bad. Data requires a

1 number; right?

2 DR. CLIVER: A parameter; yes.

3 DR. BRACKETT: We were interested in any kind of  
4 scientific inference, even, that would say, one way or the  
5 other. For instance, this happened in BSE. What is the  
6 likelihood it might happen in chronic wasting disease. It  
7 doesn't have to be, necessarily, although we are interested  
8 mostly in, measurable data.

9 DR. CLIVER: All he is saying is the question  
10 wasn't redundant. We answered both aspects of it, I think.

11 DR. ROOS: So 3a, Paul, is the potential.

12 DR. BROWN: It is potential depending on types of  
13 exposure for which we have no information at all. If so,  
14 how strong are these data or evidence? We have no data or  
15 evidence.

16 DR. BOLTON: The question asks is the potential  
17 different depending on the type of exposure. We don't know  
18 anything about any of the exposures. I don't know how you  
19 would tell whether they were different.

20 DR. BROWN: Question 4, scientific data or other  
21 scientific information assessing the potential or actual  
22 infectivity of different tissues and other animal parts from  
23 CWD-infected deer or elk.

24 DR. McCURDY: Are we talking about infectivity  
25 globally or are we talking about infectivity for the same

1 species or other species or what are we talking about?

2 DR. BROWN: I think my reading of that would be  
3 simply the demonstration of infectivity in any species. I  
4 think what they are trying to get at is not whether or not  
5 something is infective for monkeys but not mice or for elk  
6 but not cows. I think any infectivity measurement, any  
7 detectable infectivity by any method implies there is  
8 infectivity. It doesn't constrain us to talk about species  
9 carrier or anything else.

10 What we have heard about infectivity essentially  
11 is zero outside the brain. There are no infectivity  
12 measurements, as I understand what you said, outside the  
13 central nervous system in this disease in any species under  
14 any circumstances.

15 DR. WILLIAMS: If you are just talking about  
16 infectivity, actual transmission, that is correct.

17 DR. BROWN: Just infectivity; yes. On the other  
18 hand, there is this wonderful word "potential," or "actual"  
19 infectivity. I think probably Dave is right, the use of  
20 that word "potential" is probably meant to grab at PrP which  
21 would be a reasonable correlate.

22 Under those circumstances, we have heard this is  
23 certainly lots of PrP depending on the species and  
24 circumstances in the third eyelid and tonsil of infected  
25 animals. so there is definitely evidence of potential

1 infectivity apart from the central nervous system but no  
2 vidence of real infectivity apart from the central nervous  
3 ystem. Curious phrase.

4 So I read question 4 as being a yes answer under  
5 hose circumstances. But the committee should now vote on  
6 hat, or we have decided we will. So, on this one, why  
7 on't we just go around because it is conceivable that there  
8 ay be differences of opinion on that. Ray?

9 DR. ROOS: Yes.

10 DR. DETWILER: Yes.

11 DR. BURKE: I vote yes and would like to emphasize  
12 hat my concern that, since velvet antlers is so widely used  
13 y so many people, that would be one that should have  
14 pecial attention paid to it.

15 DR. McCURDY: Yes.

16 DR. PICCARDO: Yes.

17 DR. GAYLOR: Abstain.

18 DR. NELSON: Yes.

19 DR. BOLTON: Yes.

20 DR. BROWN: Yes.

21 DR. BELAY: Yes.

22 DR. CLIVER: Yes.

23 DR. LURIE: Yes.

24 DR. WILLIAMS: Yes.

25 DR. PRUSINER: Yes.

1 DR. FREAS: One person abstained. Thirteen people  
2 voted yes with one abstention.

3 DR. BROWN: Does the committee agree that, on  
4 question 5, we can simply say absolutely no data on which to  
5 base an opinion?

6 DR. LURIE: Can I just make one very brief comment  
7 which is the fact that committee voted unanimously no to  
8 both 2 and 3 should not be taken, I don't think, as a  
9 message that there is inherently no need for government  
10 action in this area.

11 DR. BROWN: I agree. The way the question is  
12 worded, a light reader might say, "Ah; no problem." And  
13 they may be right, there is no problem but we haven't proved  
14 there isn't.

15 DR. LURIE: Right. There is still place for  
16 action.

17 DR. DAVEY: Paul, do you think before we move off  
18 this topic, would the committee like to consider something  
19 about indemnification? Is that our role? It might have an  
20 implication, as we have heard, both on reporting, which is  
21 certainly--there is a negative incentive to report. And  
22 also, on the more uniform depopulation of infected herds.  
23 So indemnification might be something we might want to make  
24 a comment about.

25 DR. BROWN: I think it is important that you made



1 the comment, but I don't think, for the purposes for this  
2 committee that it needs more discussion than that. The  
3 point was made in a presentation. You have made it. I  
4 agree, personally. Dr. Clive has another comment.

5 DR. CLIVER: I was just going to say we are  
6 advisory to FDA. If indemnity happens, it is going to be an  
7 APHIS function, I think. APHIS didn't ask.

8 DR. KATZ: Having sat on these committees before,  
9 I, personally, would advise the FDA to communicate that  
10 sentiment to other parts of the regulatory bureaucracy.

11 DR. LURIE: It certainly isn't mine. I can't  
12 really see, firstly, where it is our business. But, if it  
13 is, getting into the job of indemnifying a company that is  
14 making a product with no provable scientific use for export  
15 to people in South Korea, I can't see where it is at all our  
16 business to recommend any kind of indemnification for a  
17 company like that.

18 DR. DETWILER: There has been precedence out of  
19 this committee on recommendations out of the FDA that was  
20 recommended a couple of years ago for APHIS, for USDA to  
21 expand the ban to Europe. That carried a lot of weight for  
22 us. So it is appropriate, at least the comments here, to  
23 take back to USDA or FDA to convey to us. It does carry  
24 some weight.

25 DR. ROOS: I guess the real message is our concern

1 about selling some of the herd on the open market despite an  
2 infection that might have occurred. The best way to handle  
3 that situation, I think, has to be considered.

4 Indemnification might be one, but there may be other  
5 solutions to this. At least, I think the answers to the  
6 questions here raise concern about the present situation.

7 DR. BROWN: I would finish the issue by repeating  
8 that, in my view, the most vulnerable point of all is the  
9 escape of an infected carcass into a rendering plant. That  
10 depends not just on a regulation but on--not a regulation  
11 but on good care in insuring that that kind of thing doesn't  
12 happen. Of course, that won't ever be a 100 percent  
13 restriction. It could happen.

14 With the elimination of the disease, one wouldn't  
15 have to worry about it. But, as we have heard, to eliminate  
16 the disease in wildlife is virtually--it is almost  
17 unthinkable in terms of its difficulty. It could probably  
18 be eliminated, as you say, Beth, in captive animals. That  
19 would be a goal worth pursuing, but I think the danger, the  
20 prime danger, of CWD is in a cross-contamination species-  
21 jumping leap to an animal species, a livestock species,  
22 rather than a human species.

23 That has nothing to do with the FDA, but it is  
24 just a personal comment.

25 DR. BURKE: Not addressing the mechanism for doing

1 that, but the difference between this and the scrapie is  
2 this is a new disease. It is relatively low prevalence. It  
3 is relatively well-confined and I am persuaded by the  
4 argument that you can make a good case for trying hard to  
5 eradicate it from captive populations now in the United  
6 States to try to avoid that kind of catastrophic incident in  
7 the future.

a It wouldn't address the wild herds but at least it  
9 would address one major potential threat. I think that  
10 makes sense to me. That needs to be carefully thought about  
11 and I am persuaded that that is a reasonable strategy. I am  
12 not sure it is the right one, but it is a reasonable  
13 strategy.

14 DR. BROWN: All one would need to get a lot of  
15 money, more money than you ever imagined possible, would be  
16 to mix up the diagnosis on two brains and report out an elk  
1 7 in place of a cow and find daisy plaques in a cow in  
18 Montana, say That would be very bad news.

19 DR. BELAY: I agree that this situation is  
20 different from the scrapie situation. It goes back to what  
21 Peter said earlier and that is that government, actually, is  
22 required in this area. One of the government actions,  
23 potentially, would be a surveillance for chronic wasting  
24 disease and the elimination program that we heard about.

25 Effective surveillance, I believe, would require

1 some form of indemnity because, other than that, there would  
2 not be any incentive for the farmed-elk owners to report  
3 chronic wasting disease if the government is going to jump  
4 and just depopulate the whole herd without indemnity.

5 DR. BROWN: So we have got two or three people  
6 thinking that, in the total picture, indemnity is going to  
7 be a serious consideration of the goal is to eliminate risk,  
a potential risk, to any other species.

9 We will now move on to issue 4, the final issue of  
10 this meeting. This is concern a discussion as to whether a  
11 history of possible exposure to various animal TSE agents---  
12 unspecified, various; it is a mixed bag--whether they should  
13 be considered by the FDA in determining the suitability of  
14 blood donors.

15 The first presentation will be from Dr. David  
16 Asher from CBER in the FDA.

17 **Discussion as to whether a history of possible exposure to**  
18 **various animal TSE agents should be considered by the FDA**  
19 **in determining suitability of blood donors**

20 **Introduction, Charge and Questions**

21 DR. ASHER: Thanks, Paul. I can't resist putting  
22 in my own two cents on the last issue. Actually, the  
23 scenario that the chairman outlined is a concern of the FDA  
24 which has responsibility for the regulation of animal feeds.  
25 There is a feed ban that prohibits the feeding of most

1 ruminant proteins to other ruminants.

2 [Slide. 1

3 We are going to address now the suitability of  
4 blood, plasma and tissue donors exposed to various TSE  
5 agents of animals. The accidental infection of blood,  
6 plasma and tissue donors with animal TSE agents would be of  
7 special concern because, theoretically, at least, such  
8 infections might, then, be passed to recipients with greater  
9 efficiency than the initial infection due to loss of the  
10 species barrier, in jargon, a dead-end host would become an  
11 amplifying host.

12 In 1996, new-variant CJD was first described in  
13 the medical literature and was clearly linked to exposure to  
14 the BSE agent. That link increased the concern of  
15 regulators about the possibility that the BSE agent might  
16 accidentally make its way into products containing or made  
17 with ruminant components.

18 Our concern regarding BSE and vaccines were  
19 discussed by a joint meeting of this committee and the  
20 vaccine and related biological products committee in July of  
21 last year and the theoretical risks associated with blood  
22 products and tissues were discussed yesterday and earlier  
23 today. Other products will be considered briefly this  
24 afternoon.

25 The BSE/variant-CJD connection also increased our

1 concern about human exposures to other animal TSE agents  
2 that will be considered in this session. Three animal TSE  
3 agents have been recognized in the USA; chronic wasting  
4 disease, which has just been discussed and will be  
5 considered again, briefly, in a short time; transmissible  
6 mink encephalopathy, which has not been seen in this country  
7 since 1985. Opportunities for human exposure to mink  
a tissues appear to be limited and I won't mention mink  
9 encephalopathy any further; and, finally, scrapie of sheep  
10 and goats.

11 [Slide. 1

12 Implications of the scrapie agent for biologics  
13 and devices were considered nineteen months ago when the  
14 committee reviewed safe sourcing of materials derived from  
15 sheep and goats for the manufacture of FDA-regulated  
16 injectable and implantable products.

17 Human exposures to scrapie of sheep and goats  
18 historically have not been of concern. There is a long and  
19 uneventful history of human exposures extending to infected  
20 animals and their products extending back for probably more  
21 than two-hundred years. There is no convincing anecdotal or  
22 epidemiological evidence of any transmission to humans.

23 CJD prevalences are similar in countries with  
24 scrapie and those without scrapie and attempts to transmit  
25 scrapie experimentally to chimpanzees have failed.

1 [Slide.]

2 However, even for scrapie of sheep and goats,  
3 there were some uncertainties. Multiple strains of scrapie  
4 agent have different biological properties and there is at  
5 least a suspicion that the BSE agent may have originated as  
6 a strain of scrapie agent. Attempts to transmit scrapie to  
7 chimpanzees were very limited and scrapie was transmitted to  
8 several species of monkeys so that there cannot be an  
9 absolute species barrier between scrapie of sheep and  
10 primates.

11 The committee advised the FDA to continue to avoid  
12 using sheep and goats with scrapie as sources of material to  
13 manufacturer FDA-regulated injectable and implantable  
14 products. However, no concern was expressed about human  
15 exposures to scrapie agent in food. We have had a long  
16 experience with that.

17 [Slide.]

18 The FDA has received inquiries expressing some  
19 concerns about the potential transmissibility to humans of  
20 various TSEs of animals. You have heard typical discussions  
21 during the previous hour. Except for new-variant CJD, of  
22 course, no human TSE has been attributed to infection with  
23 an animal TSE agent and BSE agent, the presumable cause of  
24 new-variant CJD, has never been found in U.S. cattle.

25 [Slide.]

1           As part of its commitment to insure the safest  
2 possible supply of blood, blood components, plasma  
3 derivatives and tissue products, the FDA now asks this  
4 committee to consider whether exposure to any of the TSE  
5 agents known to infect animals in the USA or to the BSE  
6 agent if accidentally introduced into the USA in an imported  
7 product might pose sufficient risk as to compromise the  
a suitability of blood, plasma or tissue donors.

9           [Slide.]

10           The following sources of potential exposure to  
11 animal TSE agents within the USA will be discussed. First,  
12 products derived from sheep and goats, with goats from BSE  
13 countries including imported sheep and their progeny with an  
14 undifferentiated TSE--that is, the so-called Vermont sheep  
15 which will be described by Linda Detwiler.

16           Products derived from deer and elk with chronic  
17 wasting disease will be further discussed by Lynn Creekmore  
18 who has already had brief comments. And, finally, Robert  
19 Moore of our Center for Food Safety and Applied Nutrition  
20 will summarize ruminant-derived materials as components in  
21 dietary supplements.

22           Let me now read the charge and then the questions.

23           [Slide.]

24           Please consider whether the agent of any animal  
25 TSE that occurs in the USA is likely to infect humans



1 exposed to animals or to their products and whether the  
' 2 probability that blood, plasma or tissue donors have been  
3 infected is sufficient to warrant recommending their  
4 deferral.

5           Please consider whether the BSE agent is likely to  
6 be accidentally imported into the USA in products or  
7 components of products and whether, without evidence that  
a such importation has actually occurred, exposure of donors  
9 to any products poses sufficient risk to warrant  
10 recommending deferral.

11           [Slide.]

12           Should the FDA be sufficiently concerned about the  
13 suitability of any blood, plasma or tissue donors  
14 potentially exposed to TSE agents of animals, both agents  
15 known to infect animals in the USA and agents that might be  
16 accidentally imported to consider recommending deferral. If  
17 so, which animal TSE agents present in the USA or  
18 accidentally imported, what types of product and what  
19 intensity of exposure should be of concern?

20           Thank you.

21           [Applause. ]

22           DR. BROWN: Thank you, Dave.

23           The first presentation, then, will be from Linda  
24 Detwiler from the USDA and she will tell us about the flap  
25 in Vermont.

1           **Undifferentiated** TSE in Flocks of **Sheet** in Vermont

2           DR. DETWILER: That is probably an understatement.

3           [Slide.]

4           I just wanted to at least give a slight overview  
5 of BSE in sheep just to bring everybody--I have just three  
6 slides here to bring everyone up--I think we have talked  
7 enough about scrapie, not only today but in the past, on the  
8 committee that people understand at least what is known  
9 about scrapie pathogenesis because, in this case, in these  
10 sheep in Vermont, the disease actually could be scrapie or  
11 BSE.

12           Just quickly, BSE in sheep, Foster, et al., 1993  
13 and 1996, put BSE orally into sheep. They had this negative  
14 and positive line sheep. They are just genetics. The  
15 negative line are sheep that they normally don't see the  
16 natural scrapie in. The positive line are genetically the  
17 type of sheep that they normally do see natural scrapie in.

18           In the negative line of six animals inoculated  
19 with half a gram of brain tissue, one did--one came down  
20 with clinical disease and then, in Bruce's strain typing, it  
21 was identified to be the same strain as BSE. So BSE-in and  
22 BSE-out identified.

23           In the positive line, there were five animals  
24 total. Two came down with clinical disease. However, when  
25 strain typed, they came down with a more atypical, or

1 something that did not look like BSE in the strain typing or  
-2 other known strains of scrapie. So they called it atypical  
3 in the research paper.

4 [Slide.]

5 So far, research of BSE in sheep, distribution of  
6 infectivity, brain, spinal cord and spleen, and that is  
7 actual infectivity by mouse inoculation. In the intestine,  
8 most likely the Peyer's patches associated with the  
9 intestine, it is PrP-res or the abnormal form of the prion  
10 protein.

11 Yesterday, we heard of the one report of the blood  
12 transfusion, 400 mls from a sheep that was fed BSE in the  
13 incubation stage and a transfusion to another sheep that  
14 developed disease. This is ongoing research so there will  
15 be new information. So that is BSE in sheep.

16 Right now, it looks like it will be very similar  
17 to scrapie in sheep versus BSE in cattle, in oral  
18 experiment.

19 [Slide.]

20 So where is Europe on the situation with sheep.  
21 This is all experimental data. The European Union, in 1998,  
22 issued an opinion paper which stated that it was highly  
23 likely that there was exposure of their sheep and goat  
24 populations to feed contaminated with BSE. So meat and bone  
25 meal with BSE agent.

1                   However, in the diseases, clinically,  
2 histologically, the tests for PrP to date, and they are  
3 working on some new tests, that they don't differentiate  
4 between the two diseases, scrapie and BSE. Most  
5 differentiate scrapie from the mouse bioassay system, and  
6 that can take up to two to three years. That is Bruce's  
7 system.

a                   So, right now, what they are having to do is take  
9 what they are reported as natural cases of scrapie that  
10 might be high risk or suspect for potential for BSE, put  
11 those in the mouse bioassay systems and wait this long time  
12 to determine what disease it is.

13                   So far, there have been no natural cases of BSE in  
14 sheep detected to date. However, the numbers assessed are  
15 small, less than 100, that have been completed. But, in  
16 regards to their public-health protection in the European  
17 Union, they have specified risk material, so the high-risk  
18 tissues from sheep and goat tissues of animals going to  
19 slaughter waiting for other data to come out.

20                   [Slide.]

21                   Where are we in this whole situation? 1947 was  
22 our first case of scrapie. In 1952, we put a control  
23 program in place. We then closed the door pretty much, the  
24 imports of sheep and goats, other than from a few countries;  
25 Australia, New Zealand, that are considered free of scrapie,

1 and then Canada with a similar program.

2 We didn't want to introduce any new strains of  
3 scrapie into the United States. However, the sheep  
4 industry, goat industry, asked us for new genetics and if  
5 there was a way to bring those in under a monitoring to  
6 introduce some new genetics into the country.

7 So, in April of 1996, the regulations were changed  
8 to allow sheep and goats to come in and be monitored under  
9 the Scrapie Certification Program for five years. Under  
10 this provision, these two shipments were imported.  
11 Originally, we thought they were from Belgium and we later  
12 found out they were actually from Belgium and The  
13 Netherlands.

14 They were imported in both August and November in  
15 two different groups. There were 65 head, total. The  
16 distribution was 52 went to one of the Vermont farms.  
17 Eleven went to the other Vermont farm. And then two rams  
18 went to a New York farm.

19 [Slide. 1

20 They have been monitored since entry. That was  
21 part of the requirement to come in. They have been under  
22 actual quarantine since October of '98. That was right  
23 after the opinion paper came out to give the legality or  
24 basis for an actual full quarantine.

25 They were allowed to sell, from premise, progeny.