

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
OFFICE OF THE COMMISSIONER

PEDIATRICS ADVISORY COMMITTEE

SIXTH MEETING

MONDAY,
FEBRUARY 14, 2005

The Advisory Committee met at 2:00 p.m.
in Room 1066 of the Food and Drug Administration,
5630 Fishers Lane, Rockville, Maryland, Dr. Joan
Chesney, Chair, presiding.

PRESENT:

P. JOAN CHESNEY, M.D., Chair
DENNIS M. BIER, M.D., Member
ANGELA DIAZ, M.D., M.P.H., Member
DEBORAH L. DOKKEN, MPA, Patient-Family Representative
MICHAEL E. FANT, M.D., Ph.D., Member
ELIZABETH A GAROFALO, M.D., Industry Representative
MARY GLODE, M.D., Member
RICHARD L. GORMAN, M.D., Pediatric Health
Organization Representative
PAULA KNUDSON, Consultant-Consumer Representative
JOHN WILLIAM MURRAY MOORE, M.D., M.P.H., Member
THOMAS B. NEWMAN, M.D., M.P.H., Member
JUDITH R. O'FALLON, Ph.D., Member
VICTOR M. SANTANA, M.D., Member
JAN N. JOHANNESSEN, Ph.D., Executive Secretary

PRESENT FROM FDA:

LAWRENCE GRYLACK, M.D.
SOLOMON IYASU, M.D., M.P.H.
DIANNE MURPHY, M.D.
ROSEMARY ROBERTS, M.D.
HARI CHERYL SACHS, M.D.
ALAN M. SHAPIRO, M.D., Ph.D.

A-G-E-N-D-A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

Welcome and Introductory Remarks

Joan Chesney, M.D., Chair.....3
Jan Johannessen, Ph.D.....3
Dianne Murphy, M.D.....7

Adverse Event Reporting for Benazepril, Lawrence
Grylack, M.D.....10

Adverse Event Reporting for Esmolol, Lawrence
Grylack, M.D.....17

Adverse Event Reporting for Orlistat, Hari Cheryl
Sachs, M.D.....67

Adverse Event Reporting for Glyburide/Metformin, Hari
Cheryl Sachs, M.D.....75

Adverse Event Reporting for Atovaquone/Proguanil,
Alan M. Shapiro, M.D., Ph.D.....86

Adverse Event Reporting for Nelfinavir, Alan M.
Shapiro, M.D., Ph.D.....95

BPCA-mandated Review and Reporting of Adverse Events
for Drugs Granted Exclusivity: Committee Feedback and
Options for Improvement, Solomon Iyasu, M.D., M.P.H.
.....105

Committee Discussion.....135

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

P-R-O-C-E-E-D-I-N-G-S

2:09 p.m.

CHAIR CHESNEY: I think we are ready to start. I want to welcome everybody to this afternoon's session on Adverse Event Reporting. I think we'll start by letting Jan Johannessen read the necessary things that he has to read before we start.

DR. JOHANNESSEN: I would like to read the meeting statement. The following announcement addresses the interest of conflict of interest with respect to this meeting and is made part of the public record to preclude even the appearance of such at this meeting.

The topics of today's meeting are broad applicability and, unlike issues before a committee in which a particular product is discussed, issues of broader applicability involve many industrial sponsors and academic institutions.

All special Government employees have been screened for their interest as they may apply to the general topics at hand. The Food and Drug Administration has granted particular matters of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 general applicability waivers for Drs. Chesney, Bier,
2 and Santana which permits them to participate fully in
3 today's discussion and votes.

4 A copy of the waiver statements may be
5 obtained by submitting a written request to our
6 Freedom of Information Office. Because general topics
7 impact so many institutions it is not prudent to
8 recite all the potential conflicts of interest as they
9 apply to each participant.

10 The FDA acknowledges that there may be
11 potential conflicts of interest but because of the
12 general nature of the discussion before the committee,
13 these potential conflicts are mitigated.

14 We would like to note that Dr. Elizabeth
15 Garofalo has been invited to participate as an
16 industry representative acting on behalf of regulated
17 industry. Dr. Garofalo is employed by Pfizer.

18 We would also like to note that Dr.
19 Richard Gorman is participating as a pediatric health
20 organization representative acting on behalf of the
21 American Academy of Pediatrics. With respect to all
22 other participants, we ask in the interest of fairness

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that they address any current or previous financial
2 involvement with any firm whose product they may wish
3 to comment on.

4 Thank you. We have open public comments
5 scheduled at 4:00 p.m. and I would just remind
6 everyone to turn on your microphones when you speak so
7 that the transcriber can pick everything up. Thank
8 you.

9 CHAIR CHESNEY: Thank you very much. Now
10 I think we'll go around the room and have everybody
11 introduce themselves and tell us what you do. We'll
12 start at this end.

13 DR. GAROFALO: Sure. Thank you. I'm
14 Betsy Garofalo and I am from Pfizer. I'm the industry
15 representative.

16 DR. GORMAN: My name is Richard Gorman.
17 I'm a pediatricians in private practice in Ellicott
18 City, Maryland. I'm the chair of the American
19 Academy's Committee on Drugs.

20 MS. KNUDSON: I'm Paula Knudson. I'm the
21 consumer representative to this meeting and I'm the
22 IRB Director for the University of Texas Health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Science Center in Houston.

2 DR. FANT: I'm Michael Fant and I'm an
3 neonatologist on the faculty of the University of
4 Texas Health Science Center in Houston.

5 DR. BIER: I'm Dennis Bier. I'm Professor
6 of Pediatrics at Baylor College of Medicine and
7 Director of the Children's Nutrition Research Center.

8 DR. DIAZ: Angela Diaz, Professor of
9 Pediatrics at Mt. Sinai Medical Center.

10 DR. MOORE: I'm John Moore. I'm Professor
11 of Pediatrics at UCLA Medical School and Director of
12 Pediatric Cardiology.

13 DR. GLODE: My name is Mimi Glode. I'm a
14 Professor of Pediatrics and Pediatric Infectious
15 Disease Specialist at Children's Hospital, University
16 of Colorado in Denver.

17 CHAIR CHESNEY: I'm Joan Chesney. I'm in
18 infectious diseases at the University of Tennessee in
19 Memphis and also the Office of Academic Programs at
20 St. Jude Children's Research Hospital.

21 DR. JOHANNESSEN: I'm Dr. Jan Johannessen.
22 I'm the Executive Secretary of the Pediatric Advisory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Committee.

2 DR. SANTANA: I'm Victor Santana. I'm a
3 pediatric hematologist/oncologist from St. Judes
4 Children's Research Hospital in Memphis, Tennessee. I
5 noticed there was a Texas mafia over there. We have
6 the Memphis mafia over here.

7 DR. O'FALLON: I'm Judith O'Fallon. I'm a
8 biostatistician. I recently retired from the Mayo
9 Clinic where I was working for 30 years in cancer
10 clinical trials.

11 DR. NEWMAN: I'm Tom Newman. I'm a
12 general pediatrician and Professor of Epidemiology and
13 Biostatistics and Pediatrics at UC San Francisco.

14 DR. DOKKEN: I'm Deborah Dokken. I'm the
15 Family-Patient Representative. I'm also currently a
16 co-investigator for Pediatric Palliative Care Project
17 and also serve on the Ethics Committee at Children's
18 National Medical Center.

19 DR. MURPHY: I'm Dianne Murphy and I'm the
20 office director for the Office of Pediatric
21 Therapeutics in the Office of the Commissioner.

22 DR. IYASU: I'm Solomon Iyasu. I'm with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Office of Pediatric Therapeutics and Acting Deputy
2 Division Director for Pediatrics.

3 DR. ROBERTS: I'm Rosemary Roberts and I'm
4 the Director of the Office of Counterterrorism and
5 Pediatric Drug Development.

6 CHAIR CHESNEY: Thank you very much. I
7 wanted to welcome the new members of the committee who
8 weren't with us for the September meeting and people
9 who just joined us today for the first time, or just
10 named to us the first day. I was preferring
11 specifically to Elizabeth.

12 I think Dr. Murphy has some introductory
13 comments for us.

14 DR. MURPHY: Since I ran us over last time
15 I've been told I'm going to be cut off at the knees.
16 All I want to do is to welcome everybody and to thank
17 you for being here and sitting through such an intense
18 training session this morning. I appreciate your
19 attention. I would like to say one thing. I want to
20 reiterate that we are providing a recommendation to
21 you but clearly we want your opinion. There are times
22 when we go through the drugs that are upcoming but we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would make a recommendation where we weren't always as
2 absolute so don't feel that we are giving you sort of
3 an absolute recommendation. It's just where we think
4 we are at this time on the need to do additional
5 follow-up outside of the regular follow-up that you've
6 heard about in your training session. That's the only
7 thing I did want to emphasize. With that, I would go
8 ahead and introduce Dr. Grylack, or would you like to
9 do that, Joan?

10 Dr. Grylack is going to present our first
11 product for review under the safety review that is
12 mandated by BPCA, the Best Pharmaceuticals for
13 Children Act. He is a trained pediatrician and
14 neonatologist. He practiced neonatal medicine for
15 many years primarily at Columbia Hospital for Women in
16 Washington, D.C. and has clinical specialty interest
17 in high risk infant developmental assessment and
18 infant apnea. He has participated in clinical
19 research and teaching. He's been with the FDA for two
20 years and is finishing up. Are you now on your
21 detail, Dr. Grylack?

22 DR. GRYLACK: I am.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 DR. MURPHY: Okay. He's been in
2 pediatrics for two years and now is on detail to the
3 Division of Pulmonary Products. I'll turn it over to
4 you.

5 DR. GRYLACK: Thank you, Dr. Murphy. It's
6 a pleasure to be here and a privilege to be able to
7 present a discussion on two drugs this afternoon.
8 They are benazepril and esmolol. I will start with
9 benazepril.

10 First of all, we have some background drug
11 information. The drug appears as benazepril
12 hydrochloride marketed as Lotensin. It also is in
13 combination with other products, specifically
14 benazepril hydrochlorothiazide marketed as Lotensin
15 HCT. Thirdly, in combination with amlodipine marketed
16 as Lotrel.

17 It is an antihypertensive drug and it's in
18 the ACE inhibitor category of antihypertensive drugs.

19 It is sponsored by Novartis and benazepril is
20 indicated for the treatment of hypertension in
21 patients greater than or equal to six years of age.

22 Originally the single product was approved in 1991,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Lotensin, the Lotensin HCT in 1992, and Lotrel in
2 1995. Then pediatric exclusivity was granted in 2003.

3 There was a decrease in prescriptions for
4 the single ingredient benazepril from the pre-
5 exclusivity to the post-exclusivity period. Less than
6 1 tenth of 1 percent of benazepril and its combination
7 products were prescribed for pediatric patients.

8 Based on our databases there was no
9 pediatric use for benazepril or benazepril
10 hydrochlorothiazide during the past three years.
11 However, there were an estimated 5,000 mentions of
12 benazepril amlodipine in the adolescent age group for
13 the diagnosis of essential hypertension unspecified
14 during the post-exclusivity period.

15 Let's look at the pediatric exclusivity
16 studies. There were pharmacokinetics studies as well
17 as efficacy and safety studies. There were three PK
18 studies. The first one was a bioavailability study.
19 It compared the extemporaneously compounded suspension
20 with a tablet formulation in healthy adults.
21 Bioequivalence was demonstrated in this study.

22 Secondly, the pharmacokinetics of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 benazepril and its primary metabolite benazeprilat
2 were studied after a single dose in healthy children
3 and the results show that the main clearance for
4 benazepril in children was larger than it was in
5 adults.

6 Furthermore, the mean clearance of the
7 active metabolite in school-age children was twice
8 that of healthy adults and the mean clearance in the
9 adolescent group was 27 percent greater than it was in
10 healthy adults.

11 The third PK study was an open-label,
12 steady-state study in 57 pediatric patients who were
13 given multiple daily doses for five days. The results
14 showed that the main clearance of benazepril was
15 higher in the study patients compared to the healthy
16 children and adults.

17 The main clearance of benazeprilat in
18 children six to 12 years of age was more than twice
19 that of healthy adults. However, in the adolescent
20 population it was 27 percent higher than that of
21 healthy adults. Finally, terminal elimination half-
22 life of benazeprilat in pediatric patients who were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 six to 16 years of age was one-third of that observed
2 in adults.

3 Let's move on to the efficacy and safety
4 studies. Investigators started with 107 hypertensive
5 patients who were seven to 16 years of age. They were
6 studied in a forced-dose titration study for four
7 weeks. I've provided the definition of hypertension
8 that was used as well as the dose range in the study.
9 Of the 107 original patients 85 responded to the
10 therapy during the titration phase and then they were
11 enrolled in a two-week randomized double-blind,
12 withdrawal, placebo-controlled study.

13 The primary efficacy endpoint was a change
14 from baseline trough systolic blood pressure and the
15 systolic and diastolic blood pressures in the placebo
16 group increased by a range of 4 to 6 millimeters of
17 mercury more than in the drug treatment groups.
18 However, no dose response was observed.

19 The third phase enrolled 70 patients and
20 this was an open-label extended phase and there were
21 64 completions of the original 70 patients. This
22 study phase provided additional safety data. There

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 were no deaths in the safety study.

2 However, there were nine serious adverse
3 events and I've listed the specifics of the SAEs here.

4 In addition, there were nine discontinuations due to
5 adverse events and, again, I've listed the types of
6 events on the slide.

7 What labeling changes resulted from these
8 exclusivity studies? First of all, clearance of the
9 active metabolite benazeprilat in the six to 12-year-
10 old age range is twice that of healthy adults and the
11 clearance in the 12 to 16-year-old is 27 percent
12 higher than the healthy adults. No dose response is
13 observed among drug treated patients.

14 Thirdly, the recommended starting daily
15 dose is .2 milligrams per kilogram and the daily dose
16 of greater than .6 milligrams per kilogram was not
17 studied. Treatment is not recommended in pediatric
18 patients less than six years of age or in patients
19 whose glomerular filtration rate is less than 30 mLs
20 per minute.

21 Fifthly, pediatric adverse events are
22 similar to those seen in adults. Sixth, the long-term

1 effects of drug on growth and development have not
2 been studied. Finally, there are instructions for the
3 preparation of the suspension formulation in the label
4 itself.

5 Let's move on to the adverse event
6 reporting starting with the period since market
7 approval for the single therapy, the monotherapy with
8 benazepril. What we have here is a listing for both
9 all ages and the pediatric reports. Be aware there
10 are notations here that the all-age listing includes
11 reports with unknown ages and that both groups
12 include, or may include, duplicate reports. In
13 the pediatric age range there were five reports all of
14 which were serious but no deaths.

15 Here I've listed the specific categories
16 of adverse events since market approval, again
17 focusing primarily on the pediatric age range. The
18 underlined events or unlabeled events are also events
19 that occurred in one patient. I've also
20 listed the most common adverse events for the adult
21 population as well.

22 Now, let's focus on the post-exclusivity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 period. Again, we have it broken down into all ages
2 and the pediatric population as well with the same
3 notations about unknown age and duplicate reports.
4 There were three serious adverse events in the
5 pediatric population but no deaths.

6 The two unduplicated reports are described
7 here. The first one was a four-year-old male with
8 hypertension due to nephrotic syndrome and then
9 hyperchloremic metabolic acidosis secondary to
10 hypoaldosteronism was found after four months of
11 benazepril monotherapy at .3 milligrams per kilogram
12 per day. There was an improvement after reduction on
13 the dose and there was complete recovery after the
14 drug was discontinued.

15 The second case was a two-year-old male
16 with a resolving viral infection. It was a possible
17 accidental ingestion of benazepril as well as two
18 other drugs. However, the doses of medications were
19 unknown. The child suffered choking, coughing, crying
20 followed by sleep and the outcome is unknown.

21 So, in summary, there is no pattern
22 discernible in pediatric adverse events for benazepril

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 monotherapy. No adverse events were found for the
2 combination products during the exclusivity period.
3 This does complete the one-year post-exclusivity
4 adverse event monitoring as mandated by the Best
5 Pharmaceuticals for Children Act. The FDA recommends
6 routine monitoring of adverse events for this drug and
7 all populations and we ask the committee whether they
8 concur in this recommendation.

9 Finally, we would like to offer our
10 acknowledgements to all the individuals who helped in
11 researching this drug in terms of the databases, the
12 safety, the primary reviews. We thank them all for
13 their contributions.

14 Next presentation, please. Thank you.
15 The next drug I'm going to discuss is esmolol. Again,
16 we'll start with the background drug information. It
17 is marketed as Brevibloc sponsored by Baxter
18 Laboratories. It's therapeutic category is beta-1
19 selective; that is, cardioselective adrenergic
20 receptor blocking agent.

21 It is indicated in adults for the
22 treatment of supraventricular tachycardia, intra-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 operative and post-operative tachycardia, and/or
2 hypertension. There is no pediatric indication at
3 this time. Brevibloc was initially improved in 1986
4 and exclusivity was granted in 2003.

5 There was no change in the total annual
6 sales of esmolol between the pre and the post-
7 exclusivity periods. Ninety-nine percent of the total
8 sales were to inpatient facilities and almost all of
9 the inpatient use is in adults. Looking at the
10 pediatric use there was less than 1 percent of
11 pediatric discharges associated with esmolol during
12 the pre and post-exclusivity period. Now,
13 note that the post-exclusivity from which this data is
14 collected was just a six-month period so it was not
15 the full exclusivity period.

16 Moving on to the exclusivity studies, the
17 pharmacokinetic/pharmacodynamic study was done in 27
18 patients with supraventricular tachycardia two to 16
19 years of age. I have listed the dosing here. And SVT
20 was terminated within 10 minutes and 65 percent of the
21 treated patients with the mean termination time of two
22 minutes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Moving onto the efficacy study, it was a randomized double-blind comparison of efficacy of three different doses. I've listed the doses here. The goal was to control intra and post-operative hypertension with repair of coarctation in the aorta.

One hundred and 18 patients were enrolled from the neonatal period through six years of age. I've listed the efficacy endpoints there.

The results from the exclusivity study showed that systolic blood pressure did decrease in all dose groups but there was no significant difference among the groups in the change from the baseline values. Furthermore, there was no significant difference across the groups in the percentage of patients meeting rescue criteria or receiving rescue therapy.

Looking at the safety results 145 patients were evaluated and there were seven withdrawals the majority of which were due to hypotension. No deaths or serious adverse events occurred. However, 92 percent of the patients did have one or more adverse

1 events. These adverse events were consistent with
2 adult labeling.

3 As far as the relevant safety labeling,
4 most of the safety findings, as I inferred, appear
5 consistent with current labeling or our known post-
6 operative or post-procedural events. As a result, no
7 new safety labeling resulted from the pediatric
8 studies.

9 Moving on to the adverse event reporting,
10 since market approval for esmolol we're using the same
11 format in terms of reporting for all ages and
12 pediatric reports with the same notations regarding
13 unknown age and duplicate reports. There were nine
14 serious adverse events in the period since market
15 approval. Three of these were deaths and we were able
16 to obtain data about these three deaths and I will
17 discuss them.

18 The first case was that of a two-and-a-
19 half-month-old female with coarctation of the aorta
20 which underwent surgical repair and subsequent
21 dilation. Then there was some unspecified surgery
22 four days later during which supraventricular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 tachycardia occurred and esmolol was given.

2 The blood pressure is described as
3 bottoming out 12 hours post-op and then the patient
4 was described as inflammatory response and expired.
5 Concomitant medications were dopamine and fentanyl.
6 An autopsy was done and necrotic tissue in the
7 patient's heart and lungs was described.

8 The next case was that of a 16-year-old
9 female who took an overdose of theophylline in a
10 suicide attempt. Quite a high serum level.
11 Tachycardia occurred subsequently and esmolol was
12 given intravenously at the doses listed here. A grand
13 mal seizure occurred after three minutes and esmolol
14 was then stopped. Apnea and cardiac arrest occurred.
15 Resuscitation medications were given. Unfortunately,
16 there was irreversible coma and death.

17 The third patient was a five-year-old male
18 who had surgery for hypoplastic aortic arch. He
19 received nitroprusside for post-operative
20 hypertension. Esmolol was added to the therapeutic
21 regimen. Subsequent to the nitroprusside
22 administration there were increased levels of cyanide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 and thiocyanate. Nitroprusside was stopped and the
2 levels of cyanide and thiocyanate decreased.

3 Reactions described in the report were
4 "drug interaction" and "drug level" above therapeutic.

5 Death occurred five days after surgery due to what
6 was described as surgical failure.

7 Let's look at the most common adverse
8 events since the market approval. The most common
9 pediatric events, and these are listed as ones that
10 occurred in the two to three occurrence range, the
11 only one that's unlabeled is the -- sorry. The only
12 one that's unlabeled is the urticaria.

13 The adult adverse event descriptions are
14 also listed here and these represent five or more
15 occurrences. As you can see, several of these are
16 unlabeled events by virtue of underline.

17 Moving onto to the post-exclusivity period
18 adverse event reporting, same format in terms of all
19 ages of pediatrics with the same notations. There was
20 one serious adverse event in the pediatric age range.

21 It was not a death.

22 This single SAE represented a teenage

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 female patient who was undergoing osteotomy for
2 correction of retrognathia. She received multiple
3 medications during surgery. The normal preoperative
4 vital signs were normal except for low temperature.

5 The reason I mention this at this point is
6 that in the label it's stated that esmolol should not
7 be used as the treatment for hypertension in patients
8 in whom the increased blood pressure is primarily due
9 to the vasoconstriction associated with hypothermia.
10 That's the point of listing the hypothermia here.

11 After 10 minutes of surgery there was an
12 acute hypertensive crisis and sinus tachycardia for
13 which intravenous esmolol was given. Pulmonary edema
14 was seen on chest x-ray. Global ST segment elevation
15 was read on the EKG. The laboratory result of
16 elevated troponin level was obtained one hour post-
17 operatively. This was thought to be indicative of
18 myocardial ischemia. Surgery was halted. The patient
19 stabilized and fortunately recovered.

20 In summary, there is no pattern
21 discernible in the pediatric adverse event reporting.

22 This completes the one-year post-exclusivity adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 event monitoring as mandated by the BPCA. The FDA
2 does recommend routine monitoring of adverse events
3 for this drug in all populations and we ask the
4 Advisory Committee whether they concur.

5 Again, acknowledgements to all the people
6 who contributed to these reports. I would also like
7 to acknowledge all my colleagues in the Office of
8 Pediatric Therapeutics, Division of Pediatric Drug
9 Development, and the Office of Counterterrorism and
10 Pediatrics for their contributions. Thank you for
11 your attention. I'll entertain any questions that you
12 have.

13 CHAIR CHESNEY: Thank you very much, Dr.
14 Grylack. Any questions from the Pediatric Advisory
15 Committee? Dr. Santana and then Dr. Newman.

16 DR. SANTANA: Can you clarify something
17 for me? In your first presentation on benazepril on
18 slide No. 11 you made a comment that the treatment is
19 not recommended in patients with a GFR less than 30
20 but you didn't give us any data in support of that
21 recommendation. You gave us data in support of the
22 other recommendations but not that one specifically.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 I assume many of these patients were chronic renal
2 failure patients who had hypertension. Can you
3 clarify that for us, please?

4 DR. GRYLACK: Okay. I am looking for it
5 and if anybody from the Primary Review Division wants
6 to speak up in the meantime, feel free.

7 DR. SANTANA: It just struck me that was a
8 very strong recommendation and I didn't see any data.

9 DR. ROBERTS: The reason for that
10 recommendation is that patients with a GFR of less
11 than 30 were not studied.

12 DR. SANTANA: Were not studied.

13 DR. ROBERTS: Yes. That's right.

14 DR. SANTANA: I got the sense from the
15 slide resulting from exclusivity studies that there
16 was data in support of that not because it was the
17 negative. Thanks for the clarification. That's an
18 important point.

19 DR. GRYLACK: Thank you, Dr. Roberts.

20 CHAIR CHESNEY: Dr. Newman.

21 DR. NEWMAN: Yes. In the slide just
22 before that, which is No. 10, I guess the problem that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 I'm having with both of these drugs is that it seems
2 like they are given to pretty sick children who have a
3 high frequency of bad things happening to them sort of
4 at base line.

5 The inclusion criteria for the study of
6 benazepril was only a diastolic blood pressure above
7 the 95th percentile which is not a very strict
8 inclusion criteria so I can't tell at all how sick
9 these patients were.

10 It seems very difficult to tell whether
11 this nine out of 107 rate of serious adverse events is
12 any more than would be expected in this sick group of
13 children without a placebo or a comparison group.
14 Nine out of 107 for serious adverse events that lead
15 to discontinuation of the drug seems high to me but I
16 don't know what to compare it to.

17 DR. GRYLACK: Well, I agree that the
18 definition for inclusion was just the percentile for
19 height, age, gender and being off medication so that's
20 certainly true. I don't -- I can't answer your
21 question completely because, as you say, we don't have
22 the comparison group. Again, if anybody from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 review division wants to comment on that, I would be
2 happy to hear that.

3 DR. NEWMAN: I guess my concerns if the
4 studies for exclusivity are supposed to be about
5 safety, and these drugs, I think, in many cases are
6 given to prevent bad things from happening, you know,
7 hypertensive crisis, or whatever, or bad effects of
8 hypertension. If, in fact, they increase the risk of
9 those same events by a factor of two or three, would
10 there be any way we would be able to know that from
11 these studies?

12 DR. GRYLACK: You're saying if the
13 frequency of the AEs were to increase two to three
14 fold.

15 DR. NEWMAN: Yes, or even the events that
16 they are designing to prevent. They are given not
17 just because the blood pressure is high but because
18 high blood pressure can cause bad things.

19 DR. GRYLACK: Well, I don't have
20 information that looks longer term in terms of the
21 sequelae of the hypertension so I can't answer that
22 question. Certainly we know that growth and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 development was not studied in this case.

2 DR. NEWMAN: I'm talking about
3 catastrophes like cardiac arrest and the things that
4 are being reported here, the renal failure and the
5 hypertensive crisis and so on.

6 DR. GRYLACK: Presumably those would be
7 reported if they occurred and then we do have a
8 listing of whatever serious adverse events or
9 discontinuations due to adverse events occurred.

10 DR. MURPHY: I guess what you're asking is
11 are these trials powered to pick up a specific set of
12 serious adverse events and the answer is no. I don't
13 think that one can say looking at these numbers,
14 particularly if you don't think -- you would have to
15 go back and see what you thought had occurred in the
16 adult which, again, I don't know if anybody from the
17 division is here but that's the basis upon which the
18 written requests are constructed, that you have a
19 knowledge of what the event rate was in the adults.

20 You know the rule is to pick up 1 percent
21 you have to have 300 so you know if you don't even
22 have 300 patients in the trial that you're not going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 to pick up something theoretically that is occurring
2 less frequently than that. I think the answer is that
3 the trials are based on what they think the event rate
4 has been in adults.

5 If they think the event rate -- if they
6 have some information that would make them think it
7 would be higher or lower in kids and then that's the
8 number that they will ask for. Should we be asking for
9 more? I think all of us think it would be better if
10 we could ask for more but in some of these trials,
11 particularly where the Cardio-Renal Division has a
12 dose titration option that they can use, then that
13 gets to be a more difficult thing to do to have large
14 numbers.

15 DR. NEWMAN: But I guess my concern in
16 this case there were nine discontinuations due to
17 adverse events. The sample size seems like it was
18 adequate so it really isn't a question to me is this
19 more than what would be expected from adults but is
20 this more than what would have been expected in this
21 group of children if they hadn't gotten the
22 medication. It's hard for me to conclude that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 drugs are safe without being able to say that.

2 CHAIR CHESNEY: Dr. O'Fallon.

3 DR. O'FALLON: I'm having trouble
4 evaluating the question for each of these six agents
5 was -- treatments was. You are recommending that we
6 go to routine monitoring and they are asking us do we
7 agree and I'm having a major problem evaluating
8 whether we have enough information here.

9 I was really -- I want to thank you for
10 all the supplementary material that was sent to us. I
11 found particularly the drug use information
12 potentially useful. But I also read what you said
13 about the limitations of the various databases you
14 have available to you.

15 It seems to me that you do in most of
16 these cases, not this one, not esmolol, this one I
17 couldn't get any idea of how many kids have been
18 treated with the stuff. I couldn't figure it out at
19 all on the basis of what you had. In the earlier one
20 there was information.

21 There was an estimate of how many
22 prescriptions had been given to kids in that time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 period. It seems to me that we need to have some idea
2 of the quantity of information we have here before we
3 can tell you whether we think you should stop now or
4 go another year. This one, esmolol, I can't even tell
5 what you've got.

6 DR. MURPHY: Well, I think in that
7 situation you should make a recommendation to us that
8 you want more specific information on the use. You
9 want us to follow it and come back and tell you. You
10 could tell us, "I want you to come back and I would
11 like someone to look at what the rate is in this
12 population before I can give you an answer on this."

13 I mean, you don't have to say, "Keep
14 following it." You can come back and say, "We can't
15 give you an answer not to follow it and keep following
16 it until we get this additional information." Those
17 are the things that we want to hear from you.

18 CHAIR CHESNEY: Dr. Glode.

19 DR. GLODE: If I can go back to benazepril
20 and ask you a question. I'm having a little trouble
21 distinguishing events from patients. Nine patients
22 discontinued the drug, nine patients had serious

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 adverse effects, seven patients developed clinically
2 significant laboratory abnormalities, and two cases
3 had a severe increase in creatinine phosphokinase so
4 that's 27 out of 107. Maybe those are repeating
5 patients. It's the same people who discontinued it
6 who had the adverse event who had the laboratory
7 abnormalities.

8 DR. GRYLACK: Jan, I'm wondering if we can
9 get that benazepril slide up. Okay. Thank you.

10 DR. JOHANNESSEN: Which slide was it?

11 DR. GLODE: I think I'd just like to know
12 how many patients had one or more serious adverse
13 event which includes discontinuation of the drug so I
14 can figure out if I'm dealing with nine patients or
15 27.

16 DR. GRYLACK: You know, the different --
17 you know, the descriptions are provided here. They
18 are separate groups.

19 DR. GLODE: But when you say, for example,
20 hypertensive crisis is repeated in both of those so is
21 that a patient had a hypertensive crisis that was
22 called a serious adverse event and it also lead to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 discontinuation but it's the same patient, John Smith?

2 That's what I can't quite figure out.

3 DR. GRYLACK: Okay. Let me go back to the
4 source here. Again, this indicates that there were a
5 total of nine that discontinued as a result of AEs and
6 then the AEs were listed and I presented that here.
7 Then nine developed serious adverse events. Again, it
8 doesn't indicate whether they were the same patients
9 or not.

10 If the Review Division has any further
11 information on that, I would be happy to hear that.
12 That is what the report actually said in terms of the
13 description. They did not say whether they were
14 provided. I appreciate your concern.

15 DR. MURPHY: Okay. Larry, would it be
16 possible? Do you have that data or maybe you can get
17 back with the Safety Review people to see if they
18 could tell you whether those are different or
19 combined. Okay?

20 DR. GRYLACK: Okay. I can certainly do
21 that.

22 DR. MURPHY: Could you please do that? I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 don't know that we can separate it. Is it, Solomon?
2 Do we?

3 DR. IYASU: Some of the information is
4 actually in the package that you received. It's not
5 probably as detailed as you would want it. In the
6 clinical trial summaries that we get this represents
7 unlike the post-marketing reports actual patients.

8 A total of nine but the description that
9 you have under, for example, the second bullet is sort
10 of the range of adverse events that were seen among
11 this nine. We haven't given you how many were
12 hypertensive crisis. In the detailed review there are
13 actual numbers but it was considered to be not
14 significant in terms of an individual event. This
15 doesn't represent nine hypertensive crisis.

16 DR. MURPHY: No. What they are asking is
17 are those nine -- if they discontinued because they
18 were having serious adverse events, are these the same
19 nine?

20 DR. IYASU: These are different nine.

21 DR. MURPHY: No overlap?

22 DR. IYASU: I don't think there's any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 overlap.

2 DR. MURPHY: Well, we need to verify.

3 CHAIR CHESNEY: Can I just clarify? Your
4 specific question for us is whether to return to
5 routine monitoring based on the case reports you
6 received for the first year post-exclusivity. In
7 other words, we're given this background in terms of
8 what happened with the exclusivity studies but our
9 specific issue is whether the three reports in
10 pediatrics received in the last year whether we are
11 comfortable with that in terms of returning to routine
12 monitoring.

13 DR. GRYLACK: Based on the information you
14 received as well as the one-year post-exclusivity
15 monitoring the three deaths, I don't know if that's
16 what you're referring to, were not during the
17 exclusivity period but they were since market
18 approval.

19 CHAIR CHESNEY: No. I was looking at
20 slide 15 which is the three pediatric reports no
21 deaths in the year post-exclusivity.

22 DR. GRYLACK: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CHAIR CHESNEY: Do we need a vote on whether we -- or would you like a vote or is a hand count adequate or a general agreement?

DR. MURPHY: I think it would depend on whether it's close or not. If you have a general discussion and everybody agrees one way or the other, then we'll just say the majority. I think if it's close, then you better take a hand count. We'll just reinstitute whatever it is that you want us to do in the meantime. The only reason I say that, Joan, is that depending on what you guys recommend to us, it's maybe more difficult or less difficult to institute and we need to know the -- it's clearer. It makes it easier for us when we have very clear mandate.

CHAIR CHESNEY: Okay. Let me ask first with respect to benazepril does anybody have any other questions to ask? Yes, Paula.

MS. KNUDSON: I would like to ask will you describe to me routine monitoring versus what is the alternative monitoring.

CHAIR CHESNEY: Dr. Grylack. Dr. Iyasu.

DR. IYASU: Yes. Routine monitoring is

1 what was described to you this morning which means
2 that the Office of Drug Safety will do their normal
3 routine monitoring which means all expedited reports
4 serious unlabeled events will be in their in-box.
5 They will be looking at them as they come in.

6 Other nonserious labeled events will be
7 looked at but they are not a priority area because
8 they have to spend time looking at the serious
9 unlabeled events without required reporting from the
10 companies. There will be a focus on that. The rest
11 of the reports that are nonserious will be looked at
12 in a routine manner. That means if there is a safety
13 issue they will go into the entire report for a
14 particular drug but most of the focus will be on the
15 expedited serious events.

16 Now, it is important to distinguish this
17 because what we are doing right now there is a
18 specific focus on BPCA-mandated pediatric adverse
19 event review which means we are actually looking at
20 everything that comes from that one-year post-
21 exclusivity period so there is a big focus on
22 pediatrics. In the routine monitoring will be all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 reports irrespective of age.

2 DR. MURPHY: I think that the big point
3 he's making is that generally they are not to pull out
4 the pediatric population and look at it. I mean,
5 certainly the pediatric report they will know is a
6 pediatric report but it's focusing on the pediatrics
7 with the adults as a background in contrast.

8 Plus everything else that the team tries
9 to pull in, literature or whatever, and put in context
10 of the trials that were conducted with kids because
11 then they will go back and look at what happened
12 during the trials, okay, versus the pediatric trials
13 versus if you have a bunch of reports, mostly adults,
14 some kids, and then what would normally happen within
15 the division looking at that more likely in the
16 context of the adult trials more than the pediatric
17 trials unless there was a predominance to pediatric
18 reports.

19 CHAIR CHESNEY: Does anybody else have
20 questions? Dr. O'Fallon.

21 DR. O'FALLON: Just for clarification,
22 these reports that we're looking at here came in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 through AERS, right? Okay. So it's the passive
2 report.

3 DR. IYASU: Yes. All of these post-
4 marketing reports come in the spontaneous reporting
5 system. You may hear it being referred to as AERS,
6 Adverse Event Reporting System, or MedWatch system.
7 They are one and the same.

8 DR. O'FALLON: They are all the same. So
9 there is a serious question of under-reporting. Is
10 there not?

11 DR. IYASU: Absolutely. That's one of the
12 big issues that we will be discussing this afternoon
13 later. There is a limit as to how much safety
14 information you can pick up from post-marketing.
15 Under-reporting is a big issue but also the quality of
16 the reports that we get. To establish causality is
17 really a daunting task.

18 DR. O'FALLON: I know.

19 DR. IYASU: There are many of these
20 medications that are given in the context of other
21 concomitant medications in complicated medical
22 situations and it's very hard unless you have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 specific comparison group you are actually making
2 measurements of everything that is happening to a
3 particular patient and you know everything about the
4 drug and how it's taken with dose. There's a lot of
5 missing information. We try to make the most out of
6 this limited information.

7 It's a good system, I guess, to pick up
8 some rare serious events that you really can't miss.
9 Then you try to examine it in detail and go back even
10 to the clinical trials and see if there was any
11 indication suggestive of like, for example, acute
12 liver failure is one issue which often gets picked up
13 by post-marketing.

14 CHAIR CHESNEY: Thank you. I think we are
15 all very much looking forward to answering the bigger
16 question and we need to try to stay a little bit on
17 time here. We are already getting behind. Is there
18 anybody on the committee that is uncomfortable with
19 letting the FDA return to its routine AERS monitoring
20 for benazepril?

21 DR. ROBERTS: Joan, let me just answer the
22 question about serious adverse events overlapping with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 discontinued. In looking at the report that you have
2 it would appear that the hypertensive crisis is an
3 overlap because it indicates it was discontinued. The
4 hypertensive crisis resolved after discontinuation of
5 the drug. The patient with that crisis was
6 discontinued. Cases of acute renal failure and
7 rejection of kidney transplants in two patients are an
8 overlap.

9 CHAIR CHESNEY: Oh, sure. Okay.

10 DR. ROBERTS: If you look under
11 benazepril --

12 DR. MURPHY: It's the one that says, "The
13 Division of Cardio-Renal Drug Products" at the top.
14 This is the summary that we talked about that gets put
15 up on the web. Which page in there, Rosemary? Oh,
16 this is to make it challenging

17 DR. ROBERTS: Okay. It is page 3 of the
18 medical review. If you see the third paragraph down
19 it talks to you about discontinuation secondary to
20 AEs. The next paragraph speaks to serious adverse
21 events. So, in summary, the hypertensive crisis is
22 overlapped. The two patients with acute renal failure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 and kidney transplant rejections are overlaps. The
2 patient with abnormal blood creatinine the reason they
3 were discontinued is because they had a history of a
4 kidney transplant.

5 DR. MURPHY: So we think we see a future
6 here where it would be helpful for us to indicate to
7 you when they are individual patients that are new or
8 different in these different sets of data.

9 DR. GRYLACK: Dr. Glode.

10 DR. GLODE: Back to being comfortable or
11 uncomfortable based on, again, this medical review and
12 the one we were just looking at, if you turn to the
13 last page of that, I have to go into the category of
14 uncomfortable myself because I agree with the medical
15 reviewer who concluded that, "Benazepril seems to be
16 associated with a number of safety concerns given the
17 lack of information confirming the diagnosis of some
18 adverse events," etc., etc.

19 The reviewer concludes, "The program under
20 review is insufficient for the evaluation of safety of
21 benazepril in the pediatric population." I agree with
22 the reviewer. I think the information is insufficient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 for the evaluation of safety. Since it's insufficient
2 for the evaluation of safety and, if anything, there
3 appear to be a lot of potential serious adverse events
4 reported in 107 even though, again, not dealing with
5 the post-exclusivity issue. I would think it deserves
6 some increased attention.

7 DR. MURPHY: Okay. Let me just -- you
8 think it deserves increased attention because? I'm
9 just trying to articulate because one of the things we
10 use is it's unlabeled. There's more than one patient
11 that has a serious biologic plausibility. We're
12 trying to make sure we can categorize in our head so
13 we will maybe make the recommendation.

14 Your concern is that really for the
15 limited number of patients you think there have been
16 too many AEs and you think we need to look at more to
17 make sure that we're not beginning -- that there isn't
18 a trend that we're missing because we haven't looked
19 long enough and don't have enough information in the
20 original trial dataset plus the number of AEs who are
21 coming in in this limited period of time.

22 DR. GLODE: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. MURPHY: Okay. Does anybody else support that opinion?

CHAIR CHESNEY: Can I just read the medical reviewer's recommendation? Remember that this was back in 2003, September of 2003. "Because of the aforementioned safety concerns and insufficiency of available data in evaluating the safety in the pediatric population, the supplemental application is approvable with the condition that the sponsor further evaluate the incidence of the observed adverse events and the nature of the relationship to benazepril in pediatric patients." What did that mean?

DR. MURPHY: I'm interpreting. I have to go back and ask for sure what it meant. Again, I don't think we have anybody from the Division of Cardio-Renal here which is something we will try to remedy in the future.

As you heard, the reports come in in a way in which pediatrics may not be specifically broken out and they are asking them to focus in on those reports and to make maybe additional comments and discussion on that. That would be my take on what they are

1 saying. You heard the periodic reporting may not
2 separate out the pediatric issues and they are asking
3 them to focus in on that report and tell them about
4 those issues.

5 Rosemary, do you have anything else to add
6 to that?

7 DR. SANTANA: I don't want to put words in
8 Joan's mouth but I thought what Joan was trying to say
9 was that there was a comment that this is approvable
10 with the request that further information be looked at
11 and brought to the attention back to the FDA so what
12 happened with that new information? Is there new
13 information? Is there further information that the
14 sponsor has? You see what I'm getting at?

15 DR. MURPHY: Yes.

16 DR. SANTANA: That sentence leads you to
17 believe that it was approved with the condition that
18 the sponsor would give more information in the next
19 two years.

20 DR. MURPHY: It is our understanding that
21 we have presented to you all the information because
22 we work with the division, the Cardio-Renal Division,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 in obtaining that information. Is there somebody from
2 Cardio-Renal or ODS?

3 MR. SCHLOTFELDT: Am I live? My name is
4 Carol Schlotfeldt. I work for Novartis
5 Pharmaceuticals.

6 DR. MURPHY: Oh, good. The sponsor.
7 Maybe you can give us some clarification.

8 MR. SCHLOTFELDT: Maybe I can help the
9 committee move on.

10 DR. MURPHY: But just so the committee
11 will know, as far as I know, this is all the
12 information we have unless this gentleman tells us
13 otherwise.

14 MR. SCHLOTFELDT: Yes. The reference to
15 "approvable" in this document refers to the fact that
16 we were given an approvable letter at the time of the
17 first action for this supplementary NDA. We
18 subsequently provided the additional information
19 that's referred to here about certain patients and
20 that information was evaluated by FDA and we were
21 subsequently approved.

22 Unfortunately, you don't have the report,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 the medical reviewer's report, of her review of that
2 supplementary information. But in her comments about
3 the aforementioned safety concerns, "The supplemental
4 application is approvable with the condition that the
5 sponsor further evaluates the incidence of these
6 events -- blah, blah, blah -- in pediatric patients
7 referred to a certain subset of patients that had some
8 characteristics that just weren't fully resolved in
9 the original supplementary NDA."

10 DR. MURPHY: But that information was
11 provided to the division.

12 MR. SCHLOTFELDT: It was subsequently,
13 yes.

14 DR. MURPHY: This information we provided
15 you is based on the information we got from the
16 division plus information from Drug Safety.

17 MR. SCHLOTFELDT: Yes.

18 DR. MURPHY: Dr. Stockbridge, I see you're
19 here. Would you like to add any clarity to this at
20 this point?

21 DR. STOCKBRIDGE: I don't think I have
22 anything to add.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Okay. Bottom line is we
2 think we have all the information that is available at
3 this moment, because that's what we try to do, and
4 have presented it to you.

5 CHAIR CHESNEY: So I think perhaps we have
6 two choices. One is to ask the FDA based on concerns
7 that have been expressed here to continue to look
8 actively at the pediatric -- all the pediatric cases
9 reported to the AERS system for another year, or to
10 return to just looking at all the cases reported
11 without necessarily singling out the pediatric cases.
12 That's the two choices we have.

13 DR. MURPHY: Yes. And then report back to
14 you which normally we would not do.

15 CHAIR CHESNEY: So let me ask --

16 DR. MURPHY: There's two parts to that
17 really.

18 CHAIR CHESNEY: Right. For a show of
19 hands of those who feel that what we've heard is
20 disconcerting enough that we should ask the FDA to
21 look closely at all pediatric case reports for
22 benazepril for yet another year and then report back

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the committee. How many people would like to make
2 that recommendation? Show of hands. I think that's
3 the answer for benazepril.

4 Now we'll move on to esmolol. Questions
5 about esmolol for Dr. Grylack. Dr. Newman.

6 DR. NEWMAN: Some of the same sorts of
7 questions -- this is on your slide No. 7 -- where 92
8 percent of the patients reported one or more adverse
9 effects and these were consistent with the adult
10 labeling. Does that mean that the nature of the
11 adverse effects was consistent or the nature and the
12 frequency were both consistent? Again, it's hard
13 without a comparison group to know how many of these
14 things would have happened otherwise but that seems
15 high.

16 DR. GRYLACK: Yes. The types of AEs were
17 similar. We did look at one of the categories of
18 hypotension and that was similar in terms of frequency
19 but I can't say that all of the AEs were consistent in
20 frequency. For that particular category it was
21 similar but this refers primarily to the types of
22 adverse events.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 DR. MURPHY: Does that answer your
2 question? In other words they looked at the really
3 serious ones to make sure those were in the same
4 range. You're concerned because it's 92 percent. Is
5 that correct?

6 DR. NEWMAN: That does seem kind of high
7 to me, yeah.

8 DR. MURPHY: Yeah.

9 DR. NEWMAN: Was it five percent in adults
10 or 50 percent in adults? Again, since we don't have a
11 comparison group of children, it's hard to know but it
12 just seems quite high.

13 DR. MURPHY: It should be in the label.

14 DR. GRYLACK: Yes, we have the label here.
15 Let's see. The cardiovascular symptomatic
16 hypotension occurred in 12 percent of patients. The
17 therapy was discontinued in about 11 percent,
18 asymptomatic hypotension in about 25 percent. We
19 looked at the pediatric frequency for that particular
20 item and it was in the 25 to 30 percent range but I
21 can't vouch for all of the AEs being the same in terms
22 of frequency as in the adult labeling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CHAIR CHESNEY: Can I make a comment about the label? I noticed for esmolol it says, "The safety and effectiveness of esmolol in pediatric patients have not been established." That's all it says about pediatrics.

DR. GRYLACK: That's correct.

CHAIR CHESNEY: And yet we have some safety information.

DR. GRYLACK: Well, there was no change in the safety labeling made as of this time.

DR. MURPHY: I think Joan's point is we had studies done. I think it was actually in one of the reviews a comment was made because it was not approved by -- I don't want to misquote this but they felt that putting in the pharmacokinetic data would be misleading, but your question is why wasn't there other information put in the label that at least studies were conducted and they were not found to have a dose effect.

CHAIR CHESNEY: It sounds like they haven't been looked at at all and, yet, we have information that it was looked at.

1 DR. MURPHY: Norm, I think I can be -- I
2 don't think I'm stepping on anybody's toes to say that
3 it is now Dr. John Jenkins who is the head of Office
4 of New Drugs has now requested that the divisions take
5 a more aggressive approach to putting this information
6 in the labels.

7 Dr. Stockbridge, did you want to say
8 anything else about it? Could you come up to a mike,
9 please, for us? There. Thank you.

10 DR. STOCKBRIDGE: I'm Norman Stockbridge.
11 I'm the Acting Division Director in Cardio-Renal Drug
12 Products. The situation with this application was
13 that there was really inadequate data from the trials
14 that were done to address whether or not a clinically
15 significant effect was achievable with the drug.
16 There are several possibilities.

17 One is you do a trial and you find an
18 effect you want to label it. It is also possible to
19 decide that you ruled out an effect you would care
20 about. We have recently put labeling into an
21 application labeled for a drug where that was true.
22 In this case it feel somewhere in between those cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 The study was not adequate to conclude
2 that just because it failed the drug wasn't useful.
3 We were left with not much more information than when
4 we started. The only thing we learned was you get out
5 of 100 patients, or whatever it was, adverse events
6 that sort of look like what you were seeing in adults.
7 That's where the label sits.

8 DR. MURPHY: I think this is the same
9 issue that has come up with the new reform in that, as
10 Norm is telling you, we have to find ways of saying
11 that we can't conclude that it worked or didn't work
12 but that we can inform you that studies were done. We
13 have to have better words in this statement and that's
14 what we're trying to do now.

15 As I said, it's now policy that we are
16 going to come up with some statement to indicate that
17 studies were concluded. We were unable to determine
18 that it did not work or that it did work and that's
19 where they are on the situation.

20 CHAIR CHESNEY: Thank you. And that's
21 fair.

22 Dr. Bier, you had a question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIER: I guess, you know, most of us
2 are relatively new at this and we have already come
3 across this in the first two discussions so I guess
4 it's going to come up again. If these were not
5 pediatric studies and these were adult studies and we
6 were hearing about five reports or nine reports or 13
7 reports, would that be sufficient in an adult study of
8 a drug to establish -- to give us the information that
9 we need here?

10 I mean, we are being asked if they are
11 sufficient in pediatric studies. There shouldn't --
12 whether or not the quality and quantity of the
13 information is sufficient to tell the signal from the
14 noise shouldn't be any different. Where is the
15 threshold for establishing this? I mean, obviously if
16 everybody dies that's easy because you can tell there
17 was an effect but, I mean --

18 DR. MURPHY: Well, Norm, because we are
19 talking about cardio-renal I would appreciate it if
20 you kick in after what I say. Okay? Fundamentally
21 unless it's a pediatric specific AE, and we've
22 mentioned, you know, it has to do with growth or has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 to do with behavior, the learning, or something, or it
2 has to do with something that came out and we've seen
3 this where there was an adverse event that was not
4 described at all in the adult trials.

5 Then in that situation clearly we are in a
6 different realm than what's in the adults. Otherwise,
7 if you just look at the adult trials, theoretically
8 whatever the adverse events that occurred should be
9 well described in the label from their trials.

10 If you are asking then what is the bar you
11 have to pass after a product has been approved and it
12 gets out there, it's the level of certainty that you
13 have that it's a real signal with all the problems
14 that you all have already heard about and that isn't
15 going to go away. I mean, that's the problem here is
16 how certain you can be.

17 Is there anything anybody can do if we put
18 something new in the label when we're uncertain to
19 better manage that risk until we are certain depending
20 where you are on that certainly scale, or if there is
21 something that we just don't think even though it's a
22 few cases it makes sense from a biologic point of view

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 and we think it's not in the label and it needs to go
2 in the label because it didn't occur at all during the
3 trials and now we're seeing it, as we've talked about,
4 those severe rare adverse events that occur after
5 post-marketing.

6 Or we see that people are using it in
7 different ways they are not supposed to be using it
8 and we will go in and that's causing it. If we can
9 link it to that, we'll put that in the label. I'm
10 sure I'm missing something so anybody want to -- Norm,
11 did you have anything to add to that particularly for
12 cardio-renal drugs?M

13 DR. STOCKBRIDGE: No, I don't have very
14 much to add. It is a real art to try to figure out
15 what's worth putting in the list of adverse events.
16 Very difficult even in controlled trials to figure out
17 when a common event is more common.

18 Labels tend more or less to accumulate a
19 lot of things in the also-seen kind of category where
20 some of them probably have nothing at all to do with
21 the drug and it becomes a list of things that you want
22 a physicians to at least think about if they see it in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 practice.

2 Yes, it's been seen before. Maybe that
3 means you should think about taking somebody off the
4 drug. Where anybody's threshold is for what to do and
5 how many things to put into that list, that's really a
6 very open issue.

7 DR. MURPHY: I mean, I'm just going to say
8 this one more time. During a controlled trial we have
9 a comparator. I mean, we can at least have something
10 to compare it to. Here that's why we give you the
11 control trial so you can see what went on there. Here
12 it's trying to define what the background rate is in a
13 population that isn't the population for which it's
14 approved sometimes. That's why it becomes a art form.

15 CHAIR CHESNEY: Dr. Santana and then Dr.
16 O'Fallon

17 DR. SANTANA: Dianne, my memory is not
18 very good anymore. I don't remember everything but I
19 think I remember a discussion we had in this
20 committee, maybe a year, 18 months ago, where this
21 same issue came up, as we do these exclusivity and
22 pediatric studies when is there enough information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 that it will mandate -- that's the wrong word -- what
2 would be enough information that we should ask that
3 the label be changed?

4 I remember the discussion went this way
5 that there has to be a significant body of evidence
6 that's well documented, etc., etc., in order to do
7 that. I think part of that discussion included are
8 their other mechanisms that the label could indicate
9 that there are pediatric studies. I mean, they're not
10 of the strength and the quality that maybe would
11 mandate a complete change but to give the consumer and
12 the practitioner an indication that there have been
13 pediatric studies.

14 I even remember a committee member saying
15 maybe they should be referenced to the FDA pediatric
16 site that has all of these reports because these
17 reports are published, am I not correct? They are out
18 there already on your website.

19 DR. MURPHY: The summaries.

20 DR. SANTANA: Yes. I remember some
21 discussion like this but I don't remember how we
22 finally came to a conclusion on the issue.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 DR. MURPHY: The "approvable" will not
2 have anything but the summary. The other I think
3 there is a situation where if it's approved you'll get
4 the in-depth review. What I'm trying to say is I
5 think we have turned that corner.

6 Just last month we had an e-mail from John
7 Jenkins who is the head of OND saying we are going to
8 put -- have to come up with a way of putting a
9 statement in that these trials -- this is an older
10 label so you guys are going to be frustrated with us
11 for a number of more visits because there are a lot of
12 labels that are coming to you that are done way back
13 before -- 18 months before we bring a product to you.

14 One year for looking at the events, about
15 six months to get it ready. We are going to have
16 quite a few more labels that are going to come to you
17 where there may be nothing in them.

18 DR. SANTANA: I guess my challenge to the
19 group and to the FDA is to revisit this issue in a
20 very organized way. When would we really have enough
21 information that it would require that we recommend
22 that there be an actual change in the label versus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 when there is a body of information that has been
2 gathered because of the exclusivity studies that we
3 can no longer say in the label there are no studies
4 because that is no longer a true statement but we
5 don't feel comfortable enough that data is of enough
6 strength that it should go in the label but the label
7 should indicate it exist in some other resource that
8 people can go to.

9 Those to me are two kind of complimentary
10 points. As a consumer I do recognize I don't want to
11 be scared with information that's not completely well
12 studied and balanced but it's not also correct to say
13 there is no pediatric information, Joan. We have to
14 find a way to kind of marry both of those.

15 CHAIR CHESNEY: I think Dr. O'Fallon was
16 next and then we'll do Dr. Gorman, Dr. Bier, Dr.
17 Moore, and then we'll have to move on because we're
18 almost an hour beyond.

19 DR. GRYLACK: I stuck to my 10 minutes.

20 CHAIR CHESNEY: It says Cardio-Renal
21 people.

22 DR. O'FALLON: Here's the deal. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question that we were asked when we walked in here was
2 have we got enough information now that we can tell
3 the FDA to stop this extra special monitoring. This
4 one, esmolol, and there's another one down here, raise
5 a real question in my mind how much information do we
6 even have. How many patients, kids, were treated with
7 the stuff during the year.

8 I mean, these reports are coming in, you
9 know, passively. We have only, what, two, I think.
10 No, there's only one in this year. How many were
11 treated? I think what we're doing, Dianne, is not --
12 when we're dealing with this thing we're trying to
13 estimate serious event rates and identify some of the
14 rarer things that the kids have, the adverse events
15 that afflict kids are. If we've only seen 50 or 100
16 or 150, we have small chances of picking up some of
17 the rarer that could be killing events.

18 DR. GRYLACK: Well, we know certainly in
19 the case of esmolol how many patients were in the
20 studies and we can collect that information
21 prospectively. However, with the patients who weren't
22 in the studies there were 150 or 160 patients based on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the databases that we have. As was pointed out, it is
2 passive reporting so we don't truly know perhaps how
3 many of those 160 during the post-exclusivity period
4 had --

5 DR. O'FALLON: How many were treated?
6 That's the big thing.

7 CHAIR CHESNEY: That's why I want us to
8 move ahead because I think that's the bigger picture
9 and we can't get to that until we get through these
10 drugs so, Dr. Gorman.

11 DR. GORMAN: In 1994 I think there was a
12 position put in the label for the pediatric
13 information and I would think that as we move forward
14 a reasonable suggestion might be that if a drug
15 obtains marketing exclusivity, that at least it says
16 in that section, "Pediatric marketing exclusivity has
17 been granted based on X number of studies with X
18 number of patients. For further details see _____."

19 I don't think that's up for any debate in
20 the sense that it's all factual and I know how the FDA
21 wants their labels to be factual and accurate and that
22 would allow them to be factual and accurate and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 put all the wordsmithing and spin-doctoring and
2 obfuscation in the reports from other people.

3 DR. MURPHY: You're very close to what was
4 in John's e-mail.

5 CHAIR CHESNEY: Thank you. I think we've
6 gotten that issue down cold now.

7 Dr. Bier.

8 DR. BIER: Well, I guess I'm still back to
9 the power issue. We're talking about events that are
10 consistent with the adult events or consistent with
11 the label, etc. Do we have the power to tell what's
12 inconsistent? We don't have in this number of
13 individuals the power to tell anything is inconsistent
14 with those.

15 CHAIR CHESNEY: We don't and that's the
16 bigger picture also that we're going to be talking
17 about in a few minutes.

18 Dr. Moore.

19 DR. MOORE: I guess I have a similar
20 comment. It strikes me that what we're trying to
21 discern here in terms of these passive reports is
22 whether or not the pediatric passive reporting that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 have sort of focused on over the past year is in any
2 way different from the reports that we're getting from
3 the much larger group of adult patients.

4 I'm just a little bit confused. Are we
5 talking about labels here? We're getting diverted in
6 terms of the question that we're supposed to address
7 here. We're really just talking about whether we want
8 to focus on pediatric reporting or not.

9 It seems to me that the labeling is an
10 additional issue to that. I think that in terms of
11 the reports that have been received about, I'll pick
12 esmolol here, they are not particularly alarming in
13 the sense that they are not particularly different
14 from the background reporting on the adult patient
15 group.

16 CHAIR CHESNEY: I think what we're being
17 asked is there was one pediatric report in the post-
18 exclusivity period which was in a teenager in whom the
19 drug was actually contraindicated. The label says not
20 to use it in somebody who is vasoconstricted because
21 of a lower body temperature. I think you made that
22 point.

1 So the only adverse event at all that had
2 to do with children that was reported through the air
3 system in this year was this one patient. We're being
4 asked as to whether we can accept that as comforting
5 enough that the FDA no longer has to just focus on the
6 pediatric age group or if we feel like they should
7 continue to do this for at least another year and then
8 come back to us with another report.

9 Can I see a show of hands as to those who
10 feel that they need to continue to actively look at
11 all the pediatric cases reported for the next year or
12 the second year after exclusivity for esmolol. How
13 many people would support that? Eight support. Who
14 doesn't? Four to do not support it so I guess we are
15 looking at reviewing this again in another year.

16 DR. MURPHY: Joan, I have one question.

17 CHAIR CHESNEY: Yes.

18 DR. MURPHY: Okay. Now, people keep
19 saying, "We don't know how often it was used." We are
20 giving you how many prescriptions in the use data.
21 What else do you -- we don't need to -- I think we get
22 to the safety discussion this afternoon. Would you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 please give us some more detailed input on that
2 because we spend a lot of time and we pay for a lot of
3 databases to try to get pediatric use and this is the
4 use data we have. If it's not providing what you
5 need, we need to hear from you how it's not providing
6 what you need.

7 CHAIR CHESNEY: I think we all have
8 concerns about matching what you give us with the
9 adverse events so we'll do that in the bigger picture
10 time.

11 Our next speaker is Dr. -- thank you, Dr.
12 Grylack for more than usual rigorous --

13 DR. GRYLACK: It was a pleasure. I didn't
14 develop any orthostatic hypotension.

15 CHAIR CHESNEY: Are you orthostatic
16 because you're cold?

17 DR. GRYLACK: Right.

18 CHAIR CHESNEY: Dianne, I don't have any
19 introduction for Dr. Sachs.

20 DR. GRYLACK: I have it.

21 CHAIR CHESNEY: Oh, you do. I'm sorry.

22 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. GRYLACK: It's my pleasure to introduce Dr. Hari Sachs, pediatric medical officer, professor of pediatrics at George Washington University and a practicing pediatrician.

DR. SACHS: Thank you for that kind introduction. I hope you guys have a heart, okay? It's Valentine's Day. I will be talking about two drugs that are related to obesity management, orlistat and glyburide-metformin.

I do want to say before starting this orlistat presentation that the last slide, the conclusion slide, that went out on the web, has an error. Hopefully the presentation will include the updated slide.

Orlistat, or trade name Xenical, is a lipase inhibitor marketed by Roche and it was approved originally in April 1999 and granted pediatric exclusivity in September 2003. Orlistat acts by inhibiting dietary absorption of fat which is important in its adverse event profile.

Orlistat is indicated for adolescents and adults over 12 in conjunction with weight loss for

1 obesity management. The decision to treat the patient
2 is based on body mass index over 30 or lower body mass
3 index along with risk factors such as hypertension,
4 diabetes or dyslipidemia. The recommended dosage
5 is the same for adults and adolescents.

6 Prescriptions for orlistat have been
7 decreasing in both adult and pediatric patients and
8 this is primary outpatient data. Prescriptions in
9 females tend to outnumber those in males by a ratio of
10 three to one, a trend that is observed in children as
11 well. Orlistat is prescribed primarily in adults with
12 pediatrics accounting for less than 1 percent of
13 prescriptions and I believe the total is about 4,000.

14 The top prescribers, not surprisingly,
15 were internists, family practitioners, and osteopaths
16 and, once again, pediatricians like myself accounted
17 for a very small amount of these prescriptions. The
18 most common diagnosis is obesity in adults but because
19 of sparse data we can't determine that for kids.

20 I wanted to highlight here is the website
21 where you can find these reviews and we'll look at the
22 studies that were performed that resulted in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 exclusivity. There was a pharmacodynamic study that
2 looked at the effect of orlistat on mineral balance as
3 well as its safety and efficacy. Nested within the
4 study was population pharmacokinetics.

5 The pharmacodynamic assessments that were
6 performed occurred in a three-week inpatient trial of
7 32 adolescents. They all were placed on a reduced
8 calorie diet along with the drug and multi-vitamin
9 supplementation. Selected minerals, electrolytes, and
10 measures of kidney function were determined
11 periodically. Fecal fat content was determined daily.

12 Glad I wasn't there.

13 Compared with placebo orlistat did not
14 affect the majority of the minerals but it did
15 significantly increase fecal fat excretion and
16 decreased iron balance and 94 percent of participants
17 completed this study.

18 The efficacy study was a much longer trial
19 of 539 obese adolescents. Obesity was defined as a
20 body mass index greater than 97 percent for age and
21 gender. The primary efficacy end point was a change
22 in body mass index to allow for growth.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Secondary efficacy endpoints included change in
2 body weight, cholesterol, blood pressure, glucose
3 tolerance and insulin levels.

4 As you can see, this study also monitored
5 a large number of safety parameters over the year
6 including growth, pubertal development,
7 gastrointestinal symptoms, fat absorption, body
8 composition, EKG changes, liver and gallbladder.

9 It was a year-long study so 65 percent
10 completed it but compared with placebo orlistat did
11 have a modest clinical benefit and significantly
12 decreased body mass index and waist and hip
13 circumference as well as the proportion of patients
14 that achieved a five or 10 percent reduction in body
15 mass index. In fact, it was almost double of the
16 placebo. There are similar improvements in the weight
17 percent as well.

18 From a safety point of view orlistat
19 treatment did not significantly impact blood pressure
20 or levels of lipids or glucose intolerance. In this
21 subgroup that had DEXA body composition determination
22 the weight loss did appear to be due to a decrease in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 fat and not in muscle mass.

2 Not unexpectedly, though, there was an
3 increase of fatty and oily stools and decreased
4 absorption of fat-soluble vitamins in the treating
5 group. These finding were similar to those seen in
6 adults. Consequently, there is a precaution in the
7 label to administer the drug with a multi-vitamin that
8 contains fat-soluble vitamins and beta-carotene.

9 The clinical trial is described at length
10 in the pediatric use section and a statement that the
11 adverse event profile in adolescents is similar to
12 that in adults appears in the label.

13 Now I'll describe some other labeling that
14 is important for the adverse events that will be
15 discussed, although there really aren't many pediatric
16 ones, and for pediatrics in general. Orlistat is
17 contraindicated in patients who have known cholestasis
18 or malabsorption. If dietary fat content is high,
19 then you expect a bunch of gastrointestinal symptoms.

20 The potential misuse for patients with anorexia is
21 also described.

22 Orlistat is considered a pregnancy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 category B drug because animal studies have shown a
2 potential for hydrocephalus at high doses but there's
3 not really a study in humans. Gastrointestinal
4 adverse events, as I said, are very common.

5 Now looking at the adverse events, since
6 drug approval in 1999 through October of 2004 less
7 than 1 percent of the reports have been pediatric
8 which roughly parallels the use. And, again, note
9 that these reports include duplicates and their raw
10 counts.

11 This is kind of a gross way, and I forgive
12 the word, of looking at the reports in a gamish. It's
13 the top 20 most commonly reported events in adults
14 that might include some duplicates. They are
15 described. When we mention whether it's labeled or
16 unlabeled, we are basically referring to the term
17 that's used in the adverse event report, in the MedRA
18 database whether that term is actually found in the
19 label.

20 But if you look closely, many of the
21 "unlabeled" terms are really related to labeled terms
22 or they are actually labeled. A lot of the events

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 actually really describe gastrointestinal events or
2 malabsorption or perhaps headache.

3 And these are the pediatric adverse
4 events. Many of these are not actually specifically
5 labeled because they relate to neonatal disorders or
6 accidental exposures. There is one case,
7 cholelithiasis, which was a serious adverse event that
8 was reported during the clinical trial and the patient
9 did require hospitalization and surgery. But, again,
10 I want you to note that known cholelithiasis is a
11 contraindication to use.

12 In the one-year post-exclusivity there's
13 only one pediatric adverse event which I'll discuss
14 shortly. In the adults the top 20 are listed here.
15 Once again, most of them are labeled or related to
16 unlabeled events. But we do see cholelithiasis and
17 gallstone pancreatitis which I want to mention is
18 associated both with increased body mass index. In
19 other words, the underlying disease, and may be
20 associated with weight loss or it could be the
21 therapy.

22 The pediatric adverse event involves a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 neonate who was delivered by C-section who was noted
2 to have unstable hips, a fact that was not confirmed
3 on follow-up. The mother did receive therapy during
4 her first trimester. She was a smoker and had a
5 contraceptive implant that was removed five months
6 prior to the pregnancy.

7 So, in summary, there's really very few
8 adverse events and there's minimal use so I'm not sure
9 that we can draw some meaningful conclusions but there
10 were reports of cholelithiasis during the trials and
11 in post-market surveillance. We don't know what the
12 relationship between drug treatment or the rapid
13 weight loss and perhaps the obesity to begin with is.
14 Our thinking was that we would recommend continued
15 monitoring of this product if you all concur. Are
16 there any questions?

17 CHAIR CHESNEY: I think our agenda calls
18 for you to go ahead and do the second one and then
19 we'll --

20 DR. SACHS: Then you'll hit me.

21 CHAIR CHESNEY: -- give you both.

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. SACHS: Once again I want to acknowledge all the folks from the Office of Drug Safety, the Division of Metabolic and Endocrine Drug Products and the Office of Regulatory Policy who have contributed to these.

Now let's talk about glyburide-metformin. Glyburide-metformin, or trade name Glucovance, is a combination antihyperglycemic agent which is marketed by Bristol-Myers Squibb. Glucovance is an adjunct treatment for Type 2 diabetes along with appropriate diet and exercise and a second line therapy in patients whose primary treatment with metformin or sulfonylurea have failed.

It was approved originally in July 2000 and pediatric exclusivity was granted in October 2003.

The dose of this combination product is the same in adults and adolescence and each component of the combination adds to the efficacy of the product, in this case glyburide by stimulating the release of insulin, augments, metformins, and crude glucose tolerance.

Once again, since the use of glyburide-

1 metformin is primarily in outpatients, the usage was
2 not determined in inpatients and while oral
3 antihyperglycemic prescriptions in general have been
4 increasing, Glucovance has accounted for a large
5 proportion of the combination product market share.
6 It's over 6.8 million prescriptions. In contrast,
7 though, the use in pediatrics is relatively minimal,
8 less than 0.06 percent.

9 Not surprisingly internists and family
10 practitioners write the majority of prescriptions for
11 this agent and pediatricians write very few. The most
12 common indication in adults is diabetes without
13 complications and there is insufficient data available
14 to allow us to state what it was in kids.

15 Once again, we're going to look at the
16 studies that were performed for exclusivity. There
17 were two studies that were performed in pediatric
18 patients with Type 2 diabetes in response to a written
19 request, PK and the efficacy and safety study.

20 The pharmacokinetics study was a single
21 dose PK study of glyburide-metformin and it found that
22 pharmacokinetics were pretty much compatible between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 children and adolescents and did not differ
2 significantly from adults. There was no apparent
3 relationship between body surface area on dose based
4 on the limited data.

5 To demonstrate efficacy and safety there
6 was a 26-week trial of 167 adolescents with Type 2
7 diabetes. These patients were over 50 percentile for
8 weight and did not have adequate glycemic control
9 based on diet or exercise or perhaps with a single
10 drug.

11 Inadequate glycemic control was defined as
12 a hemoglobin A1c greater than 6.4 percent and a mean
13 fasting glucose greater than 200 but less than 350.
14 The primary efficacy outcome in this trial was the
15 decrease in hemoglobin A1c. But unlike the findings
16 in the adult population, the combination product was
17 not superior to monotherapy.

18 One reason for this might be that in the
19 adult trial the superiority of Glucovance was
20 primarily noted in patients who had average hemoglobin
21 A1cs over 9 percent or were treatment naive. In the
22 pediatric trial the average hemoglobin A1c was about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 7.8 and almost half the patients were not treatment
2 naive.

3 Gastrointestinal intolerance, once again,
4 is expected and hypoglycemia with the combination.
5 During the trial no patient experienced a serious
6 adverse event, had a marked laboratory abnormality or
7 discontinued the trial prematurely due to an adverse
8 event. Thus, there are no unexpected safety findings.

9 What was noted was perhaps because the
10 doses of metformin and the combination are lower than
11 what is used in monotherapy. There was really less GI
12 complaints from the combination product but if
13 hypoglycemia did occur, it seemed to be related to the
14 dose of the glyburide.

15 So the label was changed as follows. The
16 clinical trial is described in the pediatric use
17 section and then the statement is made that Glucovance
18 is not shown to be statistically superior to either
19 metformin or glyburide alone with respect to reducing
20 hemoglobin A1c. But the statement "Glucovance is not
21 recommended for pediatric patients" was removed from
22 the label.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Now, I'm going to look a little bit at the
2 labeling so you have a context for the adverse events
3 and just some general pediatric important stuff. It's
4 a pregnancy category B drug based on animal studies
5 which suggest that hypoglycemia is associated with
6 congenital malformations. The combination is
7 contraindicated in the face of renal dysfunction,
8 congestive heart failure, and acute metabolic
9 acidosis.

10 There is a box warning regarding lactic
11 acidosis which relates mostly to the metformin
12 component. There's a special warning about the
13 potential for increased cardiovascular mortality
14 compared to dietary management with or without
15 insulin. That may be more relevant for older adults
16 but, nonetheless, it is a special warning.

17 Since the drug approval through November
18 2004 there have been no pediatric adverse event
19 reports reported for glyburide-metformin. There have
20 been no pediatric reports during the exclusivity
21 period. This list the top 20 most common event
22 reports in the adults in the post-exclusivity period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Most of the adverse events, once again, do appear to
2 be related to labeled events.

3 You guys may think differently but due to
4 the fact that it's not used much in children and there
5 are not very many reports, we thought this would
6 complete the adverse event reporting for the drug and
7 would not recommend special monitoring but would want
8 it to return to routine monitoring. But we're
9 interested in your concurrence and if you have any
10 questions. Once again, I do want to thank all these
11 folks that were involved.

12 CHAIR CHESNEY: Thank you, Dr. Sachs.
13 Let's take the easiest route first and talk about
14 Glucovance. Does anybody have specific questions
15 about Glucovance in particular with respect to the
16 recommendation to return to regular AERS monitoring?
17 No questions. Does anybody disagree with the
18 recommendation to return to routine AERS monitoring?
19 Thank you.

20 Now, questions for Xenical. The
21 recommendation here is that they continue to actively
22 monitor all pediatric adverse event reports for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 another year, for two years post-exclusivity and to
2 bring it back to the committee. Any questions?
3 Anybody not agree with that recommendation?

4 DR. SACHS: For Glucovance we'd like it to
5 return to the routine monitoring but for orlistat we
6 actually would like to continue monitoring it.

7 CHAIR CHESNEY: I think I asked if anybody
8 disagreed with the recommendation on Glucovance which
9 was to return to routine monitoring and nobody put up
10 their hand.

11 DR. O'FALLON: It's okay with me, you
12 know. If you think this is simply never used in kids
13 essentially, very, very rarely used in kids, then the
14 fact that we have almost no information about it
15 probably doesn't matter. But if we really think that
16 it's going to be used in kids, then we don't have very
17 much information about it. I'm not a medical doctor.
18 I'm just a statistician but, you know, how you cut
19 your data makes a difference to me.

20 DR. SACHS: It's truly hard to know if we
21 monitor it for another year if there's been no reports
22 and there's minimal use that there will be anymore

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 reports or anymore use. It really depends on how you
2 look on this one.

3 DR. MURPHY: And also it tells you that we
4 looked at it and we don't see any benefit from the
5 combination product. We're hoping there will be
6 little use. It doesn't mean that but, I mean, we're
7 saying right now we don't have a valid reason to go
8 forward to say we should focus on this. Considering
9 the limited resources you've got to try to focus on
10 the ones that you think might yield something.

11 CHAIR CHESNEY: You've actually had no
12 reports since July of 2000.

13 DR. MURPHY: Right, in peds.

14 CHAIR CHESNEY: Does anybody disagree with
15 the recommendation to return to routine AERS
16 monitoring for Glucovance? Dr. Bier.

17 DR. BIER: I'm not sure I disagree with it
18 but I'm having a problem with our consistency or, at
19 least, my consistency on this. If there's no
20 information for the first two drugs, I mean, how come
21 there's no information for Glucovance? I would say
22 that we really don't know whether the use is going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 increase or decrease. With the increasing prevalence
2 of adolescent obesity, I think there's a major thrust
3 among pediatric endocrinologists to start considering
4 the use of these drugs. I would argue that the use is
5 likely to go up.

6 DR. MURPHY: The combination product. You
7 think the combination product will go up?

8 DR. BIER: That's hard to answer I think.
9 It's more likely to be a single drug.

10 DR. MURPHY: Right.

11 DR. SACHS: And, remember, it still gets
12 monitored. It's just whether or not we really go
13 through it like this and formally report.

14 CHAIR CHESNEY: Dr. Moore.

15 DR. MOORE: If you just look at the data
16 that's provided here there is something on the order
17 of 7 million prescriptions written and .6 percent of
18 them or so are pediatrics. That means there are
19 42,000 or so prescriptions for this drug in the
20 pediatric population. Given that as a background,
21 there are no events reported. I mean, I don't think
22 we're entirely working with no data here. Minimal use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 is -- this is probably being used a lot more than the
2 first two drugs we discussed.

3 DR. MURPHY: And it has new labeling which
4 is not encouraging so I guess that's what we're
5 saying. We do have some information. We don't see
6 anything -- we have new labeling that says we don't
7 see any benefit of this combination. We just feel
8 that this is not the area to focus on.

9 CHAIR CHESNEY: Okay. Let me just ask one
10 more time does anybody not agree with the agency's
11 recommendation for Glucovance? Okay. So we agree
12 with that. Now we will return to Xenical. Here the
13 agency is recommending that there be continued focus
14 on pediatric, continued attention to all pediatric
15 case reports for another year to bring it to two years
16 post-exclusivity and then report back to the
17 committee.

18 Does anybody feel that is not warranted,
19 that they could return to their routine AERS
20 reporting? And are there any questions about Xenical?

21 About the presentation. Okay. So just one more
22 time, nobody disagrees -- is there anybody who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 disagrees with the agency's recommendation for
2 Xenical?

3 DR. SACHS: Thank you all very much.

4 CHAIR CHESNEY: We're just debating here
5 whether to take a break at this point and then hear
6 the next two reports and move into the other session.

7 Does anybody have any strong feelings about that? We
8 don't have anybody scheduled for the open public
9 hearing which might mean we have to go a little bit
10 longer. All right. Why don't we take a break for 10
11 minutes and be back here at 4:00. Thank you.

12 (Whereupon, at 3:52 p.m. off the record
13 until 4:03 p.m.)

14 CHAIR CHESNEY: If I can just ask. Nobody
15 has signed up for the open public hearing. Is there
16 anybody that would like to make any comments who has
17 not signed up? All right. Thank you. We're going to
18 change the format for the next two talks slightly in
19 that we'll have questions and answers for the
20 atovaquone-proguanil presentation right after the
21 presentation because somebody who may want to comment
22 does have to leave early. If Dr. Shapiro is here, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 can proceed with the next drug.

2 DR. IYASU: I'll just briefly introduce
3 Dr. Shapiro. He's a pediatric infectious disease
4 specialist with a Ph.D. in biochemistry. His past
5 research includes work in immunology, infectious
6 disease and molecular pharmacology. He's also had
7 training in pediatric nephrology and medical genetics.

8 Dr. Shapiro has been with the Division of Pediatric
9 Drug Development for over a year working as a medical
10 officer.

11 DR. SHAPIRO: Thank you, Solomon. I would
12 like to continue on with talking about the adverse
13 events for atovaquone-proguanil. Atovaquone-proguanil
14 comes in two different formulations, Malarone and
15 Malarone Pediatric. Malarone is approved for the
16 treatment and prophylaxis of plasmodium falciparum
17 malaria. It was originally approved in July of 2000.

18 The sponsor was granted pediatric exclusivity in
19 August of 2003.

20 Now to go on to the drug use trends.
21 Malarone accounted for a little more than 5 percent of
22 the approximately 3.7 million prescriptions dispensed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 for anti-malarials in the year following the granting
2 of exclusivity. Dispensed prescriptions for Malarone
3 Pediatric increased 34.5 percent during the time after
4 the granting of exclusivity compared to the year
5 prior. Pediatricians were responsible for
6 approximately 40 percent of the Malarone Pediatric
7 prescriptions.

8 Now, going on to the pediatric exclusivity
9 studies, I would like to describe the three different
10 trials. The first trial was a treatment trial
11 involving 200 patients which compared the safety and
12 efficacy of atovaquone-proguanil to amodiaquine and
13 the treatment of acute uncomplicated plasmodium
14 falciparum malaria in patients weighing 5 to 11
15 kilograms.

16 The results of this trial were that there
17 was an adequate clinical response obtained in 95
18 percent of the patients treated with atovaquone-
19 proguanil versus 53 of the patients who were treated
20 with amodiaquine. I need to mention that in the U.S.
21 there are other comparatives that could have been used
22 in addition to amodiaquine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 The second trial was a malaria prophylaxis
2 trial consisting of 330 patients. This was a double-
3 blind placebo-controlled study evaluating the safety
4 and efficacy of atovaquone-proguanil in the prevention
5 of plasmodium falciparum malaria in an endemic area in
6 pediatric patients weighing 11 to 40 kilos.

7 The method works like this. These
8 patients were diagnosed with plasmodium falciparum
9 malaria. They were treated with artesunate and then
10 following artesunate therapy, then they were
11 randomized to atovaquone-proguanil or a placebo.

12 Now, the results of this trial was that
13 less than 1 percent of the patients treated with
14 atovaquone-proguanil for prophylaxis had a treatment
15 failure as compared to 22 percent of the untreated
16 patients.

17 The third trial with another malaria
18 prophylaxis trial involving 221 patients, this was an
19 international open-label randomized trial to compare
20 atovaquone-proguanil to chloroquine-proguanil in the
21 prevention of malaria and nonimmune pediatric patients
22 weighing 11 to 50 kilograms fell into an endemic area.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 One thing to note that in the results of
2 the study there were no malaria cases in either arm of
3 the study and the study was not large enough.
4 Therefore, we could not make statements of comparative
5 efficacy.

6 Now, labeling that resulted from these
7 exclusivity studies was, first, the inclusion of
8 pharmacokinetic clearance data as a function of body
9 weight for patients weighing 11 kilos and greater. It
10 also extended labeling of atovaquone-proguanil down to
11 5 kilograms for the treatment of acute uncomplicated
12 P. falciparum malaria and added additional safety data
13 for these patients.

14 Also, relevant safety data that resulted
15 from this was that the most commonly reported adverse
16 events attributable to atovaquone-proguanil for the
17 treatment of malaria was diarrhea in patients 5 to
18 less than 11 kilograms, and vomiting and pruritis for
19 patients 11 to 40 kilograms.

20 In the prophylaxis trial the most commonly
21 reported adverse event attributable either to
22 atovaquone-proguanil placebo was headache, fever, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 abdominal pain. In other prophylaxis trials
2 treatment-emergent events included abdominal pain and
3 vomiting, headache and cough.

4 Now, one thing to do we always look at
5 post-marketing to see if things come up in the way to
6 look at safety and one of them was for cutaneous
7 reactions including rash and photosensitivity and
8 urticaria were reported. Also there was rare cases of
9 erythema multiform and Stevens-Johnson syndrome. In
10 the central nervous system one thing we do take a note
11 of is that there were rare cases of seizures and
12 psychotic events such as hallucinations but the causal
13 relationship has not been established.

14 As part of our adverse report, as you
15 know, we take two periods, the period since marketing
16 approval and look at it for atovaquone-proguanil. The
17 total number of reports for all ages was 293 reports
18 of which 240 were serious and included six deaths. In
19 the pediatric reports there were 17 reports of adverse
20 events of which 15 were serious and there was two
21 unduplicated reports of patient death.

22 Now, to go on to the pediatric deaths that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were reported prior to post-exclusivity period. I
2 should note that both of these deaths occurred while
3 on treatment for plasmodium falciparum malaria. The
4 first patient with a 14-month-old was severe anemia,
5 three days of presumed fever, and hepatosplenomegaly.

6 The patient was treated with chloroquine
7 and paracetamol for two days, had a moderate parasite
8 count and hematocrit of 12 percent. This patient
9 subsequently received two days of atovaquone-proguanil
10 and became dyspneic with increasing anemia and severe
11 hypoglycemia.

12 The patient was placed on oxygen and died
13 before receiving a blood transfusion. This death was
14 presumed to be due to severe malarial anemia and
15 hypoglycemia but a causal link to atovaquone-proguanil
16 could not be excluded.

17 Now, on the second patient also occurred
18 prior to the post-exclusivity period with a 22-month-
19 old with severe anemia, five days of presumed fever,
20 anorexia, occasional vomiting, and tachycardia. That
21 patient was treated with chloroquine and paracetamol
22 for three days, had a moderate parasite count and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 hematocrit of 14 percent.

2 This patient received one dose of
3 atovaquone-proguanil and subsequently this patient
4 deteriorated and died 45 minutes after that dose.
5 This death was presumed to be due to severe malarial
6 anemia but a causal link to atovaquone-proguanil could
7 not be excluded.

8 Now, going to the adverse events during
9 the one-year post-exclusivity period. This is, again,
10 reports for all ages. There was 122 reports of which
11 89 were serious and there were no deaths. The
12 pediatric reports were seven adverse event reports of
13 which six were serious and there were no deaths.

14 Now, we did summarize here the top 10
15 reported adult adverse events during the one-year
16 post-exclusivity period which are listed below. The
17 ones that are underlined are the ones that are not
18 described in the label.

19 Now, to go onto the pediatric adverse
20 events during the one-year post-exclusivity period,
21 actually there were five unduplicated pediatric
22 reports of patients on atovaquone-proguanil for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 malaria prophylaxis. The first four cases here are
2 allergic type of reactions which included facial
3 edema, blepharitis, pruritis urticaria, and acute
4 psoriaform reaction. I should also mention that the
5 patient with acute psoriaform reaction had increased
6 transaminase.

7 Now, let's go on to the fifth case. This
8 is a 16-year-old who was on atovaquone-proguanil for
9 19 days for malaria prophylaxis. One to two days
10 after completing the prophylaxis the patient woke up
11 with blurry vision and was unable to see three inches.

12 This patient saw the primary medical doctor, an
13 ophthalmologist, and a retinal specialist and was
14 given prescription glasses. But this case was
15 reported by a nonhealth professional who described the
16 patient as being "legally blind."

17 The ophthalmologist diagnosed them with
18 acute myopia, possibly a drug effect. The retinal
19 specialist noted retinal striae in both eyes. I
20 should mention that the acute myopia resolved after
21 one week with this patient.

22 Now, to summarize for the pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 adverse events in the way of eye disorders, current
2 labeling for atovaquone-proguanil derived from the
3 results of the adult malaria prophylaxis trial lists
4 visual difficulties in 2 percent of the patients on
5 atovaquone-proguanil versus 3 percent of the patients
6 on amodiaquine.

7 Since marketing approval there have been
8 post-marketing adverse event reports of adults with
9 visual blurring, eye pain, eye swelling, and eye
10 disorders. Hypersensitivity including cutaneous
11 reactions have been addressed in current labeling.
12 Elevation of transaminase associated with the
13 treatment of malaria have also been described in
14 current labeling.

15 Now, summary. This completed a one-year
16 post-exclusivity adverse event monitoring as mandated
17 by the Best Pharmaceuticals for Children Act. The
18 Office of Pediatric Therapeutics recommends that this
19 drug return to FDA's routine monitoring of adverse
20 events. We ask that you, the Advisory Committee, do
21 concur with this recommendation.

22 I would like to acknowledge the members of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Office of Drug Safety, the Division of Special
2 Pathogens and Immune Drug Products, and the Office of
3 Regulatory Policy for their assistance and their work
4 in helping me develop this presentation.

5 CHAIR CHESNEY: Thank you, Dr. Shapiro.
6 I'm always glad when people within the FDA don't know
7 what all these initials stand for. It seems
8 overwhelming to those of us on the outside.

9 Any questions for Dr. Shapiro about this
10 drug for treatment of prophylaxis of malaria? No
11 questions. Is there anybody on the committee who
12 disagrees with the FDA's recommendation for this drug
13 which would be to return to routine nonpediatric
14 focused AERS reporting? Nobody disagrees? My
15 goodness. Thank you.

16 We'll go on to your next drug.

17 DR. SHAPIRO: Okay. Great. Going on to
18 nelfinavir, please. I would like to describe the
19 adverse events for nelfinavir mesylate. Nelfinavir,
20 also known as Viracept, is an HIV protease inhibitor
21 which was approved in 1997. The indication is for the
22 treatment of HIV infection for patients two years and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 older. The sponsor was granted pediatric exclusivity
2 in September of 2003.

3 Now, to go on to drug trends in out-
4 patient setting, nelfinavir accounted for
5 approximately 16 percent of the 1.9 million
6 prescriptions for HIV protease inhibitors prescribed
7 in the U.S. during the period after exclusivity was
8 granted.

9 Dispensed prescriptions for nelfinavir
10 decreased approximately 22 percent during the year
11 after exclusivity was granted as compared to the year
12 prior. Pediatricians were responsible for only 3
13 percent of the prescriptions of nelfinavir dispensed
14 in the U.S. during the period after the granting of
15 exclusivity.

16 Now, to go on to the pediatric exclusivity
17 studies for nelfinavir. There were five trials and
18 greater than 400 HIV-infected patients from birth to
19 17 years of age after examining pharmacokinetics
20 safety and activity of nelfinavir mesylate.

21 One thing I should note is one thing that
22 complicated the trial was the highly variable drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 exposure which was concerned because this was
2 considered to be secondary to difficulties due to
3 adherence and the problem with adequate food intake in
4 this population. I should note that this drug must be
5 taken with food to allow for proper absorption.

6 The response rate in children less than
7 two years of age appeared to be less than that of
8 patients two years and older in some of the studies.
9 This led to revised dosing recommendation for
10 pediatric patients two years and older and it was not
11 recommended to be used for patients younger than two
12 years.

13 Labeling changes that resulted from these
14 exclusivity studies included inclusion of
15 pharmacokinetic data for pediatric patients one week
16 to 13 years of age demonstrating this variable drug
17 exposure. For children two years and older the dosing
18 changed from 20 to 30 milligrams three times a day to
19 25 to 35 milligrams per kilo three times a day or 45
20 to 55 milligrams per kilo twice a day and a modified
21 dosing chart was added to the label. Also,
22 the safety data base was expanded from 38 patients to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 approximately 400 and the label listed the most common
2 pediatric adverse events.

3 Now, to go on to relevant safety labeling.

4 The most common reported treatment of emerging
5 adverse events with the treatment of nelfinavir were
6 diarrhea, leukopenia/neutropenia rash, anorexia, and
7 abdominal pain. Diarrhea regardless of the
8 relationship to the study drug was reported in 39 to
9 47 percent of pediatric patients receiving nelfinavir
10 in two of the larger pediatric treatment trials.

11 Leukopenia/ neutropenia was the laboratory
12 abnormality that was most commonly reported as a
13 significant event across the pediatric studies.

14 Going on to the adverse event reports
15 dealing with the period after market approval. This
16 is for all ages. There was approximately 3,300
17 reports of adverse events of which 3,200 were serious
18 and there were 417 deaths. Pediatric reports had 377
19 adverse event reports in which 374 were serious and
20 there were 19 deaths.

21 Now, in the post-exclusivity period total
22 number of reports for all ages, there were 269 reports

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 of adverse events of which 264 were serious and there
2 were 33 deaths. Going on to the pediatric reports,
3 there were 30 reports, all were serious, and this
4 included two patient deaths.

5 Now, the most commonly reported adult
6 adverse events during this one-year post-exclusivity
7 period are listed below. The underlying adverse
8 events are not described in nelfinavir's label.

9 Now, going on and discussing the pediatric
10 adverse events for nelfinavir we need to distinguish
11 two types of exposures. First is direct exposure.
12 These are patients with either suspected or actual HIV
13 who are being treated with anti-retrovirals. One
14 thing is that nelfinavir is used in combination with
15 other anti-retrovirals so it's very hard to attribute
16 causality to the adverse events. The second
17 type of exposure is indirect. This is occurs in-utero
18 during pregnancy for HIV positive moms who are on
19 anti-retroviral therapy.

20 As you know, the exposed infants may or
21 may not be HIV infected and that most newborns receive
22 anti-retroviral prophylaxis following delivery. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 may complicate the interpretation of adverse events
2 associated with in-utero exposure. I also
3 wanted to mention that there has been a possible
4 association with combination anti-retroviral therapy
5 and premature delivery.

6 Now, the pediatric adverse events now
7 dealing with direct exposure during the one-year post-
8 exclusivity period. This includes three patients with
9 all these adverse events listed below. As you can
10 see, most of them are unlabeled and they are
11 underlined because they are not described in
12 nelfinavir's label.

13 I would like to discuss one of the adverse
14 events which was a pediatric death in a directly
15 exposed patient. This was a 60-week old HIV positive
16 toddler who was an ex-30 week preemie who had been on
17 open-label trial consisting of stavudine, didanosine
18 and nelfinavir.

19 This patient had two episodes each -- at
20 least two episodes each of bronchiolitis and suspected
21 arthritis. This patient died secondary to respiratory
22 distress due to bronchiolitis obliterans when being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 hospitalized for suspected arthritis.

2 Now, going on to pediatric adverse events
3 from the other type of exposure which is in-utero
4 exposure during the post-exclusivity period. The most
5 common reported adverse events were prematurity, birth
6 by C-section, metabolic derangements, gastroesophageal
7 reflux disease, and patent ductus arteriosus.

8 One thing I want to get back is we talked
9 before about prematurity. There's a possible
10 association with combination anti-retroviral therapy
11 but also these moms are not well. Many of them are
12 sick and any mother with chronic disease has a higher
13 incident of premature delivery.

14 Also, C-section delivery is used most
15 commonly as a means to try to minimize the risk of HIV
16 transmission and increased latex acid is seen on those
17 patients who are on nucleoside reverse transcriptase
18 inhibitors which could either be the mom or the
19 patient itself.

20 I want to also discuss the one pediatric
21 death that was associated with in-utero exposure.
22 This is a term infant born to a mother who discovered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 her HIV positive status in the third trimester. The
2 mother was started on zidovudine, lamivudine, and
3 nelfinavir two weeks prior to delivery. This baby was
4 delivered by C-section with an Apgar of 10.

5 The infant received two does of zidovudine
6 post-pardum and was found dead at 20 hours of life.
7 Radiographic studies, cerebral spinal fluid cultures
8 and electrolyte labs were normal. Blood lactate level
9 was slightly elevated and anemia was also noted. This
10 patient's HIV/PCR of the blood was negative. The
11 autopsy was consistent with asphyxia and the
12 relationship to drug exposure is unclear with this
13 patient.

14 Now, to summarize the safety information
15 for nelfinavir. No consistent safety signal has been
16 identified in the three reported pediatric adverse
17 event cases. These are the ones due to direct
18 exposure. Prematurity was the most common adverse
19 event observed in infants with in-utero exposure and,
20 as has been discussed, has been reportedly associated
21 with combination anti-retroviral therapy during
22 pregnancy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 This completes the one-year post-
2 exclusivity adverse event monitoring as mandated by
3 the Best Pharmaceutical for Children Act. FDA
4 recommends routine monitoring of adverse events for
5 this drug in all populations. Does the Advisory
6 Committee concur?

7 I would like to acknowledge the following
8 listed individuals whose work helped in the
9 preparation for this presentation. Thank you.

10 CHAIR CHESNEY: Thank you, Dr. Shapiro. I
11 have one question. In your last slide no consistent
12 safety signal identified in the three reported
13 pediatric adverse event cases. But on slide eight it
14 has 30 serious and two deaths. Which were the three
15 that you were referring to in your last slide?

16 DR. SHAPIRO: Okay. These reports include
17 both the direct and indirect exposure. You have the
18 three direct exposures and then you have the 24 so
19 they make up the 27 unduplicated reports.

20 CHAIR CHESNEY: So the three in your
21 summary slide are direct.

22 DR. SHAPIRO: Direct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CHAIR CHESNEY: And the 27 are indirect.

DR. SHAPIRO: No. There were 27 unduplicated reports.

CHAIR CHESNEY: Oh, I see. Yes.

DR. SHAPIRO: Three were the direct exposure and 24 were the in-utero exposure.

CHAIR CHESNEY: Thank you.

Other questions for Dr. Shapiro?

DR. MURPHY: We don't want to keep the numbers the same so you might get it unconfused.

CHAIR CHESNEY: Thank you for that. No other questions and your recommendation is that this be returned to routine monitoring.

DR. SHAPIRO: Correct.

CHAIR CHESNEY: Does anybody on the committee disagree with returning to routine monitoring for this drug?

DR. SANTANA: Can I ask a question before we answer that? I'm sorry, John. The issue of the decreasing prescriptions is that because this drug is now one of those that's included in these new pills that have two or three drugs and, therefore, the

1 actual usage of the drug is still high but the
2 individual prescription for the individual drug is
3 low?

4 DR. SHAPIRO: I think we're only looking
5 at -- nelfinavir is just one of the protease
6 inhibitors and there are other protease inhibitors
7 that have come on-line that people are using more
8 commonly. Also people are going to protease-bearing
9 regimes like the non-nucleoside reverse transcriptase
10 inhibitors which some people want to save the protease
11 for later because they are worried about resistance.

12 DR. SANTANA: So you think this decrease
13 is real then?

14 DR. SHAPIRO: Yes.

15 CHAIR CHESNEY: I think you have a
16 consensus to go along with your recommendation.

17 DR. SHAPIRO: Okay. Thank you.

18 CHAIR CHESNEY: Thank you very much. Now
19 I think we turn to the heart of the matter. Dr. Iyasu
20 is going to start us off.

21 DR. IYASU: Okay. I know you've had a
22 very busy day and I'll try to go through this quickly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 but with some detail. We want to ask you for some
2 advice on a very important topic. You have already
3 raised some of those questions that we are concerned
4 about. I want to give you a little background.

5 You've heard this before but this mandated
6 report which is post-exclusivity for one year is under
7 BPCA. There is a specific section for it. And there
8 is a rationale that we think is a basis for this.
9 Exclusivity is granted to a drug and you must know
10 that it's not based on approved indication.

11 It's just that they have done the studies
12 so the thinking is that once approved and there is an
13 indication there will be a potential for increased use
14 in the pediatric population and, therefore, there
15 might be more post-marketing reports related to this.

16 The mandate also says that we have to
17 report a summary of our review for one year to the
18 pediatric Advisory Committee. The committee kind of
19 advise us as to what to do with the reports. I want
20 to give you a little history of how we came from the
21 enactment of this law in 2002 January.

22 We developed an internal process. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 includes really not just the pediatric group but this
2 is really a CDER activity which includes also the
3 Commissioner's Office now, which is OPT. We developed
4 an internal process and template for the review
5 process where the Office of Drug Safety, which is
6 within CDER, does the detailed evaluation of the drug
7 use in the pediatric population and produces a report.

8 The same office but a different division
9 within the same Office of Drug Safety reviews the
10 adverse event reports for the one year period. They
11 try to look at it from the perspective of pediatrics
12 but also are in a position to evaluate what is
13 reported in adults.

14 The Division of Pediatric Drug
15 Development, which is working with the Office of
16 Pediatric Therapeutics under which this activity
17 really falls, prepares the background materials for
18 these meetings, evaluate and synthesize all the
19 different pieces of information regarding a particular
20 drug which includes the adverse event reviews,
21 clinical pharmacology and tox reviews, and also the
22 literature if there are any reports of safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 literature for this particular drug, and then puts
2 together this presentation for the public discussion.

3
4 Over the last two years we have made some
5 enhancements to what we actually report to you from
6 just slide presentations that we used to do on the
7 adverse events. Now we have been including the
8 primary reviews that you get instead of the secondary
9 reviews so you have more information about each of the
10 drugs, the use information and the detailed analysis
11 that is produced by the Office of Drug Safety.

12 We provide you also in your package
13 written summary of the clinical and pharmacology and
14 toxicology review of the exclusivity studies so you
15 have some background about where the genesis is for
16 this drug. Then the slide presentations that I have
17 prepared for you and for the public presentation.

18 We've also improved the timeline for
19 providing the information. We give you the background
20 materials ahead of time now. We also provide the same
21 to the sponsors in terms of the slide presentation.
22 We try to give them 72 hours ahead of time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 So far this is our 6th pediatric Advisory
2 Committee meeting plus the precursor which is the
3 subcommittee. From 2003 to 2005 we've had 34 drugs
4 now presented for public discussion. There have been
5 important discussions pertaining to several areas
6 here.

7 You will recall that there was a
8 discussion of neonatal withdrawal syndrome, toxicity
9 associated with maternal exposures to SSRIs and you
10 gave us some very good advice regarding that.

11 Suicidal behavior from anti-depressant
12 medications and you've had two meetings on this
13 subject.

14 Although the detection of the signals for
15 suicidality were not coming primarily from post-
16 marketing adverse event reports, the emergence of
17 those and the reporting of those events in the post-
18 marketing arena did accelerate the timeline for review
19 of the clinical trials and they were important in
20 focusing the discussion about what might be the
21 potential risks with exposure to these drugs.

22 We've had a discussion about pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 deaths from inappropriate use of fentanyl transdermal
2 patch and you gave us very good advice on this. There
3 has been new labeling added to address issues you
4 discussed about the appropriate use of this
5 medication, the definitions about what we mean by
6 opiate tolerant patients, what situation and what
7 dosing to give.

8 There is also a risk minimization plan
9 initiated. There has been, I think, very good safety
10 information that has been generated from this limited
11 review. There's a lot more that we can do but getting
12 at least some information has been helpful from this
13 review process. I know I understand their
14 frustration. We are as frustrated as you are with the
15 limitations of data.

16 A few months ago we asked you for feedback
17 about the BPCA-mandated post-marketing adverse event
18 reporting: What are the areas that we need to improve
19 on? What kind of information would you like to see:
20 Were the format and the presentations useful in
21 helping you assess a particular safety risk in
22 pediatrics?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

And you gave us some very good feedback. Some of them very challenging. Some of them easy to fix but a lot of them aren't and many of the questions that you are raising today as well. I grouped them into four areas and one big area is about measuring exposure. How many pediatric patients are treated and how many are exposed during a particular year. That is a very big issue.

The next issue is about the numerator data. In order to be able to calculate reporting rates or any event rates you need a good numerator. That pertains to the adverse event reporting that we have. We listened to the limitations of this system as well, significant under-report, poor quality of reports, and then variation in the number of reports that you get as the drug has stayed on the market for a long time.

I think as physicians we are responsible for some of these issues because we don't report as many as should be reported. There are many issues that need to be addressed to try to increase the number of reports and the quality of reports.

1 There was also a category of comments we
2 got on it's time to do active surveillance. I'll talk
3 about that a little bit later. Then there was some
4 issue also that came from the sponsor. There are four
5 areas mainly I just want to summarize.

6 With respect to denominator data, exposure
7 problems, really the comments that were received were,
8 you know, but the lack of information about the event
9 rates or information about background rates because
10 you need to do a comparison to be able to assess
11 whether a particular safety issue is significant. You
12 need to calculate that and in order to do that you
13 need to have a good denominator and also a good
14 numerator.

15 Background rates you have to have for
16 comparison. You might think that there is actually a
17 lot of information about background rates for
18 particular events with respect to a particular
19 indication or with a particular drug with a particular
20 disease condition. But there isn't really a whole lot
21 of good information when you talk about pediatrics.
22 You can deduce some of this from the literature but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 you don't get exact information.

2 Then the other issue was how do you
3 present the data in terms of measures of risk whether
4 it presented in terms of excess risk, risk ratios,
5 rate ratios, or pediatric-to-adult ratios, or p-
6 values. These are all very good statistical issues to
7 address. But I would like to first certify I had the
8 right data. I wouldn't want to use such p-values or
9 confidence intervals if I don't have good data because
10 it's just misleading.

11 So when you have good data I think it's a
12 good idea. We are trying to address those issues but
13 it really falls around the area of denominator data
14 problems that we have. It's an agency-wide problem.
15 It's actually a national issue because we don't have
16 good measures of drug exposure.

17 What we have tried to do in terms of
18 looking at pediatric issues, we now have quite a large
19 pediatric inpatient database. Our inpatient database
20 was CHCA prior to this. We have shifted to another
21 database which is bigger. It includes a large number
22 of pediatric and nonpediatric hospitals. We still are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 in the process -- we need to evaluate whether the data
2 can actually be projected nationally for pediatrics.
3 That's an area that we need to work on.
4

5 We also looked at the earlier database
6 that we had with respect to whether there is a
7 feasibility to projecting the inpatient data from the
8 29 hospitals to the nation. There were obviously
9 limitations as to whether it's possible to do that. I
10 don't have much time to go into the details of it but
11 I think what I can say now is that we have determined
12 that using the CHCA data for developing a methodology
13 to protect the data nationally has serious
14 limitations.

15 We have continued also to maintain in the
16 Office of Drug Safety access to the multiple data
17 sources that we have including IMS Health, Caremark,
18 and other used databases. They have their own sets of
19 limitations with respect to measuring frequency of use
20 of medications in children. IMS does not have
21 demographic data so we can't really sort out the
22 pediatric outpatient use. We can only estimate it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 based on other proportions that we developed from
2 other datasets.

3 We are also working with NIH. We will
4 participate in their efforts to get access and develop
5 the databases that would help measure frequency of
6 outpatient medication use using Medicaid or HMO or a
7 pharmacy benefit organization. They have some
8 projects that they are developing that would help us
9 assess some of these data and the frequency of this
10 data.

11 Now, the next area was enumerator which is
12 what we discussed today in the morning as well as also
13 a number of the issues came up today. One specific
14 area that came from the feedback was standardizing the
15 adverse event coding across programs to enable pooling
16 of safety data for analysis.

17 This morning you heard the coding that is
18 done under MedRA which is really a standard coding
19 package for post-marketing reports. It's not required
20 yet for NDA or IND. We use it routinely in trying to
21 assess post-marketing reports so there is a system.
22 Whether that would transfer to the trial data and make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 it a requirement is another issue that maybe Min would
2 talk about later.

3 There was also a comment about grading
4 system for serious adverse events so that we can
5 follow up on the cases for more additional
6 information. That is actually a routine part of what
7 the Office of Drug Safety does. When there is
8 inadequate information there is a follow-up that's
9 needed and there are opportunities to call the
10 reporter but additional information may not always
11 forthcoming. The companies do have a lot
12 of information. We often go to the companies for
13 additional information and they do provide that.

14 We have also tried to do our reviews
15 better in the Division of Pediatric Drug Development.

16 Our medical officers have been trained now in looking
17 at AERS database. We are trying to make statisticians
18 out of them and computer programmers out of them.
19 They are able now to search for specific terms by drug
20 for drugs that are assigned to them.

21 Instead of waiting a year to look at what
22 the reports are, we can actually continuously look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 them on a quarterly basis so we can pick up early
2 signals of concerning serious adverse events before
3 the 15 months or so that it takes to produce these
4 reports that we provide to you. So we are
5 also able to do hands-on review of the case reports.
6 We can print them and get them from Office of Drug
7 Safety.

8 Now the big issue about active
9 surveillance. Everybody says we need to have active
10 surveillance. We all agree conceptually this is the
11 best way to develop a good handle on the adverse
12 events and also a good handle on the exposure so you
13 have both sets of data to be able to collect and at
14 least address the issue of safety. Not just in
15 pediatrics but also in all populations.

16 There was a suggestion also about building
17 on existing systems but existing systems are all
18 either specific to certain class of drug. There are
19 some active systems like the pregnancy registries or
20 anti-epileptic drug exposures during pregnancies, sort
21 of active surveillance system going on for that.
22 There are many like that which are sort of specific to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 certain drugs or certain outcomes.

2 But the whole issue of active surveillance
3 really is a topic that has come to get some attention
4 and it's under consideration by the agency. There
5 isn't really a whole lot I can say about that but it
6 is something that has come of age that we need to get
7 some additional feedback from you as to how we want to
8 focus it or what the scope should be.

9 We had some comments also from the
10 committee about the sponsor issues mainly around the
11 issues of sharing the safety reviews with sponsors
12 early so that there is really feedback from the
13 sponsors as well. There was one suggestion that said
14 we should have a pre-AC meeting, pre-Advisory
15 Committee meeting, to discuss some of those issues.

16 What we are doing now is that sponsors are
17 notified that the drug is actually going to be
18 discussed at the Advisory Committee meeting one to two
19 months prior to the meeting date. Sponsors are
20 receiving copies of the slide presentation at least
21 three days before the PAC meeting. We'll
22 have some communication with them and sponsors have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been responsive and given us some additional
2 information that was not in the AERS reports. It has
3 been useful to some extent.

4 Okay. So where do we go from here? We
5 have been thinking about this area in Office of
6 Pediatric Therapeutics as well as in the pediatrics
7 area here. We will try to present in the next 10
8 minutes some suggestions, some options for discussion.

9 This is sort of a work in progress. So that we can
10 get some reaction from you we wanted to start the
11 discussion. We have some specific questions at the
12 end of my presentation that we would like feedback on
13 from you.

14 We have divided it up into two parts, with
15 current resources what we can do and with additional
16 resources what are some of the things we can do. The
17 options that we have here are not limited to what I
18 have. This is really to start the discussion.

19 Now, we have been giving you sort of full-
20 fledged presentations on the post-exclusivity adverse
21 event report for these drugs. Some of them give you a
22 lot of detail and there is no safety concern. We are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 just doing the mandate. It is a mandate. Remember
2 it's a mandated activity for FDA.

3 So what we're proposing is when there is
4 no safety signal that is detected or of concern, which
5 means there are no AEs reported during that reporting
6 period like what we've had with some drugs today, or
7 reported AEs raise no potential safety concern. All
8 events that have been reported are labeled or there is
9 no increase in frequency or severity of a labeled
10 event.

11 Then what we do is provide you
12 with an abbreviated written summary report instead of
13 taking you through this whole presentation and, of
14 course, give you the background package as well.
15 We'll still do the reviews. We just don't present it
16 un public because there isn't really any additional
17 information that we can provide or there isn't a whole
18 lot to discuss.

19 What we feel is that when there is no
20 safety signal detected we will give you the abbreviated
21 written summary, maybe a slide summarizing what the
22 adverse event review says and then the background
materials you will have in your package -- if you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 questions based on reading the background materials,
2 we can still have a discussion. It's your
3 prerogative, I guess.

4 When there's a possible safety signal
5 detected, which means there's an increase in frequency
6 or severity of unexpected adverse event, or there is
7 an unexpected serious unlabeled adverse event, or
8 there are some events that are completely unique to
9 pediatric patients that have not been seen in adults,
10 then we'll do an in-depth background safety review and
11 then do a full-fledged presentation and a public
12 discussion of the findings.

13 We found this to be the most efficient use
14 of the time that we have for public discussion that we
15 don't go through a whole litany of drugs. You are all
16 very busy but we want to use your expertise where we
17 feel that we need it and that we really feel we need
18 the feedback on. We will be asking you the question
19 later on whether this format is agreeable to you for
20 future presentations whether it's the June meeting or
21 the fall meeting.

22 The full public presentation will include,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 again as I stated, the drug use, reported adverse
2 events, pediatric exclusivity studies, review of the
3 literature, and when possible and we have the data an
4 analysis of event incidence rates like what you're
5 requesting, also reporting rates, and background
6 rates.

7 That would be mostly coming from the
8 literature and we will have a discussion of biologic
9 plausibility as a discussion point and present that to
10 you so that you can take that into consideration. I
11 think this is one of the comments that we got from the
12 committee.

13 Other options that we are considering is
14 really a communication issue about dissemination of
15 what we get from the safety reviews. What we are
16 proposing is to post a summary of the safety findings
17 and outcome of these meetings on the Office of
18 Pediatric Therapeutics webpage so that people have
19 easy access to the safety signals that might have been
20 detected and the outcomes, the recommendations that
21 came out of the committee.

22 For example, when you had the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendation about the fentanyl transdermal we would
2 put that information on this communication website.
3 We want to develop linkage to relevant reviews and
4 labels so that you have easy access and the public has
5 easy access to the information instead of going to
6 different websites.

7 And we also want to publish an annual
8 summary of the BPCA-mandated safety review results,
9 what the police would have been able to garner from
10 these reviews in peer-reviewed journals so that the
11 broader professional community has access to what
12 we're doing in these reviews.

13 Then I want to go now to the area where of
14 what is possible to consider, or potential programs
15 with additional resources. One suggestion that also
16 was reflected in the feedback is the active post-
17 marketing drug surveillance.

18 There are other things that we can do --
19 use administrative claims databases for doing
20 epidemiologic analysis of specific safety concerns or
21 hypothesis that there is a link between the drug
22 exposure and the particular outcome.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Also enriching our AERS databases by
2 creating linkage between it and registries, exposure
3 or disease/outcome registries that exist. The COG
4 group, the Children's Oncology Group, has a database
5 that we can garner. Dr. Santana had mentioned this
6 before in previous meetings but possibilities of
7 looking beyond AERS database for safety information
8 about oncology drugs.

9 Then the other issue is about required
10 long-term safety studies from sponsors. Then the
11 other AERS, active surveillance programs that already
12 exist for other purposes, and then to increase the
13 number, quality, and completeness AE reports is really
14 enhancing the AE reporting.

15 Now, I want to focus on the first four
16 because I feel that those are probably the most
17 important at this moment to get feedback on. The
18 active post-marketing surveillance could take many
19 forms. It can be a health facility or health network-
20 based system.

21 It could be a physician office-based
22 sentinel system. A sentinel system which is cheaper

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 relative to having an all out sort of nationwide
2 surveillance system so you have more focused center of
3 reporting system that is a network of physicians and
4 network of facilities and network of geographical
5 representation, a center of sites that would provide
6 information in a perspective manner.

7 They need to have some capacity to monitor
8 specific populations of children, pregnant women
9 specific outcomes for drugs. It's really a resource-
10 intensive effort. It's not easy to do. It's very
11 expensive relative to passive reporting which except
12 for the analysis, coding and keying of information
13 cost little to the FDA but the societal course is also
14 less. This one is resource-intensive effort.

15 The strength of this is higher quality of
16 data that is perspective collected, a better handle
17 on denominator which is a critical area and a better
18 handle on numerators which is the adverse event
19 reports that you have.

20 The limitation, if you are looking at
21 sentinel system is that there's always a question of
22 how representative it is depending on how the sites

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 are selected and what populations they are actually
2 covering so that's a consideration in terms of active
3 surveillance system but it's infinitely much better in
4 terms of the quality of data and the breadth of
5 information you get on drug adverse events,
6 interaction, drug-drug interactions, a number of
7 issues.

8 Now, what are the other things that we can
9 do maybe to better inform our safety data regarding
10 pediatrics? There are longitudinal databases that
11 link prescription information. They include
12 information about dose, duration and then outcomes.
13 They are claims databases. Their strength is
14 that they are population based. They get longitudinal
15 drug data for the population.

16 There are cohorts of unexposed patients
17 for comparison purposes. You can do hypothesis
18 testing. You can do some signal detection and
19 quantification of risk whether you measure in terms of
20 attributable risk or measure it terms of excess risk.

21 Those kind of things can be done using epidemiology
22 tools. Limitations, of course, many of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 databases that exist now do not have in-hospital drug
2 exposure data.

3 There is difficulty in obtaining medical
4 records sometimes because most of these events are
5 out-patient. Difficult to ascertain death so if there
6 were serious adverse events that result in death,
7 that's an area which may be very difficult to
8 ascertain.

9 Now, FDA has access to some databases and
10 there is an FDA cooperative agreement program that has
11 been accessing databases from Vanderbilt, Harvard, and
12 United Health, all different healthcare settings.
13 Vanderbilt is Medicaid from Tennessee and California.

14 And then Harvard program group is HMO network and
15 then the United Health is an IPA.

16 They are considered to have limited
17 geographic distribution. They are not nationally
18 represented. They are really limited. The biggest
19 probably is the United Health where we have 10 states.

20 Then the size of the population included in these
21 databases vary and the number of years of available
22 data vary. The oldest is probably the Tennessee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Medicaid.

2 I just thought I would give you some
3 examples of some of the analysis that have been done
4 using FDA cooperative agreement program just to give
5 you an idea what can be done with these data. In 2000
6 there was an analysis looking at cisaperide using
7 contraindicator settings. There was alosteron use and
8 ischemic colitis relationship, Claritin, and then an
9 array of statin use and the risk of rhabdomyolysis.

10 These are sort of on a population basis.
11 Some of the epidemiologic analysis you can do using
12 just databases but you've got to have some hypothesis
13 that you have a priority to look at. It's not a
14 fishing expedition.

15 Then the other areas I'll just quickly go
16 over. Linkage with existing registries. This could
17 be exposure registries like what I mentioned before,
18 pregnancy registries. If you have a specific
19 question, you know, those can be useful.

20 Then there are event outcomes where
21 specific outcomes are tracked, acute liver failure,
22 aplastic anemia registries are two examples of this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 There are also cancer registries and state-based
2 cancer registries, the SEER databases that have some
3 information that could be helpful but they don't
4 provide the whole answer. It's just additional
5 sources of information that we can tap into.

6 Another area is sort of looking at long-
7 term pediatric safety studies. This is another one
8 that is resource intense. The potential program that
9 we can have is incorporating assessment of growth as
10 part of the safety studies in pediatrics. And then
11 where appropriate also request long-term safety study
12 after submission of results for exclusivity where they
13 make it a condition. You can't make it a condition
14 for exclusivity but it's something that you would
15 request to have done.

16 (Whereupon, at 5:00 p.m. the meeting
17 continued into the evening session.)
18
19
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

E-V-E-N-I-N-G S-E-S-S-I-O-N

5:00 p.m.

DR. IYASU: The types of studies may include depending on what the question is, different designed control studies, open label. It could be a cohort study or registry study. They all have their advantage and limitations depending on what question you're looking at. These are some of the areas in post-marketing studies that could be done to assess long-term effects.

I'll talk very briefly so that you have some idea what I mean by existing active surveillance systems. There are three basically examples I want to

1 give, National Electronic Injury Surveillance System
2 and the Drug Abuse Warning Network, Toxic Exposure
3 Surveillance System.

4 NEISS, or the National Electronic Injury
5 Surveillance System, which is a database from
6 emergency departments from hospitals in the U.S. All
7 injuries from the emergency departments including drug
8 related are captured and the strength of that is
9 nationally representative.

10 There are active surveillance systems.
11 There are medical records. They collect information
12 by demographics by cause of injury, outcome. It's
13 very cheap. It doesn't really cost that much just
14 capturing the data. There's a cost center for coding
15 this information from medical records.

16 Limitation, of course, the key events
17 onset was outpatient settings so you tend to capture
18 only the ones that end up in the emergency room so
19 there's a limit. Most of what we get seems to be like
20 overdoses or anaphylaxis or rashes but, nevertheless,
21 another data resource that can be tapped into.

22 And then, of course, they have to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 presented to the emergency department in clinically
2 confirmed cases. Unless a physician states that this
3 is drug related, sometimes it's not captured in the
4 medical record.

5 The Drug Abuse Warning Network is data
6 gathered from emergency department visits. This is,
7 again, another sample of short-term hospital visits,
8 about 900 of them. Basically, as I said, emergency
9 department dataset.

10 It's supplemented by data from 300
11 jurisdictions, medical examiners and coroners. This
12 had some improvements over the years. The strengths
13 of the system is extensive drug information but most
14 of what we get is illicit drugs, prescriptions, and
15 then we get the information on over-the-counter
16 medication dietary supplements so there's a range of
17 prescription medication over-the-counter as well as
18 non-pharmaceutical inhalants.

19 High and low-frequency events can be
20 captured here. New and old drugs and then there is,
21 of course, a representative sample so you can have
22 estimates nationally and look at trends. Limitations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 again is incidental reporting of drugs taken for
2 legitimate therapeutic purposes, nonspecific drug
3 reporting. We don't have really a lot of information
4 about brand or chemical name so there are limitations
5 but this is something that is another database that
6 the Government has.

7 Then the toxic exposure surveillance
8 system which was begun in 1983. Mostly this is six
9 different participating poison centers. The data
10 cannot be projected. There's a range of information
11 about the toxic effects, the demographics and other
12 information about the agents.

13 The strengths of the system is the large
14 number of reports, about 2 million of them. You are
15 able to describe the patterns of poisoning by
16 substance and demographics and outcome but there is no
17 national projection so you can't really look at
18 national and nationwide data or trends. Therefore, we
19 can't really see if there is any increase or decrease
20 in any of this.

21 More options. I'm not going to focus on
22 this one but increasing the number and quality of AE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 reporting to MedWatch through education, outreach to
2 the public as well as to professionals. And then
3 hospitals and clinics is an area we need to focus on
4 to try to increase the reporting by the public and by
5 professionals.

6 I have given you sort of a whole range of
7 sort of thoughts about different areas that we need to
8 focus on for your feedback. The current post-
9 marketing data system for BPCA-mandated reporting has
10 serious problems as we all realize. Therefore, we
11 would just ask you for advice on how to best to
12 provide information that is useful. As my previous
13 boss at CDC used to say, "We have a lot of data
14 systems. We are data rich but information poor."

15 We have a lot of data systems all over the
16 place but they don't produce information that's
17 useful. Therefore, how can we best utilize our
18 available resources and enhance them to provide
19 information that's useful to physicians, the public,
20 and children.

21 Now we have a set of questions for you.
22 The first one pertains to the format of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 presentation, the content. I'm not going to read this
2 but, Dr. Chesney, if you want to read the question, or
3 do you want me to read the question?

4 "OPT proposes to submit an abbreviated
5 summary report to the pediatric evaluation committee
6 for drugs where the one-year safety review does not
7 raise a safety concern. There were no post-marketing
8 reports submitted or the reported pediatric events do
9 not provide any concern over possible safety risks.

10 The entire written summary will not be
11 presented as a public PAC meeting. However, a slide
12 summarizing the product review and our recommendations
13 will be presented. Do you concur with this approach?"

14 Then the second question is sort of --

15 CHAIR CHESNEY: Solomon, maybe we can go
16 ahead and address No. 1 and then move on to No. 2.

17 DR. IYASU: Okay. Thank you very much.

18 CHAIR CHESNEY: Thank you.

19 DR. MURPHY: I don't need to tell this
20 committee to modify it as they need it. I know you
21 will.

22 CHAIR CHESNEY: Excuse me. Any questions,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 comments, reactions to the first question which is up
2 there? Yes, Deborah.

3 DR. DOKKEN: Maybe this is too simplistic
4 but I think in the first two questions that we got in
5 the background memo it seems to me if you distinguish,
6 as sometimes people do, between efficiency and
7 effectiveness, I think answering yes to question 1 and
8 question 2 probably in some ways might increase the
9 efficiency of our work as a committee.

10 I guess my question is then what would the
11 committee be -- you know, if we got better at doing
12 this part of it and it took less time because the
13 presentations were streamlined, you know, then to what
14 purpose would the committee turn itself? I think
15 we're going to stumble upon the data issue again.

16 To me, I guess, question 1 and question 2
17 are almost no-brainers because in the short-term they
18 may help us be more efficient as a committee but we
19 still have the big question of our effectiveness and
20 what information do we have to meet our goal of
21 ultimately protecting a segment of the public, namely
22 children.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. MURPHY: Well, I think we are not saying that No. 1 is going to in any way deal with the underlying issue of how do we get better data. That's what the rest of that presentation was about. I think No. 1 is to try to say to you that if it's a really obvious -- as I said, sometimes we may equivocate on it and then we would probably bring it to you.

But where we think there's little use, there's no signal. If there's anything at all, it's absolutely compatible, it really does not -- we do not think it's informing anybody to go through this extensive presentation to you and have you sit there and say, "Yeah."

What we would like to do is try to abbreviate some of that so that we can put our resources into really focusing on and maybe doing more where we think we have a signal and trying to maybe bring in additional experts. I don't know. We've only had a few signals so far but that's what we're saying about this part of it. But, as we said, we have to report to you.

Not that we don't want to but we have to

1 do this, but we also want to make sure that you get
2 the information. But you can imagine these people
3 practice their slides and go through rehearsals. It
4 consumes a number of hours when we don't think there's
5 a signal. Now, I think the issue is how do you define
6 no possible signal and that's going to be, I think,
7 where we're going to have to have some discussion
8 because I think we don't want to cut it off where
9 actually we could have discussion.

10 DR. BIER: Joan.

11 CHAIR CHESNEY: Dr. Newman and then Dr.
12 Bier.

13 DR. NEWMAN: Just a question. If we had
14 voted yes on this, then would we have skipped the
15 discussion of benazepril and esmolol?

16 DR. MURPHY: Actually, for one you would
17 have skipped it and the other we would have probably
18 brought it to you.

19 DR. NEWMAN: And which is which? Well

20 DR. MURPHY: Well, it depends on who you
21 talk to but I think certainly where we didn't have
22 labeling and where we didn't have a lot of information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 and it was a difficult trial, which was the esmolol,
2 probably we would have brought it to you just to say,
3 if nothing else, this is why we don't think it needs
4 to be.

5 Dr. Bier.

6 DR. BIER: Well, I think the less response
7 is a QED but, I mean, I think to me it just depends on
8 what's the committee's charge. I mean, if we go along
9 this route, for example, we are basically not
10 reviewing in detail these documents. If that's part
11 of our charge, then we are giving it up. If it's not
12 part of our charge, why do we do it anyway? I mean,
13 it's not a question. Which is it? Is it part of our
14 charge?

15 If it's part of our charge, it seems to me
16 that we need to provide some formal vote on it and
17 that would be, for example, I can see other ways of
18 doing this. For example, us getting the information
19 beforehand and having the written vote but then it may
20 not be an open meeting. I don't know.

21 DR. MURPHY: Let me get the exact wording.
22 Actually, we're supposed to report to you so let me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 find exact wording and I'll read it to you.

2 CHAIR CHESNEY: Dr. O'Fallon.

3 DR. O'FALLON: Well, I think the idea is
4 great but I think that we need to define the contents
5 of the abbreviated summary report. In particular,
6 what I would argue for is that we need to know. We
7 have to get some sort of an idea of how many children
8 were treated during that year, which is the one we're
9 supposed to be looking at, so that we can evaluate --
10 so we see zero or one reports.

11 If there were only 50 patients that
12 doesn't mean the same as if there are 5,000, that type
13 of thing. We need to have some kind of idea of how
14 many. Maybe it could be an interval. It would say
15 between 100 and 200 or something like that but have
16 some idea of how much information there is available
17 to you at the end of the first year.

18 Then I think I would want to know why you
19 think -- explicitly why you think that there is no --
20 does not raise a safety concern. You say the rates
21 are very similar to what we've seen in the adults or
22 something but that we would have some idea what's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 going on so that we could argue with you if we looked
2 through it.

3 I won't but some of my colleagues here
4 will say, "Hey, I think you're wrong. This does have
5 a signal," or whatever. But I think we have to have
6 enough information in that abbreviated summary report
7 in order to do our job.

8 CHAIR CHESNEY: My understanding was that
9 we would still get the same materials. It's just that
10 we would get your conclusion and you wouldn't plan to
11 present it beforehand. Is that not correct? We would
12 have all the same materials we've been getting.

13 DR. MURPHY: And it sounds like you not
14 only would want these materials, you would want in
15 that material a reason why we did not think we needed
16 to report so that would be one thing we would have to
17 add to that report.

18 DR. O'FALLON: You kind of had it on some
19 of them but not all of them.

20 DR. MURPHY: Do you want me to read this
21 to you guys the exact wording? The exact wording is,
22 "Drugs with pediatric market exclusivity in general

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 during the one-year beginning on the date on which the
2 drug receives a period of market exclusivity under
3 505(a) of the FD&C Act. In the report of an adverse
4 event regarding the drug that the Secretary of Health
5 and Human Services received shall be referred to the
6 Office of Pediatric Therapeutics established under
7 Section 6.

8 In considering the report the director of
9 such office shall provide for a review of the report
10 by the pediatric advisory subcommittee of the Anti-
11 Infective Drug Committee (which you used to be) and
12 include any recommendations of such subcommittee
13 regarding whether the secretary should take action
14 under the FD&C Act in response to the report."

15 DR. BIER: Well, I interpret that as
16 meaning it's our job.

17 DR. MURPHY: And we're saying we agree
18 it's your job. What we're saying is we will send you
19 the material. For a select subset of the products we
20 will send you the material and say, "This is our
21 recommendation. We will put it up for a slide at the
22 meeting."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Then having had read the material you disagree, then we would still have a discussion. We would not go through a slide presentation summary. What we would do is we would take the other ones where we think there's an issue and we would try to expand upon those some more. But you're right, you do need to still read the material. It's not that we wouldn't send it to you.

DR. BIER: So fundamentally what this saves us is a 10-minute presentation. I mean, you have to do all the work. We have to do all the work, we have to do all the work, so basically what we're talking about is saving a 10-minutes presentation. That's fundamentally it.

DR. MURPHY: It's not a 10-minute presentation.

CHAIR CHESNEY: Can I give a different interpretation? I actually think there's more responsibility on the committee if we don't anticipate a full presentation than when we do because when the responsibility is on us to read the material and raise the issues, that's much more fearsome to me than just

1 sort of flick through the issues and listen to you and
2 see what you say. I almost think that it puts more
3 responsibility on the committee if we have to raise
4 the issues and you just give us a summary slide.
5 That's just my interpretation.

6 Dr. Newman.

7 DR. NEWMAN: It sounds like from the
8 description of what we're supposed to do is that
9 reviewing the studies that were done to get the
10 exclusivity isn't actually part of that. For me those
11 have been the studies that have raised my concerns.

12 It wasn't the one or two or three adverse
13 event reports which I find very, very hard to
14 interpret. It's the studies that actually had a
15 denominator and there were 100 kids and a large number
16 of them have adverse events. I guess the question is
17 do we -- will we at some other point review those
18 studies done to establish exclusivity and demonstrate
19 safety and efficacy?

20 Or, if not, who will? I don't mind
21 letting go of the -- leaving to you guys the
22 interpretation of the few adverse reports that trickle

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 in but the exclusivity studies have been what have
2 raised my concerns.

3 DR. IYASU: Could I respond to this? I
4 think there has been a history. We have had -- I
5 think this is the 6th presentation that we've had.
6 There's been feedback that we've been getting from the
7 committee as to the usefulness of the presentations
8 that we've been doing. As I said in my presentation,
9 we've enhanced the presentations to add more
10 interesting information about the studies that have
11 been done for exclusivity.

12 It seems that they have raised a lot of
13 questions. I think they have been useful discussions
14 but with respect to the specific charge of this
15 committee with respect to Section 17 of the BPCA, it's
16 really the one-year post-exclusivity period that is of
17 interest.

18 Now, we're doing more than what the charge
19 says so whether that is -- whether you want us to
20 continue doing that or not is another issue that we
21 maybe need to get some feedback.

22 DR. MURPHY: Well, I guess my question,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 Dr. Newman, would be the division has reviewed the
2 studies and has made a decision on the efficacy and
3 safety. We provide some background material in the
4 summaries to put in context what was there during
5 controlled trials which is the best you are going to
6 have and we would continue to do that.

7 I guess the only other thing would be does
8 the committee want -- I have to follow up on if we can
9 give you the unapproved ones but does the committee
10 want the entire study submission because that's
11 enormous and I don't think you want that. I mean, you
12 may but it would be many, many, many volumes and line
13 listings of every page. You know, it would be just
14 voluminous and I don't think we could actually do that
15 except for on an exceptional basis where there was
16 some focused question.

17 But we can give you the more extended
18 review and the summary potentially but, again, the
19 complete review, you are getting the summaries, are
20 also quite extensive so I don't think as a routine you
21 would want those but I shouldn't be -- I'm just
22 telling you what's in them so you understand.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 CHAIR CHESNEY: Could I ask how many
2 people find -- what Dr. Iyasu is saying is we did not
3 used to get the exclusivity studies and I found them
4 very interesting and helpful and very much provided
5 background on which we can put the adverse events such
6 as they are. I guess I would like to suggest that we
7 continue getting that information even if we agree
8 with question No. 1. I wonder if other people have
9 thoughts about that.

10 Dr. Bier, Dr. Moore, and then Dr. Gorman.

11 DR. BIER: The less information you give
12 us the less likely it is we will be able to come to a
13 decision about anything.

14 CHAIR CHESNEY: Dr. Moore.

15 DR. MOORE: Well, I think we've gotten our
16 charges confused here because in the first two drugs
17 we looked at the controlled studies and some of us
18 were disturbed by the adverse events that were
19 reported in the study and sort of extrapolated that
20 and used that as a rationale to continue monitoring
21 this drug rather than taking the adverse events that
22 were actually reported during that year period of

1 surveillance which were not particularly disturbing or
2 asymmetrical with the adult reports and using that as
3 a criteria.

4 I think one of the things we've done today
5 I think the FDA staff here has given us very
6 consistent recommendations but we have been
7 inconsistent in how we've decided to either recommend
8 or not recommend continued intensive monitoring. The
9 first two drugs if you look at just the -- not the
10 studies but the adverse event reports, they are not at
11 all asymmetric numerically, nor are they qualitatively
12 different than the adult reports.

13 I would say that we should then consistent
14 with our charge agree with the FDA recommendation,
15 with the staff recommendations when we didn't. Then
16 we went to the next drugs and did just the opposite
17 because we weren't as concerned perhaps about the
18 controlled studies. I don't know.

19 We either took the recommendation where
20 the FDA said take it, or we didn't take it because we
21 weren't particularly concerned about the controlled
22 studies. They looked like they were better powered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 and the data was more reassuring to us. I think we
2 have to decide what the role of these -- we are going
3 to be provided this background which I like. I'm with
4 everybody.

5 I would like to see that. I think we have
6 to decide how that's going to impact our decision and
7 whether it should. It was my understanding it's not
8 supposed to particularly. It's already been reviewed
9 and some other people have said, "Okay, that's how we
10 categorize this drug to begin with and now we're going
11 to surveil it." We're reviewing the surveillance
12 part.

13 CHAIR CHESNEY: I think you've made a very
14 good point and I think for those of us who have been
15 on the committee for a while, we picked up on that
16 immediately because all we've seen before is that one
17 year. We never saw what came before it. I think it's
18 helpful to see what came before.

19 Just because we don't pick it up in the
20 one-year post-exclusivity, if it was serious during
21 the studies, then it may be just that we need to look
22 at it for another year. The reporting is so sporadic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that I sort of came to grips with it. I realized
2 where you all are coming from but I think that was one
3 reason we asked for the studies that were done for
4 exclusivity is that we had no idea what the background
5 serious events or nonserious events were. At least
6 now we know what they are.

7 I think what we saw, with the first one
8 anyway, was that there was a background of serious
9 events. Even though we might not have been worried
10 for this year, there was enough concern that the
11 reporting system is inadequate enough that maybe we
12 need to look for one more year before we are confident
13 that it's not -- that these are not going to show up
14 later on. I guess that was how I came to grips with
15 it.

16 Dr. Gorman.

17 DR. GORMAN: To amplify on both of those
18 responses, the other value to this committee, and
19 perhaps to the FDA of presenting the exclusivity
20 trials, is to listen to our comments about their
21 shortcomings as you continue to evolve to make those
22 trials better and better and to get the information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 that we all want.

2 DR. BIER: I just -- you know, I don't
3 think any of us on the committee want anymore work. I
4 certainly don't want anymore work. What I want to do
5 is to satisfy precisely what the statutory
6 requirements of my participation on this committee.

7 I think this discussion here right now has
8 made it a little bit uncertain. If you can concretize
9 that in a very precise way about what this road is
10 about, then I'll have a better understanding of
11 whether I need this or not. That's where I am.

12 CHAIR CHESNEY: Dr. Garofalo had a comment
13 and I'm sorry I left you out. Then we'll let Dianne
14 respond.

15 DR. GAROFALO: I just wanted to add that
16 it's often helpful to look at the controlled trials
17 when you're looking at open-label uncontrolled safety
18 data so you have come comparison because it's the
19 controlled trials that really have the adequate group
20 to compare.

21 In addition, sometimes, you know, an
22 extension of that would be what did you see in adults

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 that made you believe that the children looked like
2 the adult data. That's always helpful just to set the
3 context but I don't think you want the detail and the
4 amount of detail that we provide is really extreme.

5 The other comment is I think it was noted
6 earlier that there was an adverse event rate of like
7 '94 percent but I would submit that if children are
8 very sick or if you watch them for a very, very long
9 time you'll get very high adverse event rates. The
10 rate per se in open-label studies is maybe not useful.

11 It depends again on compared to what else.

12 CHAIR CHESNEY: Dr. Glode, did you want to
13 comment before Dr. Murphy?

14 DR. GLODE: I just want to go back to that
15 exact issue. It seems to me that we could reduce the
16 volume of material because there's a lot of repetition
17 in here. All I want is I want death -- well, I want
18 the controlled trials and I want a comparison table
19 that shows it in the adults, just exactly what you
20 mentioned so I can put it in context.

21 Then I actually only care about death and
22 hospitalization. I don't really care about minor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues. I think that death and hospitalization
2 actually will pick up morbidity, significant
3 morbidity, because I'm going to think most significant
4 morbidity resulted in a hospitalization, etc., etc.

5 I think the passive surveillance system is
6 so bad that it's uninterpretable so that's why we've
7 got to have, I think, the controlled trials to just
8 say, "Does this drug look like it has a lot of side
9 effects?" The fact that we didn't see anything in the
10 possible 150 children or 4,000 children or something
11 that nobody bothered to report it.

12 I mean, I don't want to tell you how many
13 times I've not reported to the FDA an adverse drug
14 event. I'm an infectious disease doctor and I'm not
15 going to discuss that any further but I think there's
16 massive under-reporting.

17 CHAIR CHESNEY: We know how massive it is
18 because we don't do it. It has to do with the length
19 of the form and it has to do -- I said to Dr. Iyasu
20 before -- with the follow-up call that you know you'll
21 get. You just think, "I can't handle it so I just
22 will know it myself and tell my colleagues about it."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 That's horrible but I don't think there's anybody on
2 the panel that wouldn't say that's exactly what they
3 do so we know how bad it is.

4 Dr. Murphy, would you want to respond to
5 Dr. Bier's very precise question?

6 DR. MURPHY: Now let me make sure that I
7 know what the precise question was. Can we make it
8 more concrete what you're supposed to do statutory-
9 wise?

10 DR. BIER: If we are only supposed to vote
11 on the post-marketing studies, then that's all I need
12 and have to vote on if that's the question. If we're
13 supposed to make a judgment about how good it is
14 relative to what else is available, then I need more
15 information. I just don't know.

16 DR. MURPHY: We interpret the statute to
17 say that they want us to look at the post-marketing.
18 We also don't think that Congress is full of a bunch
19 of scientists so we don't think you're going to be
20 able to extract a whole lot if we just give you the
21 adverse event reporting. We have tried and are still
22 trying.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 This is an evolving thing that you have a
2 lot of input into. We are trying to get to what we
3 think was the intent -- Rosemary, help me here --
4 which is we think they wanted to make sure that if a
5 product was studied in children and, therefore, got
6 approved, though it's actually not, as was said, there
7 would be more use and that we are looking at that
8 after that product is out there and being used.

9 We think it was a safety issue. They
10 wanted us to make sure that somebody is looking
11 specifically because we know the reports don't come in
12 specifically broken down for children on what the
13 safety or what the risks are that kids are being
14 exposed to by now taking these products. That we
15 think is what they wanted but that's not what the
16 statute says.

17 That's our problem. Okay. So we are
18 trying to balance just bringing you adverse event
19 reports which, as Dr. Stockbridge said as he walked
20 out, to keep looking at the adverse event reporting
21 isn't going to help in some situations when the
22 numbers are really low because you're just going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 get more low numbers.

2 We're trying to construct a positive
3 process out of a very limited database, as Solomon
4 said. We've got lots of data but we're not sure how
5 to make useful information out of it so that's what
6 we're trying to do. We thought that giving you the
7 trials would help you put it in context. As I said,
8 it's already been reviewed.

9 We can't go back and relabel it because we
10 took another interpretation out of the trials. Then
11 you would have to get all those volumes. What we can
12 do is know whether it showed up in the trials. I
13 think the committee is getting to some of the things
14 we need to hear which is what you really want to know.

15 You want to know the trials. You want to
16 know the severe, the definition that you got this
17 morning. We've been trying to do that and we had
18 actually an internal discussion about you don't really
19 need the 10 top 20. You need severe.

20 You've been getting the 10 top 20. You
21 don't need the 10 top 20. You need the severe. Okay?

22 Then you want that compared to what's going on with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the adults. You need it compared. We've been trying
2 to do that for you. What's in the label and what's
3 not in the label.

4 Then what I heard today was something we
5 were trying to think about for expanding this is doing
6 more background information on what happens in this
7 disease normally, how does this fit in with that
8 disease, is this really what we would expect. Trying
9 to do more of that kind of work on the ones where we
10 think we're seeing something.

11 I think what we're trying to get from you
12 is where it's sort of a "duh" situation as the kids
13 would say. What's the definition if there is really
14 no need to go through the whole litany process with
15 you on everybody's products because we've done 34.
16 There are 117 now and we just think there ought to be
17 a more intelligent way of doing this. I guess that's
18 what we're trying to get at.

19 CHAIR CHESNEY: Dr. Santana.

20 DR. SANTANA: Can I get a point of
21 clarification? As you were speaking I was referring
22 to the slide in terms that this is also a public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 process where people that are not at this table but
2 are sitting behind us or read documents outside of
3 this area get the information.

4 Are you making the recommendation that if
5 we just get a brief written summary for these that
6 there's no signal or there's not enough post-marketing
7 reports to suggest any issues, that the summary of the
8 product review, your slide summary, and your
9 recommendations would also be posted for the public to
10 read?

11 DR. MURPHY: Yes.

12 DR. SANTANA: Because, if not, they would
13 have no other access. Am I correct?

14 DR. MURPHY: Yes. They would all be
15 posted. Anything we send you in a background package
16 is posted after it's redacted. I specifically
17 explained it's only redacted if there is some of that
18 CCI information or stuff like that in there. The
19 information would be posted.

20 CHAIR CHESNEY: Can I suggest I think
21 we're very interested to get to question 3 because
22 whatever we do with 1 and 2 is like moving deck chairs

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 on the Titanic. If the committee could agree that we
2 support your suggestions for questions 1 and 2 so let
3 me ask if anybody is not supportive of what the FDA
4 suggest for questions 1 and 2? Does anybody not agree
5 or not go along with their proposal?

6 DR. SANTANA: Dr. Chesney, for the record
7 you need to redact this action to include the
8 recommendation that the report should include why the
9 FDA does not think the signal is -- the data is not of
10 importance that it needs to come to the full committee
11 because that's not in this question as it current is
12 so I would vote for your comment if that was added to
13 this question.

14 DR. MURPHY: And I would like to say why
15 it doesn't need -- not extensive but why it doesn't
16 need a further public discussion beyond the
17 opportunity for the committee after it has read the
18 information to say it does or does not agree. We are
19 really just cutting out our presentation is all we're
20 doing and we are sending you the material.

21 CHAIR CHESNEY: So I think -- Dr. Newman.

22 DR. NEWMAN: Yeah. I have a comment that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I was saving for when we talk about question 2 but I
2 guess we're skipping over that, and that is to agree
3 with Dr. Gorman about trying to -- that the usefulness
4 of getting the exclusivity trial data and trying to
5 improve the process.

6 I think an easy thing to do -- the easiest
7 no-cost thing to do would be to just improve the
8 presentation of the adverse effect data of the trials
9 that have already been done. When we saw the data
10 about Clarinex what we got were just absolute rates
11 that were more than 2 percent in the Clarinex group
12 and we didn't get any p-values and we didn't get any
13 confidence intervals and we didn't get any rates in
14 the placebo group.

15 Just for question 2 it says the data will
16 include assessment of incidence, but in your slide you
17 actually have getting the excess risk and the risk
18 difference and the 95 percent confidence intervals and
19 the p-values all of which for clinical trial data
20 which we are being presented would make them
21 interpretable. I want to make sure you get that
22 feedback for trials that have been done, randomized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 trials. We need rates in the placebo groups and
2 differences and statistical significance.

3 For trials that are going to be done I
4 think the case of benazepril really illustrates that
5 we would like to have comparison groups if we are
6 looking at safety so we can see whether these effects
7 are happening more often than they would with placebo
8 or with some other drug.

9 DR. MURPHY: That's great and we can do
10 that. The only problem here now, remember, and I
11 think we did point this out to you guys, that in the
12 hypertension template they have an option of how to do
13 the trials and one is with the comparator and the
14 other is the dose. With the dose there is no
15 comparator. The dose --

16 DR. NEWMAN: and this is something we
17 can't fix?

18 DR. MURPHY: I will tell you that the
19 division feels very strongly that a good dose-ranging
20 study that shows PK/PD effect in a way that is
21 statistically significant and one is as good as a
22 comparator, at least for efficacy, your problem is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 it's not going to be powered for safety.

2 We don't power the trials for safety. I
3 should say that. There probably are some but I'm just
4 saying in general you're not powering it. There may
5 be a safety endpoint in there sometimes but the
6 efficacy endpoint is usually the driver of the
7 statistical design.

8 Rosemary, do you want to add to this?

9 DR. ROBERTS: There have been numerous
10 discussions with Cardio-Renal. There's been actually
11 work on the template. The thing is, yes, you're
12 right. We would like to have comparative safety data.

13 As soon as you put in a comparator arm, you increase
14 your numbers astronomically if you're going to try to
15 show that it's as good as another drug on the market.

16 Now, we're at the point where we could do
17 that because we now have some antihypertensives
18 approved in the pediatric population and some approved
19 in the various classes of antihypertensives that are
20 used in the adult.

21 This template does date back to 1998 and
22 early 1999 when we didn't have antihypertensives

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 approved in the pediatric population so you couldn't
2 really set up a comparator-designed trial. Now that
3 we have them you could do that but it's going to
4 increase your numbers and that's always a problem when
5 you have to increase the numbers in the pediatric
6 population.

7 The other thing is that the dose ranging
8 study can be a very effective way of doing it if
9 there's a dose response if you can hit on giving them
10 a low dose that you can ethically support and a high
11 dose that you can ethically support and then show
12 there's a response.

13 One of the options is a placebo in the
14 dose response. We just don't have takers. You're not
15 going to get physicians to agree to put a child with
16 hypertension on no therapy. Even as you look at the
17 study that we saw today with benazepril you had
18 everybody put on forced-titrated up for a four-week
19 period of time and then they were randomized to either
20 the drug of interest or do placebo and had a short
21 withdrawal period of two weeks.

22 Now, you could say, well, we've had some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 comparison of safety there. The problem is there was
2 no washout period so you had very little time where
3 you really were comparing it to placebo. Again, this
4 is an ethical problem. Nobody wants to leave these
5 children off antihypertensives for a significant
6 period of time.

7 So we are always trying to find a study
8 design that allows us ethnically to look at the
9 condition in the pediatric population and that we
10 certainly would appreciate if you can help us as we
11 learn through the trials that we have seen to date
12 some of their shortcomings because there are certainly
13 shortcomings.

14 CHAIR CHESNEY: Dr. Moore.

15 DR. MOORE: Just one quick comment. As
16 you pointed out, some of these pediatric studies in
17 particular are very underpowered for safety. I think
18 that's what the mandate we have here is. We're not
19 reviewing efficacy at this point. We are reviewing
20 safety. Are we not? So to me providing these studies
21 is very incomplete, particularly the ones that are
22 very underpowered. We are also provided with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 adult studies.

2 If we're going to look at these controlled
3 studies and we look at one study on benazepril that
4 shows 64 patients and we're supposed to consider
5 safety, that is as poor a dataset really as the
6 adverse events that were reported for the last year.

7 I think we are getting our mandates here
8 all confused in my opinion. If you're going to look
9 at safety, then, okay, let's look at the adult safety
10 data that is available. Let's look at the pediatric
11 trial as well. Then let's look at the adverse event
12 reports. Or maybe we should just take the more
13 limited purview which is just the reports which it
14 seems to me is more directly our obligation to review
15 what the reports were, not the studies which have
16 already been reviewed and labels produced, etc.

17 DR. IYASU: I think this is all very good
18 but I think I have to say, and you may correct me, we
19 would like to use the expertise I guess to look at all
20 the pediatric exclusivity studies but you also I think
21 rightly pointed out about the mandate. The focus is
22 on post-marketing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 We are allowed to have an input as to what
2 some of the deficiencies with some of the exclusivity
3 studies are but it's not really providing the adult
4 data, the pediatric data. This is a who new meaning
5 for any particular indication to track. We cannot
6 possibly give you the entire information so that you
7 can make those kind of assessments in a small
8 presentation like this. I don't know. Dianne, do you
9 have any options?

10 DR. MURPHY: I think if there is a
11 problem, if we see something, that's where we would
12 potentially go back and pull in more information
13 because, as Solomon said, for anyone of these products
14 they spend all day going over the safety issues for
15 that product and for us to think that we can do that
16 in this short period so we're trying to sort out for
17 you.

18 That's fine if you don't want to do that.

19 We are trying to make sure we don't miss -- that we
20 are not negligent in our reporting but we are trying
21 to focus on where we think there might be problems and
22 try to bring additional data and have a more extensive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 discussion of that because, again, if we see
2 something, we're going to want to go back to not only
3 the pediatric trials but the adult trials.

4 We are going to want to try to get
5 information from wherever we can and bring it into
6 you. That's where we're trying to go, as we've been
7 pointing out, without really being negligent as to
8 what the law says which is we bring the adverse event
9 reporting to you.

10 DR. ROBERTS: Let me make one comment to
11 sort of follow up with Dr. Moore. I was wondering
12 with the presentation you had for esmolol this
13 morning, I think it was you, Dr. Newman, who was
14 concerned about the fact that there was 92 percent of
15 the patients had one AE or more.

16 Now, if you look at the labeling in the
17 clinical trials that were done in adults, 25 to 50
18 percent of the adult patients had hypotension. Some
19 of it symptomatic, some of it asymptomatic in the
20 clinical trials.

21 Precautions talks about the fact that this
22 particular drug if it extravasates is very irritating

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 to the vein and it talks about something like 10
2 percent of patients having some kind of reaction
3 because of extravasation.

4 If we had indicated that there is a high
5 percentage of adverse events that occurred in the
6 adult clinical trial, would that have helped you have
7 some perspective on the 94 percent that we saw in the
8 pediatric population? The other thing is it's
9 indicated for the treatment of SVT. It's indicated
10 for intra-operative hypertension and post-op
11 hypertension.

12 The examples that you saw in children,
13 these happen to be the children that had severe
14 problems and went on to die but it was aortic
15 stenosis. It was a hypoplastic aortic arch. These are
16 conditions where you have got to get that blood
17 pressure down or you're going to blow whatever they've
18 done in the surgery.

19 These are very ill patients. Remember,
20 for it to be considered an adverse reaction, it has to
21 have the possibility of being related. The fact that
22 it's just being used when a child is having difficulty

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 already does that. Many of these are related to the
2 pharmacologic action of the drug. The drug is to
3 bring the blood pressure down. Sometimes it goes down
4 too far. If we brought that kind of information to
5 sort of put it in perspective, would that be a help to
6 you?

7 DR. O'FALLON: Yes. I think that's what
8 Dr. Glode said. They would like a comparison of the
9 severe adverse affects in the adults as part of their
10 reporting.

11 DR. MURPHY: I didn't mean to usurp that
12 answer but we did hear, I assume. Is that correct?

13 DR. GLODE: (Nods.)

14 CHAIR CHESNEY: So with those two caveats
15 you just articulated and what Dr. Santana brought up,
16 is there anybody on the committee that has any other
17 issues to bring up with respect to the recommendations
18 on question 1 and 2? All right. So can we move ahead
19 to question No. 3? Do you want to read that, Dr.
20 Iyasu, or just project it maybe?

21 DR. IYASU: Question No. 3, "The
22 limitation of the spontaneous post-marketing adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 event reporting system are well known to you. Let's
2 discuss and prioritize potential programs assuming
3 additional resources were available to supplement
4 and/or overcome the limitations of the spontaneous
5 reporting system for assessing and monitoring safety
6 of marketed drug products in the pediatric population.

7 Some examples of potential programs
8 include population based active surveillance, analysis
9 of claims databases like UnitedHealth, Harvard
10 Pilgrim, Tennessee Medicare, TennCare, exposure or
11 outcome registries and creation of linkage with AERS.

12 Then long-term pediatric safety studies to
13 assist drug adverse events including assessment of
14 growth and development. Discuss if and how
15 prioritization of products for additional long-term
16 studies might be approached."

17 CHAIR CHESNEY: So this is our opportunity
18 to make recommendations to the FDA as to how we might
19 get better denominator and numerator data for this
20 committee and for everybody to use to draw
21 conclusions. No recommendations. The meeting is
22 over. (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Dr. Newman.

DR. NEWMAN: Well, I think my first choice and probably the most efficient of those would be the analysis of the claims databases because I just think that those data exist and whatever you can negotiate will be a huge improvement over what we have.

One of the things that is not on there but Dr. Chesney's comment makes me consider is consider the possibility of streamlining the adverse event reporting system so that people don't mind doing it. I'll have the additional information be required of some random sample of the report so that you wouldn't necessarily get this treated phone call or something where you just make the process less onerous in order that you can get at least something for better numerator data.

CHAIR CHESNEY: Dr. Glode. Oh.

DR. SANTANA: As a follow-up to that, you know, one of the problems I have with MedWatch, and obviously in oncology if it's commercial MedWatch and if it's research to do the NCI stuff, but one of the things I find difficult with MedWatch it requires a

1 lot of narrative that you developed.

2 That's where you get into these troubles
3 of having words that may or may not mean the thing and
4 the assumptions are made that maybe diarrhea is the
5 same thing as loose stools in the other column. You
6 know what I'm getting at? I think one of the things
7 you could think about in pediatrics, if you were going
8 to rev up the MedWatch reporting for pediatrics, is to
9 create a system that was more specific and not as open
10 to interpretation so that way the data would be
11 stronger and potentially cleaner because it's been a
12 difficulty.

13 You saw it today when you had the columns
14 of the different things that were being reported. I
15 think something that I would recommend if you were
16 going to take this a step further for pediatrics and
17 kind of create a MedWatch reporting for pediatrics is
18 to make it very user friendly and at the same time the
19 information was consistent across categories. It was
20 not based on what I wanted to say in that report.
21 That's a very general comment but I'm just thinking
22 out loud here how you could improve on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 CHAIR CHESNEY: Dr. Glode and them Dr.
2 Gorman, Dr. Bier.

3 DR. GLODE: In just sort of thinking about
4 active surveillance, I mean, one question that comes
5 to mind is whose responsibility is it to assure that
6 this is a safe product for children. I guess from
7 your presentation I was kind of thinking that you
8 thought it was the FDA's responsibility. I'm kind of
9 thinking it's the industry's responsibility or both,
10 shared responsibility.

11 The simple way that I think about it is
12 sort of something that's in between a registry and
13 active surveillance. Maybe it happens at the pharmacy
14 so now you go get the drug and you get a little letter
15 from the company who produced the drug that says,
16 "This drug has just started to be used in children.
17 We would like your permission to contact you twice a
18 year just because we are very concerned about drugs in
19 children and being ultimately safe."

20 Again, all you care about when you are
21 contacted -- "And we will ask you less than 10
22 questions at that time which is how old is your child

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and are they still taking the drug? Have they been
2 hospitalized in the last six months and are they alive
3 and well? Do they have any new diagnoses? Thank you
4 so much for your time." Click.

5 You know, because I feel like I'm not
6 serving the general public or the Government of the
7 United States with the current information that I'm
8 receiving. I am not capable of being assured in any
9 way about safety with the passive surveillance system.

10 We have to get better information.

11 CHAIR CHESNEY: Dr. Gorman and then Dr.
12 Bier.

13 DR. GORMAN: I think that I would vote for
14 the population-based active surveillance. Let me
15 explain why. I think that I agree wholeheartedly with
16 my colleagues around the table who have talked about
17 deaths and serious life-threatening events as reports
18 we want.

19 But review of the data that we are going
20 to look at tomorrow and some of the discussions today
21 give me another group of people I really want to get
22 which is when it raises the rate of common events

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 higher than the background noise.

2 I was thinking about hyperpyrexia which we
3 are going to be talking about a little bit tomorrow
4 which I don't think anybody would report on a MedWatch
5 because it would only be seen in a controlled trial in
6 the sense that if the rate of fever in children went
7 up with a drug, you wouldn't get that looking at only
8 deaths or serious adverse events.

9 The other group which I would really like
10 to somehow get noticed is when your life is more
11 miserable on your drugs than it is when you have your
12 disease. I'm thinking about hypertension which we
13 talked about today and that 10 percent of people had
14 adverse events.

15 Most adults with hypertension are
16 perfectly happy right up until they have their life-
17 ending event but they are happy people until that
18 time. It's the "silent killer." I love how some
19 names stick.

20 The "silent killer" because you're happy
21 and it's silent right up until the time you have your
22 -- so you put people on -- if you put pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 patients who are going to live for 50 years on a drug
2 that decreases their quality of life over 50 years
3 versus the shortening of their life, I don't know
4 where you would talk about that as being an adverse
5 event. I don't even know if there's a vocabulary to
6 talk about that.

7 I'm sure people on the antihypertensives
8 and cholesterol-lowering agents have a decreased
9 quality of life. Maybe it's longer. Maybe it's both.

10 I don't know. Looking at the population-based active
11 surveillance because I would then have the control
12 group to look because I would have a group of people
13 on agents and not on agents. I really want it to be
14 population-based.

15 I don't know how big a population -- this
16 is not my area of expertise -- that you would have to
17 keep actively surveilled. It would be the hypothesis-
18 generating group for all the other data systems that
19 we are considering so you could look at the Framingham
20 equivalent study for the active population group to
21 then look at the bigger population ones.

22 CHAIR CHESNEY: Dr. Bier.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIER: Well, my opinion on the options
2 is yes. I mean, any are better than none and the ones
3 that are likely -- I think priorities should be the
4 ones that are likely to be achievable in the
5 political, social, industry context by the appropriate
6 parties working together to find solutions that are
7 actually applicable.

8 As far as whose responsibility it is, I
9 think it's the responsibility of adult people to take
10 care of their children. In this case we're talking
11 about the people which is presumably, you know, our
12 representatives in Congress who have this
13 responsibility and the people who make the drugs. I
14 mean, I think they have a more direct -- you know,
15 maybe a more immediate one but that's the
16 responsibility of us independent of this committee.

17 Then as far as long-term safety studies
18 including growth and development, I think except for
19 drugs that may be used for a very brief period of time
20 and a limited circumstance I think without long-term
21 growth and development endpoints, you know, you are
22 not evaluating pediatric safety. I don't see how you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 can if you're talking about chronic drug use.

2 CHAIR CHESNEY: Can I comment? I think
3 that when I responded, and I didn't do reply to all so
4 none of the rest of you saw my comments, but I can't
5 help but think that this has to be a population based
6 active surveillance.

7 When I think about the little experience
8 I've had with infectious diseases that we really
9 didn't learn anything about until, for example, toxic
10 shock syndrome and penicillin-resistant pneumococcal
11 disease. We really didn't understand the extent of
12 that until the CDC did active surveillance with
13 selective populations.

14 I was intrigued -- just two other points.

15 I was intrigued in looking back at the notes from the
16 October 2003 meeting that the SEER program was
17 actually mandated by law and states that every state
18 had to develop a system whereby they follow patients
19 with cancer and they have to be reported so that was
20 very intriguing. I mean, if we have to report cancer
21 maybe there are some things we should have to report
22 about children.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

What was my third point I wanted to make?
Oh, I would say that the FDA is not the only stakeholder in this. I think that all pediatric organizations have a major interest in this. All the pediatric pharmacists would be interested in this kind of information. Children's hospitals would like this information because they would like to minimize and learn more about side effects and so on.

I'm not expressing this very well but I think in my comments I wondered if it wouldn't be worthwhile having a group of organizations get together and pool their ideas about how to develop some kind of population-based active surveillance program. I know at the October 2003 meeting we talked about the NICHD program that is being developed. I think it won't go live until 2007 to monitor a small population of children for everything forever.
(Laughter.)

I don't think that's feasible and it's too long to wait. I'm just wondering if it wouldn't be worthwhile getting other pediatric organizations together with the FDA to pool ideas and see if there

1 isn't some way to come up with a population-based
2 active surveillance program to look at not necessarily
3 just adverse events, although I think that this is a
4 very important area.

5 I don't know how else -- maybe somebody
6 could explain to me a little bit more. Tom, you
7 mentioned that you like the claims databases. Why do
8 you like that? Do you think that really gives the
9 kind of information we're looking for?

10 DR. NEWMAN: I'm not positive what is
11 meant by claims databases but in my research I'm
12 actually using data from Northern California Kaiser
13 Permanente which is, you know, very rich data source
14 that has laboratory values and diagnoses and
15 hospitalizations and medications and so on.

16 I don't know what the rules would be for
17 negotiating that and confidentiality and so on but
18 access to that kind of closed system where you know
19 who is in the system and who got the medications and
20 can look at hospitalizations for adverse events and
21 even laboratory evidence of adverse events and so on
22 seems to me very efficient if the logistics could be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 worked out.

2 CHAIR CHESNEY: Dr. O'Fallon.

3 DR. O'FALLON: I tend to be practical. I
4 think that it's probably going to be easier. We could
5 enlist those claims databases faster than we could
6 start an active surveillance but I think the
7 surveillance is -- of course, the population is
8 better.

9 For a practical thing again, people don't
10 remember what happened six months ago so if you are
11 really going to be doing something like this, this is
12 going to be requiring that they be asked like two
13 weeks or four weeks after they fill the prescription
14 or whatever it is for this.

15 Since we're talking about these drugs that
16 are being approved in this setting, it has to be
17 something where they are asked very soon afterwards in
18 order to catch some of it. Then there would be later
19 on ones that would catch the bad stuff that happens
20 later. I think they have to be -- it's not twice a
21 year. It's got to be like at four weeks and at nine
22 weeks or something like that, that type of thing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. GLODE: But, see, I don't care about diarrhea or vomiting or rash. I think you would remember if your child was hospitalized in the last six months.

DR. O'FALLON: You'd be surprised.

DR. GLODE: I might be surprised.

DR. O'FALLON: You'd be surprised.

CHAIR CHESNEY: Other comments, reflections, recommendations? Dr. Fant.

DR. FANT: Yeah. I'd just like to second the comments that you brought up. Basically what I've been hearing is that we're struggling to figure out how to better fulfill our charge given the resources that we have available to us now which we all agree are insufficient to fulfill our charge.

In conjunction with doing that trying to do our job the best way we can with the resources that are available to us and how to improve that, I really think we do need to try to push the envelope in terms of defining what would be the best way to do it and do it however that's affected.

It goes beyond what we currently, or the

1 FDA is currently charged with or allowed to do under
2 current regulations, if we have to invent something,
3 then we need to add a little push in that direction so
4 that five years from now we still aren't trying to
5 simply figure out how to do our job in a less than
6 optimal environment.

7 CHAIR CHESNEY: Dr. Newman.

8 DR. NEWMAN: There are probably people who
9 know more about it than I do but I think the vaccine
10 adverse event reporting system is an example of a
11 system that involves some collaboration with HMOs and
12 reporting data specifically on adverse effects of
13 vaccines so, I mean, something like that for other
14 drugs might work.

15 CHAIR CHESNEY: I feel like the good news
16 is that the FDA has made for all of us major progress
17 in recognizing how important children are. I think
18 this would be a logical next step. If it means making
19 it a law, then let's do that. Talk about pushing the
20 envelope.

21 DR. MURPHY: Let me try and say what I
22 thought you said and then people can pitch in and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correct it. I heard that everyone feels like they
2 have been given a mandate by Congress that they are
3 having difficulty fulfilling because of the
4 limitations of the adverse event reporting system and
5 the fact that we can't do but so much with it.

6 Therefore, you have suggested that it's okay for
7 us to try a pilot, if you will, this new system where
8 we are going to try without any additional resources,
9 trying to redirect some of our resources, this is
10 manpower resources, additional information analysis to
11 put in to situations where we think there is a signal.

12
13 That, I should say, also means we may be
14 throwing in another committee if we have to like
15 Cardio-Renal or whatever. Okay? Those are more
16 difficult to put together so it may be a step thing
17 but that's what we hear about the first two questions
18 that you got.

19 The second part was that clearly we need
20 to have better systems available to enhance safety
21 reporting which Congress thinks that they have
22 provided us with this section of BPCA. To do that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 need to be looking at -- I'm going to say this one way
2 and you can correct -- where we think there is a
3 signal we need to be looking at additional places for
4 information.

5 The most immediate place would be to be
6 looking at claims databases and see if we can data-
7 mine some of that. In addition, you're telling us
8 that we need to have an active surveillance system
9 which is not something we can control but we can send
10 back this message that we need an active surveillance
11 system because we need that kind of comparative
12 process in addition that needs to be prospective and
13 all the other things that go along with that.

14 Those are the two big messages that I
15 would summarize. The third would be if we can't fix
16 it, if we can't get some of these in, then there needs
17 to be better legislation because you can't do your job
18 the way it is right now. I just wanted to make sure I
19 got the messages right for how we --

20 DR. NEWMAN: And also streamlining the
21 adverse event reporting. Point and click.

22 DR. O'FALLON: Oh, for heaven's sake, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. MURPHY: I did put a star by it.

CHAIR CHESNEY: I think, as Dr. Santana pointed out, if you could go on-line and in five minutes tick off -- there is a long list of the adverse events associated with that drug and then a place where you could put in the ones that you saw that wasn't associated with the drug. And then other places where you could just put in an X and tick it off and we might be more likely to do it.

I think that was an excellent suggestion.

I know you can't guarantee we won't get a follow-up phone call but a follow-up e-mail. Could you expand on the following points that you made because then you can do it on your own time and you're not in the middle of rounds and having to go back and look at a chart and what not. I think that was an excellent suggestion.

DR. MURPHY: I think we should take additional comments from you guys just by e-mail about ways -- we don't control that, as you know, but I think if we can synthesize what you're telling us and send it to the Office of Drug Safety, it would be good

1 since that's been brought out for people to come up
2 with their thoughts about ways to modify the MedWatch
3 or the passive reporting system.

4 CHAIR CHESNEY: Deborah, you had a
5 comment?

6 DR. DOKKEN: I didn't want to lose what
7 Dr. Glode said later which is -- and it may somewhat
8 tie to some of our discussion tomorrow but if there is
9 a way to bring consumers, specifically in this case,
10 parents into the reporting picture, to me that's going
11 to necessitate, as I say, some of what we're talking
12 about tomorrow about how do we communicate about
13 certain things because they can't report in a vacuum
14 of knowledge.

15 Those are certainly important
16 stakeholders. That may be an example of something
17 that could be done on a pilot basis. Take, you know,
18 some smaller identified group of parents with kids and
19 see if it's possible. I just think we underestimate
20 what they could do.

21 DR. MURPHY: I would probably repeat that
22 tomorrow when the audience is going to be bigger.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 DR. GLODE: I just have one question. Is
2 it fair to say that part of the reason for the
3 existence of this committee is to say that we should
4 not in general extrapolate safety of a drug in the
5 adult population to the pediatric population or
6 presume it so that we are -- somebody's charged. The
7 FDA is charged with assuring safety of the drug in the
8 pediatric population so, therefore, your exclusivity
9 studies, at least, are not powered to show safety.
10 Where are the safety studies?

11 DR. MURPHY: I hadn't thought of it that
12 way but it is for exclusivity only that we're mandated
13 this. However, I think clearly as you develop
14 processes in the systems if they're good you would
15 want to apply them more generally. We hope that some
16 of our pediatric studies -- we do have a few large
17 studies that are for safety only.

18 As you know, the ibuprofen was 40 some
19 thousand kids so we have had a few large. We've had
20 -- how many kids are in on the Cipro long-term follow-
21 up? That's a very intensive long-term. It's not the
22 number so much. It's a very specific monitoring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 that's going on. Where we have already a very defined
2 issue we have tried to have those incorporated into
3 the written request.

4 I think there is a separate issue here.
5 The safety reporting is one activity but as a
6 pediatric committee then, you know, if you are having
7 a lot of problems with some sort of trial issue that
8 you see coming up all the time, certainly we can bring
9 that up, too. We can try to develop that issue. We
10 just would need to know that issue if it's related to
11 a specific product or class and we have to get that
12 group involved also.

13 CHAIR CHESNEY: I think this morning's
14 discussion helped me to understand a little bit better
15 how you set the stage for companies to do the
16 pediatric studies in order to give them exclusivity
17 but in the antidepressant meetings I think it just
18 became very obvious that they were done differently
19 than some of the other studies.

20 In other words, maybe the requirements
21 weren't as stringent for a company going in to do
22 pediatric studies for exclusivity as they would have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 been had they taken them right from day one. Does
2 that make sense? We didn't have PK data on some of
3 the studies and we didn't have -- we just didn't seem
4 to have the same amount of information for the studies
5 that were done for exclusivity as we did for those
6 that were done just to do the study. Am I expressing
7 that correctly?

8 DR. MURPHY: Well, I guess I'm confused
9 because the only pediatric studies were done for
10 exclusivity. All of those studies were done for
11 exclusivity. Not all but -- I think almost all of
12 them. For the antidepressants they were all
13 exclusivity studies.

14 CHAIR CHESNEY: Well, the TAD study.

15 DR. MURPHY: The TAD study is an ongoing
16 study which, again, I think we've all learned from
17 what happened in that process, but my take on the
18 exclusivity is that, as we said earlier this morning
19 with the SSRI trials, was that they didn't think that
20 was an issue.

21 They had already gone through it with the
22 adults and had done multiple analysis, multiple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies, and really didn't have those specific
2 questions keyed in and termed in the way that they
3 wanted them after they found out there might be a
4 signal so they were having to go back and try to
5 retrofit those terms and make sure the terms were all
6 being used the same. That was what I think happened.

7 CHAIR CHESNEY: Yes, and I understand that
8 they were all exclusivity for the antidepressants but
9 I mean compared to other drugs. In other words, if
10 you had started out brand new to do an antidepressant
11 study, you might have done it in a much more rigid and
12 controlled fashion than the studies that we saw that
13 were done as a result of wanting exclusivity. Help
14 me, somebody.

15 Am I making myself clear? In other words,
16 if you were going to study a new antihypertensive you
17 would do it right from day one and you would do it
18 with fairly well-defined criteria. Whereas I didn't
19 feel like the studies that had been done for
20 exclusivity were done with that same degree of rigor.

21 DR. O'FALLON: Rigor?

22 DR. MURPHY: I think one of the issues

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there, again, is that with some of the cardio
2 vasculars you have a more pharmacodynamic endpoint in
3 addition to the clinical endpoint. You may be able to
4 measure but with the antidepressants we don't know
5 except that you have too high a dose or too low a
6 dose. There isn't that nice measurable
7 pharmacodynamic endpoint. So you're right in that
8 they didn't have those imbedded in them.

9 CHAIR CHESNEY: And they didn't have PK
10 data, for example, for some of them. I mean, it
11 seemed like they had just done the very minimum that
12 they had to do. I don't mean to draw this out but I
13 had the feeling after that that their criteria for
14 exclusivity studies might be different than those for
15 somebody coming in out of the blue and wanting to do a
16 new study.

17 DR. MURPHY: That's what I'm trying to
18 say. I hope they're not. I hope they're not. I
19 think it's built on what other information you have at
20 the time and that's the problem is that sometimes
21 those studies don't have information that we end up
22 getting later. What I would say is that I hope we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 don't design anymore future studies for depression in
2 children the way we used to design them.

3 DR. O'FALLON: But part of the issue is
4 the fact that they weren't designed to assess -- get a
5 definitive answer on efficacy. They were intended to
6 go after safe dose and safety profile. Otherwise,
7 they might have had to go a lot bigger to have gotten
8 the efficacy endpoint.

9 DR. MURPHY: No, they were supposed to be
10 efficacy files.

11 DR. ROBERTS: They had to do two adequate
12 and well-controlled. There were placebo-controlled
13 trials because remember when all of these were
14 initially written and they were all written in the
15 same time frame we had no approved products for the
16 treatment of pediatric depression so they all were
17 designed as placebo-controlled trials.

18 There were two so that they could confirm
19 each other if, indeed, there was a positive effect.
20 They were to adequately demonstrate evidence of
21 efficacy. They all were to have PK. Now, part of the
22 problem is, as I recall from that template, you could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 use population pharmacokinetics. You didn't have to
2 do standard pharmacokinetics.

3 One of the difficulties we are seeing, and
4 I'm sure some of you have seen it, too, is that when
5 you do population pharmacokinetics, you do it as part
6 of your pivotal trial. If they guess wrong on the
7 dose, then you have studied your pivotal trials the
8 wrong dose.

9 Now, what they typically will try to do,
10 and actually we saw this with buspirone which was
11 studied, there were two trials for generalized anxiety
12 disorder. What they did was they targeted to get the
13 serum level that was effective in the adult
14 population.

15 Indeed, that's what the patients got. In
16 some cases even higher. It didn't work. So does the
17 drug just not work? Do we need a much higher dose?
18 But they are not going to go back and retry another
19 dose. The other thing is these studies were designed
20 the best we could and powered the best we could but
21 they didn't have to do further because in order to get
22 pediatric exclusivity you didn't have to have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 positive result.

2 CHAIR CHESNEY: Thank you. We keep
3 learning. I'm sorry you have to keep educating us.

4 Dr. Gorman.

5 DR. GORMAN: As we move forward with PREA
6 are we looking at different designs as the drugs are
7 coming through the pipeline for both efficacy? I know
8 we haven't discussed the labeling implications of PREA
9 because it's certainly different than exclusivity.
10 But are the designs different for the pediatric
11 studies as we move forward into PREA than they were
12 under BPCA, or as they are under BPCA? We are trying
13 to get to Dr. Chesney's rigor question.

14 DR. MURPHY: It shouldn't matter whether
15 they are under PREA or BPCA. They should be the
16 studies you need. If your question is as they come in
17 now that PREA is in effect, would the studies be
18 different? They could be different because, as you
19 know, they can only ask for the studies for the adult
20 indication under PREA. But the trial design -- I
21 guess what I'm trying to say, Dr. Gorman, is the trial
22 design should be the same. Actually we often will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 design them for PREA and roll it into a written
2 request.

3 DR. GORMAN: The question was more in
4 terminology. There is less of a background data
5 source when you are in PREA than there is in BPCA.
6 One of the concerns that I have heard voiced around
7 this table and in other venues is that because the
8 drug has already been shown to be effective in adults
9 that there is sort of somewhat lesser standard for
10 BPCA. Something I have not ascribed to but I've heard
11 this. When you come into PREA the drug has not been
12 approved for adults so is there a different standard
13 as we are moving forward?

14 DR. MURPHY: Well, it may have been
15 approved for adults but for another indication.

16 DR. ROBERTS: Now, wait a minute. In PREA
17 the only thing that you can require an assessment on
18 is the indication that's currently being developed or
19 has just been approved. So it would have most likely
20 been studied in the adult population and got approved
21 for that indication. Then the question is is that
22 indication applicable to the pediatric population. If

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 it is, then studies need to be done.

2 DR. MURPHY: But they could already have
3 an adult indication. I mean, infectious disease is a
4 gray area. They may have hospital-acquired pneumonia
5 in adults and now they're coming in for community-
6 acquired for adults and that would be applicable to
7 kids so you can ask for community-acquired in kids.

8 But you may already have all that data out
9 there on adults in the hospital-acquired. I guess the
10 thing is, again, yes there is an issue, as you know,
11 where sometimes we ask for "less" because we are
12 extrapolating the efficacy.

13 DR. GORMAN: Thank you for reminding about
14 the fact that PREA applies to more than just new
15 molecular entities which is the part that I had
16 forgotten. Thank you.

17 CHAIR CHESNEY: Well, it's only 6:20. Can
18 we put in another half hour here? A quick question.
19 Are we here again tomorrow morning?

20 PARTICIPANT: Yes. I won't play musical
21 chairs.

22 CHAIR CHESNEY: Can we leave the materials

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 here that we aren't going to use tomorrow to be sent
2 out later? Thank you very much.

3 DR. MURPHY: Thank you all very much.
4 Very helpful discussion.

5 DR. ROBERTS: Thank you.

6 (Whereupon, at 6:23 p.m. the meeting was
7 adjourned.)
8