

UNITED STATES OF AMERICA

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

PEDIATRIC ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

FRIDAY

NOVEMBER 18, 2005

The Advisory Committee met in the ballroom of the Washington-North Hilton, 620 Perry Parkway, Gaithersburg, Maryland, at 8:00 a.m., Dr. Robert Nelson, Chair, presiding.

PRESENT:

ROBERT W. NELSON, M.D., Ph.D.	Chair
ANGELA DIAZ, M.D, M.P.H.	Member
MICHAEL E. FANT, M.D., Ph.D.	Member
MELISSA M. HUDSON, M.D.	Member
THOMAS B. NEWMAN, M.D., M.P.H.	Member
JUDITH R. O'FALLON, Ph.D.	Member
MARSHA D. RAPPLEY, M.D.	Member
DEBORAH L. DOKKEN, MPA	Patient-Family Representative
ELIZABETH GAROFALO	Industry Representative
PAULA KNUDSEN	Consumer Representative
JAN N. JOHANNESSEN, Ph.D.	Executive Secretary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

I N D E X

	<u>PAGE</u>
Introductions, Meeting Statement Robert Nelson, M.D., Ph.D. (Chair)	5
Overview of Agenda (Diane Murphy, M.D.) Committee Role in BPCA Safety Reviews (Solomon Iyasu, M.D., M.P.H.)	9
Open Public Hearing	25
Sumatriptan (IMITREX) Irinotecan (CAMPTOSAR) Carboplatin (PARAPLATIN) Rofecoxib (VIOXX) (Suzie McCune, M.D., OCTAP, CDER, FDA)	27
Anagrelide (AGRYLIN) Sodium Ferric Gluconate (FERRLECIT) Fluconazole (DIFLUCAN) (Larry Grylack, M.D., OCTAP, CDER, FDA)	44
One-Year Post-Exclusivity Adverse Event Reports for Oseltamivir (TAMIFLU) (Melissa Truffa, R.Ph., CDER, FDA)	69
Review of Literature and Clinical Trial Data for Oseltamivir (TAMIFLU) (Linda Lewis, M.D., CDER, FDA)	99
Sponsor Presentation (Roche Representative)	123
Surveillance Data on Influenza: Pediatric Deaths and Central Nervous System Adverse Events (David Shay, M.D., M.P.H., CDC)	140
Q & As	157

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

INDEX (continued):

	<u>PAGE</u>
Summary of Agency Action Plan and Charge to Committee (Linda Lewis, M.D.)	177
Discussion	182
Adjourn	207

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

P R O C E E D I N G S

Time: 8:11 a.m.

CHAIRMAN NELSON: I would like to call the meeting to order. I guess we want to start with the confidentiality statement. Then we will do introductions.

EXEC. SEC. JOHANNESSEN: Good morning. The following announcement addresses the issue of conflict of interest with regard to the discussion of a report by the agency on adverse event reporting as mandated in Section 17 of the Best Pharmaceuticals for Children Act for Anagrelide, Carboplatin, Fluconazole, Irinotecan, Oseltamivir, Rofecoxib, Sodium Ferric Gluconate Complex, and Sumatriptan, and is made part of the record to preclude even the appearance of such at this meeting.

Based on the submitted agenda for the meeting and all financial interests reported by the Committee participants, it has been determined that all interests in firms regulated by the Food and Drug Administration present no potential for a conflict of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interest at this meeting.

2 In the event that the discussions involve
3 any other products or firms not already on the agenda
4 for which an FDA participant has a financial interest,
5 the participants are aware of the need to exclude
6 themselves from such involvement, and their exclusion
7 will be noted for the record.

8 We note that Dr. Robert Ward, Dr. David
9 Shay, and Dr. Janet Englund are participating in the
10 meeting as voting consultants and that Paula Knudson
11 is participating as the Acting Voting Consumer
12 Representative.

13 We would also like to note that Dr.
14 Elizabeth Garofalo has been invited to participate as
15 an Industry Representative, acting on behalf of
16 regulated industry. Dr. Garafalo is employed by
17 Pfizer.

18 With respect to all other participants, we
19 ask in the interest of fairness that they address any
20 current or previous financial involvement with any
21 firm whose product they may wish to comment upon.

22 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN NELSON: Thank you, Jan. So why
2 don't we go around the table and introduce ourselves
3 and, if we could start, I gather, over to my right.

4 DR. LEWIS: I am Linda Lewis. I am an
5 antiviral reviewer in the Division of Antivirals.

6 DR. BIRNKRANT: Debra Birnkrant, Director,
7 Division of Antiviral Products.

8 DR. IYASU: I am Solomon Iyasu. I am the
9 Acting Deputy Director for Division of Pediatric Drug
10 Development.

11 DR. MURPHY: I am Dianne Murphy. I am
12 the Office Director for the Office of Pediatric
13 Therapeutics, FDA.

14 DR. JOHANN-LIANG: Rosemary Johann-Liang,
15 Deputy for the Division of Drug Risk Evaluation,
16 Office of Drug Safety.

17 DR. TRONTELL: Anne Trontell, Deputy
18 Director of the Office of Drug Safety in FDA.

19 DR. WARD: I am Bob Ward, neonatologist
20 and clinical pharmacologist from the University of
21 Utah.

22 MEMBER FANT: I am Michael Fant. I am a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neonatologist and biochemist at the University of
2 Texas Health Science Center at Houston.

3 MEMBER NEWMAN: Tom Newman, a general
4 pediatrician and professor of epidemiology and
5 biostatistics at UC-SF, member of the Committee.

6 CHAIRMAN NELSON: Robert Nelson. I am an
7 associate professor of anesthesiology and critical
8 care at the Children's Hospital, Philadelphia, and at
9 the University of Pennsylvania.

10 EXEC. SEC. JOHANNESSEN: I am Jan
11 Johannessen. I am the Executive Secretary of the
12 Pediatric Advisory Committee.

13 MEMBER KNUDSON: And I am Paula Knudson,
14 the NIRB Administrator from the University of Texas
15 Health Science Center, Houston.

16 MEMBER DOKKEN: I am Deborah Dokken, the
17 patient-family representative on the Pediatric
18 Advisory Committee.

19 MEMBER HUDSON: I am Melissa Hudson. I am
20 a pediatric hematologist-oncologist from St. Jude
21 Children's Research Hospital in Memphis, Tennessee.

22 MEMBER RAPPLEY: Marsha Rappley,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Developmental and Behavioral Pediatrics from Michigan
2 State University.

3 DR. ENGLUND: Janet Englund from the
4 Department of Pediatric Infectious Diseases at Seattle
5 Children's Hospital, University of Washington, and
6 Fred Hutchison Cancer Research Center in Seattle.

7 MEMBER GAROFALO: Elizabeth Garofalo. I
8 am a pediatric neurologist and the Industry Rep, and I
9 work for Pfizer.

10 CHAIRMAN NELSON: Thank you. Dianne, do
11 you want to give us the overview?

12 DR. MURPHY: Thank you. First of all, I
13 wanted to thank the Committee for their marathon
14 participation over this past week. You arrived in the
15 summer, and you are going to leave in the winter, and
16 we really do recognize, as I said yesterday, the
17 tremendous benefit you provide to the agency, and
18 appreciate your participation over these past four
19 days.

20 Today we are -- I am going to provide a
21 quick overview of the agenda, and also wish to take
22 some time to recognize the contributions of our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Japanese colleagues.

2 We are going to begin with our usual
3 review of products that have been studied under the
4 exclusivity provisions of the Best Pharmaceuticals for
5 Children Act, and we report on the adverse events and
6 safety reporting that has occurred during that year.

7 We will begin with Dr. Suzie McCune and
8 Dr. Larry Grylack -- each of them will introduce a
9 subsequent person to you in a little bit more detail -
10 - who will review the products that are listed here.
11 I am not going to repeat them all. They are in your
12 handout.

13 We will then have a break, and Melissa
14 Truffa will be presenting the ODS review, Office of
15 Drug Safety review, for Tamiflu.

16 We then are going to have Dr. Linda Lewis
17 present to you the clinical trial and literature
18 review involving Tamiflu.

19 Then we have Dr. Joseph Hoffman from
20 Hoffman La Roche, who will be presenting for the
21 sponsor an executive summary of their comment on
22 Tamiflu.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Then at the end, we will have Dr. David
2 Shay from the CDC, who is going to provide you all an
3 overview of influenza surveillance data in the U.S.
4 At that point then, we will turn the Committee back
5 over to you all for discussion and input and your
6 response to our proposed questions.

7 In this review, which you have noted in
8 your packet, the predominant report -- predominant
9 number of reports were received from Japan, and today
10 you will hear a bit about why we think that occurred.

11 But we would like to take a moment to tell you that
12 our colleagues at the Japanese regulatory agency, the
13 Ministry for Health-Labor-Welfare, have been very
14 helpful. We have been in fairly frequent discussion
15 and had exchange of information with them.

16 So I am going to now -- how shall we say?
17 -- mutilate these names. I apologize beforehand to
18 the Japanese: Dr. Toshiro Nakagaki who is the
19 Director of Safety Division, Pharmaceutical -- and
20 they put the word Food in there -- Pharmaceutical and
21 Food Safety Bureau; Dr. Noriatsu Kono, who is the
22 Deputy Director of the same division; Mr. Tatsuo

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Kurokawa, who is Councillor, again with the Ministry
2 of Health, Labor and Welfare; also from the
3 Pharmaceuticals and Medical Device Agency, Dr. Osamu
4 Doi, who is the Senior Executive at that agency; and
5 from the National Center for Child Health and
6 Development in Japan, Dr. Hidefumi Nakamura, who is
7 the Director of the Division of Clinical Research at
8 the National Children's Medical Center.

9 They have tried to provide us not only
10 information from the regulatory perspective, but also
11 provide us information on the practice of medicine and
12 the approach to care of influenza in Japan.

13 As I said, we will conclude the day by
14 asking the Committee to address these three questions.

15 We are actually beginning it by telling you what we
16 are recommending, and then asking you, do you concur
17 with this approach.

18 For the first series of products that we
19 will be presenting, we are recommending that we return
20 to routine surveillance for those products, and we ask
21 the Committee's concurrence or comments for that.

22 Then we are telling you that we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proposing that we continue to monitor pediatric
2 adverse events that are being reported for Tamiflu
3 and return to this Committee with an additional report
4 in the next two years. Do you agree with this, and do
5 you have any additional comments?

6 We are also saying the FDA is proposing
7 additional information for the Tamiflu labeling
8 regarding serious skin reactions. After hearing the
9 discussion today, do you agree? Does the Advisory
10 Committee agree with this approach?

11 So that is a quick overview of what we are
12 asking you to do today and, if we are successful and
13 do as good a job as we have done over the last three
14 days, you will actually solve all of our questions and
15 be out of here on time. Thank you very much.

16 CHAIRMAN NELSON: Thank you, Dianne.

17 DR. MURPHY: Oh, I failed to introduce Dr.
18 Iyasu. Dr. Iyasu is a pediatrician, a medical
19 epidemiologist, who previously worked at the Center
20 for Disease Control, and will be providing our
21 overview of the safety review, which many on this
22 Committee have heard a number of times, but we do have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some new members who we really feel it is important
2 for him to do this for you and make sure that
3 everybody is beginning at the same place. So, thank
4 you, Solomon.

5 DR. IYASU: Thank you, Dianne. Good
6 morning. I am going to make some comments on the
7 safety report --

8 CHAIRMAN NELSON: Just before you get
9 started, let me note that our wayward member has now
10 been released from the elevator. We are here safely.

11 DR. O'FALLON; What a ride.

12 CHAIRMAN NELSON: Thank you. Sorry.

13 DR. IYASU: I am going to make some
14 comments on the reporting that we've been doing since
15 the report of 2003. We have done 42 drug product
16 reviews so far, and at the end of the day we will have
17 done 50 of these products that have been approved for
18 exclusivity.

19 These reviews are mandated by Congress
20 under the Best Pharmaceuticals for Children Act under
21 Section 17 where it specifies that the adverse events
22 reported for the one year following the exclusivity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 granting would be referred to the Pediatric Advisory
2 Committee for their review, and such reports are to be
3 referred to the Committee by the Office of Pediatric
4 Therapeutics.

5 That activity has resulted, as I said, in
6 now 50 reviews being completed, and today you will
7 hear reports for eight products.

8 I wanted to give you a little bit for the
9 benefit of some of the members who have not been part
10 of this Committee before what you will be reviewing
11 and what the safety reviews are based on.

12 Most of the reviews are based on the
13 adverse event reports that are submitted to the agency
14 through the passive surveillance system, and this
15 database is the AERS database, which was started in
16 1969, and by now has more than 2 million reports in
17 the database, and these reports contain adverse event
18 reactions that may be related to drug or therapeutic
19 biologic agents.

20 The exception is not contain any reports
21 related to vaccines, because vaccines have their own
22 adverse event reporting system.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Just to characterize the kind of reports
2 that we get, as I say, they are voluntary and
3 spontaneous reports, and the type of reporters varies
4 from health care professionals to consumers and
5 patients, but I should say that most of the reports
6 are -- over 90 percent of them actually come from
7 manufacturers, because they are required as part of
8 the post-marketing reporting regulations, and this
9 will include also foreign and domestic reports.

10 There is a clear definition of what an
11 adverse event is or an adverse drug experience is by
12 the regulations. It is an adverse event associated
13 with the use of a drug, whether or not considered drug
14 related.

15 So attribution to a drug is not essential
16 for reporting, and this may include accidental or
17 intentional overdose or drug adverse events that occur
18 from the abuse or drug withdrawal or failure of
19 expected pharmacologic action or drug being
20 ineffective.

21 Also there is a definition of what an
22 unexpected or unlabeled adverse drug experience is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It is defined as any event not listed in the current
2 labeling for the drug product, including events that
3 may be symptomatically and pathophysiologically
4 related to a labeled event, but deferred because of
5 greater similarity or specificity. An example is
6 hepatic necrosis versus hepatitis.

7 There is also a regulatory definition of
8 what a serious adverse event is: Any event occurring
9 at any dose that resulted in any of the following
10 outcomes. So it is really defined by the outcomes,
11 and the outcomes may vary from a death to a life
12 threatening adverse event or an adverse experience
13 that resulted in hospitalization or prolongation of
14 hospitalization or persistent or significant
15 disability or incapacity or a congenital anomaly or
16 birth defect. Therefore, these outcomes are defined
17 in the regulations.

18 Part of what we do at the FDA, because
19 these reports come without any attribution to a drug,
20 they are simple reports that come in spontaneously
21 into the agency, we do do a careful analysis or
22 causality assessment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Some of the factors that are considered in
2 that causality assessment are listed on this slide,
3 important being, of course, the temporal relationship
4 of an event to the drug, that the exposure occurs
5 before the reported event.

6 Other factors that we look for in the
7 reports is whether there is information about de-
8 challenge or re-challenge, de-challenge being defined
9 as whether an ADE subsides when the drug is
10 discontinued or the same event reoccurs when the drug
11 is readministered to the patient, and when we see also
12 a dose-response relationship, meaning an increase in
13 frequency or severity of an event of interest with
14 changes in dose.

15 We also look at issues related to what we
16 know about the biologic plausibility of the event and
17 the drug interaction. We look at preclinical studies
18 from animals that may provide us some information
19 about causality. We also look for laboratory evidence
20 of an expected or unexpected pharmacologic effect.

21 We also look at prior knowledge about
22 whether what we see with a particular drug is a class

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effect, and that would help us also in assessing
2 whether an event is related to a drug or not.

3 Other very difficult issues that we deal
4 with are whether the underlying disease and
5 concomitant medications would really confound the
6 causality assessment, and it makes it very difficult
7 often to distinguish between a drug effect and the
8 underlying disease, especially when the manifestations
9 of the disease are similar to what you would expect
10 with a drug effect.

11 I just have to mention that there are some
12 serious limitations to the databases that we normally
13 look at for post-marketing reports, and there are also
14 strengths, being that this database includes all U.S.
15 marketed drugs. We get worldwide reporting on many of
16 these medications.

17 It is very simple, in a sense, and very
18 inexpensive, because they are spontaneous reports,
19 processing of those reports and, therefore, a very
20 useful tool, and also it provides a very early
21 detection system for serious signals which are rare,
22 especially rare signals like anaphylaxis or liver

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failure, aplastic anemia and things of that nature.

2 The limitations are serious. There is
3 underreporting of adverse events. It may vary from
4 drug to drug or over time. Most of what we get in
5 terms of reports are really a nonrandom sample of an
6 unknown universe of adverse events that may occur with
7 any medication intake.

8 The quality and the completeness of
9 reports also varies, depending on where the reports
10 are coming from and who reports them. Often they are
11 very poor, and based on these reports it is very
12 difficult to do estimates of event rates or risk, or
13 really measuring risk of an adverse event.

14 The numerator is uncertain, because of
15 underreporting and variable quality. The denominator
16 in terms of who is at risk, who is taking the
17 medications, is again very difficult. Often it has to
18 be estimated, virtually impossible for really getting
19 national estimates for inpatient drug exposures or
20 often they are developed through access to outpatient
21 clinics where medications are usually given for
22 oncologic drug products, for example, and very little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data on OTC products.

2 In terms of the materials you will be
3 reviewing today, primarily the focus is on pediatric
4 adverse event reports during the one-year period. So,
5 really, the reports that you get in your package
6 include the Office of Drug Safety assessment of the
7 post-exclusivity adverse event review.

8 We also provide you in your package and in
9 the presentations the pediatric drug use data, so that
10 is a measurement of the frequency of use of this
11 medication in the pediatric population, sort of a
12 surrogate measure for the exposure.

13 We have better data on outpatient drug use
14 frequencies projected nationally, most of them from
15 IMS or from pharmacy benefit organizations. The
16 inpatient use data currently do not have an ability to
17 project nationally. We do have data from pediatric
18 hospitals and nonpediatric hospitals as well, which
19 you will hear about in subsequent presentations, also
20 in your packets in detail.

21 In addition to these primary reviews, we
22 have also the summaries of the clinical and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pharmacology-toxicology reviews and exclusivity
2 studies. That would be summarized in some of the
3 presentations where it is appropriate. Otherwise, you
4 have all those materials in the package also for your
5 review. It is also publicly available on the FDA
6 website.

7 We also provide you the drug product label
8 and the published literature pertinent to the issue at
9 hand, and also the sponsor's materials, presentations
10 when they become available.

11 So continuing the conversation we started
12 maybe two years before, we try to make it more
13 efficient in terms of your time here. We have briefer
14 reports when we find no new sector signals or safety
15 concern for any product. We normally provided a whole
16 package of materials and background material, but in
17 terms of the presentation we do very brief report, and
18 we ask you for your comments and concurrence that we
19 have no safety concern raised by the reviews.

20 Standard presentations are sort of a
21 little more fuller presentation where we really don't
22 have any unlabeled new safety concern, but we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 encountered some labeled serious adverse events that
2 may benefit from a public discussion or they are not
3 very well known -- the example is Cipro -- or there
4 has been some recent interest, public interest, in the
5 drug where we felt that it would be beneficial to
6 discuss them, giving more sort of in the
7 presentations.

8 In that presentations really where we felt
9 that there are maybe possible safety concerns or the
10 reported adverse events warrant further review, and we
11 have done that before with fentanyl transdermal system
12 where we felt that there was a suspected safety
13 concern, and that will be where we do an in-depth
14 presentation.

15 Then the other type of presentation we
16 have done, and we will continue to do at the entire
17 Advisory Committee meeting where -- or session
18 dedicated to drug or class-specific safety concern,
19 which you participated in for the SSRIs before and
20 where we will be doing one for ADHD drugs in the
21 spring next year.

22 So in that sense, I will -- You know, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 terms of the presentations that we will be doing
2 today, bringing presentations for most of the products
3 listed on this slide. We will do a lot more for
4 Fluconazole because of the level of interest in -- the
5 type of events that we have seen in the AERS reports,
6 some serious reports, mostly labeled, but probably
7 felt that this would be beneficial to discuss at the
8 Committee level and getting input.

9 We are going to do an in-depth
10 presentation for Tamiflu, because we felt that the
11 reports warranted further review because of the
12 unusual nature, mostly coming from one country, Japan.

13 We will do the one-year post-exclusivity adverse
14 event review, and the drug is reviewed here in detail,
15 and the summary of materials from our interactions
16 with the Japanese regulatory agencies.

17 Then we will do more of the summaries of
18 the literature and pediatric and trial review, a
19 presentation that will be done by the Division of
20 Antivirals; also from CDC, just a little more. I
21 think that will be beneficial to discuss in terms of
22 influenza surveillance in the U.S., and with a focus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on pediatrics. Then there will be a presentation from
2 the sponsor.

3 Finally, at the end of the day -- we have
4 to get you out by 1:30. You have had a very busy two
5 to three days, some of you, and the Committee
6 discussion will be where we will discuss some of these
7 very important questions regarding Tamiflu.

8 With that, I want to acknowledge the
9 contributions of the Division of Pediatric Drug
10 Development. Several medical officers are involved in
11 the review: The Office of Drug Safety, specifically
12 the DDRE and DSRCS; the Office of New Drugs,
13 especially the Division of Antivirals who have been
14 very integral to this review process, and the Office
15 of Pediatric Therapeutics under whose auspices these
16 Advisory Committee meetings are hosted. Thank you.

17 CHAIRMAN NELSON: Thank you, Solomon. Let
18 me ask if there is anyone in the audience who would
19 like to speak in the open public hearing portion of
20 the meeting. Hearing and seeing none, we need to take
21 a short break. I've been told we will be moving the
22 podium. I guess it's in the way of the cameras.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So we will be moving that to the side. So
2 we will take a five-minute pause, and then we will
3 restart our agenda.

4 (Whereupon, the foregoing matter went off
5 the record at 8:38 a.m. and went back on the record at
6 8:43 a.m.)

7 CHAIRMAN NELSON: We have, I think, three
8 new people at the front table that we should also
9 introduce. Why don't we do that? I think we have had
10 three new people join us, one from the elevator.
11 We'll let you guess who it is. Why don't we start
12 over with David, if you want to just introduce
13 yourself, and then Angela and then Judith.

14 DR. SHAY: Thanks. Good morning. I am
15 David Shay from the Influenza Branch at CDC.

16 MEMBER. DIAZ: Angela Diaz, Department of
17 Pediatrics and Community Medicine, Mount Sinai School
18 of Medicine, and Director of Adolescent Health.

19 MEMBER O'FALLON: Judith O'Fallon,
20 biostatistics, Emeritus Professor of Statistics at the
21 Mayo Clinic, and recently released from the elevator.

22 CHAIRMAN NELSON: Reminds me of the old

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 song, "The Man Who Never Returned" on the MTA in
2 Boston.

3 Susan, let me introduce you. A
4 neonatologist whose previous experience included
5 academic neonatal practice at Johns Hopkins and
6 Childrens National Medical Center, she received her
7 Master's degree in education and has worked on
8 computer-based education models for pediatrics, and
9 she is going to present, I guess, the first four
10 drugs, which I think Jan did a wonderful job
11 pronouncing. So I won't do it again.

12 DR. McCUNE: Thank you, Dr. Nelson.
13 Ladies and gentlemen of the Committee, thank you very
14 much. I just want to acknowledge that I do have a
15 Master's in education technology, and I want to
16 apologize for the wordy slides, but I am not clever
17 enough yet to figure out how to turn them into
18 creative slides, but I am working on that.

19 As Dr. Iyasu pointed out -- and I just
20 wanted to make sure everyone can hear me okay, and I
21 just wanted to be in profile. That's why we had to
22 change everything here. As Dr. Iyasu mentioned, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really do abbreviated presentations of the one-year
2 post-exclusivity safety monitoring for the four drugs
3 that you mentioned, and the first drug that we are
4 going to talk about is Sumatriptan.

5 The background information on the drug is:
6 This is Sumatriptan nasal spray, trade name Imitrex.
7 Its therapeutic category is that it is a selective
8 5-hydroxytryptamine receptor agonist. The sponsor for
9 Imitrex is GlaxoSmithKline.

10 The indication is for the acute treatment
11 of migraine attacks with or without aura in adults,
12 and it is not recommended for use in patients under 18
13 years of age. The original market approval was August
14 26, 1997, and pediatric exclusivity was granted on
15 February 18, 2004.

16 For each of the drugs that I am going to
17 discuss, I am going to give you some information that
18 was added to the label based on the trials for
19 exclusivity. For Sumatriptan nasal spray, the
20 information that was added to the label are that there
21 were two controlled clinical trials of 12-17-year-old
22 patients with an N of 1248. Adverse events were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 similar to those reported for adults, but the studies
2 did not establish efficacy compared to placebo.

3 In terms of use of Sumatriptan nasal spray
4 in the one-year post-exclusivity period, pediatric
5 patients accounted for less than five percent, which
6 was 3100 to 3500 (approximately) of all paid
7 prescription claims for Imitrex nasal spray from March
8 2002 to February 2005.

9 In terms of the adverse event reporting in
10 the one-year post-exclusivity period, there were six
11 unduplicated pediatric adverse event reports. None of
12 these reports were serious or life threatening.

13 So in summary, for Sumatriptan nasal spray
14 there were no new concerning unlabeled safety signals
15 identified in the pediatric adverse events reported
16 through AERS in the one-year post-exclusivity period.

17 This then completes the one-year post-
18 exclusivity adverse event reporting as mandated by the
19 Best Pharmaceuticals for Children Act. The FDA
20 recommends routine monitoring of adverse events for
21 sumatriptan in all populations, and wishes to know if
22 the Advisory Committee concurs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think I will put up the acknowledgements
2 for all the people that were very helpful from both
3 the Division of Safety and also the Review Division
4 and, while I have that up, I will answer any questions
5 that you have about sumatriptan nasal spray.

6 CHAIRMAN NELSON: Do you want us to take
7 action on each individual drug or just, when we get to
8 the end of the seven, we will just consider it as a
9 group?

10 DR. MURPHY: We were going to ask you to
11 consider them as a group.

12 CHAIRMAN NELSON: So why don't we just see
13 if there's any clarifying questions and pause for a
14 moment, but if not, we will run through the other
15 reports.

16 DR. McCUNE: All right. The second drug
17 that we are going to talk about for the one-year post-
18 exclusivity adverse event review is Irinotecan.

19 In terms of background information,
20 irinotecan hydrochloride injection, trade name
21 Camptosar, is an antineoplastic agent. This drug is
22 sponsored by Pfizer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The indication for irinotecan is a
2 component of first-line therapy in combination with 5-
3 fluorouracil and leucovorin for patients with
4 metastatic carcinoma of the colon or rectum.
5 Effectiveness in pediatric patients has not been
6 established.

7 The original market approval was June 14,
8 1996. Pediatric exclusivity was granted on March 10,
9 2004.

10 In terms of pediatric information that was
11 added to the label: PK information, including
12 clearance and AUC, were added, and adverse event data
13 from the exclusivity studies were added to the label.

14 This includes statements about pediatric adverse
15 events in the exclusivity trials that included
16 neutropenia, diarrhea, dehydration, hypokalemia,
17 hyponatremia, and infection. It also includes
18 information that accrual to the single agent
19 irinotecan phase -- there was a single agent phase and
20 also a combined phase -- was halted due to the high
21 rate of progressive disease (28.6 percent) and the
22 early deaths (14 percent).

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In terms of use information, this is very
2 difficult to obtain, since the data resources
3 available to the agency do not capture the use of
4 irinotecan and other antineoplastic agents that are
5 given in the outpatient clinic setting. For this
6 drug, that represents approximately 75 percent of its
7 use.

8 In terms of a premier database, this
9 revealed that pediatric use was noted in 16 percent or
10 205 discharges in which irinotecan was billed between
11 10 of 2002 and 9 of 2004.

12 In terms of the safety and adverse event
13 reporting for the one-year post-exclusivity period,
14 there were nine pediatric adverse event reports, of
15 which four were unduplicated.

16 There were two deaths. Those two deaths
17 include a patient with Wilms' tumor that progressed
18 and a patient with paraneoplastic meningoencephalitis
19 that was associated with the patient's underlying
20 diagnosis of neuroblastoma.

21 So in summary for irinotecan
22 hydrochloride, there were no new unexpected safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 signals identified in pediatric adverse event reported
2 through the AERS system in the one-year post-
3 exclusivity period.

4 This then completes the one-year post-
5 exclusivity adverse event reporting as mandated by
6 BPCA, and FDA recommends routine monitoring of adverse
7 events for irinotecan in all populations, and asks for
8 the Advisory Committee's concurrence.

9 In terms of acknowledgements, once again I
10 would like to acknowledge the Office of Drug Safety
11 and the Review Division, and I would like to open it
12 up for any clarifying questions.

13 CHAIRMAN NELSON: Seeing none, let's go.

14 DR. McCUNE: The third drug that I am
15 going to review is carboplatin. Carboplatin aqueous
16 solution, trade name Paraplatin injection, is also an
17 antineoplastic agent. The sponsor for Paraplatin is
18 Bristol-Myers Squibb Company.

19 The indication for Paraplatin is for
20 initial and secondary treatment of advanced ovarian
21 carcinoma. Safety and effectiveness in pediatric
22 patients have not been established. The original

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 market approval was March 3, 1989. Pediatric
2 exclusivity was granted on April 30, 2004.

3 In terms of new information added to the
4 label, there was no new information added to the label
5 based on the exclusivity trials. The adverse events
6 in the exclusivity trials were similar to those of
7 adults and were similar to those that were already
8 labeled. So the label was unchanged.

9 IN terms of use information, as I
10 discussed with the previous drug use, use information
11 is very difficult to obtain, because the data
12 resources available to the agency do not capture the
13 use of carboplatin, which is given in the outpatient
14 clinic setting, and this for carboplatin represents
15 approximately 60 percent of its use.

16 In terms of the Premier database analysis,
17 this revealed pediatric use in 2.9 to 4 percent of
18 discharges, for a total of 168, in which carboplatin
19 was billed between January of 2004 and December of
20 2004.

21 In terms of the adverse event reports in
22 the one-year post-exclusivity period, there were 43

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric adverse event reports, of which 36 were
2 unduplicated. Most of these events are currently
3 labeled or would not be unexpected in association with
4 the disease or the concomitant medications that the
5 patients were receiving.

6 Of note, there were four deaths, nine life
7 threatening events, and six events that required
8 hospitalization. In terms of the deaths, two of the
9 deaths were related to disease progression. One death
10 was in a patient who had an arrest during stem cell
11 infusion. Carboplatin had been used for bone marrow
12 conditioning prior to the stem cell transfusion, and
13 one death due to acute myocarditis that was possibly
14 related to ifosphamide or infection.

15 In terms of those 36 unduplicated reports,
16 there were a couple of unlabeled events that warranted
17 further analysis. Portal vein thrombosis was noted in
18 two children who were no multiple additional
19 chemotherapeutic agents, and there was one case of
20 blindness secondary to eye swelling and optic nerve
21 atrophy in a patient with bilateral retinoblastoma who
22 received subtenon carboplatin, cryotherapy and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 systemic chemotherapy.

2 In terms of evaluating the importance of
3 these two, portal vein thrombosis has been associated
4 with use of dactinomycin in the literature, and also
5 based on an ODS consult in July of 2005 with
6 vincristine.

7 Having noted this, off-patent Written
8 Requests were issued in 2004 to evaluate the safety
9 issue, particularly related to hepatic disease and
10 hepatic veno-occlusive disease for both dactinomycin
11 and vincristine, and that study is currently in
12 progress through NCI and COG.

13 So in summary for carboplatin aqueous
14 solution, most of the adverse events, with the
15 exception of hepatic veno-occlusive disease and
16 blindness, reported in the one-year post-exclusivity
17 period are currently labeled or would not be
18 unexpected in association with the disease or the
19 concomitant treatments received by the patients.

20 This then completes the one-year post-
21 exclusivity adverse event reporting as mandated by
22 BPCA. The FDA recommends routine monitoring of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adverse events for carboplatin in all populations, and
2 wishes the Advisory Committee's concurrence.

3 Once again, I want to acknowledge the
4 Office of Drug Safety and the Review Division, and
5 while I have those up, we will take any clarifying
6 questions.

7 CHAIRMAN NELSON: Bob?

8 DR. WARD: Susan, have you had any access
9 to COG data about their adverse event profiles? This
10 is an area of pediatric medicine where we really do
11 have comprehensive enrollment of children in carefully
12 monitored trials, and it would seem to me that it
13 would be helpful for the FDA to use their data, if
14 possible.

15 DR. McCUNE: That is exactly why we wrote
16 these off-patent Written Requests and worked with NCI
17 to design them to get the safety data from these
18 databases, and actually they have put together a
19 tremendous program, and they are doing both PK and
20 safety for these drugs that are currently in trials,
21 particularly focusing on dactinomycin and vincristine,
22 and particularly related to veno-occlusive disease in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these -- especially in the younger kids.

2 Yes, ma'am?

3 MEMBER KNUDSEN: In one of the reports
4 that were submitted about this drug in the Executive
5 Summary, it says that you had found the analysis
6 performed by the sponsor inconclusive and suggested
7 that discarding of data from analysis is discouraged.

8 What's been happening?

9 DR. McCUNE: I'm not sure I know exactly
10 what you are -- Is this the review of the exclusivity
11 trials? Oh, okay. I don't know the status from the
12 perspective of the Review Division.

13 I know that the Review Division discussed
14 long and hard about what information to put in the
15 label, based on these trials, and felt that, while the
16 information was not conclusive enough to establish
17 efficacy or even to include the PK data because of
18 some issues related to drug dosage and patient
19 enrollment, that they also felt that it was not
20 significantly negative enough to put negative data in
21 to discourage it being studied. But I don't know
22 right now what the status of the drug in the Review

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Division is or in terms of COG and their approach to
2 studying this drug.

3 CHAIRMAN NELSON: Tom?

4 MEMBER NEWMAN: I have the same concern,
5 and I think maybe we need another time or another
6 agenda item to discuss this. But the Executive
7 Summary that studies use to establish exclusivity
8 really raised concerns about what kind of studies need
9 to be done to get exclusivity and what the quality is
10 and whether they really need to have any value at all.

11 The recommendation to the sponsors that
12 they discourage discarding data and they look at prior
13 studies to be able to tell what they are doing -- They
14 say the differences are inconclusive due to small
15 sample size, N equals 5. You know, I think we at some
16 point should look at what studies are being done, and
17 is there any quality standard to allow the
18 exclusivity, or else not put the stuff in our packets,
19 because it just is troubling to read.

20 DR. McCUNE: I know that the Review
21 Division felt, when the written reports were issued --
22 They certainly felt that what they had asked for was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to give additional information. This particular
2 drug was studied in combination, and so part of the
3 problem was differentiating the single drug from the
4 combination drug, and then when it came down to
5 looking at CNS versus non-CNS tumors, wound up with
6 really a number of different types of tumors. I think
7 that that made the analysis difficult, but I hate to
8 speak for the Review Division. But I recognize that,
9 when there are small numbers, it becomes an issue.

10 CHAIRMAN NELSON: Getting into that topic
11 would take us far afield, and having read a fair
12 number of those reports, I think sometimes those are
13 legitimate questions, but there is also an evolution
14 over time in terms of the Written Request, etcetera.
15 So that's as much a moving target.

16 DR. MURPHY: I just wanted to respond to
17 Tom's comment, in that the whole arena of what types
18 of trials should be done for exclusivity, because of
19 the nature of the cancer trials and the nature of the
20 small numbers, it has been extensively discussed with
21 COG, and actually there is a guidance out on what kind
22 of approach we need for pediatric exclusivity; because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it is a real issue, but people have thought a lot
2 about it.

3 Yes, there are concerns, and it's nice to
4 know the committee reads all these in detail, but it
5 is not because people aren't thinking about it and
6 trying to deal with it.

7 CHAIRMAN NELSON: Thank you. Proceed.

8 DR. McCUNE: Finally, I am going to
9 present the post-exclusivity review of rofecoxib. The
10 background information for rofecoxib, for Vioxx, the
11 trade name Vioxx, is that it is a nonsteroidal anti-
12 inflammatory COX-2 inhibitor. The sponsor for this
13 drug was Merck.

14 The pediatric indication based on the
15 exclusivity trials was the relief of signs and
16 symptoms of pauciarticular and polyarticular course
17 JRA in patients greater than two years of age and
18 greater than or equal to 10 kilograms.

19 The original market approval was May 20,
20 1999. Pediatric exclusivity was granted in February
21 of 2004. The pediatric indication was approved on
22 August 19, 2004, and the drug was withdrawn from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 market on September 30, 2004.

2 In terms of the use information, of the
3 nearly 20 million prescriptions dispensed in 2003,
4 approximately 220,000, or 2.2 percent, were dispensed
5 for pediatric patients.

6 In terms of the adverse event reports
7 during the post-exclusivity period, which amounted to
8 a seven-month review, was that there were 9,626
9 reports of all ages, including 1,049 deaths. During
10 that period of time there were 19 pediatric reports,
11 16 of which were unduplicated, including three deaths.

12 I am going to talk to you about those
13 three deaths. These were all foreign reports. The
14 first was an adolescent with a salt wasting syndrome
15 who died after receiving treatment with rofecoxib for
16 18 months. Post-mortem showed aspiration, pulmonary
17 emphysema, bleeding underneath the pulmonary pleura,
18 significant enlargement of the heart, with no evidence
19 of MI. Infection and myocarditis could not be ruled
20 out.

21 The second case was a pre-adolescent with
22 JRA who was on rofecoxib 25 mg who died five months

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after treatment, and the complaint was chest
2 tightness. The other medications that the patient was
3 on were methotrexate, Chinese traditional medicine,
4 and spiruline, which is an herbal product.

5 The final death was an expected fetal
6 death following an elective abortion. The examination
7 of the fetus did not reveal any pathologic findings.
8 The mother was on rofecoxib for an unspecified
9 indication.

10 So in summary for rofecoxib, there were no
11 new concerning unlabeled safety signals identified in
12 the pediatric adverse events reported through AERS in
13 the post-exclusivity period.

14 This completes the one-year post-
15 exclusivity adverse event reporting as mandated by the
16 Best Pharmaceuticals for Children Act, and no further
17 monitoring is necessary, as the drug has been
18 withdrawn from the market.

19 Once again, I would like to thank members
20 of the Office of Drug Safety and the Review Division
21 with help with this review, and I open it up for any
22 clarifying comments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN NELSON: Thank you, Susan.

2 DR. McCUNE: Thank you very much.

3 Finally now, it is my privilege to
4 introduce another neonatologist. We are going to take
5 over the world. Dr. Larry Grylack is a pediatrician
6 and a neonatologist who practiced neonatal medicine at
7 Columbia Hospital for Women in Washington, D.C. for a
8 number of years.

9 His clinical interests are high risk
10 infant development assessment and infant apnea, and he
11 has participated in clinical research and teaching.
12 Larry.

13 DR. GRYLACK: Thank you, Suzie. It is a
14 privilege for me to work with Suzie, and along with
15 Doctors Ward and Fant, you know, we give testimony to
16 the fact that we can talk about patients who are older
17 than the one-month phase.

18 There's been a slight change in the order
19 of presentation. We will start with fluconazole.
20 First of all, I would like to mention that Dr. Hari
21 Sachs performed most of the preparation for this slide
22 presentation, but she is wearing one of her other hats

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this week. She is attending the American Academy of
2 Pediatrics' Committee on Drugs in Chicago, meeting in
3 Chicago.

4 I was thinking of lip synching, but most
5 of you have seen Hari and I before, and heard us. So
6 that wouldn't work, and I'm sure there is a Federal
7 regulation against lip synching. So I'll proceed with
8 the presentation.

9 Diflucan is an antifungal drug
10 manufactured -- sponsored by Pfizer. It was approved
11 in January of 1990 and was granted pediatric
12 exclusivity in January of 2004, based on studies done
13 in pediatric patients with tinea capitis. Fluconazole
14 is a selective inhibitor of a fungal cytochrome
15 pathway.

16 Fluconazole is indicated for the treatment
17 of Candida and cryptococcal infections and for
18 prophylaxis against Candidiasis in bone marrow
19 transplant patients greater than six months of age.

20 Doses are listed here for children, with a
21 specific recommendation for dosing interval in the
22 treatment of preterm neonates.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In response to a Written Request, two
2 efficacy and safety studies were performed in
3 pediatric patients with tinea capitis, comparing
4 fluconazole to standard doses of Griseofulvin.
5 Fluconazole treatment was not superior to
6 Griseofulvin. Efficacy was not established, and no
7 labeling change was made. No abnormal cardiac events
8 were described in these trials.

9 In addition to the clinical studies
10 performed for exclusivity, an animal cardiac study was
11 performed to characterize fluconazole's effect on the
12 QTc interval. This two-week trial in male beagles
13 reaffirmed the potential for increased QTc intervals,
14 diazole antifungal drug products.

15 The label carries a bolded warning
16 regarding potentially fatal hepatic toxicity. The
17 precaution section details the class effect of azoles
18 on the QT interval and the need to avoid the drug use
19 in patients at risk for pro-arrhythmic events.

20 Prescribers and patients are cautioned to
21 weigh the higher incidence of adverse events due to
22 Diflucan compared to topical intravaginal anti-yeast

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 products. Multiple drug interactions are also
2 described in the label.

3 Fluconazole is characterized as pregnancy
4 Category C, and I have defined on this slide what that
5 actually means.

6 At high doses -- that is, 20 to 60 times
7 the typical doses in humans -- embryo lethality in
8 rats increased, and fetal anomalies such as wavy ribs,
9 cleft palate, and abnormal craniofacial ossification
10 occurred. There are no well controlled studies in the
11 human, but there are case reports of congenital
12 anomalies from multiple or single dose treatment in
13 the literature.

14 Regarding usage, fluconazole products are
15 dispensed commonly, with over 11 million tablets,
16 suspension or generic products, sold annually. While
17 the majority of the outpatient prescriptions for all
18 products are for adults, infants, particularly in the
19 one to two-year age range, accounted for almost three-
20 quarters of the outpatient prescription for
21 fluconazole oral suspension, amounting to over 300,000
22 prescriptions. Pediatricians and family practitioners

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were the top prescribers of the suspension.

2 Now during the one-year post-exclusivity
3 period, pediatric patients accounted for less than
4 seven percent of the total 400 total adverse event
5 reports. There were 29 total, and 19 unduplicated
6 pediatric reports.

7 Serious adverse events, including deaths,
8 did occur. However, all of the serious adverse events
9 may be associated with the patient's illness or
10 concomitant medications or are addressed by labeling.

11 The 19 unduplicated spontaneous pediatric
12 adverse event reports included four fatalities. Two
13 of the deaths occurred in children who were receiving
14 intravenous multi-dose therapy for suspected or
15 confirmed systemic fungal infections. Their deaths
16 may have been related to their underlying illnesses or
17 concomitant medications associated with similar
18 toxicities to fluconazole.

19 Two neonatal fatalities were reported to
20 be associated with single-dose therapy and maternal
21 exposure. One of these was a stillborn infant with a
22 congenital anomaly who was remotely exposed to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drug six months prior to conception.

2 The breast-fed infant with sudden
3 unexpected death died one day after single dose
4 treatment in the mother. Death was described as
5 asphyxia and sudden infant death syndrome in the case
6 report, but an autopsy was not performed. Labeling
7 for fluconazole includes potential transmission of the
8 drug into breast milk.

9 There 15 patients with non-fatal adverse
10 events reported during the one-year post-exclusivity
11 period. These adverse events are broken down on this
12 slide into seven categories, and five of these adverse
13 events were associated with single dose or short term
14 therapy -- that is, less than three days duration.
15 Most of the 10 adverse events associated with multiple
16 dose therapy were potentially confounded by the
17 presence of underlying illness in addition to the
18 fungal infection and/or concomitant medications.

19 Three nonfatal events were associated with
20 maternal exposure. A genital urinary anomaly was
21 associated with rather remote exposure to fluconazole,
22 given the half-life of fluconazole of about 30 hours.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The second was a skeletal anomaly which has been
2 described in animals. The third patient had
3 microcephaly and ophthalmic abnormalities.

4 These anomalies may have resulted from
5 congenital infection or first trimester concomitant
6 therapies, as well as exposure to fluconazole.

7 So in summary, the number of pediatric
8 adverse events reports were small compared with those
9 in adults, which parallels the use patterns. Most of
10 the adverse event reports were potentially confounded
11 by concomitant illness and/or medications. No new
12 safety concerns were identified.

13 Pursuant to the one-year post-exclusivity
14 adverse event review, the FDA recommends routine
15 monitoring of adverse events for fluconazole in all
16 populations. We are asking whether the Advisory
17 Committee concurs with this recommendation.

18 Finally, I would like to acknowledge all
19 of the individuals who participated in this review,
20 and we have Dr. Nikhar from the Division of
21 Dermatology and Dental Drug Products actually in the
22 audience, and I thank her for being here. I am not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sure in the interim whether others have walked into
2 the room from the Office of New Drugs.

3 Any clarifying questions on the
4 presentation?

5 CHAIRMAN NELSON: Go ahead.

6 DR. GRYLACK: I will proceed, if there are
7 no questions. Yes, ma'am?

8 DR. ENGLUND: The question I have is to
9 what age is this exclusivity down to? What is the
10 minimum age? You have given us data down to one year.

11 It's used, as you know, sometimes all the way down to
12 zero day. So --

13 DR. GRYLACK: Well, you know, as you saw,
14 the dosing has been described into the preterm. So
15 the dose being the same, but the intervals being
16 different in that population. So, certainly, there is
17 information in the label about patients even down to
18 the newborn range.

19 MEMBER KNUDSEN: Dr. Grylack, tell me the
20 upper age limit. Are women -- I mean girls who have
21 reached menarche also included? But on the children,
22 I saw 12 years. I didn't see any reports over that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unless I skipped it.

2 DR. GRYLACK: Yes. It is indicated for
3 adults and children greater than six months of age in
4 the label. That's what the label says in terms of the
5 indication. However, there is further information, as
6 I mentioned, about patients below six months of age in
7 terms of dosing. But that's what the indication
8 reads. So it includes patients all the way up the
9 scale in terms of age.

10 CHAIRMAN NELSON: Okay. Proceed.

11 DR. GRYLACK: Thank you. The next
12 presentation will be about anagrelide. Again, Dr.
13 Sachs contributed heavily to this slide presentation,
14 and the trade name for this product is Agrylin.

15 It is a platelet reducing agent. The
16 sponsor is Shire, and it is indicated for the
17 treatment of patients with thrombocythemia, secondary
18 to myeloproliferative disorders, in order to reduce
19 the platelet count and thrombosis as well as
20 ameliorate symptoms.

21 Market approval and exclusivity dates are
22 listed on the slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We get to the summary quickly. This is an
2 abbreviated presentation, and the pediatric
3 exclusivity studies performed with anagrelide resulted
4 in labeling describing pharmacokinetic and clinical
5 study results.

6 Pediatric use equals 0.2 to 0.3 percent of
7 all prescriptions for this drug. No pediatric adverse
8 events were reported during the one-year post-
9 exclusivity period.

10 Pursuant to this finding, the FDA
11 recommends routine monitoring of adverse events for
12 anagrelide in all populations, and asks whether the
13 Advisory Committee agrees.

14 Again, our acknowledgement and our
15 appreciation to all of these individuals. Dr. Min Lu,
16 in particular, here is in the audience, I know, and we
17 thank her and her colleagues, and the Office of Drug
18 Safety, of course.

19 CHAIRMAN NELSON: We do have one question.

20 DR. GRYLACK: Yes, ma'am?

21 MEMBER O'FALLON: From the statistician,
22 of course. I think I figured out that you had 245.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Was that it? That's the estimated number of
2 prescriptions. Is that correct? I saw that
3 somewhere, because I got a nice little number here.

4 DR. GRYLACK: I have the usage report
5 here.

6 MEMBER O'FALLON: I mean, it is not
7 included in your slide, and this just brings me to --
8 This always bothers me. If we had only a handful of
9 prescriptions during that year, I wonder if we've
10 gained enough information in that small number to
11 really be able to tell whether this is safe in kids.
12 That's my -- It's a principle here.

13 CHAIRMAN NELSON: What I might suggest is,
14 after the next presentation, I was going to ask
15 someone to describe what routine surveillance is
16 before we make a decision about fostering that. I
17 think that might answer your question. Routine
18 surveillance doesn't mean that we just stop
19 surveilling. So there, obviously, is an opportunity.

20 DR. GRYLACK: But you are correct in that
21 245. I verified that in usage report. Thank you.

22 All right, moving on to the third drug.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Did this all by myself, and this is -- We are going to
2 talk about sodium ferric gluconate complex in sucrose
3 injection, and that is the full name of the drug.

4 The trade name is Ferrlecit, and it is a
5 hematinic compound indicated for the treatment of iron
6 deficiency anemia in adult and pediatric patients
7 greater than or equal to six years of age who are
8 undergoing chronic hemodialysis or receiving
9 supplemental epoetin therapy.

10 Somewhere along the line, the extra i got
11 dropped out of erythropoietin when it was changed to
12 epoetin. Just a serendipitous observation I have
13 made.

14 The drug was approved in 1999 and received
15 pediatric exclusivity in 2004.

16 Labeling changes resulted from the
17 exclusivity studies, citing safety and effectiveness
18 in pediatric patients six to 15 years of age, while
19 indicating that no studies were done in children less
20 than six years of age.

21 Information on dosing, pharmacokinetic
22 parameters and adverse events are also included in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 label. The adverse event profile in pediatric
2 patients six to 15 years of age is similar to that
3 reported in metals.

4 Regarding usage, the inpatient use ranged
5 from about 11,500 to almost 14,000 discharges during
6 the years 2003 to 2004 for all ages. Less than one
7 percent of usage occurred in pediatric patients,
8 however. There was no outpatient usage data available
9 to the FDA.

10 In summary, there was one labeled
11 pediatric adverse event since exclusivity. Subsequent
12 to the completion of the one-year post-exclusivity
13 monitoring of adverse events for gluconate complex in
14 sucrose injection.

15 The FDA recommends routine monitoring for
16 this drug. Does the Advisory Committee agree?

17 Again, we have acknowledgements for the
18 Office of Drug Safety, as well as the Office of New
19 Drugs. Again, Dr. Min Lu is here with us today from
20 the Office of New Drugs.

21 Thank you for your attention on these
22 three presentations. It has been a privilege, again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to report to this Committee.

2 CHAIRMAN NELSON: Thank you. I would like
3 to suggest before we take action on the question of
4 routine surveillance for these seven compounds, for
5 the benefit of the new members of the Committee, if
6 someone would like to just simply describe what
7 routine surveillance means, so that we understand and
8 it isn't returning to a lack of attention to adverse
9 events.

10 DR. MURPHY: Sure. I just want to say,
11 just briefly, that for the pediatric mandated review,
12 you have the exclusivity being granted, and then there
13 is a one-year period where the safety evaluators for
14 that drug will go and look at the AERS reports during
15 that one year, and then report to you. But over and
16 beyond the daily, there is routine surveillance of all
17 post-marketed drugs.

18 Those AERS reports are coming into the
19 Office of Drug Safety. In particular, in the Division
20 of Drug Risk Evaluation, their safety status is
21 assigned to the drug groups, and for serious and
22 unexpected reports that are coming into the inbox,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those are screened pretty much on a daily basis.

2 So I do want to reassure you that after
3 this report doesn't mean it is over, that there is
4 continuous surveillance. Dr. Trontell wants to add to
5 that.

6 DR. TRONTELL: I just wanted to reinforce
7 Dr. Johann-Liang's point, which is that we are doing
8 intensive surveillance on a daily basis. BPCA
9 specifies a systematic review for a specified time
10 period, but routine surveillance that would come after
11 a meeting such as this would include the daily review
12 of the serious and unexpected adverse events by our
13 safety evaluators.

14 The accumulation of one or more cases,
15 particularly if they meet many of the criteria that
16 Dr. Iyasu described, could trigger yet another
17 systematic review and update of the information, and
18 that has no time period. We are doing this on, again,
19 a daily basis.

20 MEMBER O'FALLON: My question is, though -
21 - I know that you are picking up all the reports.
22 That's not the issue. The issue is whether you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at the number -- at the denominator. That one
2 you, obviously, have to go look for. That is,
3 obviously, something that you have to work at getting
4 the information, and that is what I meant.

5 So you see one come in. If the
6 denominator is small, it is more important than if the
7 denominator is large, and that's all I was asking.

8 DR. JOHANN-LIANG: I do want to also say
9 the routine surveillance goes on. The reports are
10 coming in, but let's say there is a concern about a
11 signal. We have a whole division, Division of
12 Surveillance, and they do provide -- We would ask for
13 usage information at that time, to try to put the
14 whole story together. It's not just the reports in
15 isolation, obviously. So we do recognize it.

16 CHAIRMAN NELSON: Bob and then Marsha.

17 DR. WARD: Let me just try. The areas of
18 concern are where the reports are quite limited at
19 this time. So in essence, the numerator would trigger
20 all by itself, I think, a review at that point,
21 because we have those with no adverse events reported.

22 CHAIRMAN NELSON: Marsha.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER RAPPLEY: I'm new to the Committee.
2 So there are lots of things I don't understand. But
3 if the regular routine surveillance is adequate, then
4 why do we have a period of exclusivity, and why is
5 there special attention to pediatric safety issues,
6 and is the burden to the manufacturer so great to
7 continue this special reporting that, when we have
8 circumstances where either we don't have enough
9 numbers, where we have confounding type of conditions,
10 that we can't really make a decision about the safety,
11 why not continue it?

12 CHAIRMAN NELSON: I'm sure the FDA may
13 want to respond, although I need to give a first
14 attempt at the answer.

15 When the exclusivity was renewed in the
16 BPCA back in -- 2001? -- '02, in that law was a
17 specific mandate for review of this data within a year
18 after granting exclusivity by this Committee.
19 Basically, the surveillance is routine, is happening
20 all the time. The only difference is that, unless
21 there was a new concern that was raised during that
22 surveillance, it would not return to this Committee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER RAPPLEY: So it's only the scrutiny
2 of the Committee that's different?

3 CHAIRMAN NELSON: Correct. And the report
4 that's generated -- there is more activity around it,
5 but it is not as if the surveillance stops, and
6 reporting this, I think Solomon mentioned, on the part
7 of the sponsors is mandatory of all adverse events
8 throughout the time, before, after. So there is no
9 real burden on the sponsor that is different.

10 You could argue the burden is on the
11 Office of the Pediatric Therapeutics in putting
12 together these specific reports, in addition to the
13 monitoring that is ongoing through their normal
14 activity within the Office of Drug Safety. Is that
15 fair?

16 DR. WARD: The philosophical underpinnings
17 were that BPCA would lead to increased exposure of
18 children to medications and that, with that, came a
19 responsibility to carefully monitor for whether there
20 was an adverse event that is unanticipated and not
21 replicative of the experience in adults.

22 CHAIRMAN NELSON: It is certainly within

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our purview if we thought there was something in any
2 of these reports that would suggest coming back to us,
3 as we may see in the next one. We always have that --
4 We can always exercise that discretion. I think
5 Dianne wanted to say something.

6 DR. MURPHY: I think that the points that
7 you are really important in that there is routine
8 surveillance, as has been described. Sponsors, you
9 know, I hope, religiously turn in their adverse event
10 reports as they are supposed to. The agency gets
11 them. The agency looks at them for the serious ones.

12 I think that the -- and the theory behind
13 needing additional focus on pediatric safety with all
14 the new activities that have been going on has been
15 explained.

16 I think what the process does do is it, in
17 essence, puts everybody on alert, if you will, that
18 this product is going to come up for review and that
19 there is going to be transparency and a focus on what
20 is going on, so that you have an additional -- You do
21 have an additional burden, and there is no question
22 about that, within the agency; and the Office of Drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Safety does generate, as you saw, significant analysis
2 and report.

3 We have to bring together not just Office
4 of Drug Safety but the Review Division that reviews
5 this, the Division of Pediatrics. All these people
6 need to look at this.

7 One needs to weigh, which is another one
8 of those risk-benefits, that something that we think
9 is worthwhile. Out of this process -- we are now up
10 to 50 -- we have had a number of events which have
11 either independently identified an issue or have been
12 in parallel with activities going on within the
13 Division and the Office of Drug Safety that have been
14 pediatric-specific and have required greater
15 attention.

16 So that, I think, is a summary of why we
17 do it, how we do it, and what benefit we think it
18 provides.

19 CHAIRMAN NELSON: If we are going to -- I
20 might add, there has been a considerable evolution
21 over time of the, I would say, sophistication of the
22 analysis and reports. When this first started out a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of years ago, we had no denominators.

2 So if you recall, there's been a lot of
3 discussion about those reports, and there's been a lot
4 of effort in getting that kind of information. So
5 there's been an evolution even of this abbreviated,
6 extended and middle report.

7 So this is -- What you are seeing now is -
8 - and I'm sure it will continue to evolve -- is part
9 of a process that started a number of years ago.

10 DR. MURPHY: I do want to say that - Skip,
11 thank you -- that we are learning, and we are trying
12 to improve as we go along. One of the options,
13 though, I also want to point out: The Committee has
14 occasionally said just this: We don't have enough
15 data; we want you to come back.

16 CHAIRMAN NELSON: Angela, then Elizabeth.

17 MEMBER DIAZ: When a medication is
18 withdrawn from the market like Vioxx was, what are the
19 implications for the surveillance? Does that change?
20 What do we do at that point?

21 DR. MURPHY: Well, if a report comes in
22 and it is serious, they look at it. But it is not on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the market. So, theoretically, people shouldn't be
2 taking it.

3 CHAIRMAN NELSON: Someone has a stash in
4 their closet, I bet.

5 DR. JOHANN-LIANG: There is one thing that
6 I do want to clarify. The AERS reporting system, as
7 was mentioned earlier, is a voluntary, spontaneous
8 reporting, although there are requirements with
9 certain regulatory actions from the sponsors.

10 So for the most part, if it is reported to
11 us, we look at it. We do not go out and solicit
12 surveillance. This is an interesting point, because
13 as we discuss Tamiflu later, you will see that there
14 are different types of reporting. Surveillance is
15 routine. Monitoring -- that's built into the
16 regulatory systems of different countries, but in the
17 U.S., you know, if there is a case of Vioxx that comes
18 in, we will look at it, but we are not going to go out
19 there and solicit that type of surveillance.

20 CHAIRMAN NELSON: Elizabeth.

21 MEMBER GAROFALO: I thought I would just
22 add that, of course, the companies were looking at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety data on an ongoing basis as well, but this one-
2 year review does bring more focus. We would have
3 sales information, but we also don't know, unless we
4 go out and solicit, what the use is necessarily in
5 pediatrics versus adults, and the pediatric PHRMA
6 committee has been very interested in making these the
7 most beneficial reviews that we can.

8 So I think it is useful all the way
9 around, because we are doing this work as well, but it
10 brings a special focus to pediatrics. We might know
11 within our individual company what is going on, but we
12 don't know other companies' information. So there's a
13 chance to look across all of the drugs that are being
14 used in children. So we think it's a good thing, too.

15 DR. JOHANN-LIANG: That's a very important
16 point. Just to add to that, you know, we are looking
17 at these reviews by mandate one drug at a time, but
18 often what -- you know, just looking back, just trying
19 to do some metrics of what has happened is that it
20 often does bring up an issue where multiple drugs are
21 involved, and looking at it from the perspective of
22 the children.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So that is very -- That is something that
2 goes beyond the routine surveillance.

3 CHAIRMAN NELSON: What I would like to
4 suggest is that we take action at least on the first
5 question about these seven drugs. I am sure, when we
6 get to the further discussion, we may have other
7 points to make about more general issues around
8 surveillance, particularly the differences between the
9 United States and Japan, which may come up in
10 discussion.

11 So what I would like to do is, I guess the
12 first question is that the FDA is recommending a
13 return to routine surveillance, which we have heard is
14 still considerable surveillance, for seven of the
15 products that have been presented to us, and is asking
16 if we concur with that recommendation.

17 So I guess from a voting procedure point,
18 Dianne, can we just take it for a show of hands? Is
19 that appropriate, or do we have to go around the room?

20 DR. MURPHY: I think a show of hands,
21 unless there was some contentious issue.

22 CHAIRMAN NELSON: Okay. We will find out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when I ask for a show of hands. So I guess the
2 question would be: All those in favor of returning to
3 routine surveillance for the seven drugs that have
4 been presented to us, if you could just raise your
5 hands, and I will make note. Anyone disagree with
6 that?

7 Paula was out of the room. So let me
8 reframe the question.

9 MEMBER KNUDSEN: Please. Thank you.

10 CHAIRMAN NELSON: All right. We will ask
11 again. So the question is, all those who are in
12 support of the FDA's recommendation that the seven
13 drugs that we have had presented are returned to
14 routine surveillance, raise your hand. And make sure
15 you take it down now.

16 Then those who disagree with that? So it
17 shows that the Committee is unanimous in agreeing that
18 these can be returned to routine surveillance. Thank
19 you.

20 Now what I would like to do, in looking at
21 the timing, is it would be a little bit too early for
22 a break, and we have about an hour between now and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when the break was scheduled. So I am hoping we can
2 get in the first two presentations before the break.
3 Is that doable? The first two reports -- would that
4 be fine, Dianne?

5 DR. MURPHY: We are counting on you
6 keeping this moving, Skip.

7 CHAIRMAN NELSON: All right. We will
8 start with the one-year post-exclusivity adverse event
9 reports from Ms. Truffa, and we will take a break in
10 between the next presentation and the sponsor's
11 presentation, just so people know when they can get
12 their coffee.

13 MS. TRUFFA: Good morning. I am Melissa
14 Truffa, and I am a Safety Evaluator Team Leader with
15 the Division of Drug Risk Evaluation within the Office
16 of Drug Safety and, as stated, I will be presenting
17 the topic of the one-year post-exclusivity review of
18 the adverse events for Tamiflu (oseltamivir).

19 My presentation this morning will include
20 a brief overview of the background and regulatory
21 history, a few slides on the Tamiflu drug use, and
22 then the majority of my topic this morning I will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 spend on the AERS adverse reports for oseltamivir
2 during the post-exclusivity period, which is defined
3 as March 22, 2004 to March 22, 2005, and within that
4 talk I will focus on three main topics of interest,
5 which are the pediatric deaths and the most commonly
6 reported adverse events during the post-exclusivity
7 period, which are the serious skin reactions and the
8 CNS effects.

9 As you will see as I start to go through
10 the presentation, the vast majority of the reports
11 that we have received during this post-exclusivity
12 period were from Japan. So I am going to do a brief
13 summary of what we have learned about the Japanese
14 experience with Tamiflu.

15 Then I will conclude with a few summary
16 points before Dr. Lewis completes her review today.

17 Tamiflu, or oseltamivir, comes in two
18 types of -- sorry, technical problem -- user. Okay.
19 Tamiflu comes in two types of dosage forms, which are
20 oral capsules and oral suspension. It is one of two
21 drugs in the therapeutic class of neuraminidase
22 inhibitors. Its sponsor is Roche U.S.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Pharmaceuticals.

2 It is indicated for the treatment of
3 influenza in adults and pediatrics one year and older,
4 and for the prophylaxis of influenza in adults and
5 pediatrics greater than 13 years.

6 You will see that it was originally
7 approved, the Tamiflu capsules, in October of 1999,
8 and its indication was treatment of influenza in
9 adults. About a year later in November of 2000, it
10 received a prophylaxis indication, and that was in
11 adults and pediatrics 13 years of age and older.

12 About a month later, the Tamiflu
13 suspension was approved, and that received an
14 indication for the treatment of influenza in adults
15 and pediatrics greater than one. You will note that
16 there is a pending application with the Division of
17 Antiviral Products for an indication of prophylaxis of
18 influenza, and that would be in pediatrics 1 -12
19 years. As stated, its pediatric exclusivity was
20 granted March 22, 2004.

21 Next I will talk about the drug usage
22 data, and the source for the outpatient prescriptions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is Verispan. Verispan measures retail dispensing of
2 prescriptions. Prescriptions are captured from a
3 sample of approximately 51,000 pharmacies throughout
4 the U.S.

5 The pharmacies in the database account for
6 nearly all retail pharmacies, and represent
7 approximately 55 percent of retail prescriptions
8 dispensed nationwide.

9 I would also like to note that this does
10 not include Internet or mail order sales.

11 We've talked a lot about numerators and
12 denominators this morning, and I would just like to
13 reiterate that this data is really being presented to
14 kind of put the context of the use in Tamiflu into
15 some kind of context in relation the discussion of the
16 safety.

17 These data cannot be used as a denominator
18 to calculate incident rates versus the U.S. reports of
19 safety data, and I just wanted to say that again,
20 because I think it is an important point.

21 The first graph here I will walk you
22 through is Tamiflu prescriptions dispensed by retail

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pharmacies for all ages by flu season. As you will
2 note, each bar or interval represents or captures one
3 year of data, and we are defining that data as from
4 July to June in order to capture an entire flu season.

5 So, for example, the first bar captures
6 data from the July 1999 to June 2000. For the first
7 four years of marketing Tamiflu was pretty consistent
8 with its use, ranging between approximately 600,000
9 and 800,000 prescriptions for the 2000, 2001, 2002 and
10 2003 seasons.

11 In 2004 you will note that there was a
12 pretty dramatic increase in use, to about 1.5 million
13 prescriptions, and that's pretty much double what we
14 had seen in the previous four years. Then in the 2005
15 season we saw we had another slight increase to about
16 1.8 million. Again, this is in all ages.

17 You may ask about the current flu season,
18 which is just starting, because it's just November.
19 So we don't have any data for 2005 or 2006. And I did
20 want to make one more comment that is right there in
21 the footnote. There is a total of approximately 6.3
22 million prescriptions for Tamiflu from marketing until

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 June of 2005.

2 The next slide stratifies the number of
3 Tamiflu outpatient prescriptions by age. You will see
4 that we only have three years on here, 2003, 2004, and
5 2005. That's because that is the only data that we
6 have available to us.

7 In 2003 approximately 40 percent of the
8 use was in pediatrics, and we are defining that as
9 children age zero to 16. For that year in 2003, there
10 was about 600,000 prescriptions overall, and about
11 200,000 or a little over 200,000 were in pediatrics.

12 In the next year, in 2004 and 2005 you
13 will see that there was an increase in use to 1.5 and
14 1.8 million prescriptions, but the actual percentage
15 in pediatrics went down, and you will see it ranged
16 between 25 and 28 percent for those two years.

17 While the percentage of the overall use
18 went down, the actual outpatient prescriptions written
19 did go up to about 400,000, which is double of what it
20 was the previous year. And I think this is obvious.
21 The majority of the use, over 60 percent of it, is in
22 adults.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next we will move on to the review of the
2 adverse events reports for Tamiflu in FDA's Adverse
3 Event Reporting System, or AERS. This table includes
4 raw counts of AERS events for Tamiflu from the AERS
5 database from approval until April 22, 2005, which is
6 the end of the post-exclusivity period.

7 Again, it should be noted, as has been
8 said already multiple times this morning, that these
9 cases represent -- noted that in some cases the
10 reported clinical data in these reports is incomplete,
11 and there is no certainty that the drugs caused the
12 reported reaction.

13 Again, the reaction -- they actually have
14 been due to underlying disease process were never a
15 coincidental factor. Further, these data are
16 generated using computer printouts, and some of the
17 numbers may reflect duplicates.

18 I also wanted to note, the first line says
19 "all ages," and that includes ages where age was not
20 specified or ages with a null value.

21 The next line is adults, and then the
22 final line is divided by pediatrics ages zero to 16.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You can't really add up the numbers, and I want people
2 to know that, because some people will look at this
3 and say the numbers don't add up. The reason they
4 don't add up is because there are reports in the first
5 slide when no age was specified.

6 I did want you to focus in onto the last
7 row, and that's the one where we look at pediatrics.
8 You will note that all the reports were serious that
9 we received in the AERS database, and you will also
10 note that a small percentage of them, 28 of 190, are
11 U.S. reports, and that none of the death reports that
12 we received were from the United States.

13 This next table is set up the same way as
14 the previous table, but this focuses on the raw counts
15 of adverse events from the post-exclusivity period.
16 Again, I just want you to focus in on the last row
17 with pediatrics, and you will note that all the
18 reports that we received were serious by definition of
19 their outcome, and that six of them were from the U.S.
20 and that there were eight reported deaths during this
21 period, but none of those were for the U.S.

22 Now I will spend probably the greatest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proportion of the morning taking a closer look at the
2 pediatric adverse reports for Tamiflu received during
3 the post-exclusivity period.

4 There were a total of 75 unduplicated AERS
5 reports, and you will see the location or the source
6 of them. Sixty-nine of them were from Japan. Five of
7 them were from the United States, and one was a
8 Canadian report.

9 All deaths, all eight deaths all are from
10 Japan. Of the 67 non-fatal reports, 32 of them were
11 classified as CNS effect reports. Twelve were skin or
12 hypersensitivity reports, and then there was a
13 multitude of other events, GI, musculoskeletal,
14 abnormal lab values, vascular, infections,
15 hypothermia, cardiac and overdose.

16 The first topic that I am going to go into
17 more detail is the pediatric deaths. Because we had
18 received eight deaths, we went back and looked at all
19 of the deaths in the AERS database, and there is a
20 total of 12 of them and, as I have said more than once
21 this morning, eight of them were reported in the one-
22 year post-exclusivity period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There were 10 males, two females. The
2 mean age was about four and a half years. The age
3 range was from two to 14.

4 If you look at the current Tamiflu
5 labeling, you will note that death is not mentioned
6 and that there were no deaths in the clinical trials.

7 The source of the pediatric reports: All
8 12, again, are from Japan. I just wanted -- When we
9 received eight in one flu season, that was kind of
10 concerning to us. So we went back and actually looked
11 at when the events occurred.

12 Eight were reported in 2004-2005, but you
13 will note that four of those actually occurred in
14 2002-2003, and were just reported to us later,
15 basically due to lag reporting time. You will note
16 that the 2002-2003 season had five reported deaths,
17 and 2004-2005 had four, and the other three were in
18 multiple other flue seasons.

19 The four reports of "sudden death" from
20 the 2002-2003 flue season are from a Japanese
21 newspaper article. It was one reporter, and it was
22 concerning children that died suddenly in their sleep

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who were also on oseltamivir at the time of their
2 death.

3 Since there are a relatively small number
4 of reports, death reports, I will go into more detail
5 with each of the 12 reports. For ease of
6 presentation, I have chosen to break these into two
7 very broad categories.

8 One of them is reports of sudden death and
9 cardiopulmonary arrest. There are six of those in
10 that particular group, and I have grouped those
11 because I felt that they were similar.

12 The next ones that I grouped were
13 pediatric deaths with confounding factors and limited
14 information, and I should say that, even though the
15 ones in the sudden death and cardiopulmonary are
16 grouped differently, there is still limited
17 information in many of these reports.

18 The first full reports are from that
19 Japanese newspaper article, and there were actually
20 very few details provided in these particular -- the
21 AERS narrative text for these.

22 It should be noted that these Japanese

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reports were all translated to English before they
2 were sent to FDA. I don't know if you have had an
3 opportunity to look in your packet and reading them.
4 Some of them can be very difficult because of the
5 translation just to understand, I think, the nuances
6 of it, because they are translated from Japanese to
7 English.

8 From these four reports from this Japanese
9 article, there were two two-year-olds and two three-
10 year-olds. Two were described as healthy, and two
11 were described as having a history of asthma. All had
12 influenza, and the report describes them as dying
13 suddenly in their sleep one to two days after starting
14 Tamiflu.

15 Two of the cases included a statement
16 about autopsy results, and they stated that there was
17 pulmonary and brain edema in one and pulmonary edema
18 in another one. Pretty much what you are seeing on
19 this slide is pretty much all the information we have
20 about these deaths.

21 The next two -- and I am just going to
22 read these. This is basically a two-year-old male

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with influenza and mild pseudo-croup, developed
2 difficulty breathing, and was taken to the hospital.
3 En route, he suffered cardiopulmonary arrest and died.

4 Encephalopathy and myocarditis were suspected. The
5 patient had received one dose of Tamiflu before being
6 taken to the hospital. No autopsies were performed.

7 In the final report in this category, you
8 have a four-year-old female who was described as being
9 in good general condition, was diagnosed with a fever
10 and influenza. She received one dose of Tamiflu and
11 complained of notable cold feeling and pain in limbs,
12 and about 15 minutes later she developed
13 cardiopulmonary arrest and died.

14 Again, there is really not a lot more
15 information than you are seeing in this about these
16 reports.

17 The next category has the final six
18 reports, and these are ones that I classified as
19 pediatric deaths with confounding factors and limited
20 information.

21 The first one is a two-year-old male with
22 multiple medical problems was diagnosed with influenza

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and suffered cardiopulmonary arrest with pulmonary and
2 brain edema three days after starting Tamiflu. He
3 died of sepsis over two months later.

4 This one is confounded, because he had
5 some co-morbidities, and also he died off Tamiflu. So
6 causality sometimes could be difficult in relating it
7 back to the use.

8 The next one is a three-year-old male who
9 was hospitalized in the ICU with encephalopathy. he
10 developed encephalopathy due to influenza and was in a
11 coma, and then was hospitalized. An influenza test
12 was positive.

13 He was admitted to the hospital, and he
14 was diagnosed with influenza. So Tamiflu and
15 amantadine were started after admission to the
16 hospital. The patient died six weeks later of
17 pneumonia.

18 A four-year-old male with a fever and
19 suspected influenza suffered cardiopulmonary arrest
20 and anaphylactic shock, and became brain dead. He
21 died two months later. There was no autopsy.

22 The next patient is a five-year-old female

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who started Tamiflu and a cephalosporin antibiotic,
2 and the next day developed asphyxiation and vomiting.

3 The antibiotic was stopped, and three days later
4 Tamiflu was stopped, died of asphyxiation on an
5 unknown date.

6 A nine-year-old patient with mental
7 retardation, cerebral palsy, and methylmalonic
8 acidemia (often with serious acidosis) was diagnosed
9 with fever and influenza. Patient developed acute
10 pancreatitis with cardiopulmonary arrest and died four
11 days after starting Tamiflu.

12 Also Tamiflu was stopped when the patient
13 developed acute pancreatitis. The patient then
14 experienced the cardiopulmonary arrest. The reporter
15 suspected the pancreatitis was due to the patient's
16 underlying conditions.

17 The final report that I wanted to go over
18 is from a 14-year-old male, and I know there's been
19 some media reports around two particular pediatric
20 deaths in Japanese patients, and this is one of them.

21 The other one is actually in a 17-year-old adult
22 patient, because we define pediatrics as zero to 16.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I just wanted to make that clear.

2 The initial report in this 14-year-old
3 stated that a male with influenza "took one dose of
4 Tamiflu" and took his life within an hour. We
5 received follow-up information from Roche that updated
6 the report to state that the 14-year-old male with
7 influenza took one dose of Tamiflu, and in two hours
8 fell off the ninth floor of his apartment building.
9 He died of hemorrhagic shock five hours later. No
10 autopsy was performed.

11 At his clinic visit earlier in the day, he
12 had shown no disturbances of consciousness or mental
13 disorders, and the report stated that there were no
14 witnesses to the circumstances of his fall.

15 Finally, I will just do a few summary
16 reports on what my conclusions were after my review of
17 these 12 pediatric deaths.

18 Co-morbidities and confounding factors are
19 in many of the cases, as I've previously stated.
20 There was limited and missing data in a majority of
21 the cases, making it difficult to assess cause of
22 death.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Issues with translated reports and access
2 to follow-up information make interpreting these
3 foreign reports very challenging. At this time, based
4 on the available data, it is difficult to establish a
5 direct causal relationship between the use of
6 oseltamivir and the reported deaths.

7 Next I will discuss the 12 reports of
8 pediatric skin and hypersensitivity reactions that
9 were received during the post-exclusivity period.

10 There were four males and eight females.
11 The mean age was six, range 2 to 14 years. The
12 outcomes were three hospitalizations, one life
13 threatening, and eight medically significant.

14 Again, 11 of the 12 were from Japan, and
15 one was from the U.S. The type of reactions that we
16 saw in these 12 reports were Stevens-Johnson Syndrome,
17 Stevens-Johnson Syndrome with toxic epidermal
18 necrolysis, anaphylaxis and anaphylactoid reactions,
19 erythema multiforme, urticaria, and eczema.

20 If you look at the current Tamiflu
21 labeling for serious skin and hypersensitivity
22 reactions, you will note in the adverse reactions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 section it lists dermatitis under Treatment Studies in
2 Pediatric Patients.

3 Also in the Observed During Clinical
4 Practice section under General, it lists rash,
5 swelling of the face and tongue and TEN.

6 The summary of my review of these
7 pediatric reports: The majority of the Stevens-
8 Johnson, TEN and EM cases were confounded by
9 concomitant medicine. What I mean by this is a lot of
10 other medicines were started at the same time as
11 Tamiflu which also have skin or hypersensitivity
12 reactions associated with them. So that made
13 assessing causality to one particular drug difficult.

14 Three additional cases had limited
15 information that we received in the report to really
16 do an adequate assessment of the adverse event.
17 However, there were four notable cases that could
18 possibly be due to oseltamivir.

19 There was one of Stevens-Johnson Syndrome,
20 one of anaphylaxis, one of urticaria from the post-
21 marketing exclusivity period.

22 Four cases is not a lot, and some of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events have already been recognized and labeled for
2 Tamiflu. However, these cases and cases identified
3 from a review of adverse events from the 2004-2005 flu
4 season prompted the Office of Drug Safety to further
5 investigate all serious skin and hypersensitivity
6 reactions in the AERS database.

7 Before I go on, I do want to say that this
8 slide is different than the slide that you actually
9 had, and this will kind of get to my point that we are
10 still really looking at these reports in the Office of
11 Drug Safety. So that's why this slide was updated.

12 These are just really -- These aren't
13 duplicate reports, but these are reports that we are
14 still in the process of assessing them for causality,
15 but I did want to give you just a brief view of where
16 we are with that review.

17 You will note that again this is divided
18 by age. You can't add up the numbers, as I said
19 before. For serious skin, there are 43 for all ages,
20 24 for adults and 16 for pediatrics, and seven of
21 these reports are U.S. reports.

22 For the anaphylaxis, this is where these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reports include where any type of anaphylactic symptom
2 was reported to us. There's 110. Thirty-six are the
3 U.S. Eighty are in adults, and 18 are in pediatrics.

4 For those two reactions, I added them up for the
5 deaths, and there are -- deaths reported, not
6 associated with just reported.

7 There are 11 deaths. Two are U.S. Ten
8 are in adults, and you will note that there is one in
9 pediatrics, and that was included in the 12 pediatric
10 death cases that I discussed earlier. It was the
11 four-year-old with anaphylactic shock as a reported
12 event.

13 Next I am going to go on to the pediatric
14 CNS events, and there are 32 of those from the post-
15 exclusivity period. Twenty are males. Twelve are
16 females. Mean age is eight. Range is five months to
17 15 years. Outcome was hospitalization in 12 cases,
18 life threatening in two, disability in one, and 17
19 were medically significant. On the same thing, 31 of
20 these are from Japan, and one of them is from the U.S.

21 If you look at the relevant Tamiflu
22 labeling for CNS events, you will find in the Observed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 During Clinical Practice section of the label under
2 Neurology or Neurologic, it lists seizures and
3 confusion.

4 Again for ease of presentation, I have
5 placed these 32 CNS events into general categories.
6 All the patients who experienced these 32 were being
7 treated for influenza, and there may be some overlap
8 in the CNS effects within the categories, but I tried
9 to capture the major event in each case for these
10 general categories.

11 We all can recognize that, because these
12 patients were being treated for flu or suspected flu,
13 influenza, fever, dehydration, can all cause CNS
14 effects such as convulsion, somnolence and delirium.
15 So some of these can be seen as part of the underlying
16 disease that is being treated. However, what we found
17 particularly interesting about these cases was the
18 last bullet point, these abnormal behaviors, and there
19 were six that I put into this category.

20 I am going to go through and just give you
21 a little bit -- in the next slide, a little bit of a
22 flavor for what these reports said, because they were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unusual, and they were striking to us, and they caught
2 our attention. So they really needed to be looked at
3 a little bit further.

4 From the six cases of abnormal behavior, I
5 looked at the narratives, and what I did is tried to
6 just take out some of the behavior that we found to be
7 unusual. These are verbatim. These are all Japanese
8 reports. So the English is not perfect. So please
9 excuse that.

10 In the first case -- and again, I think I
11 said that all of these children had influenza or
12 suspected influenza. In the first case it stated that
13 the patient, hours after the second dose of
14 oseltamivir, jumped from the second floor of his
15 house. His lower body was deep in snow. He got out
16 of the snow and rang the doorbell and entered his
17 house. He repeatedly said, "I am no half asleep" and
18 went back to his room and slept. He remembered the
19 incident, but did not know why he jumped.

20 In the second report, the patient
21 complained he was suffering from an "abnormal look"
22 and jumped from the upstairs window of his house.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In the third case, the patient experienced
2 hallucinations and showed abnormal behavior. He
3 seemed frightened by something and rushed out into the
4 street. He was stopped by his mother. So he did not
5 come to any type of harm.

6 When we finished our review of these
7 pediatric reports from the post-exclusivity period, we
8 actually had more questions than answers, because all
9 of the deaths in the CNS reports of abnormal behavior
10 were originating from one source, or Japan. We took a
11 series of steps to try and look at this, because we
12 couldn't really have answers, and we didn't know how
13 this differential reporting was going to relate to a
14 U.S. population.

15 So right after we finished the report and
16 a few months prior to this Advisory Committee, we took
17 steps to address this differential reports and the
18 adverse events. We established a working group with
19 representatives from the Office of Drug Safety, the
20 Office of New Drugs, the Office of Counterterrorism
21 and Pediatrics, and the Office of Commissioners, which
22 is the Office of Pediatric Therapeutics.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We went on to request additional
2 information from Roche about all of these reports,
3 particularly the death, the serious skin and the CNS
4 effects. We obtained a copy, a copy of the English
5 version of the Japanese product labeling for
6 oseltamivir. Because all of these events were coming
7 out of Japan, we wanted to know if they knew about the
8 reports, had they evaluated them, had they labeled
9 them.

10 Finally, as Dr. Murphy mentioned this
11 morning, we formally contacted the Japanese Ministry
12 of Health, Labor and Welfare for additional
13 information. I know Dr. Murphy said this, but I
14 wanted to reiterate publicly a thank you to our
15 colleagues at the Japanese Ministry for their gracious
16 and timely response to our inquiries. Their input was
17 a tremendous help in starting to understand the
18 Japanese experience with Tamiflu, and to try and put
19 these events into perspective with regard to the U.S.
20 population.

21 This is going to be a summary of some of
22 the FDA inquiries that we asked the Japanese

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulators. We asked them: Have there been reports
2 in pediatric patients of deaths, CNS toxicity or
3 serious skin/hypersensitivity reactions with the use
4 in Japan?

5 We were not even sure if they were
6 receiving the same reports as us, and that was of
7 interest. Are they labeled events, as I mentioned?

8 Are there differences in the manifestation
9 of influenza in Japanese patients, especially
10 regarding CNS or neurological effects? Could these
11 adverse events be due to drug, influenza -- I mean,
12 excuse me -- be due to disease or drug or maybe
13 perhaps a combination of both?

14 We asked how Tamiflu was prescribed to
15 pediatric patients in Japan. Was it prescribed at
16 different doses? Was it higher or lower? Was it used
17 off label, etcetera?

18 How are adverse events reported in Japan?

19 We thought that that was an important question,
20 because we know how they are in this country, but
21 there were so many reports coming in. So we were
22 interested in how their system was set up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The final question we asked: What is the
2 usage data of Tamiflu in Japan? We had heard some
3 informal information that there was increase of use of
4 Tamiflu with the Japanese, and we wondered, could this
5 be resulting in a potential early safety signal that
6 has not yet been seen in the U.S. pediatric patients;
7 because as you will see, there is a tremendous use of
8 oseltamivir in Japan compared to the U.S. we have seen
9 in the last few years in U.S. pediatric patients.

10 Again, this is just a brief overview of
11 some of the responses the Japanese gave us to the
12 prior inquiries.

13 In Japan's Tamiflu label, shock,
14 anaphylactoid/serious skin reactions, and
15 psychoneurological symptoms are labeled under their
16 section labeled call PRECAUTIONS/Adverse
17 Reactions/Clinically significant adverse reactions.

18 So these events had been seen and
19 recognized in Japan, and put into their label.

20 Influenza-associated encephalopathy has
21 been a concern in Japan for over a decade. Dr. Lewis
22 will go into more detail with that in her talk, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then I am sure Dr. Shay will touch on that point, too.

2 There is widespread use of test kits for
3 detecting influenza, and the awareness to treat
4 influenza especially early is great in Japan. They
5 let us know about these kids with even symptoms. They
6 go right into the clinic. They are diagnosed right
7 there, and they are given prescriptions. So it is a
8 widespread use and recognition of influenza, basically
9 because of the encephalopathy that they had been
10 seeing for over a decade.

11 We also found out there the mass media
12 reports of positive effects of Tamiflu when it was
13 first approved in Japan, and it continued. It is
14 interesting, and this is a quote, that we heard from
15 one of our Japanese colleagues, "We love Tamiflu in
16 Japan." So I thought that was interesting.

17 The next thing I am going to talk about is
18 their post-marketing surveillance. Just to give a
19 little bit of an overview of their post-marketing
20 surveillance, there is increased surveillance in Japan
21 for all drugs six months after approval of a new drug
22 or a new indication.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In July of 2004 there was an approval of
2 Tamiflu for the prophylaxis of influenza triggering
3 one of these periods of increased surveillance, and
4 this just happened to coincide with the post-
5 exclusivity period for Tamiflu, which was -- July 2004
6 was the previous flu season.

7 There is also increased or active
8 solicitation in Japan. They send out solicitations to
9 greater than 70,000 clinical institutions on
10 soliciting them to send in reports about adverse
11 events. There was also a retrospective study in Japan
12 in 2003 and 2004 to evaluate CNS effects in infants,
13 defined as less than one year of age.

14 What they saw from this report is that
15 they did not see a difference in the neuropsych events
16 in Tamiflu patients compared to others.

17 There was also a prospective study in this
18 same population, which was completed in November of
19 this year, and the preliminary results also did not
20 see an increase in neuropsychiatric events in infants
21 receiving Tamiflu compared to others not receiving
22 Tamiflu.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Finally, I just wanted to put a bullet
2 point up there about use. Tamiflu is readily
3 available in japan, as I have stated, resulting in
4 tremendous use compared to the U.S. I defined these
5 as prescription sales, and I will say to Roche that
6 they provided this information. So if there is any
7 clarifications, I apologize for that.

8 I also apologize -- in the slides, I left
9 off the "n" in Hoffman.

10 So prescriptions for 2001 to 2002 for all
11 ages: You will see that the use in Japan was 24.5
12 million prescriptions versus 6.5 million in the U.S.
13 When we break these down and we look at prescriptions
14 for 2001 to 2005 in children less than 16 years, the
15 use in japan is 11.6 million versus about 900,000 in
16 the U.S. So there is a lot of use compared to use in
17 this country.

18 Finally, I will do a couple of summary
19 points. U.S. adverse reports do not show deaths or
20 comparable CNS effects in the pediatric age group, as
21 seen in the Japanese data.

22 Serious skin/hypersensitivity reactions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for both adults and pediatric patients are still under
2 review of the Office of Drug Safety.

3 CDER will continue to closely monitor all
4 serious adverse event reports for oseltamivir.

5 In the previous flu season, we had a real
6 concern with the shortage of the vaccine, and we felt
7 that in the shortage of the vaccine in the 2004-2005
8 flu season that there may be an increased use of
9 antivirals.

10 So we tried to be proactive in having
11 pretty routinely every two weeks meetings with CDC to
12 evaluate any adverse reports that we were receiving.
13 We felt that this was a good exercise, and FDA will
14 continue to meet with CDC for the next flue season to
15 discuss serious U.S. adverse events with antivirals to
16 treat influenza.

17 I did want to acknowledge Evelyne Edwards
18 particularly, because she did a lot of the work on the
19 actual consult, the BPCA consult, and Rosemary Johann-
20 Liang and David Moeny who provided me with the use
21 data.

22 I didn't know if you wanted to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions now or wait.

2 CHAIRMAN NELSON: Wait.

3 MS. TRUFFA: Wait? Okay.

4 CHAIRMAN NELSON: Yes, I would like the
5 Committee to make note of any questions, because I
6 think it is important for us to get all of the
7 information on the table before we get into questions
8 and discussion, as some of our questions might be
9 answered by subsequent presentations. I would like to
10 have that perspective.

11 So we will go on to Dr. Lewis'
12 presentation, and after that take our break, which I
13 suspect will be later than 10:30, given the number of
14 slides I counted, but whenever is fine.

15 DR. LEWIS: I can talk very fast.

16 CHAIRMAN NELSON: I think it's important
17 to not go too fast.

18 DR. LEWIS: I am from the South. So I
19 can't talk as fast as Ms. Truffa can.

20 My name is Linda Lewis. I am a Medical
21 Officer and the primary clinical reviewer for Tamiflu
22 in the Division of Antiviral Products at the FDA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The Division of Antivirals, as you have
2 just heard, has been working closely with the Office
3 of Drug Safety in monitoring the safety of Tamiflu in
4 not only children but in all age groups.

5 After the ODS compiled the BPCA summary of
6 safety events, we were asked to look at a reevaluation
7 of the pediatric clinical data available for Tamiflu.

8 In the next 25 minutes I will describe how the Review
9 Division, in collaboration with ODS, the Division of
10 Pediatric Drug Development, and the Office of
11 Pediatric Therapeutics evaluated these events from a
12 clinical perspective.

13 First, I will give a brief recap of the
14 ODS BPCA safety consult and some possible explanations
15 that we discussed for the unusual pattern of adverse
16 events.

17 Then for each of the topics of interest,
18 pediatric deaths, neuropsychiatric adverse events, and
19 serious skin reactions, I will walk you through our
20 re-analysis of the pediatric data from the available
21 clinical trials that were submitted both for the
22 original approval of Tamiflu and some that have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submitted since that time.

2 Then I will give a brief review of the
3 pertinent scientific literature related to the
4 manifestations of influenza in children. I will
5 summarize with the FDA's conclusions about these
6 events.

7 As you just heard from Ms. Truffa, the ODS
8 reviewed the Adverse Event Reporting System database
9 for cases of adverse events in pediatric patients over
10 the year following granting pediatric exclusivity. A
11 total of 12 deaths have been reported in pediatric
12 patients receiving Tamiflu since its approval.

13 The review of deaths covered the entire
14 use period and not just the BPCA review period. All
15 pediatric deaths were reported as from Japan.

16 A total of 75 pediatric adverse events,
17 which includes the death, were found in the database
18 during the review period from March 2004 to april of
19 2005. sixty-nine of these reports were from Japan.
20 Five were from the U.S., and one was from Canada.

21 The neuropsychiatric events and serious
22 skin reactions were the most common and the most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerning to all of us in the clinical realm.

2 This pattern of deaths and adverse events,
3 reported almost entirely from Japan, was unusual for
4 us. The FDA receives adverse event reports from all
5 over the world, and usually reports are very similar
6 from one reporting country to the other in the types
7 of events that are reported.

8 Because of this, we had a number of
9 discussions of these cases and explored several
10 possible explanations for this pattern of pediatric
11 deaths and adverse events among Japanese children.

12 Could this reflect a difference in the
13 absorption, distribution, metabolism, or elimination
14 of Tamiflu in Japanese children leading to a different
15 PK profile in that population? Specifically, could it
16 lead to increased drug levels?

17 I will tell you that there is no clinical
18 pharmacology data from either the Japanese or the U.S.
19 literature to support this hypothesis, and I won't
20 expand on that any further.

21 Also, could this be a difference in the
22 dose or the indications for use of Tamiflu in Japan?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We know that the drug product is the same in Japan and
2 the U.S., and dosing recommendations for Tamiflu are
3 very similar in the Japanese label and the U.S. label.

4 Tamiflu is approved for similar indications in both
5 countries. So this is unlikely to provide us with an
6 explanation for these events.

7 Could these events represent a difference
8 in reporting of adverse events in Japan? As you have
9 heard, we have some evidence that the reporting of
10 adverse events during the time period that coincided
11 with our BPCA review was more intensive in Japan than
12 it was in the United States.

13 What I will spend most of my time
14 discussing is the next question that we came up with.

15 Could these adverse events represent a difference in
16 the manifestations of influenza in Japanese children
17 that are not in the ready armamentarium of events that
18 are seen by pediatricians in the United States?

19 Finally, could these adverse events
20 indicate a safety signal associated with the use of
21 Tamiflu in children because of the greater use of the
22 drug in this population?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I will focus my presentation really on our
2 efforts to tease out whether these reports really
3 symbolize an effect of the drug itself or are more
4 likely related to the disease process of influenza.

5 As you know, post-marketing adverse events
6 can be very difficult to interpret. You saw some of
7 the verbatim events that we get in our AERS reports.
8 Even when they are not translated from another
9 language, they are frequently very sketchy and
10 difficult to interpret.

11 In part, this is because the reports are
12 uncontrolled. There is no comparison group, and there
13 is often no way to separate use of the drug from the
14 underlying condition.

15 In order to evaluate rates of adverse
16 events in a more controlled way, I reevaluated the
17 pediatric safety data from all of the available
18 clinical trials with Tamiflu that had been conducted
19 in the U.S., Canada, Europe and South America. These
20 studies have been submitted to the FDA for complete
21 review.

22 This review included reanalysis of two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 randomized pediatric influenza treatment studies that
2 supported the approval of Tamiflu suspension submitted
3 back in 2000. Of these, let me describe these studies
4 in brief.

5 Study WV15758 was a study comparing
6 Tamiflu to placebo in otherwise healthy pediatric
7 patients 1 to 12 years of age. These patients all had
8 a clinical diagnosis of influenza. Virologic studies
9 confirmed influenza in about 65 percent of the
10 patients enrolled.

11 Patients received Tamiflu at 2 mg per
12 kilogram twice daily for five days. In this study,
13 342 patients received Tamiflu, and 353 received
14 placebo.

15 Studies WV15759 and 15871 were actually
16 two identical studies that were conducted in northern
17 and southern hemispheres following flu seasons. These
18 studies compared Tamiflu to placebo for the treatment
19 of influenza in pediatric patients 6-12 years of age
20 with clinical influenza and known underlying asthma.

21 The dose of Tamiflu was the same, 1 mg per
22 kilo twice daily for five days, and in this study 170

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients received Tamiflu, and 164 received placebo.

2 We haven't really focused on efficacy in
3 discussing these studies, but I will say that in both
4 of these studies use of Tamiflu shortened the duration
5 of flu symptoms by about 1 1/2 days compared to
6 placebo, and this is what led to the efficacy
7 indication for the drug in this country.

8 In addition, we have recently received a
9 household transmission study submitted to extend the
10 prophylaxis indication to children 1-12 years of age.

11 This study is currently under review, but it does
12 provide additional pediatric data.

13 Study WV16193 was a study which enrolled
14 ill index cases with influenza and their households.
15 All index cases were treated with Tamiflu at the
16 standard approved doses, and their household contacts
17 were randomized as units to receive either Tamiflu
18 prophylaxis once a day for 10 days or no prophylaxis
19 and treatment if they became ill.

20 One hundred thirty-eight households
21 received Tamiflu once daily, and 139 households
22 received no prophylaxis. This actually added up to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 total of 1104 active subjects in this study. Of
2 those, 534 patients were between 1 and 18 years of
3 age, 181 as index cases who were all treated, and 353
4 who were contacts randomized to either prophylaxis or
5 no prophylaxis.

6 Additionally, as part of this supplement,
7 Roche was asked to provide updated post-marketing
8 safety data for all serious hepatic, renal, skin, and
9 neurologic adverse events in all ages, and this data
10 is still currently under review.

11 The electronic study datasets were
12 reviewed for the adverse events of interest, using
13 selection criteria from the MEDRA Medical Dictionary
14 preferred term and by body systems.

15 Adverse events were included from both the
16 dosing period and the post-dosing follow-up to include
17 all possible adverse events. All neurologic and
18 psychiatric adverse events were selected and compiled,
19 and all dermatologic and hypersensitivity events
20 were selected and compiled.

21 Since the prophylaxis study included
22 patients of all ages, we selected patients from 1 to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 18 years of age, and patients who received both
2 Tamiflu prophylaxis and treatment were pooled.

3 I'll just make a comment, that while I
4 used the more inclusive age of 1 to 18 years to look
5 at pediatric patients, as Ms. Truffa indicated, the
6 BPCA age criteria is up to 16. So this is a little
7 more inclusive and, being a pediatrician, I naturally
8 gravitate toward having more patients rather than
9 less.

10 All studies were evaluated separately --
11 and then the data were pooled. In these studies and
12 what I will be showing you is the integrated safety
13 review -- all patients receiving Tamiflu were compared
14 to all those who received either placebo or no
15 treatment.

16 First, I evaluated the occurrence of
17 deaths in the pediatric patients. In looking at the
18 clinical trials, this was relatively easy, as there
19 were no pediatric deaths reported in any of the
20 treatment or prophylaxis trials of Tamiflu submitted
21 to the FDA. This includes that there were no deaths
22 in adolescents who were enrolled in the adult studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The case summaries of the 12 post-
2 marketing AERS death reports, as you have heard, were
3 quite variable in the level of detail provided and
4 confounded by other conditions and use of other
5 medications. Consequently, it is very difficult to
6 assign causality in these cases.

7 What we do know about influenza in
8 children is that young children have known higher
9 morbidity and mortality with influenza.

10 A study published in 2000 by Neuzil and
11 colleagues enrolled a number of children in the
12 Tennessee Medicaid program. This was a very large
13 epidemiologic study. They identified excess rates of
14 hospitalization, particularly in children less than
15 one year of age, and excess number of deaths from
16 cardiopulmonary conditions during the flu season.

17 Just to provide a little additional
18 context, in the MMWR the CDC reported 152 influenza
19 associated deaths during the 2003-2004 flue season in
20 patients less than 18 years of age in the United
21 States.

22 I think you will hear a little bit more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the mortality surveillance in the U.S. from Dr.
2 Shay a little bit later.

3 Now I would like to summarize our review
4 of the neuropsychiatric adverse events. This slide
5 shows the results of the integrated analysis of
6 neuropsychiatric adverse events from all of the
7 available pediatric clinical trials of Tamiflu.

8 As I said, the neuropsychiatric adverse
9 events in all patients who received Tamiflu were
10 combined and are seen in this column. All of the
11 adverse events that were seen in patients who received
12 placebo or who were not treated in the prophylaxis
13 study are combined in this column.

14 As you can see there are a variety of
15 neuropsychiatric adverse events reported in these
16 studies, and I will remind you that most of these
17 patients had influenza as part of their presenting
18 symptoms. By far, the most common symptom was
19 headache, occurring in four percent of the patients
20 who received Tamiflu, and five percent of those who
21 received placebo who were not treated.

22 Events such as confusion, hallucination,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mood swings, and nightmares were seen in very small
2 numbers of patients in both treatment groups.
3 Overall, 44 of 903 Tamiflu recipients, or five
4 percent, experienced some neuropsychiatric adverse
5 event. Forty-five of 660 patients who did not receive
6 Tamiflu experienced an adverse neurologic event.

7 Most of these events were considered non-
8 serious and, therefore, there are very few details
9 about the individual events. There were a few events
10 that I thought a little additional information.

11 In the pediatric influenza treatment
12 trial, there was one neurologic adverse event that was
13 reported as a serious adverse event. This involved a
14 nine-year-old male patient with confirmed influenza B
15 who was hospitalized on study Day Two. He was
16 described as having viral encephalitis with no other
17 description of his symptoms.

18 The event was considered moderate in
19 severity and unrelated to study drug. The event
20 resolved without sequelae over 16 days. This patient
21 had, in fact, received placebo.

22 In the prophylaxis trials, two adolescents

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were reported to have psychiatric events. An 18-year-
2 old male contact was reported to have a psychological
3 disorder noted to be present about one month prior to
4 the study. The event was considered mild and did not
5 require specific treatment, but was not further
6 described.

7 This event was considered unrelated to
8 study drug. The patient had received Tamiflu
9 prophylaxis and did not acquire influenza.

10 Lastly, a 17-year-old female index case
11 was reported to have a nervous breakdown, and this
12 event was reported as a serious adverse event. She
13 received Tamiflu for her influenza at standard doses.

14 She was hospitalized on study Day Five for this
15 event, and was noted at that time to have a history of
16 depression.

17 The event was considered severe, but she
18 was not given other specific treatment, and the event
19 resolved. She was discharged from the hospital after
20 two days, and referred for counseling.

21 In addition to reviewing the comparative
22 clinical trials data, we also searched the pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 literature to review possible neurologic
2 manifestations of influenza.

3 This literature search documented
4 increased reports of influenza-associated encephalitis
5 and encephalopathy, mostly originating from Japan,
6 beginning in the 1990s before the approval of Tamiflu.

7 These reports in Japan prompted a
8 nationwide surveillance effort for encephalitis and
9 encephalopathy. They also promoted both medical
10 community and public education efforts regarding the
11 neurologic complications of influenza.

12 One of the most detailed reviews of
13 influenza-associated encephalitis or encephalopathy
14 was published by Dr. Morishima and his colleagues in
15 Japan. This was a retrospective study of the 1998-
16 1999 flu season, again prior to the approval of
17 Tamiflu.

18 This study was conducted as a national
19 survey, sending questionnaires to every local health
20 care center in Japan. This was an extraordinarily
21 complex effort on the part of this Japanese group.

22 They used as their definition of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 encephalitis and encephalopathy a clinical definition
2 requiring altered consciousness or loss of
3 consciousness. Diagnosis of influenza was based on
4 positive culture, antigen test, PCR, or increased
5 hemagglutination inhibition titers.

6 Of the 217 responses they got to their
7 survey, 148 cases met their definition of
8 encephalopathy with documented influenza, and I will
9 remind you that this is a survey of a single flu
10 season.

11 Dr. Morishima's review described a typical
12 course of influenza associated encephalitis and
13 encephalopathy. It describes the sudden onset of high
14 fever, seizures, altered consciousness, and sometimes
15 with rapid progression to coma within one to two days
16 of flu symptoms.

17 Very few of the patients in this series
18 had Reye's syndrome. Only four percent exhibited the
19 metabolic and liver enzyme abnormalities typical
20 Reye's.

21 Eight-eight percent of their cases were
22 associated with influenza A, and, interestingly, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was H3N2 that was circulating widely in Japan during
2 that flu season.

3 CSF findings in these patients, when
4 available, were frequently normal. Brain imaging was
5 suggestive of cerebral edema and localized areas of
6 low density. Some patients exhibited bilateral
7 symmetric thalamic low density lesions that are
8 characteristic of acute necrotizing encephalopathy, an
9 entity that has been described both with flu and other
10 viral illnesses in the past.

11 In this series there was a very high mortality
12 of 32 percent and very high rates of disability.
13 Twenty-eight percent of patients in this series had
14 some sequelae, and nine percent had severe sequelae.

15 Not all of the reports from the Japanese
16 literature of neurologic complications of influenza
17 and so severe. There are also reports in the
18 literature of milder syndromes with descriptions of
19 abnormal behavior, hallucinations and delirium.

20 There is no accepted explanation for the
21 apparent difference in the rate or pattern of
22 neurologic complications observed in Japanese children

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 compared to other countries. There have been a number
2 of explanations proposed, but nothing has really been
3 universally accepted.

4 The Japanese authors report continued high
5 rates of influenza-related encephalitis and
6 encephalopathy in recent flu seasons, but the
7 mortality rates have decreased over the last four or
8 five years. Some authors in Japan suggest that this
9 decreased mortality is due to increased awareness and
10 rapid diagnosis and treatment of influenza among
11 children.

12 Interestingly, one author proposes that
13 the use of pulse steroids is what has really made a
14 difference in their mortality rates.

15 In contrast to the large series from
16 Japan, there are only isolated case reports and small
17 series of encephalitis and encephalopathy originating
18 from the U.S. patient population. The largest of
19 these series was published by Maricich and colleagues
20 from Houston during the 2003-2004 flu season.

21 As you remember, this was the flu season
22 that struck early, beginning in October, and was quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 severe in many places. Neither vaccine nor antivirals
2 had really been distributed adequately at the time the
3 influenza epidemic hit that year.

4 During that flu season, this group
5 documented 478 laboratory confirmed cases of influenza
6 A at Texas Children's Hospital. During the same time
7 period, eight patients were hospitalized with
8 neurologic symptoms.

9 In these cases, antivirals were used only
10 after admission, and four patients received
11 rimantidine, and one received Tamiflu. There was one
12 of the eight patients who was left with significant
13 neurologic sequelae, consistent with the syndrome of
14 acute necrotizing encephalopathy.

15 The Division of Antiviral Products and the
16 Office of Drug Safety requested additional information
17 from both the Japanese regulatory authorities and from
18 Roche regarding the neuropsychiatric adverse events,
19 as you have heard from Ms. Truffa. The responses
20 confirmed several points that we thought were
21 important in our evaluation of these events.

22 First, the Japanese undertook active

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surveillance of influenza associated encephalitis and
2 encephalopathy beginning in the late 1990s. Also, the
3 Japanese national health service actively facilitates
4 rapid diagnostic testing for influenza in children and
5 subsequent treatment. Currently, much of the
6 treatment is with Tamiflu.

7 Information from both the Japanese
8 authorities and from Roche confirmed that Roche,
9 through its Japanese affiliate, Chugai
10 Pharmaceuticals, actively solicited adverse event
11 reporting from 70,000 physicians, clinics, and
12 institutions during the 2003-2004 flu season as part
13 of the Japanese reporting requirements. These
14 solicited events are included in our AERS database,
15 along with our usual spontaneous passive reports.

16 Now I would like to turn attention to the
17 dermatologic adverse events. This slide shows the
18 results of the integrated analysis of skin and
19 hypersensitivity reactions from the pediatric clinical
20 trials of Tamiflu, and is set up very similar to the
21 previous table I showed you.

22 In this table, all patients who received

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Tamiflu, whether it was prophylaxis dose or full
2 treatment dose, are compiled in the first column, and
3 all those patients who had adverse events who received
4 placebo or were not treated are in the second column.

5 A variety of dermatologic adverse events
6 were reported in the clinical trials. Unspecified
7 dermatitis -- that is dermatitis not otherwise
8 specified, for those of you who are not familiar with
9 MEDRA terms -- were the most common and were seen in
10 two percent of the patients who received placebo or no
11 treatment.

12 Overall, dermatologic and hypersensitivity
13 reactions were identified in 29 of 903, or three
14 percent, of Tamiflu recipients, 22 of 660, or three
15 percent, of no treatment or placebo patients. It is
16 of some interest to us, however, that the only cases
17 of erythema multiforme, facial and periorbital edema
18 and localized exfoliation are in patients who received
19 Tamiflu.

20 None of these dermatologic events in the
21 clinical trials were reported as serious adverse
22 events.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The pediatric literature is really less
2 revealing when you search for influenza associated
3 dermatologic events. There are only rare case reports
4 of dermatologic manifestations of influenza in
5 children.

6 The best of these that I found was a
7 survey of respiratory viruses in Great Britain that
8 was published in 1969. These authors note "rash" was
9 present in approximately two percent of patients with
10 influenza A and eight percent of patients with
11 influenza B. The rashes, however, were not further
12 described in this survey.

13 Pediatric and infectious disease textbooks
14 do not include skin reactions or rash as a usual
15 manifestation of influenza.

16 In conclusion, we have to acknowledge that
17 a search of our AERS database identified deaths, an
18 unusual pattern of neuropsychiatric adverse events,
19 and serious skin reactions reported in children
20 receiving Tamiflu.

21 Although the post-marketing safety reports
22 identified these events, reanalysis of the comparative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric clinical trials data used in the approval of
2 Tamiflu failed to identify significant differences in
3 these events between children receiving Tamiflu and
4 those who received placebo or no treatment.

5 A further investigation into the possible
6 explanation for this pattern of events reported in
7 Japanese children identified several things that may
8 have contributed to the number and pattern of reports.

9 First, the syndrome of influenza
10 associated encephalitis and encephalopathy was
11 described in the pediatric literature well before the
12 approval of Tamiflu.

13 There was an increased awareness of these
14 neurologic complications in influenza in children in
15 Japan. We have evidence that, because of these
16 events, there was an increased use of Tamiflu in
17 children compared to other countries, and we also know
18 that there was likely an increased level of adverse
19 event reporting from Japan that coincided with the
20 BPCA review period.

21 I guess after the break, you will hear
22 presentations with additional information by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 representatives from Roche Pharmaceutical that will
2 clarify some of these issues a little further.

3 You will also hear a presentation from Dr.
4 David Shay from the Influenza Branch of the CDC, who
5 will present an update on the influenza surveillance
6 in pediatric patients in the U.S., with particular
7 attention to mortality and the neuropsychiatric
8 adverse events, and it may be a case of, at least with
9 the neuropsychiatric events, if you look harder for
10 it, sometimes you find it. Clearly, the Japanese have
11 been looking for it since the mid-1990s, but the U.S.
12 population has, I think, been less aware of this
13 entity.

14 Thank you.

15 CHAIRMAN NELSON: Thank you very much. We
16 will take a break now for 15 minutes, and then
17 reconvene with the sponsor presentation. My watch
18 says 20 of 11:00. So we will try to make it five of
19 11:00 in terms of starting again. Thank you.

20 (Whereupon, the foregoing matter went off
21 the record at 10:43 a.m. and went back on the record
22 at 10:57 a.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN NELSON: In case anybody is
2 wondering, there will be no lunch break, unless we
3 decide to go way past our allotted time to end. So we
4 will push through until the completion of the meeting.

5 We will start with the sponsor
6 presentation, and it is Dr. Hoffman, or I guess you
7 can all introduce yourselves as you get up. Feel
8 free.

9 MS. CONRAD: I am Robin Conrad. I am with
10 Regulatory Affairs in Hoffman-La Roche. I would like
11 to thank the agency and the Committee for the
12 opportunity to present here today on the pediatric
13 post-marketing safety data for Tamiflu.

14 As we heard earlier this morning, Tamiflu
15 is indicated for both the treatment of influenza in
16 adults and children greater than one year of age, and
17 also for prophylaxis for adults and adolescents
18 greater than 13.

19 We have currently pending a prophylaxis
20 supplement that would take the age range down to
21 greater than or equal to one year of age and, as
22 mentioned earlier, we did receive pediatric

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exclusivity in March of 2004, and we are back here,
2 basically, for a one-year safety review following that
3 exclusivity period.

4 This morning we have a number of
5 individual experts available to answer questions from
6 the panel, including those from clinical science, drug
7 safety, preclinical and clinical pharmacology.

8 Dr. Joe Hoffman, our Vice President of
9 Clinical Science at Hoffman La Roche will be doing the
10 primary presentation, and I will turn it over to him
11 now.

12 DR. HOFFMAN: Thanks, Robin. On behalf of
13 the sponsor, I would like to thank you for the
14 opportunity to address you at the meeting today. If
15 it makes Ms. Truffa feel any better, it is my family's
16 custom to always drop the second "n" from the name.

17 I am an internist. I am critical care
18 physician, and I am currently the group leader for
19 virology and transplantation, and my objectives today
20 are to provide a brief overview of the pediatric
21 safety experience with Tamiflu since FDA approval in
22 December of 2000, to respond to the FDA request for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review of neuropsychiatric SAEs and deaths, and to
2 compare the global experience with that of Japan.

3 Beginning with just the position of -- our
4 position of the Tamiflu experience, Tamiflu was shown
5 to be safe and effective in the registration program
6 in patients down to the age of one.

7 The Roche Drug Safety Database called
8 ADVENT of post-approval use supports the current
9 product labeling, with the exception that a proposal
10 has been submitted to FDA to update the U.S. package
11 insert with information on skin events.

12 The increased reporting in Japan is
13 secondary to a number of factors, including burden of
14 disease, the number of courses dispensed, clinical use
15 patterns, and safety reporting practice.

16 In terms of the overview, what you can see
17 in this slide are the prescriptions for Tamiflu
18 through about mid-year, and you can see Japan is the
19 leading prescriber at 24.5 million, with the USA
20 second with 6.5 million, and the rest of the world
21 about a million, giving a total of 32 million.

22 When that is broken down into adult and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric use, you get the following numbers for Japan
2 and the USA: For adults, about 12.9 million
3 prescriptions in Japan, about half that, 5.2 million,
4 in the United States. For children, 11.6 million in
5 Japan, and for the U.S. about 1.3 million.

6 The reason why the number here is a little
7 bit higher than what Ms. Truffa presented is that was
8 syrup only, and older children take to take capsules
9 rather than syrup. So the numbers don't look higher.

10 So for the approximately 13 million
11 prescriptions in the pediatric population, we have
12 seen the following serious adverse events and have
13 them on our ADVENT database: A total of 325, of which
14 275 come from Japan, 25 from the U.S., and 25 from the
15 rest of the world.

16 So you can see that there are about 10
17 times more pediatric prescriptions which have been
18 written in Japan versus the U.S., and there are also
19 approximately 10 times the number of serious adverse
20 events reported in Japan versus the U.S.

21 This slide just breaks down further the
22 types of serious adverse events, and this is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percentage of those 325: Nervous system disorders, 20
2 percent; gastrointestinal disorders, 25; skin and
3 subcutaneous disorders, 14; and psychiatric disorders,
4 thirteen.

5 The gastrointestinal disorders are largely
6 already covered in the label, and the skin and
7 subcutaneous disorders we have already submitted a
8 proposal to FDA which will under discussion shortly.
9 So we are going to focus on the nervous system and
10 psychiatric disorders.

11 There have been a total of 59 patients
12 meeting the criteria, which was shared with us by FDA,
13 regarding neuropsychiatric events. The number is
14 different from what you have seen, because that was
15 for the year from the granting of the exclusivity.
16 This goes back to the availability of Tamiflu.

17 Of those 59 patients, 57 of them are in Japan,
18 one in the U.S., and one in Germany. In terms of the
19 types of events, I have grouped them here. There were
20 19 with convulsion, encephalitis, encephalopathy; 15
21 with depressed consciousness; 13 with hallucination,
22 delusion; 10 with delirium; and 10 with abnormal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 behaviors or other neuropsychiatric events.

2 These have been assessed in our Drug
3 Safety Department, and the findings are that: In 51
4 of the cases there is a possible alternative
5 explanation; in six cases there is insufficient
6 information for an accurate assessment, and in two
7 cases there is no alternative explanation. These
8 cases: An eight-year-old male with abnormal behavior,
9 and another eight-year-old male with abnormal behavior
10 and disturbed consciousness.

11 The complicating factors in those 51 cases
12 with a possible alternative explanation are shown
13 here. The primary one, responsible for about half of
14 the cases, is influenza itself and the secondary
15 complications of influenza, also high fever,
16 dehydration, the use of concomitant medications,
17 orthostatic hypotension, and a long latency. That
18 would be the event occurring more than five days after
19 the last dose of Tamiflu.

20 So for these neuropsychiatric events, for
21 the total of 59, the majority of them have possible
22 alternative explanations or contributory factors, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are only a few where we don't have that
2 alternative explanation.

3 Now turning to mortality, there are 13
4 fatalities which have been reported to Roche between
5 January of 2000 and June of 2005. I want to point out
6 here the reason why there were 13. There is one case
7 on our database which was an eight-month-old with a
8 ventricular septal defect who suffered a respiratory
9 arrest while on Tamiflu, and then died months later of
10 another event, which was considered not related. So
11 we have it on our database, and we have included it.
12 That is not included in the FDA database, and that
13 would be the case right there, the eight-month-old.

14 Otherwise to break down all cases from
15 Japan: 10 in children ages one to five, and two
16 greater than five years, one a nine-year-old and one a
17 14-year-old. I am not going into detail on these,
18 because you have already heard the detail.

19 In eight of the cases, there are
20 confounding factors, either major complications of
21 influenza or preexisting diseases. In five of the
22 cases, including the four that appeared in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 newspaper article, we just don't have enough
2 information to be able to judge relationship.

3 Now as with any drug safety database with
4 an approved drug, all the cases that are on the
5 database come from patients who were on drug. So you
6 don't have a control group.

7 So what I would like to show you now is
8 additional data from three sources, the Pediatric
9 Registration data -- you have heard a lot of that
10 already -- data from a large claims database, United
11 HealthCare claims database, as well as a recently
12 completed prospective Japanese pediatric study which
13 was referred to earlier this morning.

14 In the Pediatric Registration Program,
15 excluding Japan, there were a total of 1,180 patients
16 who were randomized to either placebo or Tamiflu in
17 approximately equal numbers. The age range is here:
18 1-2, 173; 3-5, 226; 6-12, 633; and 13-17, 148.

19 The most frequent adverse events -- that
20 is, adverse events occurring with a frequency greater
21 than three percent -- were GI disorders, infections,
22 and respiratory disorders.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 When one compares the specific adverse
2 events, neurologic, psychiatric and skin, between
3 placebo and Tamiflu, you can see that the numbers are
4 very close for the two different treatments, 0.8, 0.6
5 for psychiatric; 3.3, 2.7 for skin, and 2.3, 1.0 for
6 neurologic. So very similar.

7 In addition, there were 15 serious adverse
8 events, five on placebo, 10 on Tamiflu. None was
9 considered to be related to study treatment by
10 investigators, and you have already heard about the
11 one neuropsychiatric event, viral encephalitis in a
12 patient on placebo.

13 Sixteen patients withdrew from the trials,
14 eight from each treatment arm. None of these were for
15 neuropsychiatric events. The most common reason was
16 vomiting, and there were no deaths reported in the
17 registration program.

18 Now from Japan, the experience is limited
19 to a single open label study which supported the
20 filing. That was in 70 children up to age 12 with a
21 median age of four years. Adverse event profile was
22 similar in this study to what was found in the global

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 registration trials.

2 The most frequently reported adverse
3 events were gastrointestinal, vomiting and diarrhea
4 being the most common. Severity was mild in most
5 cases, and the duration of the events was limited
6 largely to a single day, and again there were no
7 fatalities and no neuropsychiatric events reported in
8 that experience.

9 Now there is a large database available
10 from United HealthCare which represents more than 20
11 million subscribers. It is a claims database. It is
12 not a respectively defined database.

13 On that database there are many patients
14 with a diagnosis of influenza represented here, about
15 176,000. What this database allows us to do is
16 compare patients with the diagnosis of influenza who
17 have been given Tamiflu and those who have not. So we
18 can look to see if there is any excess in mortality or
19 in certain adverse events.

20 So it has limitations, but we think it has
21 value to look at it, because of its large size. So
22 there were two studies that were done, two analyses of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this database. The first was a morbidity study which
2 was limited to children, represented by 63,261
3 children; and then there was a mortality outcome study
4 which was represented by patients of all ages,
5 176,000.

6 What was done was an examination of the
7 diagnoses and deaths reported in patients with a
8 diagnosis of influenza via claims analysis and, as I
9 mentioned, this a comparison of those with a Tamiflu
10 prescription and those without.

11 What was looked for were the number of
12 outcomes, including nervous system and psychiatric
13 events, and deaths.

14 The first of the studies is the morbidity
15 study in children which was conducted between November
16 of 1999 and March of 2004 in children age 1-12 with a
17 diagnosis of influenza. The breakdown of ages seen
18 here: About half the pages were 1-2 years old, and
19 the other half about 6 to 12 years old.

20 The number of patients who had a
21 prescription for Tamiflu was almost 9,000, those
22 without Tamiflu more than 54,000. The most common

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 diagnoses were infections and respiratory, ear and
2 general disorders.

3 Importantly on this database from the
4 adverse events perspective, for psychiatric diagnoses
5 the number of patients with claims was 0.6 no Tamiflu,
6 0.6 on Tamiflu. So no difference. And for nervous
7 system diagnoses, about the same, No Tamiflu 0.3,
8 Tamiflu 0.2. So from a neuropsychiatric standpoint,
9 no apparent difference.

10 The second study was specifically a
11 mortality outcome study performed during the same
12 period of time, 1999 to 2004, in patients with
13 diagnosis of influenza. So this includes all
14 patients, all ages, with the diagnosis of influenza.

15 The number of deaths on the database: One
16 out of 39,000 for Tamiflu, giving an incidence of
17 0.003 percent; and 58 out of 136,799, giving an
18 incidence of 0.042 percent.

19 So the power of this database is its size,
20 and what it indicates to us is there is not an excess
21 mortality associated with Tamiflu. In fact, the
22 absolute numbers are in the opposite direction.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now specifically in children, there were
2 68,317 children who were on this database with
3 influenza. Here I have broken it down by age group,
4 1-5, 6-10, and 11-15. What you can note here is that
5 the prescriptions for Tamiflu, the group given
6 Tamiflu, is a bit lower than it is for the group not
7 given Tamiflu.

8 So it is difficult to draw conclusions,
9 other than to say that in each of the Tamiflu groups
10 there were no reported deaths, and in the two groups
11 representing children 1-5 and 6-10 there were a total
12 of four deaths. So again, I think what we can say
13 here is that there is no evidence based on this
14 database of excess mortality due to Tamiflu.

15 The third source of data that we have with
16 a control is this recently completed study,
17 prospective trial in Japanese children less than one
18 year of age. What we have is preliminary data, as the
19 study was just completed earlier this month in Japan.

20 This was actually a requested from the
21 Japanese Health Authority to Chugai to prospectively
22 monitor patients less than one-year of age given

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Tamiflu and not given Tamiflu.

2 It was conducted by the Society of
3 Ambulatory and General Pediatrics of Japan and the
4 Japanese Society of Pediatric Infectious Disease
5 earlier this year.

6 Adverse events were collected, as I said,
7 in patients less than one year of age, with and
8 without Tamiflu treatment. The total number of
9 patients on this database, 1771. There were no deaths
10 reported in this study, and the final report is
11 planned for the end of the year.

12 What I can share with you are the
13 neuropsychiatric events. Now these are
14 neuropsychiatric events, not necessarily serious
15 adverse events, and the bottom line is the total
16 percentage, because the number on Tamiflu is about
17 four times as high as -- three to four times as high
18 as that not on Tamiflu, the opposite of the database
19 of United HealthCare, but that the incidence is right
20 around one percent for both Tamiflu and no Tamiflu.

21 Looking at the particular adverse events:
22 Febrile convulsion, 3 in the No Tamiflu. There were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 five in the Tamiflu. There were three convulsions in
2 the Tamiflu group. If you put these categories
3 together, then it's 0.79 percent for the No Tamiflu
4 group and 0.61 percent for Tamiflu.

5 The only case of encephalitis was reported
6 in a patient not given Tamiflu, and there was one case
7 each of lethargy, tremor and excitability in the
8 Tamiflu group. So, certainly, these data were very
9 encouraging and very comforting in the youngest group
10 of children.

11 Now what about differences between U.S.
12 and Japan in the number of cases reported. We have
13 already heard some possibilities along that line.

14 What I want to point out here: This is
15 the burden of influenza by flu season in Japan and the
16 USA. What you can notice is that the numbers in Japan
17 are either equal to or higher than those in the United
18 States, and this is important, given that the Japanese
19 population is a little less than half of the U.S. So
20 the burden of disease in Japan seems to be higher.

21 I have already mentioned the high volume
22 of usage of Tamiflu in Japan, with a tenfold higher

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number of prescriptions having been dispensed since
2 the approval of the drug.

3 Pediatric use patterns in Japan are
4 somewhat different. That may result in some
5 differences in exposure in the Japanese children.
6 These include dose, duration and administration. Dose
7 is on a milligram per kilogram basis in young children
8 in Japan, while it is a unit dose according to weight
9 in the United States. So it is slightly different.

10 The duration per the package insert is for
11 five days. In Japan it may be less than that, because
12 the custom is to discontinue treatment when the fever
13 resolves, which often could be Day Three/Day Four.
14 And the administration is somewhat different in the
15 youngest of children, because rather than giving the
16 drug as a liquid, the powder is directly administered
17 into the children's mouths. So they may not be
18 getting a full dose.

19 So there might be exposure differences
20 resulting in either a persistence or a recrudescence
21 of the underlying influenza that is confusing the
22 picture of the adverse events.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There are some differences in reporting
2 practice. Most of them are the same for newly
3 approved drugs in Japan. There are some mechanisms in
4 place for active surveillance that might increase the
5 number of reports that come in. And as already
6 mentioned in the FDA presentations, there is an
7 historical awareness that predates Tamiflu of
8 influenza-related neuropsychiatric events in Japan.

9 So in conclusion, the registration studies
10 showed Tamiflu to be safe and effective in children.
11 No new safety signals related to mortality or
12 neuropsychiatric events post-approval have been
13 identified, with the exception of a proposed label
14 modification regarding skin reactions, which has
15 already been submitted by Roche to FDA.

16 The increased safety reporting in Japan is
17 mainly attributable to influenza incidence, the number
18 of Tamiflu prescriptions, clinical use patterns, and
19 safety reporting practices, and the bottom line is
20 that the risk-benefit ratio for Tamiflu is unchanged
21 and remains positive.

22 Moving forward, at a minimum Roche

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proposes the following: Our Drug Safety Group will
2 review on a weekly basis all new serious adverse
3 events arriving for Tamiflu. There will be a monthly
4 review of the literature.

5 There will be quarterly analyses of our
6 ADVENT database for potential new signals, and
7 annually we will analyze the United HealthCare
8 database for mortality, neuropsychiatric events, and
9 any other events of interest. Thank you very much.

10 CHAIRMAN NELSON: Thank you. Let's move
11 to the final presentation before we open to questions
12 and discussions from Dr. Shay of the CDC.

13 DR. SHAY: Well, thank you. One question:
14 How do I make the slides go forward? Left one?
15 Thank you.

16 Well, good morning, and thanks for asking
17 us to come and give an overview of surveillance among
18 U.S. children for influenza related mortality and
19 encephalopathy.

20 Just a brief background: Of course,
21 influenza causes annual epidemics. It is a major
22 cause of morbidity and mortality, particularly among

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 young children, those aged over 65 and those with
2 underlying pulmonary, cardiac and several other
3 medical conditions.

4 Our nationally available data in the U.S.
5 for surveillance of influenza and its complications
6 does have limitations. Of course, relatively few
7 respiratory illness cases are tested, and in the
8 United States CDC does not attempt, for instance, to
9 estimate incident influenza cases, and influenza
10 traditionally has not been a reportable disease,
11 unlike some other severe vaccine-preventable diseases.

12 For over 50 years CDC has made estimates
13 of U.S. deaths and hospitalizations by using a variety
14 of statistical models. Currently, we use
15 retrospective death certificate data, hospital
16 discharge data from the National Hospital Discharge
17 Survey, and our viral surveillance data to make those
18 estimates.

19 So these modeling studies estimate in an
20 average year greater than 200,000 influenza associated
21 hospitalizations, and approximately 36,000 influenza
22 associated deaths. The highest rates of deaths,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particularly complications, are in persons with
2 pulmonary and cardiac diseases, children less than
3 five and older adults.

4 Mortality data for children are limited.
5 We estimate, using the statistical methods, that
6 approximately 92 influenza related deaths occur
7 annually among children in the U.S., but we can't
8 break it down to smaller age groups for children.

9 As has been mentioned by Dr. Lewis, there
10 are several unusual features of the 2002-2004
11 influenza season. It began as early as October in
12 some states. Influenza A (H3N2) was the predominant
13 subtype, historically associated with more severe
14 seasons.

15 There was a vaccine mismatch, and CDC
16 began receiving reports of influenza related deaths in
17 children in November of 2003, principally from large
18 pediatric hospitals. As I said, we had no directly
19 comparable historical data available. There was quite
20 a bit of public concern and media attention and, of
21 course, spot vaccine shortages.

22 So on December 12, 2003, CDC made a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 request to state, territorial and local health
2 departments for reports of pediatric influenza
3 associated deaths. We called this enhanced
4 surveillance, sort of enhanced passive surveillance,
5 and the surveillance period was as defined there.

6 The case definition was in a U.S. resident
7 less than 18 years of age who died during the
8 surveillance period with evidence of influenza virus
9 infection by at least one laboratory test, and those
10 included rapid antigen detection test, IFA, culture,
11 RT-PCR, or immunohistochemistry on autopsy specimens,
12 if available.

13 So this has been reported. I think we
14 have added one more death here. One hundred fifty-
15 three deaths were reported from 40 states. The median
16 age of these children was three, and it ranged from
17 two weeks to 17 years.

18 Half of the children were male, and where
19 we have race information, 67 percent were white, 22
20 percent black, and six percent Asian. Where ethnicity
21 data were available, Hispanic ethnicity was present in
22 24 percent of cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Just looking briefly at the methods of
2 diagnosis. At the bottom there, there were multiple
3 methods made of diagnosis for 41 percent of these
4 cases. Rapid antigen detection only in 38 percent;
5 viral culture in 11 percent, RT-PCR in three,
6 fluorescent antibody results in three percent, and
7 again immunohistochemistry on autopsy specimens in
8 three percent.

9 This shows the epidemic curve of the
10 influenza associated deaths in children, along with a
11 curve of the national Virologic Surveillance data, as
12 I mentioned before. Again, CDC made the request in
13 the middle of December, and there is a suggestion
14 there that we probably missed some cases based on the
15 local influenza circulation data.

16 Here is the age distribution of those
17 cases. Note that most of these children were less
18 than two years of age, but we did have children from
19 every age category.

20 These are the age-specific mortality
21 rates. For children age less than six months, there
22 were 18 children, 12 percent of total cohort, and they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had the highest mortality rate of 0.88 per 100,000
2 children.

3 For aged 6 to 23 months, those for whom
4 vaccine at that time was encouraged when feasible, and
5 now recommended, there were 43 cases, 28 percent, rate
6 0.71. Children age two to four, 35 cases, 23 percent,
7 0.3, and older children 5-17, 57 cases or the largest
8 proportion of cases, 37 percent, though, of course,
9 because of the larger population, the lowest mortality
10 rate. The overall mortality rate for children that
11 year was approximately 0.2 per 100,000.

12 This is the underlying health status where
13 we had information. Seventy percent -- or 45 percent
14 of these kids were previously healthy based on record
15 review that we had available; unknown, a small
16 percentage; 15 percent had an underlying condition
17 consistent with an ACIP recommendation for vaccination
18 that year; 22 percent of the children had an ACIP
19 condition plus another chronic medical condition or
20 another medical condition noted in their charts; and
21 20 percent of those children had a medical condition
22 at that time that would not prompt a recommendation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from ACIP for vaccination.

2 These are the location of death of the
3 children. So 90 of these children or 59 percent were
4 in an inpatient situation. Sixteen percent died while
5 being evaluated -- Sixteen cases, 10 cases, died while
6 being evaluated in the emergency room, and a sizeable
7 fraction, 31 percent, either died at home or while in
8 transit for medical evaluation.

9 Here are some lists of the clinical and
10 autopsy diagnoses for these children. The top group,
11 respiratory diseases most common was diagnosis was
12 pneumonia in 71 children, made about equally on
13 clinical information and on autopsy information.

14 Other common manifestations of influenza are up
15 there, including pneumonitis, bronchiolitis, croup,
16 and note that on autopsy there was a fairly sizeable
17 portion of children diagnosed with Tracheitis or
18 bronchitis. Sepsis or shock syndrome was also seen in
19 a sizeable number of these children.

20 When we get to encephalopathy or
21 encephalitis, eight children in this series had that
22 noted in their clinical records. Two were diagnosed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 only on autopsy, three in both, for a total of 13 of
2 these 146 children for which there was information
3 available.

4 Four had clinical diagnosis of stroke, one
5 on autopsy, one on both, and 14 of these children had
6 seizures before their death. Other noted conditions
7 included myo/pericarditis in six, myocardial
8 infarction in two, myositis or rhabdomyolysis in five,
9 disseminated intravascular coagulation in 18,
10 hemophagocytic syndrome in three.

11 This graphic presents antiviral medication
12 use data that we had available. So of a total of 153
13 children, for 25 percent we were unable to
14 definitively find out whether or not they had taken
15 antivirals before their death. For seventy-five
16 percent of children, this was known.

17 No antivirals were received by 89
18 children, or 77 percent. Antivirals were received by
19 26 or 22 percent of these children, a very short
20 median treatment of one day, a mean of 2.6 days.

21 In terms of the specific medications,
22 about evenly split. Twelve received oseltamivir.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Twelve received amantadine, two rimantadine, and none
2 of the children received Zanamivir.

3 Limitations to these data: Of course, as
4 I mentioned before, the request for case reports was
5 made actually near the peak of the influenza season
6 that year in December. This isn't a passive
7 surveillance system, although much better than
8 anything we had previously.

9 There are, of course, variations in
10 testing practices and clinical and pathologic
11 diagnoses made in many of these cases. Despite some
12 rather heroic efforts, there was still incomplete
13 medical records for many of these children, and
14 limited information, of course, for those children who
15 were not hospitalized before their death; and again,
16 we had lack of directly comparable historical data in
17 the United States. However, these data were
18 persuasive enough to the Council of State and
19 Territorial epidemiologists that laboratory confirmed
20 pediatric influenza associated death became a
21 nationally notifiable condition in the United States
22 in June 2004, and reporting began in october of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 2004-05 influenza season.

2 The data now are reported weekly in the
3 MMWR Table 1 and in our weekly influenza update. Last
4 season, there were 43 cases reported from 18 states.
5 Twenty-six of these children received oseltamivir, and
6 none that we had documentation received any other
7 antiviral.

8 Now to briefly look at the information we
9 have available on influenza associated acute
10 encephalopathy, again from the 2003-04 season: So
11 influenza associated encephalopathy, as you know, is
12 an uncommon complication of influenza. It can result
13 in serious neurologic sequelae, most commonly reported
14 in young children in Japan, including the 148 large
15 case series that's been previously described, and also
16 there were 25 U.S. cases that were identified and have
17 been previously reported during the flue seasons from
18 1999 to 2003.

19 So again, this was sort of an enhanced
20 passive surveillance for the same surveillance period.

21 The case definition here was a U.S. resident age less
22 than 18 years with a febrile illness, together with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 laboratory confirmed influenza virus infection and
2 some indication of altered mental status.

3 Here are the case classifications we
4 worked with our Japanese colleagues to try to come up
5 with where possible definitions that were fairly
6 similar to theirs. So a probably case was defined as
7 altered mental status for greater than 24 hours and
8 onset of altered mental status within five days of
9 fever onset, and no other cause identified for the
10 mental status changes.

11 Suspect case was duration of altered
12 mental status unknown, which was fairly common, or
13 altered mental status for greater than 24 hours but
14 unable to rule out another cause, or altered mental
15 status less than 24 hours, or other cause for altered
16 mental status identified and the child was status
17 Epilepticus, or objective findings of cerebral
18 inflammation, most commonly from MRI.

19 So here are the results from the 2003-04
20 season. There were 42 cases reported from 22 states.

21 Twenty-two of these have been classified as probably
22 and 20 suspect. Again, about half the cases, 48

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent, were males, probably cases 54 percent
2 suspect, 40 percent.

3 Here are the information race, where
4 available. Among the probable cases, 50 percent were
5 white, 67 percent of the suspect cases. Thirty-three
6 percent of both probable and suspect cases in children
7 were black, and in Asian race was noted in 17 percent
8 of the probable cases, and none was suspect.

9 Perhaps the only conclusion one would make
10 here is that non-white children may be overrepresented
11 in this case series.

12 Ethnicity information was available for
13 some probable and suspect cases, and of those for whom
14 information was available, 6 or 23 percent were
15 identified as Hispanic. Broken down, probable cases,
16 one Hispanic child among the suspect cases for which
17 ethnicity data were available. Five were Hispanic

18 Here is the age distribution for these
19 cases, a little bit different than what was seen for
20 the pediatric deaths and a little bit different from
21 what has been seen in Japan as well.

22 We had sort of a flatter curve without

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that peak among children less than two seen in the
2 death data, and substantial numbers of children 13
3 years of age or older. The median age was five years,
4 and the age range here was six months to 17 years.

5 When we look for underlying high risk
6 medical conditions in these children, 29 had no prior
7 medical conditions, and 15 had at least one chronic
8 condition. That includes seven probable, eight
9 suspect cases. Five of these children had a condition
10 for which ACIP recommended influenza vaccination for
11 that season.

12 Here are some of those specific
13 conditions: Chronic gastrointestinal disorder in one;
14 arthritis in one; chronic lung disease in one;
15 cerebral pals in two; prediagnosed seizure disorder in
16 two; ENT abnormality in two; asthma in three; and
17 developmental delay that was severe enough such that
18 it was noted in the medical records of the children in
19 six.

20 Here is the data on the time from fever to
21 the onset of encephalopathy. Again, most of these
22 children presented within three days, but again our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 case definition permitted children to go up to five
2 days.

3 Again, this is slightly different from
4 some of the large case series seen in Japan where the
5 onset of encephalopathy tends to be a little bit
6 earlier by a day or so.

7 Thirty-three or 78 percent of these
8 children presented with altered mental status. The
9 duration was a median of three days with a range of
10 one through 31 days among the 28 patients for whom
11 this data were available. Twenty or 48 percent had
12 seizures, nine of the probable and 11 of the suspect
13 cases. Eight had status Epilepticus, and 16 of the
14 children had multiple seizures.

15 Seventeen, or 40 percent, of the children
16 were diagnosed with a movement disorder/Ataxia. Other
17 neurologic signs and symptoms noted were decreased
18 strength or flaccid weakness, hypotonicity and
19 hypertonicity, slow movements, and unable to hold head
20 or trunk properly while they were ataxic.

21 Here are the results of the neuroimaging
22 studies. Twenty-six of these children had an MRI for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which results were available. Seventeen, or 65
2 percent, were abnormal; 17 of the probably cases.
3 Eleven of those had an abnormal CT. Of the nine
4 suspect cases, six had an abnormal CT.

5 Abnormalities included most commonly
6 cerebral edema. There was also, again, evidence of
7 infarct or stroke, tonsillar herniation, and focal
8 cerebritis.

9 Eleven of these children only had a CT
10 scan. Three of the probable children, one of which
11 was abnormal, eight of the suspect children, three
12 abnormal; and all four abnormal CTs showed cerebral
13 edema and two with herniation.

14 When we come to diagnostic testing for
15 these children, 71 percent had CSF studies done. Of
16 18 of the probable cases, seven had a white count
17 greater than 5, with a range of 8-69 cells in the
18 probable cases; and among 13 suspect cases, one had a
19 white blood cell count greater than 5.

20 Influenza cultures from CSF were attempted
21 in 17 of these patients, and one was positive in a
22 suspect case from Texas.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Antiviral treatment was received by 18 of
2 these children, nine of the probable cases. One
3 received, obviously, oseltamivir and Rimantadine; nine
4 suspect cases, 3 oseltamivir, three Amantadine and one
5 not reported.

6 Outcomes of these children: Eighteen of
7 these children have fully recovered, three probable
8 and 8 suspect. Twelve had neurologic sequelae, 8 of
9 the probable and 4 of the suspect; and nine died, 4
10 probable and 5 suspect.

11 Here are the outcomes by age, again the
12 dark blue bars, children who fully recovered, the
13 green with serious neurological sequelae, and the
14 light blue children who died.

15 Again, there are limitations to this case
16 series. It is passive surveillance again, and we
17 certainly may have missed cases. There is probably
18 more concern about selection or referral bias here, as
19 many of these cases did come from large pediatric
20 hospitals.

21 There was perhaps more marked differential
22 reporting by states, again problems with timing of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surveillance, the fact that we had limited clinical
2 data, and that again we had no real national baseline
3 data on laboratory confirmed cases where attempted and
4 made on a U.S. level to gather this type of
5 information.

6 So at least 42 encephalopathy cases were
7 identified, 22 probable and 20 suspects. Asian-
8 Americans were not an especially prominent feature in
9 this case series. Fifty percent of these children
10 were less than five years of age, but older children
11 were also affected, and 21 had severe outcomes,
12 including death or severe neurologic sequelae.

13 So we all agree that further surveillance
14 for this condition is needed. We don't have the
15 resources right now to replicate this type of
16 surveillance study at the present time, but we do need
17 studies in the U.S. to assess prevention and treatment
18 interventions for this condition, and to better
19 educate physicians and the public about influenza
20 associated encephalopathy.

21 Last season, again, while we didn't have
22 this enhanced passive surveillance, we only had three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reports in the United States of influenza associated
2 encephalopathy.

3 Here are some of the people who have
4 participated in gathering these data.

5 CHAIRMAN NELSON: Thank you. Illustrating
6 how much work goes into this kind of activity.

7 Let's move into our period of questions
8 and discussion. What I would like to suggest is, if
9 you have questions that are best directed to one of
10 the presentations that have been stimulated by
11 something one of the presenters have said, please just
12 mention that and direct it. It is certainly
13 appropriate for us to let them answer for themselves,
14 and then we will see if others would have a
15 perspective they would want to share on the same
16 question.

17 At some point in our discussion, when it
18 seems appropriate, I will ask Dr. Lewis to present the
19 summary of the agency's comments and the proposed
20 plan, but I thought it would be better to just have a
21 general discussion of these four presentations, the
22 facts and the interpretation, before we actually get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to concrete recommendations about action plans.

2 DR. WARD: Dr. Lewis, in your list of the
3 dermatologic adverse events, as they are described I
4 could not determine whether any would be classified as
5 Stevens-Johnson or not. There is no -- You mentioned
6 mucous membrane lesions.

7 DR. LEWIS: That is correct. There were
8 no adverse events during the clinical trials that were
9 described as Stevens-Johnson Syndrome. There was the
10 one case of erythema multiforme, and again since these
11 reports were all considered non-serious at the time of
12 the clinical trials, there were no further
13 descriptions of those events.

14 So, for instance, the case of localized
15 exfoliation -- I have no description of how it
16 extensive that was, whether it was a few centimeters
17 of rash or affecting a large area of skin. There are
18 really no further details available about those
19 events.

20 CHAIRMAN NELSON: Dr. O'Fallon?

21 MEMBER O'FALLON: I am concerned with
22 underreporting, and picking that up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Hoffman first. I was wondering, how
2 complete is the U.S. data in ADVENT? How do you -- If
3 that is also passive surveillance, then how complete
4 would you -- Do you have a feel for it?

5 DR. HOFFMAN: I have Dr. Paul Dolin here.

6 DR. DOLIN: Thank you. My name is Paul
7 Dolin. I am head of Drug Safety at Roche. Yes, you
8 make a good point there, that the lovely reporting --
9 this is the post-marketing reporting -- is based on a
10 spontaneous reporting system in the U.S. It does have
11 inherent limitations, that it requires someone to
12 actually make that active report.

13 That is partly why I think you saw in our
14 last slide that we had an action plan, and part of
15 that action plan was not just to rely on the
16 spontaneous reports, but actually go back to some of
17 the automated databases so we can get into a better
18 data source than entirely relying on these
19 spontaneous.

20 So we are trying to take the appropriate
21 steps over time.

22 MEMBER O'FALLON: Well, that is very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 laudable, but right away I began to wonder -- hang on
2 here. You know, there is a huge percentage of
3 Americans that don't have any insurance, medical
4 insurance, and how do you -- Do you have any feeling
5 for how that fact will affect, say, your claims data
6 that you were using?

7 DR. DOLIN: That is a good point. Again,
8 what we are currently doing is we are looking at
9 alternative sources of data as well, and one of the
10 data sources we are particularly considering is a U.K.
11 data source, the General Practice for Research
12 Database which the FDA holds.

13 So that is a primary care database. It is
14 a different country to the United States, but every
15 person in the U.K. has a government doctor, and they
16 are the gatekeeper to all services. So there is an
17 anomolized database of those medical records, which
18 again we will be looking at as another potential
19 source.

20 We are similarly looking at the moment for
21 other sources in the U.S. where we could get a handle
22 on what could happen in the U.S., maybe Canadian data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sources.

2 In this area, again, we are very happy to
3 work with the agency, the CDC. So let's work as a
4 partnership here to find the appropriate way to get
5 the best handle on this.

6 MEMBER O'FALLON: I think you sound like
7 you are using every shred of evidence you can, and
8 this is really great. But I'm still concerned that
9 maybe in the United States we have a serious
10 structural problem that leads us to have serious
11 underreporting on things like this.

12 DR. DOLIN: Yes. I think the other thing
13 is the United HealthCare database -- we did see, even
14 with its limitations, that we had a mortality rate in
15 the Tamiflu group was slightly lower -- certainly, no
16 higher than in the non-Tamiflu group. So even with
17 the caveats, the limitations, we feel some reassurance
18 from that database.

19 CHAIRMAN NELSON: Do you have anything
20 else, Dr. Hoffman? I saw you just sort of hovering
21 behind.

22 DR. HOFFMAN: No, just two things.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Certainly, the awareness of the encephalopathy and
2 encephalitis which the CDC is forwarding now will help
3 us, I think, to recognize perhaps that syndrome more
4 readily.

5 Also, we are happy to work with FDA in any
6 way necessary to get accurate numbers of the safety of
7 the drug.

8 CHAIRMAN NELSON: Dr. Fant?

9 MEMBER FANT: One question that I have is:
10 In addition to sort of the more obvious questions but
11 comes to my mind based on my own background is: Is
12 there anything about the biochemistry of Tamiflu that
13 may be relevant or that may have some relevance in
14 understanding what is going on?

15 It is a viral neuraminidase inhibitor. A
16 lot of enzymatic inhibitors, we find after the fact,
17 both for research uses and clinically uses, aren't as
18 specific as we may think they are.

19 What do we know about the specificity?
20 What do we know about the polymorphisms of those gene
21 products -- of those genes that lead to the products
22 that may alter Tamiflu's specificity? And what impact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may cerebral inflammation have on altering access to
2 potential targets or specificity for potential
3 targets?

4 DR. HOFFMAN: The first part of the
5 question, I don't have the answer to, and we don't
6 have the person here who might be able to answer that
7 question.

8 The second part of the question, though,
9 is something I'm sure that we have thought about and,
10 as you know, we had the data which was of concern to
11 us with the juvenile rat and the fact that there was a
12 lot of pro-growth getting into the brain at high
13 concentration, which went away at Day 14.

14 We think now that maybe the rat wasn't the
15 best model, number one, and we would like to go back
16 now and do additional preclinical work, and what we
17 would like to is exactly what you suggest, look at the
18 case of inflammation. Is it different than in the
19 standard models? So that's something we intend to do.

20 CHAIRMAN NELSON: Dr. NEWMAN?

21 MEMBER NEWMAN: I'm not sure if this would
22 be Dr. Truffa or Dr. Lewis or other people from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FDA, but I wonder, especially for these
2 neuropsychiatric events, whether the medications that
3 are sold over the counter in Japan for colds and the
4 coughs might be different, and what the prevalence of
5 use of those medications was, and how confident you
6 are whether, if children had been using those, that
7 that would have been recorded with the adverse event
8 report.

9 DR. LEWIS: The recording in the adverse
10 events is, as I said before, very sketchy. In some
11 cases, we do have listings of over-the-counter or
12 symptomatic medications. It is a little bit difficult
13 for us to figure out sometimes exactly what those are,
14 since many of them are not approved in the United
15 States. But, clearly, we know that some of the over-
16 the-counter products that are sold in the U.S. do have
17 nervous system adverse events associated with them and
18 are either stimulants or can have other neurological
19 events.

20 Similarly, we know that both Rimantadine
21 and Amantadine have neurologic consequences that have
22 been pretty well described, but just going back to an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 earlier question, in the adult testing of Tamiflu
2 there was really not a signal that the CNS was
3 affected by the drug use in the placebo controlled
4 trials. That was something that was identified in the
5 earlier studies with the M-2 blockers.

6 DR. JOHANN-LIANG: We did pose this
7 question directly to the Ministry of Japan. We did
8 ask this question regarding herbal therapies and being
9 used concurrently in children. You know, the answer -
10 - Melissa, you can jump in as well, but the answer
11 that we received was that, yes, there is over-the-
12 counter -- lots of use of over-the-counter medications
13 in Japan, because it is part of the medical practice
14 question. However, we did not get specifics on what
15 medications, for what age group, and there was no
16 quantification.

17 We did receive an answer that there is
18 this use, but we can't quantify it.

19 CHAIRMAN NELSON: All right. Go ahead,
20 Bob.

21 DR. WARD: Can I just follow up on that?
22 In Germany, the herbal products are very tightly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 controlled as far as what is in them, more so than in
2 the U.S. Is that the case in Japan? That is, are the
3 herbal products there, their manufacture carefully
4 regulated so we know what is actually in them, so that
5 we can ascertain what the exposure is?

6 DR. LEWIS: I don't think we know the
7 answer to that.

8 DR. MURPHY: I guess the only answer that
9 you might make some hypothesis on, Bob -- They have a
10 fraction of a number of the people we have, and you
11 know how we don't regulate those products.

12 CHAIRMAN NELSON: If I could introduce a
13 question of my own: It seems to me the majority of
14 the data that we've seen, other than some of the
15 registration data, looked at influenza with or without
16 Tamiflu. Within the United HealthCare system, for
17 example, would it be possible to look at prophylactic
18 use, in the sense that you've got Tamiflu without
19 influenza, and whether that would provide any
20 information?

21 I mean, it is pretty clear that influenza
22 is a bad disease. It is pretty clear that kids can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 die from influenza. Any sense of the prophylactic use
2 which might give you some idea of the drug alone?

3 DR. HOFFMAN: Yes, on those databases,
4 because they are retrospective, you know, we know the
5 -- Well, we know the patients had a diagnosis of
6 influenza. I don't know, Paul. Do you know how many
7 of the patients didn't have a diagnosis of influenza?
8 Those presumably would be the prophylactic ones.

9 DR. DOLIN: Yes. In the data we presented
10 here, we specifically said they need to have the
11 influenza diagnosis, and we excluded the cases out
12 which had Tamiflu and no influenza. So we could go
13 back and actually reanalyze that data to look at that
14 subset where we have potentially prophylactic use.

15 CHAIRMAN NELSON: Any idea how big that is
16 in that dataset, out of curiosity?

17 DR. DOLIN: I don't have the numbers at
18 hand. I think, just again because of the size of the
19 database, I suspect we could find a reasonable size
20 denominated to work on.

21 DR. LEWIS: Although I would remind you
22 that Tamiflu is not approved for the indication of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prophylaxis in children under 13.

2 CHAIRMAN NELSON: I understand that, but--

3 DR. LEWIS: But we don't know how much
4 off-label use there might be in that indication.

5 CHAIRMAN NELSON: You wouldn't, but since
6 pediatricians often do that, as we all know, I would
7 assume the United HealthCare database would include
8 some off-label prophylactic use potentially. Maybe
9 not. I mean, it's a United States database. It's
10 hard to know.

11 DR. DOLIN: We believe around about five
12 or six percent of that age group may be using off-
13 label.

14 CHAIRMAN NELSON: Which someone could do
15 the math quickly, but that's fine.

16 DR. DOLIN: That may be a reasonable
17 denominator.

18 CHAIRMAN NELSON: Dr. Englund.

19 DR. ENGLUND: Yes, I would just like to
20 say a few comments about the clinical use of
21 oseltamivir, which I know about in part just from
22 being part of the infection control in a large five-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 state region, and I control all the oseltamivir in my
2 children's hospital, which supplies it for five
3 states.

4 I can talk about the shortages we have had
5 and the fact that we haven't even been able to get it
6 for the children that potentially needed it. But
7 anecdotally, for our five-state region of Washington,
8 Alaska, Montana, Idaho, we basically don't use it
9 prophylactically. There hasn't been enough, and I
10 would believe that the amount of prophylactic
11 pediatric oseltamivir is going to be so small that you
12 are not going to get data.

13 CHAIRMAN NELSON: But I assume those
14 shortages are a more recent phenomenon as opposed --

15 DR. ENGLUND: Well, it wasn't used before,
16 and then there's been shortages since it's been
17 available. I mean, I'm saying practically speaking, I
18 don't think it is used very much.

19 MEMBER O'FALLON: How about kids with
20 asthma?

21 DR. ENGLUND: It is not used. It is not
22 used in a clinical -- I mean, maybe --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN NELSON: And I'm an ICU doc. So,
2 obviously, when they get to me --

3 DR. ENGLUND: It is not used. It is not
4 used. It is not used appropriately, and it is not
5 used inappropriately.

6 DR. LEWIS; We did ask the Japanese
7 regulatory authority if they thought there was use of
8 prophylaxis in children off-label, and they said,
9 because of the funding of their national health
10 service, they did not believe that that accounted for
11 a very significant percentage of their children who
12 received Tamiflu.

13 We do have some isolated small case
14 series, mostly from outside the U.S., of prophylactic
15 use in, for instance, pediatric bone marrow transplant
16 units or pediatric oncology units where patients are
17 at high risk for complications of influenza and might
18 not respond appropriately to vaccination, but again
19 those have been very small case series with limited
20 available data culled from the literature, but nothing
21 particularly outstanding in those reports.

22 CHAIRMAN NELSON: That would be a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 murky population, I think, to try and draw any
2 conclusions, but it sounds it would certainly be of
3 interest to take a look at the United HealthCare's
4 database and see what information might be gleaned.

5 DR. DOLIN: Yes. We've actually
6 internally been discussing this very issue, and what
7 we are thinking, particularly with the issue now of
8 stockpiling, it may be very difficult going forward to
9 answer. So we may actually have to look at the 2004
10 flu season before this potential stockpiling to get a
11 clean dataset. So we are very happy to go back and
12 look at that.

13 CHAIRMAN NELSON: Dr. Ward.

14 DR. WARD: Dr. Shay, is it possible for
15 the CDC to ask that encephalitis and encephalopathy be
16 a reportable disease for this next flu season?

17 DR. SHAY: The way those requests are made
18 in the U.S. is they are proposed to the Council of
19 State and Territorial Epidemiologists, which is the
20 association of state epidemiologists, and they would
21 discuss that and then vote on it, both in their
22 Infectious Disease Subcommittee and then in their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Council as a whole.

2 While they had great interest after the
3 '03-'04 season in making pediatric influenza deaths
4 reportable for a three-year period at least, there was
5 not as much interest at that time, nor has there been
6 subsequently, in making encephalopathy or encephalitis
7 associated with influenza reportable.

8 DR. WARD: Could I follow up? Do you have
9 any suspicion why the encephalitis picture seems to be
10 different in the Japanese population?

11 DR. SHAY: Well, I'm not going to be the
12 only one put on the spot for that. I'll have some
13 help over here and help across the table as well.

14 There does appear to be -- There are some
15 differences in the presentations, I think it's fair to
16 say, between how, when you look at the large Japanese
17 series and, let's say, this large series or the large
18 series reported from Texas Childrens Hospital.

19 One example we were talking about before
20 is acute necrotizing encephalitis associated with
21 influenza is definitely much more common in Japan, and
22 it's not because of a lack of looking for it here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You know, again, many of these children
2 that we have information on were evaluated in tertiary
3 care, pediatric stand-alone hospitals with full MRI
4 facilities and physicians who knew what they wanted to
5 look for. So that is just one example of an area
6 where there does appear to be a difference.

7 I will ask for other comments.

8 DR. ENGLUND: You know, I think there is a
9 difference. I think we don't know. I think the
10 infectious disease community knows that there is a
11 difference and has been looking for it for longer than
12 five years. We have been looking for it.

13 Certainly, we don't miss, and I don't
14 think my colleagues in the ICUs miss, bilateral
15 thalamic necrosis. I mean, this is something that
16 they are seeing. I mean, we don't miss it.

17 What we do miss in this country,
18 absolutely, is the diagnosis of influenza. We are not
19 good at diagnosing influenza. I personally know of
20 many cases of mild neurologic cases which we in ID
21 have -- not many -- several, and from other places and
22 anecdotes from colleagues that give me a call.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We diagnose them last after they have
2 already been seen by other physicians, by
3 psychiatrists, neurologists, ICU, hospitalized; and a
4 flu test is not done, because in the pediatric culture
5 we don't do rapid tests for flu, the way the Japanese
6 do.

7 I think the huge difference is the
8 diagnosis of flu. I think we absolutely under-
9 diagnose it, and because of that, we are missing some
10 things. Having said that, I think there's a huge
11 population and cultural difference.

12 DR. LEWIS: I'll just add one little
13 thing, that in reading the articles that are written
14 by the Japanese authors, as I said, there have been a
15 number of different speculations about what this is;
16 and even the Japanese authors believe that there may
17 really be some significant pathophysiologic
18 differences.

19 They have looked at levels of interleukins
20 in spinal fluid and feel that those may be somewhat
21 different in these patients with the acute neurologic
22 syndromes. They have also pointed to other vasculitic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 type processes like Kawasaki's disease, which was
2 initially described in Japanese children and is
3 clearly more common in children of Asian descent, and
4 there's never been a good explanation for that either.

5 So there are certainly other disease
6 processes where it seems clear that there are
7 differences in the epidemiology based on demographics,
8 and it just has not been identified what these events
9 are.

10 CHAIRMAN NELSON: Let me ask -- I guess,
11 put Dr. Shay on the spot for another speculation.

12 Looking back at your slides, I was struck
13 by the nine out of 39 that you presented in terms of
14 the mortality among those who probable or suspect
15 encephalopathy.

16 Would one -- I mean, it's a fairly serious
17 disease, and being experienced with that in the ICU,
18 would one basically infer then from the reporting of
19 deaths that it is a function of the incidence of the
20 encephalopathy, not a difference in the mortality once
21 that condition is recognized, so that it then goes
22 back to a combination of our lack of vigilance, if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 will, in making the diagnosis, and then once that is
2 made, I mean, then the mortality of the severe cases
3 is roughly the same? Is that a reasonable guess,
4 based on what we know?

5 DR. SHAY: I think that is a reasonable
6 guess, yes. I have reviewed, and several other
7 pediatricians have reviewed, many of the case reports,
8 and in most of the neurologic cases have been two or
9 three people who have looked at them, including a
10 neurologist; and I think that that is probably a fair
11 speculation.

12 CHAIRMAN NELSON: Dr. Newman?

13 MEMBER NEWMAN: I was just wondering
14 whether, in the U.S., there is any seasonal difference
15 in sort of encephalitis or encephalopathy that could
16 be influenza, but it just isn't being diagnosed, and
17 how that might compare to Japan. I don't know whether
18 influenza would be a big enough proportion that you
19 would ever be able to notice that or not, but whether
20 there is a difference in the seasonality of
21 encephalopathy in the two countries.

22 DR. SHAY: That's a good question, and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could certainly look at it in this country using
2 several different databases. We could look at
3 hospitalizations. We have one of those diagnoses
4 fairly easily.

5 DR. LEWIS: Although again, remember that
6 arboviral encephalitides are also seasonal, although
7 it is a different season. So you see West Nile virus.
8 You see the St. Louis and other encephalitides
9 usually during the summer. So off-season or non-flu
10 season encephalitides might not be tested for flu, but
11 might be tested for a range of other encephalitides
12 that might or might not prove to be confirmatory.

13 CHAIRMAN NELSON: Seeing no further hands,
14 maybe this is a good time to have Dr. Lewis present
15 the summary of the agency action plan and charge to
16 Committee, and then we will have further discussion
17 and see where we go from there.

18 DR. LEWIS: Well, after the last
19 discussion, the summary will be relatively brief. I
20 would like to summarize the FDA's conclusions
21 regarding the Best Pharmaceuticals for Children Act
22 post-exclusivity safety review for Tamiflu in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric patients and the FDA's plan for further
2 action in this regard.

3 On the last slide, I will pose the
4 questions for which we would like the Committee's
5 input and that were stated in the background material
6 that I think the Committee members received prior to
7 coming to the meeting.

8 We really appreciate the Committee's
9 consideration of this. This has been a somewhat
10 unusual set of findings for us, and we evaluated
11 these, I think, in more detail because again we are
12 trying to be as transparent as possible and do as
13 thorough a job as possible in evaluating anything that
14 might be associated with drug related safety events.

15 After reviewing all of the information
16 available to us from the adverse event reports, the
17 reanalysis of the pediatric clinical trials data, and
18 a review of the pediatric literature, we believe that
19 there is insufficient evidence to establish that the
20 pediatric deaths and neuropsychiatric adverse events
21 represent a safety signal associated with Tamiflu.

22 The pattern of the neuropsychiatric events

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is more suggestive of increased adverse event
2 reporting from Japan during the review period,
3 increased use of the drug in Japan, and an increased
4 awareness of previously described manifestations of
5 influenza in that population.

6 We cannot exclude, however, that similar
7 events might be reported in the U.S., if Tamiflu use
8 increases substantially or, especially, if the
9 awareness of the neuropsychiatric complications of
10 influenza increase in this country.

11 We believe that the severe skin reactions
12 are less likely to be manifestations of influenza, and
13 we have more concern that these may represent a true
14 drug-related adverse event. Additional data regarding
15 these events is currently under review.

16 Our planned course of action is as
17 follows: As mentioned by Ms. Truffa in the earlier
18 presentation, the Division of Antiviral Products and
19 the Office of Drug Safety are reinstating regular
20 monthly monitoring of adverse events reported with the
21 use of Tamiflu and other antivirals during the coming
22 flu season.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We have a confidential information sharing
2 agreement with the CDC and, therefore, are able to
3 share adverse event information with them in regular
4 conference calls, and we can discuss these events in
5 the context of ongoing U.S. influenza surveillance
6 data. By combining these efforts, we can find any
7 trends that need to be investigated further pretty
8 much in real time.

9 I will say that we began identifying these
10 Japanese case reports during our real time monitoring
11 of the adverse events during the last flu season when
12 they started coming in to us.

13 This monthly review and discussion with
14 the CDC of influenza and possible drug related adverse
15 events is a system that we put in place last year,
16 because we were concerned about the possible shortage
17 of influenza vaccine and perhaps increased use of
18 Tamiflu during that time frame.

19 We think it was a good system. It was
20 quite effective for us last year, and we are going to
21 continue that.

22 At this time, we have no plan to change

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Tamiflu labeling related to deaths or
2 neuropsychiatric adverse events. An update of the
3 general pediatric safety information and severe skin
4 reactions are planned when the current supplement is
5 completely reviewed.

6 We propose to update the Pediatric
7 Advisory Committee on continued adverse event
8 reporting at a future meeting.

9 Our specific questions to the Committee
10 for discussion:

11 The FDA is proposing that it continue to
12 monitor pediatric adverse events that are being
13 reported for Tamiflu and return to the Committee with
14 an additional report within the next two years.

15 Does the Committee agree with this
16 proposal, and do you have any further comments about
17 this proposal?

18 The FDA will propose additional
19 information in the Tamiflu labeling regarding serious
20 skin reactions. As you have heard from Roche, they
21 have made a proposal for that in their current
22 supplement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Does the Committee agree with this
2 approach, and any other comments that you might have
3 about that?

4 CHAIRMAN NELSON: Great. Thank you. So
5 let's sort of discuss going forward an action plan.
6 Let me start off with a particular question.

7 From the standpoint of Roche and their
8 pharmacovigilance, what is the process by which one
9 would communicate your findings to the FDA in the
10 course of this?

11 I would also be curious the interpretation
12 of how much of that reporting is required under the
13 adverse event reporting that is part of the regulatory
14 oversight versus how much are you going to go beyond,
15 if you will, the letter of the law to report your
16 findings as you continue to look at this?

17 DR. DOLIN: First of all, of course, we
18 adhere to the FDA regulations in our reporting. So
19 all serious reports that we naturally report would be
20 part of that. In fact, just on that point, we follow
21 it on a global basis. We use the FDA rulings. We
22 apply them globally to all our cases, no matter where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they come from.

2 The second thing that we would be quite
3 happy to do then is at the end of each flu season, to
4 provide a report of our epidemiological findings:
5 What have we found from these databases that we are
6 looking at? We are very happy to share that with the
7 agency.

8 Again, we would be quite happy with the
9 agency to work on the design of these studies and make
10 sure that the agency is happy with the way we are
11 looking at these datasets.

12 CHAIRMAN NELSON: And to just follow up on
13 my previous question, if you go back and look at the
14 United HealthCare on the drug absent influenza, once
15 you complete that, you will be willing to share that
16 in some way with the FDA?

17 DR. DOLIN: Quite certainly.

18 CHAIRMAN NELSON: Maybe even with the
19 public?

20 DR. DOLIN: We are very happy to work with
21 the agency and have transparency on all these issues.

22 DR. WARD: Skip, could I follow up?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN NELSON: Yes, go ahead, Bob.

2 DR. WARD: Would you be sure and provide
3 the denominator for frequency of use with those data,
4 especially the international data, so that we can look
5 at real incidence?

6 DR. DOLIN: Yes. I think this is partly
7 where the trouble is. We have been looking at
8 reporting rates rather than true incidence rate. We
9 will always try and provide incidence rates as person
10 years or a true denominator, wherever possible. So we
11 will take an epidemiological approach to these
12 analyses.

13 CHAIRMAN NELSON: Deborah?

14 MEMBER DOKKEN: I just have a simple
15 question of clarification for Dr. Lewis. Your
16 recommendation is within the next two years. Does
17 that mean at the end of or sooner than, because my
18 reaction would be different?

19 DR. LEWIS: Well, I guess one of the
20 things that we have to keep in mind is that, because
21 this is influenza, we are talking about the flu season
22 is limited to the specific time period, usually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 November or December through March or April.

2 So if we came back to you within a year,
3 we would basically have only one additional flu
4 season. We can do that, although I am not certain
5 that that would provide us with as much data as will
6 be very useful, again based on these are relatively
7 small numbers, but again this is something that we
8 would appreciate the Committee's input on.

9 CHAIRMAN NELSON: Well, let me follow up.

10 It's one thing to have only one season, and
11 generalizability of that information may be limited.
12 What is the suppleness within which one gets the data
13 and analyzes the data to where -- I mean, whether it
14 is at the CDC level, etcetera, and the flu season ends
15 in March. When is the data even available for
16 analysis, even if it is only one season?

17 DR. LEWIS: There is a lag, and it takes
18 several months to collect that data and make sure that
19 you actually have it all. In the BPCA review, it went
20 from March to April of the next year.

21 They actually added that extra thirteenth
22 month in order to be sure that things had sort of come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in from that flu season. But it does take at least a
2 few months to be sure that we have all of the reports
3 because of the lag in reporting.

4 Sometimes these reports, particularly the
5 international ones, go initially to the pharmaceutical
6 company. If they are in a foreign language, they have
7 to be translated. Then they have to get to us, and we
8 have to review them.

9 So particularly for the non-domestic
10 reports, the time lag is more substantial.

11 DR. MURPHY: Debbie, I think what we can
12 tell you is routine on these 50 drugs that we have
13 just done, it takes us a minimum -- We do the one
14 year, and then as they said, we add the thirteenth
15 month to make sure we have all the data, and then it
16 takes us another couple of months to just get it all
17 analyzed, written up and ready.

18 So we are working on a minimum of 15
19 months in our one year -- Our one-year is 15 months is
20 what I'm trying to say. So you've got at least three
21 more months on there.

22 DR. LEWIS; But again, you know, we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doing sort of routine surveillance during flu season
2 and, if we identified anything that was concerning, I
3 think that we would let the Committee know that we
4 needed to present it sooner rather than later.

5 CHAIRMAN NELSON: Let me then just ask,
6 following up on that: You have already -- There's a
7 lot of the work that's already been done. In some
8 sense, sort of templates for all the data analysis are
9 already in place.

10 Is it unreasonable to then say after the
11 next flu season, which would basically be a year from
12 now -- When you are looking at it, is there anything
13 of concern, and at least have that sort of, if you
14 will, preliminary report that, no, there is nothing
15 different that we've seen that is of concern, and then
16 have a more complete report after two flu seasons. Is
17 that a reasonable approach?

18 DR. LEWIS: That certainly seems like a
19 reasonable plan.

20 CHAIRMAN NELSON: I don't see any other
21 hands up. There's Mike.

22 MEMBER FANT: The only additional point

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that I would make, in addition to the surveillance --
2 and I think we all agree is necessary to really try to
3 determine how significant these potential signals are,
4 how real they are, and to whom they apply -- assuming
5 we get to the point in one or two years where we
6 realize that they are very significant and they may
7 apply to the population in general or to specific
8 segments of the global population, would that be the
9 position of trying to understand what the mechanism is
10 that underlies that?

11 I think that's pretty important now,
12 because I think we are dealing -- We are all concerned
13 about having to deal with more than just the usual
14 seasonal flu seasons, you know, particularly as it
15 relates to Tamiflu exposure.

16 I think I would hope that, as we go
17 through the routine surveillance that we are
18 discussing here now, that Roche in conjunction with
19 whatever laboratories you think may be necessary
20 either in-house or in academia, to really explore the
21 pharmacologic and the pharmacogenetics aspects of the
22 basic biochemistry and pharmacology of Tamiflu action

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and how it may interact with the patient, with
2 individual patients, I think, is going to be very,
3 very important.

4 It may turn out to be an unnecessary
5 activity, but I would much rather have started that
6 now if we find ourselves a year or two down the road
7 wishing we had that information, because it may have
8 implications for significant segments of the global
9 community who might be exposed to the drug.

10 CHAIRMAN NELSON: So I guess, to simplify
11 the question or maybe -- What, going forward, are the
12 potential linkages between pharmacovigilance and
13 pharmacogenomics looking at when you have a wider
14 distribution among the population. Say, if we get
15 better in the United States in either prophylaxing or
16 diagnosing as you get a larger population exposure,
17 looking at variability in the adverse effects within
18 the population based on differences in the
19 pharmacogenomics of the drug, I guess, what might be
20 on the table?

21 DR. HOFFMAN: I think the first thing we
22 can say is that we do have collaborations right now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with NIH and with WHO regarding H5N1 and its
2 appearance and characterizing that, and we do have
3 surveillance programs that are being developed for
4 that.

5 Specifically regarding the
6 pharmacogenetics and genomics, our company is very
7 interested in this area, as our main company is right
8 now, and we have a specific group who does this, and
9 this would be something we would be happy to discuss.

10 We don't have the people here now who can do that.

11 CHAIRMAN NELSON: I don't think there is a
12 concrete recommendation coming out of it, other than
13 pointing out that over time, if population exposure
14 goes up, it will be important to understand this
15 information in a more targeted way.

16 DR. MURPHY: So let me be concrete. What
17 I hear you saying, and maybe you were going to
18 summarize this, Skip, is that as of what the Committee
19 asks FDA to come back and re-present this information,
20 one of the components is that you are going to expect
21 to see some additional information, if nothing else,
22 on process, where we might be looking and what's going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on, to try to address this question of what might be
2 specific predictors or populations or interactions
3 that might be occurring that would help us understand
4 this phenomena. Is that --

5 CHAIRMAN NELSON: I guess I heard Michael
6 asking a more fundamental question. It would be nice
7 if, from the epidemiologic data alone, one could begin
8 to identify subpopulations at risk of higher adverse
9 event rates or severity. But I think Michael was
10 asking a more fundamental question of linking that to
11 the actual biochemistry and mechanisms of action of
12 the drug itself, which would be beyond the
13 epidemiologic data.

14 DR. MURPHY: That is what I was trying to
15 sort out. You're really asking two things then, the
16 epi question, but I'm trying to focus on what Dr. Fant
17 as asking.

18 CHAIRMAN NELSON: Yes. I think he was
19 asking a more fundamental pharmacogenetics question.
20 If the epidemiologic data can be broken down at that
21 level of detail, that would be great. But knowing the
22 limits of the surveillance systems that we've talked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about, whether you can or can't do that, I guess, will
2 be seen. Bob?

3 DR. WARD: One of the things I'm struck
4 with is it appears that Dr. Morishima in Japan has a
5 collaborative study group ongoing about influenza
6 related encephalopathy. I know that the FDA has
7 ongoing contact with their corresponding groups in
8 japan.

9 It might be helpful if we could get a
10 preliminary report about what their findings are,
11 because it's the difference between the two
12 populations, it seems to me, to be the biggest signal
13 right now, and whether this is a signal headed our way
14 that is likely in our population or not, they may have
15 some ideas by that time that are not published.

16 CHAIRMAN NELSON: Okay.

17 MEMBER DIAZ: I also would like to
18 understand a little more the reporting practices of
19 adverse effects by countries other than Japan and the
20 U.S., because if you look at the number of
21 prescriptions of Tamiflu in the U.S. in children, it
22 is 1.3. For the other countries, the total

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prescriptions is 1 million versus 1.3 million. But
2 the reporting side effects or adverse effects, it's
3 the same in those other countries as the U.S.

4 So they are also reporting more adverse
5 effects compared to the number of prescription use in
6 children. That means the U.S.. I will be curious to
7 understand that a little more.

8 CHAIRMAN NELSON: So that might be one way
9 of trying to get at this population variability issue
10 as well.

11 MEMBER DIAZ: And also -- right -- to all
12 the other countries, which include Germany, France,
13 U.K. and others. Are they more similar to Japan in
14 the way they report and their surveillance versus the
15 U.S., and why in the U.S. do we have so much lower
16 adverse effects reporting?

17 CHAIRMAN NELSON: Which actually reminds
18 me of a question I was thinking of asking. I think in
19 the presentation you mentioned the U.K. general
20 practitioner database and then made a comment about
21 that being available to the FDA.

22 I guess there's two questions. Is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 available to the FDA, because I have not heard us get
2 data from that? If it is, I guess then that would be
3 something that would be on the table for the next time
4 we see it. I don't recall ever seeing any data -- and
5 maybe it flew by on some of the other drugs -- out of
6 that particular database.

7 DR. MURPHY: The Office of Drug Safety
8 contracts for the General Practice Research Database.

9 We now have about a year's experience learning to use
10 it. It is a very complex database, and certainly one
11 of the candidate studies that we might consider is
12 whether or not some study of influenza morbidity and
13 mortality conjunction was -- these products' use could
14 be done in it.

15 CHAIRMAN NELSON: So it would be
16 reasonable to anticipate, if not expect, that a year
17 from now or two years from now that that database
18 would have been woven into this analysis, or is the
19 learning curve longer than that?

20 DR. MURPHY: This just relates to other
21 safety studies and the number of safety studies that
22 we might be able to conduct at any one time in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 system. So I wouldn't commit to our ability to do it
2 within that year, but certainly we can work with Roche
3 in their use of this data system. Certainly, we would
4 be very interested to see more closely the details of
5 their United HealthCare system, and certainly, our
6 epidemiologists can make suggestions and conduct
7 independent reviews.

8 So I think we will work with the sponsor
9 to pursue that.

10 CHAIRMAN NELSON: So with partnering, that
11 could be done?

12 DR. DOLIN: I think that we are very, very
13 happy. I think the first step is where you actually
14 need to go and look at these datasets to do a
15 feasibility assessment: You know, is Tamiflu actually
16 on there? Are there sufficient numbers? If not, then
17 we find out what are the appropriate databases that we
18 could go with. But I think you know, we are very,
19 very happy to work with the epidemiologists at the
20 agency.

21 CHAIRMAN NELSON: So at least, in thinking
22 about a sort of update, not the report but an update

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after one flu season, it would be reasonable as part
2 of that to just have at least a comment about where
3 that stands if not done, at least being done, some
4 preliminary assessment of feasibility of that in
5 answer to that question? Is that reasonable? Working
6 together?

7 DR. DOLIN: I think the issue there is the
8 time frame, because the data have to be clean. I
9 mean, I know the agency has huge trouble with
10 duplication reports. For example, these reports may
11 come from Japan straight to you. We may report them
12 from Chugai direct to you, and just removing the
13 duplicates actually takes a lot of time, because we
14 don't have named patients and --

15 CHAIRMAN NELSON: No, I understand. It
16 may be as simple as we are not done yet. That's fine.

17 I'm not placing expectations of having the finished
18 data, but an update. As part of the update of where
19 things stand, it would be helpful, I think.

20 DR. DOLIN: For us, it's no problem.

21 DR. MURPHY: I think we would be happy to
22 give you a status update. Bear in mind, epidemiology

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 databases have some additional timelines in terms of
2 reporting and cleaning of data. I can't speak to
3 whether or not we would have anything near preliminary
4 results, but perhaps feasibility could have been
5 assessed by that time.

6 I think what you are hearing, Skip, is
7 that we are going for nearer of the two. You know, we
8 think that whatever we need, it's going to take us a
9 while to at least get that one year, then look at what
10 other -- wherever we are at that time with other data
11 and other information. But it's one of the reasons we
12 came up with that number, is that we understand it is
13 going to take a while.

14 CHAIRMAN NELSON: I understand. One
15 reason I asked is, even though it may not be a
16 requirement, but often businesses might be able to
17 accomplish what the Federal government might not be
18 able to accomplish.

19 DR. MURPHY: We are well aware of that.

20 CHAIRMAN NELSON: I won't get into the
21 politics of Katrina on those points.

22 DR. MURPHY: And we do ask them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN NELSON: Wal-Mart and Home Depot,
2 etcetera.

3 Well, why don't I -- Dr. Englund?

4 DR. ENGLUND: I just have one more
5 comment, and that is to ask is Tamiflu used -- Is it
6 licensed yet and used in the U.K.?

7 DR. DUTKOWSKI: I am Regina Dutkowski.
8 Yes, Tamiflu is licensed in the EU, and it is used in
9 the U.K.

10 DR. ENGLUND: In children?

11 DR. DUTKOWSKI: It's licensed in children
12 at one year and older.

13 DR. ENGLUND: I would just like to say,
14 anecdotally, in my experience with the European
15 Pediatric Infectious Disease Society, it is not used a
16 whole lot, and I'm just saying, for us to expect to
17 get a lot of useful data in children in one year, you
18 might be thinking --

19 CHAIRMAN NELSON: Then the update report
20 would be: We looked at the number of hits on Tamiflu
21 in the database, and it is 12.

22 DR. ENGLUND: I'm just saying, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think we should be relying on that kind of database to
2 be giving us pediatric information. I think we need
3 to be mining the information we have in our country to
4 the best of available, because it is going to be
5 potentially more complete and more of it.

6 CHAIRMAN NELSON: Okay now. So why don't
7 I at least summarize this discussion, as a way of just
8 sort of identifying additional points.

9 First of all, let me just start by
10 commending what I think the plans are. I think the
11 pharmacovigilance plans on the part of Roche, I think,
12 are commendable. What the FDA has done to date and
13 what they plan to do, I think, is commendable.

14 So the only question sort of in addition
15 to that is to take a look at the database, looking at
16 -- trying to sort out the prophylactic use and whether
17 there is any insight that that generates, and then
18 sharing that; a discussion as to whether there would
19 be any useful information, with questions about
20 whether there will or will not be, which may well be
21 true, that it is not useful to try and get information
22 out of the U.K. database that is available; and as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 part of this, understanding there may not be the
2 capability of having any sort of usable or complete
3 information that can be generalized until we go
4 through two flu seasons, that at least after one flu
5 season, there would be at least a reporting back,
6 which could be as abbreviated or as complete as the
7 agency feels necessary of just where we are in this
8 process, which could be as simple as to say we've not
9 seen anything new of concern relative to what we have
10 already presented, now that we've got this experience
11 in analyzing these various databases and sort of
12 watching and doing that surveillance; understanding
13 that the earliest with which one could hope that that
14 might be done would be a year from now because of the
15 flu season and then the month's lag in the data.
16 That's not to say it has to be a year from now, but
17 that would certainly be the earliest, I would imagine,
18 that it could be expected.

19 That's what I have heard around the, if
20 you will, fine tuning of the plans that have already
21 been presented. Did I miss anything?

22 Any further discussion or can we take

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 action on the plan with the, if you will, minor
2 modifications that we have discussed?

3 So I guess I would like to go back to the
4 questions, the questions that were put forth. The FDA
5 is proposing to continue to monitor pediatric adverse
6 events that are being reported to Tamiflu -- we've
7 talked about that monitoring -- and return to PAC with
8 an additional report -- let's call it a fuller report
9 -- within the next two years. We have commented on
10 that proposal, and suggested a minor modification on
11 that in terms of an update at least, hopefully, a year
12 from now -- may be a little longer.

13 So why don't we take that action alone,
14 and then talk about the skin reaction and labeling
15 separately. So we are asked if we agree with this
16 proposal. I am going to frame it as do we agree with
17 the proposal, as we have talked about those minor
18 modifications? So I will ask for just a show of
19 hands.

20 You would agree with that proposal, with
21 the minor modifications that we have made? Is there
22 anyone in disagreement? So the record should show

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that it is unanimous among the Committee.

2 Then the second question we were asked is
3 about the additional information on the Tamiflu
4 labeling regarding serious skin reactions. I might
5 point out, we've not seen the labeling. So we are not
6 being asked to frame it, but whether we agree with the
7 sponsor and the FDA working toward mutually acceptable
8 language around that labeling, which I gather is in
9 the Supplemental NDA that has already been submitted.

10 Any discussion of that point? So I will
11 ask for a show of hands of all of the Committee
12 members that are in agreement with that approach to
13 the recommended labeling. Anyone in disagreement?

14 So we are unanimous on that second
15 question as well.

16 Let me ask if there's issues that are
17 unaddressed that we need to address? Deborah?

18 MEMBER DOKKEN: Yesterday in some of our
19 discussions we made reference to the fact that
20 consumers now had access to information from a variety
21 of sources, including the Internet and the media. I
22 guess, although I am very comfortable with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendations and the way the Committee responded, I
2 can't help but think about what I heard on the radio
3 this morning coming here, and how consumers receive
4 some of this information.

5 I know there is now a Q&A on the website,
6 and I especially like the last question and answer
7 about what do I do if I think my child -- On the other
8 hand, I'm not sure how many people routinely go to the
9 FDA website.

10 So I think I've asked it at other
11 meetings. You know, this feeling that I wish there
12 were a more proactive way for information like this,
13 because I feel there is a need right now for consumers
14 to know, based on the media reports, that the safety
15 of their children is being very carefully watched and
16 taken care of, and I'm not 100 percent sure how this
17 information is going to get back out again.

18 CHAIRMAN NELSON: Well, then I think that
19 we invite comments on both the part of the FDA and
20 perhaps on the part of the sponsor. I think there is
21 interest for us to use the drug appropriately. It is
22 not approved, I guess, for prophylactic use in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 United States at this point, less than 12 years of
2 age, but anyone want to comment on efforts to educate
3 the public appropriately about the use of Tamiflu?

4 DR. MURPHY: Well, we always depend on the
5 company to do their part. I guess what I'm asking,
6 Deborah, is are you -- You all have made
7 recommendations before. Are you making a specific
8 recommendation or you just want to make sure that --
9 like we had the Q&As. Are you suggesting that we now
10 provide -- As you know, we have talked about ways we
11 can do this.

12 We can have a press release from the FDA.
13 Is that what you are suggesting?

14 MEMBER DOKKEN: I think, Skip just
15 slightly misinterpreted. I'm not as much talking
16 about public information about use of Tamiflu. I am
17 talking about a very specific response to headlines
18 that people heard today, 12 pediatric deaths, and a
19 need to know in a time where people are bombarded by
20 information about avian flu and maybe some concerns
21 about how they are being protected and government
22 response.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm talking about a very specific
2 response, that this was carefully discussed and that
3 children are safe in the short term, and there is
4 going to be continued monitoring, and how that gets
5 out other than on the Internet and in the news media.

6 CHAIRMAN NELSON: I suspect that it is
7 out. You've just said it. There's half a dozen
8 cameras in the back of the room that you didn't see in
9 the previous two days of our discussion, and I think
10 it is fair to say that this Committee does not think,
11 based on the data that we have been presented, that
12 there is any concern at all that Tamiflu had a role in
13 the deaths of the children that were reported out of
14 Japan.

15 So that news will, hopefully, circulate
16 out through the reporting of responsible journalists.

17 I'm not sure how much more can be said on that
18 particular point, but there will be continued
19 vigilance to make sure that, in fact, that assessment
20 remains the case, that as far as the data can be said
21 at this point in time.

22 Any comments or further questions or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion? From our FDA colleagues, any issues that
2 we have not addressed that you feel we need to, before
3 we adjourn?

4 DR. MURPHY: I count on you guys never
5 leaving anything unturned. So, no, as always, a
6 wonderful reasoned review, and we really, particularly
7 in situations like this where, to be quite blunt, we
8 think a focus, an inappropriate focus, was placed in
9 the media, and I think your deliberations today should
10 help the public understand that not only the agency
11 but we've brought these reports to a panel of experts,
12 and that they very carefully looked at the data, and I
13 know you guys did a lot of reading. I can tell by the
14 questions, and brought your expertise and your
15 thoughts today to this meeting, and you have found no
16 reason for us to do anything different than what we
17 are proposing, which is continue monitoring.

18 There is, at this time, no evidence that
19 we need to be anymore concerned about Tamiflu causing
20 the type of events that were being reported, and that
21 we will see you guys back in two years -- no, before
22 then.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN NELSON: Well, on this topic
2 maybe two years.

3 DR. MURPHY: With a report.

4 CHAIRMAN NELSON: Well, I would like to
5 thank everyone for their patience and participation,
6 and this meeting is adjourned.

7

8

9

10

11

12

13

14

15

16

17

18

19

20

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701