

1 the 18 and 20 patients there.

2 DR. OREN: Dr. Katz.

3 DR. KATZ: Just a followup. Could you put
4 that slide back again, is that possible? The last one
5 you showed about the Type 1 and Type 2 events.

6 DR. ZANINELLI: The retrieved dropout
7 slide, the last one we showed?

8 DR. KATZ: Yes. I just want to make sure
9 that I understand what it showed.

10 (Slide)

11 This is the number of -- there's a
12 footnote at the bottom that says "12 Clozaril and 3
13 Zyprexa patients had Type 1 events after
14 discontinuation". How does that jibe with the numbers
15 that you have up on the chart? Maybe I'm just not
16 understanding it. The second row on the chart seems
17 to say that there were 20 Clozaril patients who had a
18 Type 1 event.

19 DR. ZANINELLI: Right. Is that before
20 discontinuation?

21 DR. KATZ: What I'm interested in is what
22 happened during the retrieved dropout period.

23 DR. ZANINELLI: Jay Shu would be best able
24 to answer that.

25 DR. SHU: Jay Shu, statistician for

1 Novartis. The 20 and 25 patients with Type 1 events,
2 the 12 is actually out of that 20. Twelve patients
3 that had an event after discontinuation.

4 DR. KATZ: And only after discontinuation,
5 is that right?

6 DR. ZANINELLI: Right. So that was my
7 mistake. Then these are the patients who had Type 1
8 events overall, and of those, 12 were after
9 discontinuation in Clozaril and 1 in Zyprexa.

10 DR. KATZ: And that -- the 12 and the 3,
11 that was the first time that they had a Type 1 event
12 because, obviously, patients could have more than one
13 Type 1 event.

14 DR. ZANINELLI: In all cases were first
15 Type 1 events, yes.

16 DR. OREN: Dr. Wang.

17 DR. WANG: As long as we're dealing with
18 analyses, in terms of the WLW approach, it certainly
19 advantageous to use it. One of the assumptions,
20 though, is that it's ideal for current events, true
21 distinct events, and I was wondering if you could
22 comment on the fact that some of these might be
23 remeasures of the same event -- in other words, if
24 someone has a decline in their score as well as has a
25 Type 1 event, that could be the same thing.

1 DR. ZANINELLI: Right. Dr. Lin, would you
2 like to comment on that?

3 DR. LIN: Hi. Danyu Lin, from University
4 of North Carolina. The WLW method can be used to
5 analyze various type of multivariable data. You could
6 have a multiple event per person, and a multiple event
7 could be the recurrence of the same of event, or it
8 could be distinct events. And the correlation usually
9 among different events, especially if you consider a
10 distinct event.

11 In our case, the Type 2 event includes a
12 Type 1 event. So, they obviously had to correlate it.
13 And, statistically, actually, this is -- it's very
14 simple. All we are doing is basically we fit two
15 standard per person who had this model to each of two
16 events, so I had the original estimates for the time
17 of the first event and hazard ratio estimate for the
18 time of second event which, in this case, are very
19 similar -- .76 and .74. All we do is that we combine
20 the two estimates. We just take an average of the two
21 estimates. And because we take an average of two
22 estimates for two highly correlated data, we know the
23 correlation, but statistically that's what the method
24 is for, it's to estimate the correlation empirically
25 from the data, and correlate it just for the

1 variation. And so the correct variance is used in the
2 denominator, and in the numerator you basically just
3 average out the two estimates, and it give you just a
4 normal statistic.

5 DR. WANG: Could I follow that up with
6 another related question, and that is why you see a
7 lack of efficacy when you look just at the blinded
8 psychiatrist ratings -- you know, if you look at your
9 hazard ratios, if you just do an analysis on Type 1
10 events, you have a ratio of .74. When you add in the
11 Type 2 events, which the only new contribution is via
12 the blinded psychiatrist ratings, the hazard ratio, if
13 anything, gets a little bit worse, to .76.

14 DR. LIN: Can we show that slide? Can we
15 show the number of events, the definition -- the one
16 that we showed when we show the results of the study,
17 when you show the composition of the Type 2 event.

18 (Slide)

19 Basically, it is that the difference
20 between the two groups is most substantial in Type 1
21 event than the additional number of Type 2 events
22 that's not a Type 1 event.

23 DR. WANG: The additional, when you add in
24 the blinded psychiatrist ratings, it's not that it
25 only adds a little, it actually detracts from the

1 benefit seen with Type 1.

2 DR. LIN: No, I'm talking about 18 out of
3 20, that difference is very small. Maybe I
4 misunderstood your question.

5 DR. WANG: If you look at the regression
6 co-efficient just for the psychiatrist ratings, it's
7 actually in favor -- slightly in favor, and it's
8 highly nonsignificant, but in favor of Zyprexa. I'm
9 interested in your thoughts on why that might happen.

10 DR. ISLAM: As you can see, the Type 1
11 event separation, 102 and 141 patients. The Type 2,
12 the CGI-SS contributed 18 and 20 to the Type 2. If you
13 compare the 18 and 20, it's not much of a difference.

14 Now can I see Slide 37, please.

15 (Slide)

16 That's what it looks like in here because
17 the CGI-SS didn't contribute much. So the co-
18 efficient includes little, and that is the reason
19 here.

20 DR. WANG: Any thoughts on why it didn't
21 contribute? I mean, any thoughts on why the
22 psychiatrist ratings had not contribution?

23 DR. KRISHNAN: Let me just briefly address
24 that. I think it's really a question of how often
25 were the ratings obtained, which were much more spread

1 out -- 4 weeks, 8 weeks, up to 12 weeks in the second
2 part of the study. And the second reason for that is
3 we actually looked at how these events happened, what
4 type of precipitations of suicide. They actually
5 don't correlate so much to the scaling that is done in
6 the event-by-event thing. It probably correlates just
7 to the time point closest to it. So, if you came in
8 a week before and they did the scale, that correlates
9 pretty well. But if you had come in 8 weeks before,
10 it may not because the events seem to be more related
11 to what's happening in the life of the individual
12 leading to that particular trigger. And those scales
13 do not capture the trigger factor.

14 So, although I think it was a nice
15 addition, I don't think it actually added much value
16 to the overall thing, and there are two reasons --
17 one, the frequency and, second, they were not time-
18 relevant to the events because the events were
19 different periodicity, if you want to call it, not
20 connected to in the scale.

21 DR. OREN: Dr. Katz.

22 DR. KATZ: I have two questions related to
23 the blind. There were various attempts, as you
24 described them, to address the question of potential
25 bias by the unblinded psychiatrists referring cases.

1 As I understand it, the Ingenex staff could also refer
2 cases, and presumably, of course, the Medical Monitor
3 could identify cases that would be assessed in a
4 blinded way by the Suicide Monitoring Board, but I'm
5 just wondering what aspect of that -- the staff's,
6 Ingenex staff's referral or identification of
7 additional cases was blinded. Was any of it blinded,
8 or was that also unblinded?

9 DR. ZANINELLI: Ingenex was not -- the
10 Medical Monitor was not blinded, just performed the
11 blinding.

12 DR. KATZ: Right, but it was also the
13 Medical Monitor who could decide that there might be
14 additional cases that could be sent to the SMB for
15 blinded review, is that right?

16 DR. ZANINELLI: The Medical Monitor would
17 essentially challenge the Principal Investigator if he
18 found evidence for a potential Type 1 event, but it
19 was ultimately the Principal Investigator who referred
20 the case. It was blinded by the Ingenex Monitor.

21 DR. KATZ: So there were no cases that the
22 unblinded Medical Monitor for Ingenex could identify
23 independent of the cases identified by the unblinded
24 investigator, they couldn't independently identify a
25 potential case and then ship the blinded data to --

1 DR. ZANINELLI: They could because they
2 were independent of the referrals reviewing in real-
3 time, so to speak, the adverse event.

4 DR. KATZ: Right, that's my point, but
5 they were doing that in an unblinded way as well, is
6 that correct?

7 DR. ZANINELLI: Yes, unblinded.

8 DR. KATZ: That's really just the point I
9 want to make. The other had to do with your attempt
10 to go back and look at the 700 unreferred patients and
11 identify cases. You described in some detail the
12 steps that were taken to identify any additional
13 cases, and somewhere along the line you said a
14 particular step was blinded. I'm wondering if you
15 could speak more explicitly about how you decided that
16 some cases might have actually been Type 1 events.
17 Was it basically an unblinded look at the case report
18 forms and that sort of thing?

19 DR. ZANINELLI: Right. The program that
20 was doing the match was unblinded to treatment.
21 Ultimately, the Novartis staff -- there's a time issue
22 here as well -- were not blinded necessarily, they
23 could look into it if they wanted to. And we used the
24 same criteria. Again, anything that -- any bit of
25 evidence that was -- could be a potential Type 1 event

1 was considered.

2 DR. KATZ: Right, but those events were
3 identified in an unblinded way, presumably. And then
4 when you actually went and got those cases that were
5 potentially Type 1 events, the review of that material
6 was also unblinded.

7 DR. ZANINELLI: Was unblinded, yes.

8 DR. LAUGHREN: Just again a clarification.
9 The material that was available to the Novartis
10 reviewers in looking at the data from these roughly
11 300 cases that matched on adverse event terms, as I
12 understood it, that's information only that was in the
13 case report forms.

14 DR. ZANINELLI: The case report forms and
15 -- James -- James Rawls, from Regulatory Affairs, who
16 supervised the review.

17 MR. RAWLS: Good morning. James Rawls,
18 from Regulatory Affairs. I helped to assist with the
19 team that reviewed these events. There was a variety
20 of information -- the same information that the SMB
21 reviewed we had available, with the exception of the
22 clinical history, but I think it should be pointed out
23 that the majority of those events, since we picked up
24 every term that could have been a suicide attempt or
25 something related to suicide, the majority of those

1 that related to suicide attempts occurred prior to
2 randomization. They were dealing with a baseline
3 history information, and not events after
4 randomization.

5 And then if you looked at -- we also found
6 terms in terms of suicidal ideation that were picked
7 up, but those terms, since those individuals were not
8 hospitalized for the particular event, it was not
9 forwarded to the SMB. However, that information, if
10 it was a suicidal ideation, it was picked up as an
11 adverse event in the I think it was CBR8, from Dr.
12 Kane's presentation -- would you put it up, please?

13 (Slide)

14 This is where those reports of suicidal
15 ideation would have been captured in terms of the
16 patients in the Clozaril group and the number of
17 patients in the Zyprexa group.

18 DR. LAUGHREN: I guess my question is, is
19 it possible that this other information that somehow
20 didn't get into the electronic database -- for
21 example, nurses notes or a hospitalization at another
22 site -- that somehow might not have found its way into
23 the database that you were using to do the search.

24 MR. RAWLS: We reviewed all their comments
25 or the comments that would have been captured at the

1 site. They would have been entered into comments
2 database. We didn't have the actual -- I mean, the
3 clinical history or the information at the particular
4 site in terms of source documents, but that
5 information was part of the case report form. So, I
6 think we had the complete record for the particular
7 patient.

8 DR. ZANINELLI: I think it's very
9 important to emphasize this was not a purely
10 electronic database comparison. Where the terms were
11 matched in the database, you went to the hard copy.
12 So, it was really a hard copy review which contained
13 all the extraneous notes from staff investigators and
14 anyone involved with the patient.

15 DR. OREN: Dr. Malone.

16 DR. MALONE: I think one of the concerns
17 I have is if you have -- if you show that olanzapine
18 is better than Clozaril, it could be that, for
19 instance, olanzapine makes suicide worse, and it's
20 hard to tell what that means about Clozaril. Is there
21 any way to estimate what the, say, rate of
22 hospitalization for schizophrenics is over a two-year
23 period, from large databases, like Medicaid or
24 Medicare databases?

25 DR. KANE: I think that's certainly a

1 reasonable question, but there's no evidence that
2 that's the case. I think if you look at the rate of
3 attempted suicides and rate of completed suicides in
4 both the olanzapine-treated group and the Clozaril-
5 treated group in this study, and compare it to data
6 from, for example, the Kahn (phonetic) data that was
7 referred to earlier, that the rates in both categories
8 are extremely low. So, it would appear that there was
9 an improvement that took place in both groups in that
10 sense, although despite that, we're able to
11 demonstrate a significant difference favoring
12 Clozaril. So, there's no evidence when you compare
13 these data to the data from other studies, that the
14 patients in the Zyprexa-treated group were
15 experiencing more events in any one of those
16 categories.

17 DR. MELTZER: There no data that can
18 really compare with this group. The annual rate of
19 suicide attempts for the whole sample, even though
20 only 80 percent had an event within the three years,
21 was approximately 20 percent per year, and during the
22 course of the study that rate was reduced dramatically
23 in both groups. So there was no evidence that Zyprexa
24 -- if you want to do that pre/post which has a lot of
25 problems associated with it -- but clearly there was

1 no signal that Zyprexa made for increased suicidal
2 behavior.

3 DR. OREN: Dr. Winoker.

4 DR. WINOKER: I'm going to have a few
5 questions. The first two, I just want to have a
6 chance to hear from the sponsor on a couple of issues
7 that in the FDA review that was passed along to us
8 were raised, that I don't think have so far been
9 commented on.

10 One is the issue of the amendment that
11 allowed subjects to be off-protocol for a period of
12 time and then re-enter again, and in your analysis how
13 that affected the overall.

14 DR. ZANINELLI: Do we have an analysis of
15 the number of patients who left the study and came
16 back, or an overview? I know there were relatively
17 few.

18 (Slide)

19 DR. ISLAM: We have 158. This is our
20 analysis excluding all data after the patient
21 discontinued. So, if the patient discontinued like an
22 RD or came back as an RD or something like that. So,
23 we still have much more significant result.

24 DR. WINOKER: I'm not talking about the
25 Retrieved Dropouts. I believe it was mentioned that

1 at a certain point there was an amendment that
2 actually allowed subjects who had been enrolled and
3 then for some reason were out of the protocol, to be
4 resumed under original treatment modality and be
5 included in the primary data analysis, if I'm right in
6 understanding that.

7 DR. ISLAM: We do not have any separate
8 analysis for this, we just considered that period of
9 time that the patient didn't take drug.

10 DR. WINOKER: Do we have a sense of how
11 many subjects would have been in that group?

12 DR. ZANINELLI: Twelve patients overall
13 who left and came back, so I think it's like 8 in one
14 group and 6 in the other -- or 4 in the other. I
15 don't know which way it went, but it was a very small
16 number of patients.

17 DR. WINOKER: There was another point that
18 was raised -- and, again, just to hear the comment
19 about the change in greater that occurred across two
20 years, and whether that may have had an impact on the
21 CGI-SS assessments.

22 DR. ZANINELLI: Okay. We did look at
23 that. So the question refers to the fact that over
24 the course of the two-year study, not many patients in
25 both groups had a change in blinded assessor.

1 (Slide)

2 So this analysis looks at the incidence of
3 change in the blinded psychiatrists with regard to the
4 worsening on the CGI-SS-BP. So, in the worsening, we
5 find the score of 6 or 7. No impact was seen -- who
6 did this one? Whose slide is this? I thought we did
7 an analysis -- we'll have to come back to that one.
8 I'm sure the analysis is in there somewhere, as well
9 as the specific question.

10 DR. WINOKER: I'm coming back to the
11 adjunctive treatment issue. For the antipsychotics,
12 you showed the dose equivalents in terms of
13 Haloperidol. I was curious about the -- well, two
14 things -- the percentage of patients who got
15 adjunctive antipsychotics in terms of typical and
16 atypicals, and if you have any kind of at least
17 qualitative feel for what led to adding an additional
18 antipsychotic. I mean, obviously, on the face, it was
19 for lack of efficacy, I would assume, but if there's
20 any sense of what actually tended to drive adding --
21 because so many of the patients were on adjunctive
22 antipsychotics.

23 DR. ZANINELLI: To answer the second
24 question -- while you're looking for the analysis, I
25 believe there was analysis of the first question. But

1 could we look at the curves for the concomitant
2 medications, please, the mean dose over time.

3 (Slide)

4 So, in case of the antipsychotics here,
5 this gives you a little bit of the idea. In both
6 groups, the concomitant medication, which was probably
7 the previous medication, was discontinued. At a
8 relatively early point in the study, however, it
9 bottomed-out for both groups, at a lower level for
10 Clozaril than for Zyprexa. We take this to mean that
11 the patients who were either going on to a new adjunct
12 and staying there, or they are coming off a previous
13 one and staying there, for whatever reason -- you can
14 speculate on the reasons for that. John, do you want
15 to say anything about this?

16 DR. KANE: I think part of this is a
17 result of the fact that the clinicians treating these
18 patients were given absolutely leeway to do anything
19 that he or she felt was appropriate, and that was a
20 very important aspect of the safety in this study.
21 So, I'm sure there are a number of different clinical
22 reasons that one could imagine. A portion of these
23 patients were also considered to be treatment-
24 resistant, 25 percent. So, you can envision in some
25 cases the dose being increased for that reason, but It

1 think there were a variety of factors.

2 And I guess what I would emphasize is that
3 despite this extremely liberal policy in bringing to
4 bear whatever adjunctive treatment anyone wished, that
5 we're still seeing the drug effect of interest.

6 DR. WINOKER: I think this question will
7 be for Dr. Meltzer, and this is kind of indulging
8 myself. I realize that the driving force in
9 conducting this study was the retrospective analysis
10 that suggested strongly that there was a reduction in
11 suicide behavior in patients looked at, who had
12 previously been treated with Clozaril in the
13 retrospective database.

14 Apart from the empirical information,
15 which I know is the driving factor here, is there any
16 theoretical reason that intrigues you in terms of why
17 there might be the kind of difference between Clozaril
18 and another sort of cutting edge "atypical"
19 antipsychotic that we should be seeing this kind of
20 difference in efficacy on this measure?

21 DR. MELTZER: I think there are both
22 qualitative and quantitative signals that could be
23 explored, but it really would be very speculative. On
24 a qualitative difference, there are significant
25 receptor differences -- for example, in terms of 5HT6

1 and 7 antagonism with olanzapine having no blockade of
2 a 5HT7 receptor and Clozaril blocking it, and they
3 both were effective antagonists of the 5HT6.

4 My own personal bias is perhaps more
5 toward the qualitative mechanism which looks at their
6 relative abilities to enhance dopaminergic activity in
7 the pre-frontal cortex versus the limbic system,
8 although they both pull it in the same direction, the
9 ability of Clozaril to enhance dopaminergic function
10 in the cortex and the hippocampus is much more
11 significant. And I think they are increasing evidence
12 for a relationship of dopamine to depression, and my
13 own personal -- again, very speculative -- but based
14 on analysis that we did from the Cleveland sample and
15 some preliminary things we're looking here, it's the
16 depressive feelings, feelings of hopelessness, that
17 seem to drive the suicide attempt, which I think, by
18 the way, goes back to the previous question about the
19 difference between the CGI ratings and the event.

20 What happens clinically, that I've seen,
21 is the urge to deal with extremely distressing
22 feelings can come up fairly rapidly and impulsively,
23 and people act out and make an attempt. And it seems
24 in some way that the Clozaril is preventing that from
25 happening much more frequently than other drugs.

1 I would also add just one other thing,
2 Andy, which is we still don't understand why Clozaril
3 is so much more effective in treatment-resistant
4 patients in the old sense. That remains an enigma.
5 So much else has been figured out, and perhaps in some
6 ways they're related, but I remain convinced that this
7 is a separate signal.

8 DR. OREN: I don't want us to stop
9 thinking about psychopharmacology, but for the next 15
10 minutes perhaps we can switch to considering the
11 psychopharmacology of caffeine instead of clozapine.
12 So, we will take a break now, and return in 15
13 minutes.

14 (Whereupon, a short recess was taken.)

15 DR. OREN: I know there are further
16 questions from our panel, and Novartis also asked for
17 a couple of minutes to address a couple of previous
18 points. So, what we'll do now is I'm going to invite
19 Novartis to take a couple of minutes to respond to
20 some points that were made earlier, and then we will
21 proceed to the presentation from the FDA. There will
22 be plenty of time later for panelists to ask
23 additional questions.

24 DR. ZANINELLI: There was one question
25 from Dr. Winoker regarding the use of typical and

1 atypical medications, antipsychotics in the two
2 treatment groups. I pulled this from the listings
3 now.

4 About half of the patients in each
5 treatment group had atypical or typical antipsychotics
6 during some point during the study. The mean dose in
7 the Clozaril group for atypical -- and these are dose
8 equivalents, Haloperidol equivalents -- for typical
9 was 2.14 mg, for atypical 1.37 mg. For the Zyprexa
10 group, there was the mean dose of typical
11 antipsychotics was 4.26 and of atypical 1.37, so no
12 difference in the use of atypical antipsychotics and
13 typical.

14 Then there was a question regarding the
15 possible influence of the change in the blinded
16 psychiatrists who rated the CGI-SS -- can I have the
17 slide, please -- and I'll decipher the information on
18 the slide by simplifying.

19 (Slide)

20 So all told, there were 13 cases in the
21 Clozaril and 8 cases in the Zyprexa group where there
22 was a change in the BP in a patient who experienced a
23 Type 2 event. So Type 2 event, the main definition
24 was a worsening, on the CGI-SS, a score of 6 or 7.
25 Again, the 13 patients in Clozaril and 18 in Zyprexa

1 group who had a change in blinded psychiatrist.

2 The blinded psychiatrist change occurred
3 after the Type 2 event, so after the original blinded
4 psychiatrist had rated the patient, in 7 of the
5 Clozaril cases and 5 of the Zyprexa cases, so more
6 than half of them, or about half of them.

7 The change at the assessment of a Type 2
8 event occurred in 6 Clozaril patients and 3 Zyprexa
9 patients after the change in blinded psychiatrist.
10 These numbers are pretty small here, so I don't think
11 they affected the analysis, ultimately.

12 DR. KRISHNAN: Completely addressing a
13 couple of the questions that we asked, one question
14 was what were the questions asked of patients at each
15 visit -- vital signs, et cetera -- because the
16 question -- there were two questions asked: How are
17 you doing? And, second, did anything happen since the
18 last visit -- which is required by the study design to
19 be asked at each visit, trying to capture as much as
20 you can if anything else had happened during -- and
21 looking through the notes, there was one particular
22 instance where somebody had mainly elicited it during
23 that questioning, that an event had happened. And I
24 can remember at least a couple of those instances from
25 the notes that came through.

1 The second thing that I just briefly
2 wanted to address again is the medication used. So,
3 keep in mind, during that first phase, that's when
4 most of the concomitant medication use is happening
5 because that's when people are being tapered off and
6 being restarted on this drug. So, that's the period
7 when there is an overlap. So, if you look at the
8 antipsychotic group, that's when you see most of the
9 period, and you can see it rapidly dropping down as
10 those drugs were removed. And I think that's important
11 to keep in mind. It's not a question of using during
12 the course at any high rate, it's mostly in that
13 period of time.

14 The third one which I think you asked was
15 the diagnostic issue of how the diagnoses were made,
16 et cetera. One of the things you've got to keep in
17 mind is most of these patients, when you read through
18 the documentation -- at least for the ones that I
19 reviewed for the thing -- these are patients being
20 followed by the clinic, these are not advertised
21 patients. These are not patients coming right out of
22 the street. They are being followed by the clinic
23 because these are high-risk patients, so they know
24 these patients very well. And I think one of the
25 reasons that you see a lot of the additional

1 documentation of co-morbidity, et cetera, comes from
2 that pattern of usage. But there was no formal SCHD
3 kind of interview to make the diagnosis. Thank you.

4 DR. OREN: Thank you. I'd now like to
5 call on Dr. Khin, from the FDA.

6 DR. KHIN: As part of Division of
7 Scientific Investigations, we've been involved when
8 the application came in. We've done site inspections
9 for routine data audit as part of the application,
10 according to our Compliance Program.

11 In addition to this, Dr. Laughren and Dr.
12 Katz, the team has requested that we get involved
13 looking at the specific issues that I believe we've
14 been discussing this morning.

15 (Slide)

16 One aspect that we were interested to look
17 at is the Type 1 event. As it's defined, it's the
18 occurrence of a significant suicide attempt, including
19 completed suicide or hospitalization due to imminent
20 suicide risk, including increased level of
21 surveillance. It is as confirmed by the Suicide
22 Monitoring Board.

23 (Slide)

24 What is the particular concern that we are
25 going to look at, that was potential bias. As you all

1 know, the unblinded investigators at each site
2 apparently had the final say whether or not a
3 particular patient event would be referred to the
4 Suicide Monitoring Board.

5 (Slide)

6 The purpose of our audit was we were going
7 to look at a subset of clinical records from Clozaril
8 group for whom events were not referred to the Suicide
9 Monitoring Board in order to determine definitively
10 whether or not potential events were ignored for
11 subjects assigned to clozapine. In short, I'm going
12 to refer during the talk as the "non-referrals". So,
13 we are going to discuss mainly non-referrals, we are
14 not going to discuss about referrals.

15 So, what we did was the Review Division
16 has selected centers with high rates of non-referrals
17 to the Suicide Monitoring Board among the clozapine-
18 treated subjects.

19 (Slide)

20 To date, I have looked at two different
21 centers, let's say Center A and Center B. Center A
22 has 14 subjects enrolled for Clozaril group. Out of
23 that 14 subjects, 12 subjects did not have any event
24 referred to the SMB. For Site B, 10 out of 10
25 Clozaril subjects did not have referral.

1 On the other site, you might be interested
2 in how about the olanzapine group. For Center A
3 olanzapine group, we have 4 subjects had event out of
4 14 subjects, and for Center B, and then all the
5 subjects on olanzapine group did not have any events
6 referred.

7 So, what I did was this morning we were
8 interested in looking at how the information got
9 referred to the Suicide Monitoring Board, so first we
10 are interested to look at the source document itself.
11 So, both non-referral subjects, we went and looked at
12 a source document at the site, which includes progress
13 notes, hospital notes, including ER visits if there
14 are any consultees on site involvement of in-patient
15 hospitalization involvement, we were looking at those
16 notes.

17 There is a little bit difference between
18 the style among the centers, particularly Center B
19 used like a worksheet style documentation. So, Center
20 B will write for each subject whenever they come in
21 every week or every two weeks, they have already
22 printed out: Do you have any events? Did you go to
23 any outpatient visit for medical reason, psychiatric
24 reason? Are you going to treatment program? Do you
25 have any hospitalization, et cetera.

1 In addition to looking at the source
2 document, we also interviewed some unblinded and
3 blinded psychiatrists at those sites, but because of
4 the time lapse, some of the blinded psychiatrists and
5 study coordinators have already left the study site.

6 Basically, out of those 12 subject non-
7 referrals, only 4 subjects completed the study. Eight
8 subjects were discontinued from the study. For the
9 Center B, 5 subjects completed and 5 subjects
10 discontinued during the study.

11 (Slide)

12 This is a busy slide, but it's just for a
13 reminder for me. One thing that I want to point out
14 is look at Center B. At week 4, the subject was
15 discontinued, but when you look at the subject source
16 records, the subject was hospitalized for exacerbation
17 of psychotic symptoms. When I went and looked at the
18 study source document, we also look at ER visit,
19 nurses note, including medical student, the whole
20 academic setting, whatever they have, together with
21 the source document.

22 But in contrast, if you look at Center A,
23 there are some patients that if you look at 1 subject
24 at the bottom, there are some progress notes missing,
25 and you will see 1 subject that there was no-show, and

1 they tried to contact the subject, and they sent
2 certified mail and the mail was returned. So we see
3 different scenarios of events going in both centers.

4 (Slide)

5 In summary, when I look at all the 22
6 subjects' records, there was no underreporting of Type
7 1 events. But one thing that I would like to bring to
8 mind is that there is limitation to the inspections.

9 (Slide)

10 As we were talking this morning about how
11 information was processed, the subjects were the ones
12 who would report to the unblinded psychiatrist or the
13 blinded psychiatrists during the visits whether they
14 have any suicidal thoughts or events. So, if the
15 subject did not reveal any events during the visits,
16 we wouldn't see any notes.

17 The other point is the unblinded
18 psychiatrists, even after the patients report any
19 events, they have to use their clinical judgment
20 whether to decide it's a suicide event or not. So, if
21 the unblinded psychiatrist did not report any event,
22 then I won't be able to find it.

23 And one point I would like to mention is
24 it's limited time and resources. Even after reviewing
25 all these source documents, we didn't follow up any

1 subjects during the inspection. And, also, the number
2 of records that we looked at is approximately pretty
3 small for Clozaril subjects, there were 368 non-
4 referral patients, and we only looked at approximately
5 6 percent. And these are all U.S. sites only.

6 DR. OREN: Do members of the panel have
7 any questions for Dr. Khin?

8 DR. MEHTA: Do you know if Novartis
9 conducted their own internal audit? You conducted
10 audit of about 6 percent of U.S. patients. They
11 probably might have done it. So the total number of
12 patient records which have been audited independently
13 might be a much higher percent.

14 DR. KHIN: I think Novartis might be able
15 to answer that question better, but according to my
16 understanding, it is mainly looking at the database.
17 So, what is reported in CRF, and they are looking
18 through the database into the CRF, what is different
19 with my inspection was we look at the source
20 documents, so it's like going to the center and
21 looking at the progress notes and hospitalization
22 notes right at the center.

23 DR. MEHTA: I think the company audit will
24 probably include the type of document that you're
25 talking about, plus the clinical research associates

1 monitoring reports and things of that type. Am I wrong
2 here?

3 DR. COX: Kevin Cox, from Inginex. Yes,
4 our clinical research associates did 100 percent
5 source documentation of everything that was in the CRF
6 at the sites. In addition, they were asked to look at
7 source notes to see if anything was missed, with
8 particular focus on hospitalized patients who may have
9 had increased surveillance.

10 DR. MEHTA: What percent of patients are
11 audited? I'm talking about in terms of audit, not
12 monitoring.

13 DR. KANE: I just wanted to put this in a
14 sort of clinical perspective because I think it's
15 important to recognize that this is a rather unique
16 population and a rather unusual study.

17 The most frequent source of litigation
18 against psychiatrists is suicidal behavior. You know,
19 it's rare where we're engaged in a study where there's
20 a tremendous incentive from the environment, if you
21 will, to get it right. The notion that someone would
22 be biased in terms of reporting or not reporting a
23 suicidal event or suicidal ideation is very different
24 in this kind of context. I just want to emphasize
25 that. It would be hard to do justice to the level of

1 anxiety of the clinicians who participated in this
2 study because my department was one of them.

3 You know, many of us are extremely
4 uncomfortable treating a few individuals at this high
5 a risk, and we know that in schizophrenia suicide can
6 be very unpredictable and very lethal. So, I just
7 want to convey a sense of the context because I know
8 that understanding we're biased might enter into this
9 is very important, but there's another element that's
10 at-play in the treatment of these patients, and that's
11 really the anxiety on the part of clinicians to make
12 sure that they get it right, above and beyond anything
13 to do with the research. And if something goes wrong
14 in the context of a research study, it's even worse.
15 So, I just want to kind of give you that perspective.

16 DR. OREN: Dr. Laughren.

17 DR. LAUGHREN: Just one brief follow-up
18 comment. Ni, you might mention what your future plans
19 are in terms of completing the sample.

20 DR. KHIN: For sampling size, we're
21 thinking about we would go up to like approximately 10
22 percent.

23 DR. LAUGHREN: When you say you're
24 thinking about that, does that mean that's going to
25 happen?

1 DR. KHIN: We can't say.

2 (Laughter.)

3 MR. RAWLS: I just want to come back to
4 Dr. Mehta's question regarding our audits. James
5 Rawls, once again, from Regulatory Affairs.

6 We did conduct an audit at the highest
7 enrolling centers after the study had been completed.
8 It was a review to see that all events were reported,
9 and we did not find any additional events, but that
10 was at the highest enrolling centers in the U.S. and
11 in Europe.

12 DR. OREN: Other questions for Dr. Khin?

13 (No response.)

14 At this point, I'd like to turn to the
15 Open Public Hearing part of this agenda, and the first
16 person is James McNulty, President of the National
17 Alliance for the Mentally Ill.

18 MR. McNULTY: Mr. Chairman, distinguished
19 members of the panel. My name is Jim McNulty and I am
20 the President of the National Alliance for the
21 Mentally Ill. With more than 220,000 members and
22 1,200 state and local affiliates, NAMI is the nation's
23 largest grassroots organization dedicated to improving
24 the lives of people with severe mental illnesses. I
25 very much appreciate this opportunity to testify

1 before you today.

2 schizophrenia is a brain disorder that
3 affects approximately two million Americans.
4 schizophrenia is one of the most devastating and
5 debilitating of all severe mental illnesses. The
6 positive or "psychotic" symptoms of schizophrenia,
7 including delusions and hallucinations, are
8 excruciatingly painful and debilitating for those who
9 experience them. Numerous studies have revealed that
10 the majority of individuals with schizophrenia do not
11 have access to even minimally adequate treatment. The
12 consequences of lack of treatment or inadequate
13 treatment for schizophrenia can be devastating --
14 homelessness, arrests, incarceration, or suicides.

15 The 1999 report of the U.S. Surgeon
16 General revealed that mortality rates among persons
17 with schizophrenia are significantly higher than that
18 of the general population. The single largest
19 contributor to this excess death rate is suicide.
20 Studies reveal that 10 to 15 percent of all people
21 with schizophrenia commit suicide. Many others
22 attempt suicide or regularly experience suicidal
23 thoughts. The human toll for individuals who suffer
24 from schizophrenia and their family members is
25 incalculable.

1 Just an aside, I received an e-mail this
2 morning as a result of a anti-stigma e-mailing that we
3 send out to our members on a regular basis -- this one
4 for Halloween -- and, again, this family member sent
5 me a story of how her nephew had committed suicide
6 three years ago, a young man who was suffering from
7 schizophrenia, and this is something -- she is a
8 mental health professional, and yet nothing that she
9 or her family were able to do was able to prevent this
10 tragedy.

11 The tragedy of suicide is compounded even
12 further because schizophrenia we know is very
13 treatable today. New anti-psychotic medications,
14 coupled with psychosocial rehabilitation services and
15 supports, make recovery very possible for most people
16 who suffer from this brain disorder. I personally
17 know many people with schizophrenia who have recovered
18 from the depths of despair and today are living
19 independently, productively and with dignity in their
20 communities.

21 Research has played a key role in
22 facilitating the miracle of recovery for these
23 individuals. Now, research is yielding even more
24 promising information. The International Suicide
25 Prevention Trial is a landmark study that confirms

1 that Clozaril, an atypical antipsychotic medication
2 first approved in 1990, can significantly reduce the
3 risk of suicidal behavior or suicide attempts among
4 individuals suffering from schizophrenia or
5 schizoaffective disorder.

6 For NAMI, news about any medication that
7 reduces the risk of suicide or other tragic
8 consequences of schizophrenia or schizoaffective
9 disorder is welcomed. The costs of inadequate
10 treatment of schizophrenia and other brain disorders
11 are immense. The benefits of developing new
12 treatments for these brain disorders are immeasurable.
13 These benefits accrue not only to consumers, but to
14 their families and to society as a whole.

15 The International Suicide Prevention Trial
16 vividly illustrates the benefits of continuing
17 research on medications after they are approved and on
18 the market. Ongoing research is our best hope for
19 unraveling the mysteries of brain disorders such as
20 schizophrenia and restoring dignity and hope to those
21 individuals who suffer from them. It is equally
22 important to translate the promises of research into
23 practice through rapid approval of medications shown
24 through research to be effective. NAMI is very
25 grateful to the FDA for its efforts over the years to

1 expedite the entry of new medications for the
2 treatment of severe mental illnesses into the
3 marketplace, after careful study of the safety and
4 effectiveness of these medications.

5 Finally, I would like to take this
6 opportunity to make one quick editorial comment.
7 Budget deficits in most states and at the federal
8 level threaten the continuing availability and
9 accessibility of the most promising medications for
10 the treatment of schizophrenia and other severe mental
11 illnesses in the marketplace. While we appreciate the
12 importance of balancing budgets, cost containment
13 strategies that threaten access to potentially
14 lifesaving medications for severe mental illnesses do
15 more harm than good in the long run. The hope
16 generated by important studies such as the
17 International Suicide Prevention Trial will only be
18 realized if we successfully forestall these misguided
19 cost containment efforts.

20 Thank you for affording me this
21 opportunity to testify. I look forward to your
22 questions and comments.

23 DR. OREN: Any questions for Mr. McNulty
24 from the panel?

25 (No response.)

1 Thank you, sir.

2 Our next registered member of the public
3 is Dr. David Goldman. In contrast to what's listed on
4 the formal agenda, I think he's appearing here in the
5 capacity as a private citizen.

6 DR. GOLDMAN: That's correct, and thank
7 you very much for taking this public comment. I'm
8 David Goldman. I'm Chief of the Neurogenetics Lab in
9 one of the NIH Institutes, but I'm here representing
10 my family and not in any official capacity. To my
11 knowledge, NIH has no stance on the issue of clozapine
12 licensing or availability.

13 We welcome the results of the InterSePT
14 trial, which does demonstrate, from what we've seen
15 this morning, efficacy of this drug on suicide
16 attempts. It's representative of the science-based
17 approach which is so critical to the Division of
18 Regulations, and it is also representative of the way
19 that FDA and industry can work cooperatively in
20 scientific partnership.

21 It's very important to keep in mind that
22 there's a long way to go, however, when we look at the
23 results of this trial and we see that still, after two
24 years of treatment, that there's still 5 suicides out
25 of approximately 500 individuals in the clozapine

1 treatment group.

2 The dominating clinical issue in clozapine
3 remains the requirement for hematological monitoring
4 in the administration of this drug and, indeed, that
5 is the greatest barrier to the widespread application
6 of clozapine in schizophrenia even though, as has been
7 pointed out by John Kane and others, clozapine remains
8 the most efficacious antipsychotic medication on the
9 market and available.

10 That program of hematologic monitoring
11 requiring a complete blood count every two weeks even
12 in patients who have been treated long-term with
13 clozapine is out of step with the science.

14 Neutropenia occurs early or rarely, and it
15 is very rare in patients treated with clozapine for
16 more than six months. In fact, the indication for
17 hematologic monitoring is different in England where
18 it's once every month, and in certain other countries
19 there's no requirement for hematologic monitoring
20 after six months. So, this ritual of bleeding -- my
21 relative, who has schizophrenia, has actually been
22 treated for about a decade, and has been bled some 350
23 times, is virtually medieval in its unnecessaryness.

24 It's notable that in the InterSePT study,
25 that despite the impulse to make the clozapine and

1 olanzapine groups as similar as possible, that the
2 patients treated with olanzapine were, in fact, not
3 bled weekly. And, of course, the effects of this
4 weekly bleeding, I believe, are negative, but I
5 suppose it's also possible that the findings with
6 suicidality could have been colored in some way by the
7 fact that the patients treated with Zyprexa did not
8 receive this weekly venepuncture. I'll leave it to
9 the panel of experts here and in clinical trials on
10 schizophrenia to evaluate the results from the Zyprexa
11 trial.

12 The only notable difference that I saw was
13 a difference which I again believe just reflects the
14 clinical efficacy of clozapine and emphasizes the
15 underprescribed nature of clozapine, and that is that
16 the olanzapine group was treated with far more
17 ancillary medications than was the clozapine group.
18 So the efficacy of clozapine achieved -- equal in this
19 study to olanzapine, but achieved without the use of
20 the ancillary medications to the same extent.

21 And so in conclusion, I hope that the FDA
22 working with Novartis will extend their science-based
23 approach to the regulation of clozapine to the most
24 critical issue in the clinical use of clozapine,
25 namely, the hematologic monitoring. Thank you.

1 DR. OREN: Thank you, Dr. Goldman.

2 Are there any questions from the Panel to
3 Dr. Goldman?

4 (No response.)

5 Thank you.

6 DR. GOLDMAN: Thank you very much.

7 DR. OREN: Is there any member of the
8 general public who wishes to make a statement in
9 regard to the matters we're discussing today?

10 (No response.)

11 Seeing no further comments, we'll move to
12 the next segment of the agenda, which is for the
13 Panelists to ask questions of the FDA and to begin our
14 discussion. We have a set of six issues that the FDA
15 has requested our discussion and feedback, plus a
16 formal vote. And I'd like to go through those
17 question-by-question, but perhaps before we start
18 that, I know Dr. Ryan may have some leftover questions
19 from this morning.

20 DR. RYAN: I had a couple, I just didn't
21 raise my hand quite soon enough. The first one I
22 wanted to get clear is on the concomitant meds, Slide
23 CBR-9. That's averaged across all subjects in the
24 study, or all subjects who got a concomitant med in
25 that class?

1 DR. ZANINELLI: That's averaged across all
2 subjects who received concomitant medication.

3 DR. RYAN: My second question was just to
4 make sure I understood the analysis correctly. On the
5 WLW analysis, that takes into account the first Type
6 1 event that a subject experiences and the first Type
7 2 event that subject experiences, obviously
8 understanding the nesting you were talking about
9 before, but would not -- that analysis doesn't use the
10 subsequent Type 1 or Type 2 events, is that correct?

11 DR. ZANINELLI: That is correct.

12 DR. RYAN: On Slide EF-198 that was
13 showing the analysis if you truncated them when they
14 dropped out of the study, is that easy to pull up? It
15 was something around 198. Is that easy to pull up?

16 DR. ZANINELLI: 198?

17 DR. RYAN: I believe I have the number
18 correct. It was the question on the people who left
19 the study.

20 DR. ZANINELLI: Maybe it was 158.

21 DR. RYAN: 158 -- my apologies.

22 (Slide)

23 That was it, yes. On the Type 2 one down
24 at the bottom, you have a p-value of .005, but the
25 confidence interval goes to .99, is there a typo on

1 that?

2 DR. ZANINELLI: Um-hmm.

3 DR. RYAN: The confidence interval has a
4 ratio that almost goes to 1, but you've got a p-value
5 of .005, so that looks incorrect.

6 DR. ZANINELLI: We will check that.

7 DR. RYAN: The final one, and probably the
8 only substantive one since I'd guessed right on the
9 other things but wasn't sure, can you comment some
10 more on the depression as a side-effect which also
11 differed between the two treatment groups, as did the
12 suicidality as a side-effect, and how that correlated
13 with the actual suicide attempts because, obviously,
14 as you talked about, your depression measures and your
15 suicidal measures which didn't correlate with
16 anything, but did depression as a side-effect -- the
17 question was the side-effect was more frequent in the
18 group that had also more suicide attempts. Did the
19 two correlate?

20 DR. ZANINELLI: Dr. Krishnan?

21 DR. KRISHNAN: Just very briefly, if you
22 model it for the purposes of effort-based analysis,
23 which -- this is a very rich dataset, by the way, it
24 allows you a lot of things you could do -- yes, there
25 are a few mediating variables prior to the suicide

1 attempt, and the mediating variables appear to be drug
2 abuse, alcohol abuse, as well as depression.
3 Worsening of those things predicts events, both
4 hospitalization as well as -- but it's epoch-based,
5 it's just before. If you look at an epoch, it seems
6 to predict it. Remember, that these are not done
7 cross-sectionally, so you don't have event-to-event,
8 you're really looking at epoch of the event.

9 The other interesting thing is negative
10 symptoms also have an interesting interaction, but
11 there are a lot of things that have to be explored
12 with it, rather than making any definitive statements
13 at this point.

14 DR. RYAN: Thanks.

15 DR. OREN: Dr. Rudorfer.

16 DR. RUDORFER: Just a follow-up question
17 to that. Are there any data on the extent that
18 patients in either group developed full major
19 depressive episodes as opposed to just a score on the
20 depressive symptom?

21 DR. ZANINELLI: No, that information
22 wasn't collected, so reason for dropout did not
23 include these as specific diagnosis if it was a
24 psychiatric condition or not. So, we don't have that
25 information.

1 DR. OREN: Dr. Cook.

2 DR. COOK: I'd like to -- in thinking
3 about the Type 2 events, I wonder if you analyzed --
4 the analysis of adding the Type 2 defined events, or
5 what's added when you go to Type 2, is so confounded
6 by the Type 1 baseline. What I'd like to know is the
7 analysis of worsening of suicidality is measured by
8 CGI-SS-BP score of 6 or 7 in the two groups, and not
9 having the Type 1s confounding that analysis.

10 DR. ZANINELLI: Comparing the 18 and 20.

11 DR. COOK: No, because -- what I want is
12 the ones that would have been defined as Type 2 had
13 they not -- irrespective of whether they were Type 1
14 or not. Does that make sense yet?

15 DR. ZANINELLI: But that would be those 18
16 and 20 --

17 DR. COOK: No, there are more than that.
18 One would presume that of the ones hospitalized, many
19 of them still had a worsening on the CGI-SS-BP. Does
20 that make sense?

21 DR. ZANINELLI: So you're saying
22 irrespective of whether it was a Type 1 and Type 2
23 event or not, whether -- if they had a worsening, so
24 the analysis of that --

25 DR. COOK: Basically, in your Type 1s,

1 presumably there are many subjects that had they not
2 met the criteria for Type 1, would have met the
3 criteria for Type 2.

4 DR. ZANINELLI: Were the analysis based on
5 first event, so it's a Type 1 or Type 2 event, do I
6 understand?

7 DR. COOK: No. In a sense, you are only
8 analyzing those that did not have a Type 1 and saying
9 that they had a Type 2, I assume, because you don't
10 have overlapping distributions there. So, what I'm
11 getting at here is when you analyze the CGI-SS-BP
12 score, basically you showed us a difference from
13 baseline to 104 weeks. That's much different analysis
14 than the survival analysis you did with Type 1 and
15 Type 2, as you defined them.

16 So, I'm very interested in the discrepancy
17 there, that troubles me. What I would like to know is
18 what happened with the CGI-SS-BP score if you
19 submitted just that to the same kind of survival
20 analysis.

21 DR. ZANINELLI: I see. The analysis of
22 the secondary variable.

23 DR. COOK: Well, that's not how it was
24 defined in the material I got from the FDA. So you're
25 saying that was secondary, what I got from the FDA is

1 that you had two primary endpoints, so this becomes
2 very important. It's particularly important because
3 it is the blinded rating, and that's important to me.

4 DR. ISLAM: Unfortunately, we do not have
5 that prepared now, just for that CGI-SS -- time to
6 worsening of CGI-SS 6 or 7. That's what I think you
7 want.

8 DR. COOK: I mean, you have that for the
9 ones that weren't Type 1.

10 DR. ISLAM: Right.

11 DR. COOK: So I don't understand why you
12 don't have that for the ones that are Type 1.

13 DR. ISLAM: Because we define Type 2 as
14 the correlation of CGI-SS in Type 1. That's why we
15 present a Type 2 as combined.

16 DR. COOK: I can't imagine that that would
17 be that hard to retrieve.

18 DR. ISLAM: It's not hard, we just do not
19 have any slide prepared for that, that's what I'm
20 saying. It's not hard at all.

21 DR. OREN: Dr. Katz.

22 DR. KATZ: I have one more question about
23 the retrieved dropouts. You showed some information
24 about those patients. Maybe you've already said this.
25 When you retrieved them, did you only retrieve them at

1 Week 104, or did you evaluate them at what would have
2 been their perspective study evaluation time point?

3 DR. ZANINELLI: So it was date of
4 retrieval then ongoing and not just at the endpoint.

5 DR. KATZ: Right, it was adjusted at the
6 end when they would have completed 104 weeks.

7 DR. ZANINELLI: The question is whether
8 the retrieved dropouts, the date of retrieval was only
9 at the end of their respective endpoint or during the
10 study. So my understanding is also that at the --
11 periodically, data was retrieved from those patients
12 that discontinued.

13 DR. KATZ: It was retrieved.

14 DR. ZANINELLI: It was retrieved.

15 DR. KATZ: At what would have been their
16 study visits, had they continued.

17 DR. ZANINELLI: Yes.

18 DR. KATZ: And I notice that there were 12
19 clozapine patients who had a Type 1 event and 3
20 Zyprexa patients, if I remember the little footnote,
21 out of, I think, 60 retrieved dropouts in each group,
22 if that number was correct.

23 DR. ZANINELLI: Yes.

24 DR. KATZ: Do you know anything about the
25 timing of those events in relation to when the drug

1 was discontinued, in each of those cases?

2 DR. ZANINELLI: The timing of the Type 1
3 events in the retrieved dropouts, do we have a
4 distribution of that? No, not right now.

5 DR. KATZ: Is that something you could
6 recreate, not necessarily by the end of the day, but -
7 -

8 DR. ZANINELLI: Yes.

9 DR. KATZ: Thanks.

10 DR. OREN: Any other questions from the
11 Panel towards the sponsor or towards the FDA? Dr.
12 Rudorfer.

13 DR. RUDORFER: I just want to clarify one
14 point. As I understand it, other than the recommended
15 doses, the PIs were not given any specific treatment
16 manual or practice guidelines. During the course of
17 the medical monitoring, was there any judgment made or
18 correction made in terms of the clinical interventions
19 being used -- for instance, whether concomitant
20 medications seemed appropriate, or how they were used,
21 or dosage?

22 DR. KRISHNAN: There were no strengths on
23 those interventions deemed necessary by the Principal
24 Investigator to maintain patient safety. So,
25 hospitalization concomitant medication was done if the

1 Principal Investigator said it was necessary, and
2 there was no interference from sponsor or from medical
3 monitor with respect to these interventions.

4 DR. RUDORFER: If I could just make an
5 observation because I don't think we should let the
6 morning end without it. Concomitant medications, in
7 my view, are potentially a two-way street here, and
8 they don't always work out as planned.
9 Antidepressants, which were used quite liberally,
10 certainly can worsen psychosis.

11 We saw in some of the case material we
12 received -- for instance, at least one case where a
13 patient received Bupropion (phonetic). Now, we're
14 not in a position to judge whether or not that was
15 appropriate, but many people would consider that a
16 high-risk intervention in a psychotic population.

17 Similarly, going back to some of my
18 earlier concerns about the schizoaffective population,
19 we don't know, for instance, if an antidepressant
20 could have accelerated cycling or induced mania or
21 mixed state in any of the patients, so the fact that
22 some patients received more concomitant medications
23 than others, on its face, I don't know that that's
24 necessarily to those patients' advantage.

25 DR. KANE: You certainly raise an

1 important consideration. I think, really, the driving
2 force in the design of this was to give the clinicians
3 as much freedom to do anything that they felt
4 appropriate. Now, of course, you could argue for
5 practice guidelines and so forth, but I think we would
6 have considerable debate as to what those guidelines
7 should say in this context, and whether
8 antidepressants are appropriate or inappropriate.

9 So, I think what we've seen here is a
10 real-world attempt to allow the clinicians to treat
11 these patients the way they saw fit. If we had not
12 permitted that, I think it would have been extremely
13 difficult to do this study, given the level of anxiety
14 that the clinicians had. If we restricted their
15 choices in any way, they would have felt extremely
16 uncomfortable managing these patients.

17 I think it's difficult to -- you know, we
18 could debate about the impact of antidepressants or
19 not giving antidepressants or antipsychotics, but what
20 we've seen here is within this kind of real-world
21 framework, the differences are still apparent between
22 the two drugs. The clinicians were doing their best
23 to maximize the treatments that they had available,
24 and despite that we're seeing a difference between
25 Clozaril and Zyprexa, and I think that's very

1 powerful.

2 DR. KRISHNAN: Just to briefly address the
3 same issue, it's not just antidepressants, but also
4 the anticonvulsants and Lithium usage was more --
5 again, it goes to the issue that if you're looking at
6 concomitant drugs and cycling, the pattern was not
7 observed one group to the other. Just look at what
8 all concomitant medications that were used. This is
9 a real-world population that you're using whatever you
10 can to keep them alive, essentially.

11 DR. OREN: Dr. Hamer.

12 DR. HAMER: Forgive me if this question
13 has already been answered, but I don't think it has.
14 I'm still curious about the use of olanzapine in the
15 subjects randomized to clozapine, and the reverse. I
16 think that you indicated earlier that most of that
17 took place during the down-titration of whatever a
18 previous medication was, and up-titration of whatever
19 the randomized study medication was. Do you have any
20 figures on how many patients during the course of the
21 study, after down- and up-titration, were actually
22 given the opposite drug as a concomitant medication?

23 DR. ZANINELLI: No, we don't at this time,
24 but that would also include patients who -- the
25 retrieved dropouts, for instance, who really

1 discontinued before participation were being followed
2 up for endpoints -- may have been on both study
3 medications as well. I know there were a couple of
4 cases of that. We don't have a listing of the
5 breakout of that.

6 DR. OREN: Dr. Hamer.

7 DR. HAMER: Let me ask a follow-up
8 question. From your familiarity with the actual
9 subjects and case reports, were there, in fact,
10 subjects who were randomized to one of the two study
11 medications during the study, and then the treating
12 psychiatrist decided to prescribe exactly the other
13 medication for clinical purposes?

14 DR. ZANINELLI: The database shows that
15 two patients were randomized to Clozaril, but actually
16 received Zyprexa.

17 DR. HAMER: As a concomitant medication or
18 as a protocol violation?

19 DR. ZANINELLI: It's a protocol violation,
20 so instead of the assigned drug.

21 DR. HAMER: Thank you.

22 DR. OREN: Okay. Members of the Panel are
23 still welcome to ask questions of whoever will know
24 the answer, as we go through our discussion, and I
25 want to invite every member of the Panel to feel very

1 free and welcome to speak up and commenting on any of
2 the questions that we go through.

3 So the first identified issue that the FDA
4 wanted us specifically to provide some discussion and
5 feedback on regards potential bias in referral of
6 events to the Safety Monitoring Board. Dr. Katz.

7 DR. KATZ: I wonder if I could sort of
8 broaden that question a little bit because I notice
9 that one question that we have not explicitly put on
10 the list has to do with the general issue of the
11 unblinded nature of the accumulation of the primary
12 data. Obviously, the primary outcomes were assessed
13 on the basis of a blinded review of data that was
14 recorded in an unblinded way, and Dr. Khin mentioned
15 briefly and Dr. Khin addressed briefly, the question
16 of the possibility that for whatever reason the data
17 were recorded in such a way to minimize the number of
18 events attributed to clozapine.

19 So, I would be interested in a broader
20 discussion of the lack of blinding in the recording of
21 the primary data which, again, could have had an
22 effect in what was recorded in the first place, and
23 the vigor, if you will, of how the unblinded Principal
24 Investigators tried to gather data about, let's say,
25 hospitalizations between visits, that sort of thing --

1 how aggressively they queried retrieved dropouts, that
2 sort of thing, given the unblinded nature of the
3 treatment assignment.

4 So, we're very interested in the specific
5 answer to the question about potential bias in
6 referrals, but also the larger question of the
7 unblinded nature of the study.

8 DR. OREN: Dr. Wang.

9 DR. WANG: There's a third level that the
10 bias could occur not only in the recording of the
11 primary data and the referral to the SMB, but also
12 there's the issue of the SMB itself, and there is one
13 analysis that I had a question about.

14 It was in response to the FDA, a table was
15 sent showing the proportion of cases that the SMB
16 considered to be a Type 1 event, and then it showed a
17 cross-tabulation that also showed what the blinded
18 psychiatrist thought. And there was a significant
19 across the diagonals -- in other words, there was
20 about 4 percent where the SMB thought it was an event,
21 the blinded psychiatrist did not, in the clozapine
22 patients. But then it was about 12 percent in the
23 Zyprexa patients. Again, 12 percent of the Zyprexa
24 patients were felt to be Type 1 events based on the
25 SMB but not by the blinded psychiatrist.

1 Could you explain to me -- maybe it's Dr.
2 Krishnan -- why there's this statistically difference
3 in the proportions.

4 DR. KRISHNAN: Let me just very briefly
5 address what the reasons for the discrepancy could be.
6 There are two possible explanations for this. First
7 is the blinded psychiatrist evaluation of Type 1 event
8 was just his own evaluation, not subject to any
9 challenge. As I said earlier, one of the issues of
10 classifying an event here required pulling together as
11 much information as you can, and doing our own
12 discussions of this, and it took a while to get us to
13 work together to make that classification clear.

14 Second, there were only three of us making
15 it for every event whereas the blinded psychiatrist
16 just did it for a few events, and there were lots of
17 blinded psychiatrists. So the potential for one
18 blinded psychiatrist to do it differently from another
19 one at another site was quite great.

20 On the other hand, you should turn it
21 around and look at what is the concurrence that we
22 have, and the concurrence, even if you look at it as
23 4 percent and 12 percent, the overwhelming majority is
24 high concurrence between the blinded psychiatrists and
25 the SMB Board as a whole.

1 DR. WANG: High concurrence is -- it's the
2 differential that I'm wondering about.

3 DR. KRISHNAN: Yes, the differential is
4 there, but you've got to also remember they are blind
5 and we are blind. Whether they could have had a
6 little more unblinding than us, the possibility is
7 yes, because the blinded psychiatrist actually is
8 seeing the patient when he does the ISST evaluations,
9 et cetera. And potentially there is the possibility
10 of unblinding by evaluating the patient, that actually
11 occurred in a few instances where, if you notice, it
12 says some of those patients, blinded psychiatrist data
13 was not used because he became unblinded in the
14 context of seeing the patient.

15 So, those are the two main key points why
16 -- you've got to remember, the SMB was kept blind, and
17 all that we reviewed were the records that were sent
18 to us. But it's a good question, and why it
19 differentiated between the two? Other than saying it
20 was probably chance, I can't tell you another
21 explanation for it.

22 DR. WANG: It was highly significant, it
23 wasn't chance. Could I, as long as we're on this
24 issue of bias, address the first issue of whether the
25 referral to the SMB was potentially biased, and I

1 agree, the analysis that you presented is, on face
2 value, reassuring. It says that if -- I think it was
3 Slide 53. On the surface, it looks like -- if this
4 bias is existing, it looks like it's small in
5 magnitude.

6 But to feel reassured, I have two other
7 questions regarding that, and that is -- if you show
8 the slide, I can --

9 (Slide)

10 DR. KRISHNAN: It's just 1 point here, the
11 concurrence, if you want to look at it, is 90.5 for
12 the first events, the SMB and the blinded
13 psychiatrists, and equal percent if you look at it as
14 all events.

15 DR. WANG: That's not -- I'm looking for
16 CES-53, if you have that.

17 (Slide)

18 The question is, in the 40 percent that --
19 in the second row, the number of cases with at least
20 1 search term matched, it looks like about 40 percent
21 across both arms. Could you break that down by arm?

22 DR. ZANINELLI: For the Clozaril, of this
23 number, it's 115 cases, and for Zyprexa, 164.

24 DR. WANG: And percents?

25 DR. ZANINELLI: Percent of the 490 or

1 percent of the --

2 DR. WANG: What percent of cases that
3 weren't referred, these non-PEPs, what percent did
4 your search match a term?

5 DR. ZANINELLI: Oh, 115 of the 701.

6 DR. WANG: If you take the 279 out of the
7 701, could you break that down by study arm? I'll
8 tell you why I'm curious. Earlier you said that the
9 review by the sponsor was potentially not blinded.
10 So, in terms of this, this particular percent, it
11 shouldn't be affected by any judgment of a nonblinded
12 reviewer, so that's why I'm just curious if the
13 percents were similar.

14 DR. ZANINELLI: Well, 279 breaks down to
15 115, and then -- for Clozaril, for Zyprexa to 164.

16 (Simultaneous discussion.)

17 DR. WANG: What percent of Zyprexa
18 patients not referred.

19 DR. ZANINELLI: Do we know the breakdown
20 of that? We can get that in the course of the
21 session.

22 DR. WANG: Thank you.

23 DR. OREN: Dr. Ryan.

24 DR. RYAN: A quick followup. If you did
25 the analysis based on the blinded psychiatrist

1 declaring Type 1 events rather than the blinded Board,
2 it also comes out significant and slightly more
3 significant, or did you do that analysis? Because the
4 blinded psychiatrists declared more events in the
5 Zyprexa -- that's for the greatest events that they
6 declared, right?

7 DR. ZANINELLI: Do we have that? This is
8 the cost analysis for the Type 1 event for the SMB, as
9 seen during my presentation, for the BP alone, and
10 then the cases where there was concordance between the
11 SMB and BP. So the hazard ratio of .86 when the BP
12 does their assessment, the p-value then drops to .236.
13 Does that answer your question?

14 DR. WANG: Yes.

15 DR. OREN: I think the update is posing to
16 us a broader question even beyond this specific study,
17 just as far as the general study design, as far as the
18 unblinded nature of the primary data analysis and
19 referral to the Safety Monitoring Board. Do the
20 Panelists have any comments on that?

21 (No response.)

22 Is this the kind of thing we'd like to see
23 more of, see less of, improved?

24 DR. ORTIZ: I guess my initial reaction is
25 that this particular group is such a complicated

1 clinically-challenging population. We're talking
2 about people with schizophrenia who also have anxiety
3 disorders, who have substance abuse disorders, who
4 have mood disorders, and I think I agree with the
5 sponsor that it would be detrimental to evaluate
6 something like suicidality in this group, without
7 allowing clinicians to use optical psychiatric
8 medications for what they are seeing as needed.

9 DR. OREN: Dr. Katz.

10 DR. KATZ: I think that's a slightly
11 different issue from the question of blinding because
12 one could do that in a blinded study as well, I
13 believe. Again, I believe the reason for the lack of
14 blinding was that it was felt that you couldn't, as
15 has been mentioned in several places, draw blood every
16 week from someone who wasn't getting clozapine. So,
17 that automatically would unblind it. So, I think
18 that's what the unblinded design was related to, not
19 the fact that physicians needed to maximally treat. I
20 think you can maximally treat patients in the face of
21 a blinded study.

22 DR. OREN: Dr. Malone.

23 DR. MALONE: The case reports were written
24 by the unblinded psychiatrists, that's correct, isn't
25 it? Couldn't you do a design where you had a parallel

1 thing done by the blinded psychiatrist, that he would
2 write case reports and refer them to the Suicide
3 Monitoring Board? At least you would have a measure
4 of what the blinded psychiatrist thought should be
5 referred versus what the unblinded did. It would be
6 one way to have a blinded referral.

7 DR. OREN: Anyone else specifically on the
8 blind?

9 (No response.)

10 I think there's no loss of sense that
11 blinded studies are the strongest and the best, and
12 balancing that with the real world. Dr. Cook.

13 DR. COOK: Well, I think there is a
14 standard, and I can imagine this coming up before
15 another committee to review a proposal at NIH, and it
16 comes up in psychotherapy research all the time, for
17 example, and the standard is blinded raters. And I
18 don't know why we would change that. I can imagine
19 many people thinking about various indications that
20 would now become approvable on the basis of studies
21 that are equally hard to do.

22 So, I have quite a bit of concern, given
23 that there were blinded raters and given that there
24 wasn't an effect seen, but that was a different
25 analysis. I have a lot of concern that analysis of --

1 a survival analysis with only blinded rater data is
2 not available to us.

3 DR. OREN: Again, continuing the broader
4 view both with this study and with regard to other
5 studies the FDA may be considering one of the issues
6 is adequacy of the single randomized control trial to
7 support suicidality claim. And maybe for the moment
8 we can focus on the single randomized control trial
9 part of that statement both with regard to this study
10 and the broader question, given that the FDA standard
11 is normally for two randomized control trials. We can
12 focus on the suicidality perhaps a bit down the road
13 in this discussion, but any comments on this? Dr.
14 Ryan.

15 DR. RYAN: Yes. I've been reflecting --
16 in child psychopharm studies, you've got a similar
17 issue of, you know, the hazard to people and what you
18 inform them on, and I was wondering, as a family
19 member of a potential participant in this study, if
20 you had -- one trial that came out positive -- if you
21 would seem to have an ethical obligation to tell
22 people going into the second trial, that the first
23 trial had come out positive. That's only one trial,
24 it may or may not constitute evidence. But it's
25 somewhat unclear to me, given the potential disastrous

1 outcome with suicide, that one could effectively
2 recruit for a second study if ethically investigators
3 are ones who insisted you inform them of a prior study
4 that was deemed to be positive. So, I wonder if we
5 don't have to take an approach like that simply to
6 study these really overarching questions that are hard
7 to recruit for and large, and yet it's hard to know
8 how you'd do a second study, or how you would
9 effectively recruit for a second study, or how you'd
10 representatively recruit for it.

11 DR. OREN: Ms. Bronstein, you're our
12 Consumer member of our Panel, any thoughts?

13 MS. BRONSTEIN: I really think it would be
14 very difficult to suppress the information after you
15 have some significant result, and I'm thinking in
16 terms of your patient family members primarily, that
17 really live on a day-to-day basis with this fear, and
18 it would be very difficult not to address that.

19 DR. OREN: Dr. Winoker.

20 DR. WINOKER: I think we've also been told
21 the single trial is more something that will be
22 considered when there are additional evidence that
23 would support the claim, and I think -- you know,
24 we've had presentation of additional evidence, albeit
25 retrospective, that certainly speaks to that.

1 I think this is focusing on an issue of
2 significant public health importance for which the
3 increasing scrutiny of human subjects research makes
4 it extremely challenging to envision and conducting
5 studies like this, with the standards being set ever-
6 increasingly higher for protection of human subjects.

7 So, I think based on assessment of how
8 these results are viewed, I would view that it would
9 be difficult to still feel that a separate study in
10 this population would be feasible.

11 DR. OREN: Dr. Hamer.

12 DR. HAMER: It would be simple to do two
13 studies, just do them both at the same time. That
14 way, you don't have the answer. Sponsors do that all
15 the time.

16 DR. OREN: Dr. Katz.

17 DR. KATZ: The other issue, I think, when
18 we're talking about whether or not that in this case
19 a single study plus something called confirmatory
20 evidence is sufficient, I think you have to take into
21 consideration the meaning of the clinical outcome that
22 was assessed here because, in fact, it didn't -- well,
23 we don't know -- but there were very few events of
24 actual completed suicide, so there was no effect on
25 mortality, in that sense, or no differential effect

1 between the treatments. The effect was on something
2 called "suicidality" as, obviously, defined as you've
3 heard.

4 So the question is whether or not that
5 endpoint -- which, of course, was a composite endpoint
6 -- whether or not that endpoint is sufficiently known,
7 for example, to be predictive of actual completed
8 suicides to say, well, yes, this one study is
9 sufficient because it's unethical, for example, to do
10 another one because this outcome clearly, for example,
11 is related to actual completed suicides. In this
12 study, it wasn't.

13 So, I think when we think about is one
14 study enough, I think we have to think about whether
15 or not the outcome that was assessed and on which the
16 effect was shown is an appropriate outcome for that
17 sort of standard to be applied.

18 DR. OREN: Dr. Meltzer.

19 DR. MELTZER: In this study, the ratio of
20 serious attempts to completed suicide was about 1 to
21 10. That is a lower ratio than the literature usually
22 reports. It's usually closer to 1 to 5 in this
23 population. For every 5 serious suicide attempts, one
24 can expect usually in the next year or two at least
25 one completed death in that population.

1 We did a para-analysis using what we saw
2 in the study. If we had tried to do a study with the
3 same kind of estimates of differences, we would have
4 needed 20,000 patients in order to find a significant
5 difference, with the same small number of deaths as
6 the outcome.

7 So, I think there are applications for the
8 ultimate mortality by reducing the number of serious
9 suicide attempts.

10 DR. OREN: Dr. Kane.

11 DR. KANE: If I could just add to that, I
12 think, in talking about suicide, obviously, that's the
13 most dire outcome, but the effect of suicidal attempts
14 and suicidal behavior is enormous. If you see these
15 patients, if you talk to their families and you see
16 what has happened to them as a result of failed
17 suicide attempts, this is also a source of enormous
18 morbidity, family burden, et cetera, et cetera. So,
19 I feel very comfortable arguing that the prevention of
20 suicidal behavior, the prevention of suicide attempts
21 as a goal, in and of itself is absolutely critical.
22 And it's clear, obviously, that people at highest risk
23 for suicide are the people who have attempted suicide
24 in the past, but I think we can certainly focus on the
25 results in this trial based on suicidal behavior, not

1 on completed suicides.

2 DR. OREN: To go off-topic for a moment,
3 I need to take the pulse of the committee with regard
4 to how we proceed from here. We have officially on
5 the schedule a possibility for a lunch break for an
6 hour at this time, and I need to have a sense from the
7 committee if that's something that we should take
8 right now, as scheduled, or if people need some
9 personal time, or if we should keep going and end the
10 meeting at an earlier hour. Any thoughts? Another
11 option would be to take a ten-minute break now and
12 then to discuss further. Dr. Cook.

13 DR. COOK: I just vote for lunch.

14 (Laughter.)

15 DR. OREN: Lunch it is. We'll meet back
16 here then in one hour.

17 (Whereupon, at 12:10 p.m., the luncheon
18 recess was taken.)

19

20

21

22

23

24

25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:10 p.m.)

1
2
3 DR. OREN: Okay. We have covered
4 clozapine, caffeine, and the last hour proteins and
5 carbohydrates, and now we're back to clozapine, and
6 there are a couple of speakers from Novartis who have
7 just asked for an additional moment to respond to some
8 of the questions asked by the Panel earlier. We'll
9 resume with them, and then we'll resume with our
10 general discussion.

11 DR. KRISHNAN: The first issue is the
12 blinded psychiatrist and the SMB, and just to give you
13 an idea what the process differences were and who we
14 were, the SMB, as I said, three of us rated everybody,
15 looked at every event. The blinded psychiatrists,
16 there were 68 of them, each site, very few events
17 rated by any one of them.

18 We spent the first several months making
19 decision points of how we were going to evaluate this,
20 they didn't. There was no training set up for them to
21 learn how they were going to make a decision. That
22 was two critical differences between us and them.

23 The other thing to keep in mind is a
24 blinded psychiatrist often did not get to rate the
25 event in the same time frame. Sometimes there might

1 have been a delay before the time they rated it.

2 And the other piece that there was a
3 greater propensity or potential for them to get
4 unblinded because they were working in the same
5 location, some of them did get unblinded, and they
6 were also seeing the patients. Any of you who have
7 worked with either clozapine or olanzapine patients,
8 it's very difficult to keep the blind, especially in
9 those who are psychotic in nature. Patients who are
10 psychotic are going to say something, and that could
11 always create an issue when the blinding -- whether
12 they consciously or unconsciously do it, that's a
13 factor. That is the reason why, up front, the
14 decision was made that the SMB would be the one on
15 which the final decisions were going to be made on
16 whether it was a Type 1 event or not. So, that's the
17 first piece.

18 And we also wanted to make sure the SMB
19 was blinded to the experimental treatment, and also
20 the location of the patient, and the packages that we
21 received were anonymized and, therefore, there was no
22 way of us knowing which patients were on clozapine and
23 which were on olanzapine.

24 The allocation of actual treatments was
25 random, as you know, and therefore any bias to

1 determine categorization by the SMB would have been
2 random and could not have discriminated between the
3 trial drugs.

4 The second piece that I wanted to very
5 briefly state again is the rating scales, which I
6 think has come up a couple of times. Two things to
7 remember -- the rating scales were done -- the ISST,
8 CGI-SS -- had designated time frames initially at four
9 weeks and then started to spread out. Therefore, it
10 did not have the same frequency at which events were
11 happening or captured, and one of the things that if
12 you actually look at the scale scores is the time for
13 worsening on a CGI-SS is referring to the week before,
14 and that may not have been a week when anything
15 happened. The patient may actually have been doing
16 better. And, therefore, that instrument does not work
17 as well as the events that they are trying to capture.
18 So, scale not measuring the same thing as an event,
19 and the scale measures more on timed basis which were
20 much less frequent than the events.

21 DR. OREN: Dr. Kane.

22 DR. KANE: John Kane, the Zucker Hillside
23 Hospital. I wanted to get back to a question that had
24 come up earlier also, which was the number of patients
25 with a score of 6 or 7 on the CGI-SS-BP, so this is

1 the Type 2 event, if we could have that.

2 (Slide)

3 So we see that among the Clozaril-treated
4 patients, there were 38; among the Zyprexa-treated
5 patients, there were 42. And keep in mind that there
6 were roughly 240 Type 1 events. So the Type 1 event
7 proved to be a much more sensitive indicator of what
8 was going on here, and for the reasons that Ranga just
9 articulated, these assessments were done at fixed
10 intervals, in many cases many, many weeks apart, so
11 the real outcome of interest here, obviously, is the
12 suicidal behavior that occurs at very unpredictable
13 times during the course of followup.

14 I also just want to emphasize that the
15 outcome measures, the amendments that took place to
16 the protocol, were all done prior to any analyses and
17 were agreed upon with the Agency. So this was a best
18 attempt, I think, to bring a meaningful outcome
19 measure to a very, very difficult population.

20 DR. ZANINELLI: I just have two brief
21 responses to questions. One was Dr. Wang's question
22 regarding the total number of non-referred cases, what
23 the breakdown here was, 701. So, it was 368 patients
24 in the Clozaril group, and 333 in the Zyprexa group.

25 And the next one was a slide here that Dr.

1 Ryan pointed out.

2 (Slide)

3 The confidence level was .99, that was
4 incorrect, it is .90. Thank you.

5 DR. OREN: Okay. I want to turn now to
6 the second issue that the FDA particularly raised
7 about claim focusing on suicidality in schizophrenia
8 or schizoaffective disorder. So, the first part --
9 Dr. Winoker, do you have a question with regard to
10 that issue?

11 DR. WINOKER: Kind of as a continuation of
12 the discussion that Dr. Katz had started before the
13 lunch break, I was remembering back to an excellent
14 presentation that Dr. Laughren had given at one of
15 this committee's meetings about a year ago, I think,
16 for the Alzheimer's discussion, and in that meeting
17 Dr. Laughren presented a very nice overview of the
18 fact that the Division now really was wanting to focus
19 on specific and very recognized validated diagnostic
20 entities for indications, and the trend was really to
21 move away from more kind of symptom-based or not
22 specific recognized disorder oriented indications, so
23 I think you briefly touched on that point earlier this
24 morning, as far as being open to this kind of
25 indication. But it seems to me this is kind of the

1 mirror image of the question about how we feel about
2 these behaviors as sort of surrogates for actual
3 suicide, if you have any perspective on kind of the
4 Division view on this type of indication.

5 DR. LAUGHREN: Well, I think they're sort
6 of two separate issues. I mean, the one issue was
7 whether or not you can focus on one particular aspect
8 of a well-defined syndrome. And I use as an example
9 ordinarily you wouldn't focus on one of many positive
10 symptoms as the target for a claim. That we would
11 consider, in a sense, a pseudospecific claim. But if
12 you can show that a particular aspect of a disorder
13 responds differently or doesn't respond to usual
14 treatment and does respond to a particular treatment,
15 that might be a legitimate target for a claim. So,
16 it's not that we wouldn't accept focusing on a
17 symptom, it's just that there has to be some
18 justification for it. And if there's a differential
19 effect of drugs on that symptom and it's an important
20 symptom, then it would be a legitimate claim.

21 But the other question that you raised is
22 whether or not the outcome, the suicidality outcome,
23 in this trial is an acceptable surrogate for the
24 outcome that everyone worries about, which is a
25 completed suicide. That is, I think, a separate issue

1 that requires some discussion.

2 DR. OREN: Dr. Hamer.

3 DR. HAMER: I'm not sure, but I thought I
4 heard someone say -- and it sounded reasonable to me -
5 - that I don't think you need to think of attempted
6 suicides, necessarily, as a surrogate for completed
7 suicides, that there are enough serious consequences
8 to attempted suicides of various sorts that it would
9 be worthwhile addressing attempted suicides, whether
10 or not you actually see a reduction in completed
11 suicides.

12 DR. LAUGHREN: Yeah, I think that's a fair
13 point and, again, it's something that ought to be part
14 of the general discussion.

15 DR. OREN: Dr. Rudorfer.

16 DR. RUDORFER: I want to go back half a
17 step in this discussion. Both of these compounds that
18 we're talking about today are labeled for the
19 treatment of schizophrenia, neither is labeled for the
20 treatment of schizoaffective disorder, so that when we
21 consider suicidality, we're considering that as maybe
22 a secondary or another, an additional potential
23 indication for Clozaril. On the other hand, there is
24 no primary indication in terms of treatment of
25 schizoaffective disorder.

1 So, I'm quite concerned about that because
2 basic issues of efficacy and safety and dosing, as
3 we've been alluding to, we have no data on in terms of
4 the treatment of schizoaffective disorder. And I
5 don't want to be redundant, but the field remains
6 rather perplexed about what schizoaffective disorder
7 is.

8 I'm reminded that when Clozaril was first
9 approved under DSM3, there were no diagnostic criteria
10 for schizoaffective disorder because the committee
11 appointed by DSM3 could not agree on what the criteria
12 should be. Every edition of DSM since has a different
13 set of criteria, so they do exist for DSM4, but I
14 don't believe they were properly followed in this
15 study because the DSM4 states that a type should be --
16 not may be, but should be -- specified because the two
17 types that are commonly recognized by the field may
18 not both correspond as a subtype, if you will, or a
19 relation of schizophrenia.

20 So, I have a problem with looking at a
21 secondary indication in terms of a disorder, that is
22 not a primary indication.

23 DR. OREN: Dr. Katz.

24 DR. KATZ: That's fair enough. I just
25 want to sort of tease out the two -- at least two --

1 potential issues in what you said. One is whether or
2 not schizoaffective disorder is well enough described
3 and accepted as a diagnostic entity to even grant any
4 sort of a claim for. And the related question is
5 whether or not, if it is, the patients in this study
6 who are called schizoaffective actually meet those
7 criteria. So, that's one issue, the reliability of
8 the diagnostic category.

9 The other issue is what the claim -- does
10 this study support any sort of a claim in that
11 population? It's quite possible that you could have
12 a claim for suicidality or the reduction of
13 suicidality in a particular diagnostic claim, without
14 a claim that it treats the general symptoms of that
15 claim. And that's what the sponsor has proposed -- it
16 says "for the treatment of suicidality and
17 schizoaffective disorder" -- it's not for the
18 treatment of schizoaffective disorder. You could have
19 such a claim, but it's unusual.

20 DR. RUDORFER: Right. And, again, for
21 that we've seen no dose response data on that, or
22 toxicity data related to this population specifically.

23 DR. LAUGHREN: Only what you have in this
24 trial. Of course, it was not a fixed-dose study, so
25 you don't have information on dose response.

1 But could I get back to your sort of
2 elaborating on Rusty's points. Are you doubting the
3 legitimacy of the diagnosis of schizoaffective
4 disorder, as it is currently defined in DSM4?

5 DR. RUDORFER: No, but I'm questioning
6 whether that was followed in this study that we're
7 viewing. Again, there were no structured interviews
8 done and, as Dr. Krishnan pointed out, the diagnosis
9 is used somewhat loosely even in this country, let
10 alone around the world, and I'm not sure -- and I made
11 reference to the case that was called "schizomania" --
12 again, a term which we have not seen in the other
13 materials from the sponsor. I'm just concerned that
14 a large group -- maybe 40 percent of the patients
15 we're talking about -- I'm not sure I really know
16 what's wrong with them.

17 DR. OREN: Perhaps to help us focus in our
18 discussion, let me ask the committee, and you can
19 shoot me down if this isn't a good way to do it. In
20 some ways, there are two questions that we're talking
21 about here, one is suicidality as an outcome measure
22 and how that should be defined and whether that's
23 acceptable, and the second is the particular subject
24 groups -- schizophrenia, schizoaffective disorder.
25 Would it be worth talking about each separately?

1 So perhaps then let's stand on the
2 suicidality, and we'll come back to the very important
3 question of the population group. How does
4 suicidality sound as a target measure, and obviously
5 that will tie into labeling issues as well. Dr.
6 Ortiz.

7 DR. ORTIZ: My concern with suicidality is
8 that it's generally considered a symptom within a mood
9 disorder and generally depression, and that using it
10 in a new and different way is going to have
11 implications for clinicians. And I think my biggest
12 concern is not psychiatrists but, as we've seen with
13 antidepressants, the majority of people using
14 antidepressants are no longer psychiatrists, they are
15 primary care and mid-level providers. And my concern
16 would be how do they -- how would they understand a
17 symptom of depression that's now used in a different
18 context?

19 DR. OREN: Ms. Bronstein.

20 DR. RYAN: I might come down perhaps in a
21 different position than what I sense Dr. Ortiz was
22 trying to say, that it's certainly -- of the different
23 aspects of the presentation, that was the one that
24 certainly seemed to make a great deal of sense to me,
25 the argument that this is a -- that suicidality is

1 separately something one wants to treat, whether or
2 not -- presumably, it's a proxy for a completed
3 suicide, but the argument on power calculations for a
4 study to show a significant decrease in completed
5 suicides, and the costs of such a study might well be
6 prohibitive. And so it seemed like you have both that
7 argument, but also the argument that there's a
8 substantial societal gain to preventing suicide
9 attempts, and that there's at least a rational basis
10 in some prior data to suggest that this -- that some
11 compounds may differentially treat that. So, I pretty
12 much bought that part.

13 MS. BRONSTEIN: Treating suicidality in
14 psychotic populations is really different than in
15 other populations, and I know we're not on labeling
16 yet, but I think as we're thinking about suicidality
17 as a target to treat, I think it has to be really
18 clear that this be for a psychotic population and not
19 for a general population. And we don't have as many
20 tools to treat psychotic patients as we do for non-
21 psychotic patients. And I think the study is
22 interesting in looking at its effectiveness for this
23 population.

24 DR. OREN: Dr. Malone, did you have
25 something to comment?

1 DR. MALONE: I was going to say something
2 similar, that you would want to look at suicide
3 perhaps within different disorders, or that there are
4 different kinds of causes of suicide, so that, for
5 instance, in adolescence, probably mostly those
6 children are -- I mean, those adolescents are maybe
7 taking substances and having impulsive acts, which
8 would be different in someone who is psychotic having
9 a suicide attempt, or at least the treatments would be
10 different. So, if you had impulsive acts because you
11 were on substances, you would stop the substances.
12 But if you had impulsive acts related to psychosis,
13 you might end up using an antipsychotic.

14 DR. OREN: Dr. Hamer.

15 DR. HAMER: Generally, this Division has
16 historically been reluctant to approve medications for
17 the treatment of particular symptoms, but you have at
18 least started the slippery slope in terms of things
19 like approval of medications for agitation in
20 dementia. And, also, other Divisions -- I mean, there
21 are clear precedence for approving things like
22 medication for pain, or medication for fever, and the
23 general -- my understanding of the general philosophy
24 is that to do that it generally needs to be
25 demonstrated that the medication is effective for pain

1 or for fever in the context of several different
2 illnesses, and we don't have that situation here.
3 This reads like treatment for "a" symptom in the
4 context of "an illness".

5 DR. LAUGHREN: Just to clarify, that is
6 exactly right. We in no sense view this as a
7 nonspecific claim for suicidality. It's clearly in
8 the context of these two specific illnesses.

9 DR. OREN: Dr. winoker.

10 DR. WINOKER: I would also endorse the
11 view that treating suicidal behavior in the context of
12 schizophrenia or schizoaffective disorder is a
13 recognizable and meaningful clinical phenomenon. And
14 with the previous clarification that we're not
15 necessarily confined to talking about specific
16 diagnoses, I do think these are meaningful targets to
17 look at efficacy data to evaluate.

18 DR. OREN: Dr. Malone.

19 DR. MALONE: Earlier you had spoken about
20 pseudospecificity, and I think, if I understood the
21 data from Dr. Meltzer, the schizoaffective population
22 seemed to have had suicidal ideation at least 90
23 percent of the time --

24 DR. MELTZER: Yes.

25 DR. MALONE: -- and the schizophrenic 60

1 percent of the time. I don't know how that ties to
2 suicide being part of the syndrome and that to pull
3 out suicide would be one of those pseudospecific
4 phenomena.

5 DR. LAUGHREN: But, again, what it comes
6 down to, in part, is what the data show. If you have
7 a symptom that's part of a syndrome that responds
8 differentially, then that might be a setting where you
9 would be willing to focus on a particular symptom.
10 The concern, in general, about pseudospecificity is
11 that it's an artificial narrowing of the claim, that
12 you have a drug that works for a variety of symptoms
13 of an illness, but you choose, for whatever reasons,
14 to focus only on a few of them when, in fact, it has
15 an effect on all of them. But if you have a situation
16 such as this where you have a particularly troublesome
17 symptom that's part of an illness that does not
18 respond to other treatments for that general
19 condition, but does respond to this treatment, that
20 would be a setting where there would be some
21 legitimate reason for celebrating that finding. I
22 think that's the difference.

23 DR. OREN: Dr. Cook.

24 DR. COOK: So, to follow that, I was
25 somewhat convinced that it seemed to be treating

1 suicidality independent of treating psychosis, which
2 would be an important distinction here. I don't know
3 if we're going to address right now whether we thought
4 there was evidence in schizoaffective disorder, we're
5 going to defer that because that I wasn't convinced
6 about.

7 DR. OREN: We'll defer that for the
8 moment. If I could just say this to either Dr. Katz
9 or Dr. Laughren, perhaps to restate what you've
10 already clearly stated, but just for the record, the
11 fact that an approvable letter has already been issued
12 for this, that indicates that in the sense of the FDA,
13 this condition or this state of suicidality is a, in
14 the Agency's opinion, serious enough or a
15 consequential enough state that going down the slope,
16 if you will, is a step potentially worth taking.

17 DR. KATZ: Well, right, but again I would
18 just reiterate that the fact that we have sent an
19 approvable letter really, other perhaps than in that
20 very narrow sense, shouldn't be taken to -- we'd
21 really sort of like you to put that out of your minds,
22 if you can, and just sort of come to an independent
23 view or give to us an independent recommendation.
24 But, yeah, the approvable letter is what it is. We
25 think that it's certainly a possibility, as Tom is

1 saying.

2 DR. OREN: Dr. Winoker.

3 DR. WINOKER: I wanted to follow up a
4 little bit also on Dr. Cook's comment. A small piece
5 of the data presentation that we haven't focused on
6 much, or talked about, is that, overall, as I recall
7 the data, there were comparable improvements in
8 overall PANSS ratings for both groups, about 25
9 points, as I recall, in each case. So, we do have, on
10 the face of it, evidence for both treatments being
11 comparably effective for general traditional symptoms
12 that are usually looked at in the treatment of
13 schizophrenia but, still, the evidence which we can
14 talk about further about the suicide behaviors.

15 DR. COOK: The problem I just realized is
16 that we have different analyses, so if we look at how
17 the PANSS data were presented, that's the same
18 analysis and presentation that showed no differential
19 effect of clozapine. So, it's very similar to the CGI
20 data. So, we would need the PANSS data analyzed as a
21 survival analysis, to be able to see that, in fact,
22 when people were suicidal, they weren't having a
23 worsening of their psychotic symptoms -- unless I
24 missed that particular analysis.

25 DR. OREN: Dr. Ortiz.

1 DR. ORTIZ: I have a concern about the
2 word "emergent" suicidal behavior because I think it
3 implies an acuity, plus I think it also is suggestive
4 of an impulsive suicidality that is more common, I
5 think, with substance abuse or maybe borderline
6 personality disorder.

7 DR. OREN: Dr.Katz.

8 DR. KATZ: I think it's a fair point. I
9 think we use the word "emergent" in the sense of
10 something that emerges. Maybe it's a wrong usage. I
11 don't think we necessarily meant an acute event of the
12 sort you're talking about. I mean, that can be
13 specifics of the wording, although we've asked you to
14 address that, I think can be discussed, but we didn't
15 intend to have it mean that.

16 DR. OREN: Dr. Hamer.

17 DR. HAMER: I also wondered about the use
18 of the word "emergent", although I didn't wonder about
19 it in its context of an emergency, but in exactly the
20 context of emerging, and that was that since the
21 subjects for this clinical trial were chosen, in some
22 sense, to be rich in suicide potential or in
23 suicidality, then I'm not sure we should be reading
24 the data in this trial as including suicidality that
25 emerged during the trial. I mean, it was there when

1 they started. They were chosen for possession of it.

2 DR. KATZ: A little more clarification.
3 Originally, I believe, the sponsor proposed language
4 along the lines for the treatment of suicidality, and
5 we were trying to make a distinction that these
6 patients weren't, at the time of enrollment into the
7 study, acutely suicidal. As you point out, they had
8 a history of suicidal behavior or ideation in the
9 past, but at the time of their enrollment we were not
10 treating an acutely suicidal episode. So, we tried to
11 make a distinction between treating suicide, which was
12 what was originally proposed, which we felt that the
13 study didn't look at, and preventing that sort of
14 behavior in the future, even in patients who had a
15 history of it. That was really, I think, the idea.

16 DR. OREN: Dr. Laughren.

17 DR. LAUGHREN: We're open to suggestions
18 about how to articulate the claim, and that was one of
19 my major questions. And in other areas where we look
20 at maintenance trials -- for example, we have used
21 language such as "delaying the time to a suicidal
22 event" -- that may be an alternative way of -- so
23 that you're not suggesting that it's new behavior,
24 rather, you're delaying the time to an event that
25 might be expected in a particular population.

1 DR. OREN: Is there anyone who might want
2 to propose any kind of language -- not yet referring
3 to diagnosis, but referring to sort of the target
4 symptom or target state, that we might achieve
5 consensus. Dr. Katz.

6 DR. KATZ: Can I suggest that we sort of
7 leave the details of the wording until we've decided
8 that it ought to be approved for something?

9 (Laughter.)

10 DR. OREN: Fair enough. Dr. Malone.

11 DR. MALONE: I juts wanted to ask a
12 question, really. If schizoaffective disorder had a
13 90 percent rate of emerging suicidality, or whatever
14 you wanted to call it, would -- the if you label this
15 drug for suicidality, would it be the drug of choice
16 then for schizoaffective disorder so that a physician
17 might be derelict for not using it in a patient who
18 had schizoaffective disorder?

19 DR. KATZ: Well, I don't think we're in a
20 position to say what the drug of choice is for
21 anything, but we would hopefully construct an
22 indication that accurately reflected the data. So, I
23 think it speaks to Matt's point about what ought the
24 claim to be, even though it hasn't been shown to work
25 in the traditional sense. In schizoaffective patients

1 you might decide that it has been shown to work to
2 prevent suicidality, or however we choose to say it.
3 So, we would hopefully accurately describe what the
4 data showed, and how it's used is a separate question.

5 DR. OREN: Let me ask you, is there any
6 consensus that just as a general target, suicidality,
7 or however it would be referred to, is a good target
8 for a claim?

9 DR. RYAN: Two thumbs up for suicidality.

10 DR. OREN: All right. Not yet focusing on
11 specific language, the other part then of that first
12 question was applying it towards schizophrenia or
13 schizoaffective disorder. So, shall we turn then to
14 the diagnostic of which group or groups might be
15 supported.

16 DR. RYAN: Let me see if I can state the
17 problem, but it's unlikely to be more helpful to you
18 in clarifying thoughts than mine have been.

19 It seems like they proposed an analysis
20 across schizophrenia and schizoaffective disorder,
21 without being powered for separate analyses, that they
22 have the indication for schizophrenia and not for
23 schizoaffective disorder. We will discuss probably in
24 a more spirited fashion subsequently, but at least in
25 the first interpretation we had an overall p-value for

1 the study on their proposed outcome measure, which
2 they picked rational basis, they got the p-value on
3 that one, and that the subgroup analysis is relatively
4 uninformative, which is in the schizoaffective it's
5 not different from the schizophrenia, but it's also
6 not different from the control treatment because it's
7 sort of intermediate and so you don't have a
8 significant difference either way, but they knew they
9 weren't powered for it going in, and that's where my
10 thinking stops, but are we sort of agreed on that
11 part, or that's what you're seeing, Dr. Katz, on what
12 they've presented?

13 DR. KATZ: Yes, I think generally that's
14 true, although I don't remember the number of the
15 slide, but you had the slide up with the point
16 estimate of the effect, the difference within the
17 treatments, or the hazard ratio, whatever it was, and
18 the confidence intervals, and the estimate of the
19 effect in the schizoaffective patients was, I'll say -
20 - that's it.

21 (Slide)

22 Thank you -- was less than, or larger if
23 you want -- it was less compelling a finding that
24 schizophrenia by itself doesn't overlap with one, that
25 was significant by itself, whereas the schizoaffective

1 was not significant. Now, again, I don't believe it
2 was powered to look at the -- I don't believe it was
3 powered, anyway -- to look at the individual
4 diagnoses, but that's the data. So, the question,
5 besides Matt's question which was is it a real entity
6 or was it adequately defined in this study and did
7 they capture the right patients who should be called
8 schizoaffective, but is there a differential response.
9 We have what we have.

10 DR. OREN: Dr. Hamer.

11 DR. HAMER: With the exception of Clozaril
12 and some other medications, in many, if not most, of
13 the clinical trials that I've either run or
14 participated in designing or in one way or another for
15 antipsychotics, almost all of those trials took in
16 patients who had both diagnoses of schizophrenia and
17 schizoaffective disorder. I don't think that we're
18 being asked to do anything unusual in that sense.
19 This trial was designed to have both schizophrenia and
20 schizoaffective disorder, however ill we may define it
21 as entry criteria, and in that group as a whole it
22 showed an effect for whatever that's worth. Now, I
23 have my own difficulty with the blinding issue, but
24 I'm not at all astonished to see that it showed the
25 effect overall and failed to demonstrate it in any of

1 the subgroups, except schizophrenia which comprised
2 most of the subjects anyway.

3 DR. OREN: Dr. Katz.

4 DR. KATZ: It's true that other studies
5 have looked at both populations, but we've never
6 granted a claim for schizoaffective, we've limited the
7 inference to only the schizophrenia population. So
8 this is different in that sense because we're being
9 asked to expand the inference to both types.

10 DR. HAMER: So that means that we're
11 pretty much treating schizoaffective off-label?

12 DR. LAUGHREN: Well, of course, you have
13 to keep in mind that you would also be treating non-
14 treatment-resistant schizophrenia off-label because
15 the Clozaril only has a claim for treatment-resistant
16 schizophrenias.

17 DR. OREN: Dr. Mehta.

18 DR. MEHTA: This protocol was discussed by
19 the FDA and the sponsor four years ago. I guess the
20 protocol said it very clearly, that these other two
21 different diagnoses which were going to be used. One
22 cannot use a post-trial argument that one of the
23 subsets is not significant because if you look at the
24 last slide, you can't recommend a drug for males or
25 even females because none of them is significant

1 individually.

2 So, you can't change the rules of the game
3 after you already agreed four or five years in
4 advance, and that's a concern I had.

5 DR. OREN: Whatever the rules are, I think
6 it's the duty of this committee as independent outside
7 experts, one hopes to give our best opinion regardless
8 of what took place previously.

9 DR. COOK: I would add, the question isn't
10 whether this means the overall trial was positive or
11 negative, which is probably what was decided years
12 ago. I doubt years ago the idea would be this would
13 support a new claim for schizoaffective disorder, it's
14 two different issues.

15 DR. OREN: So, if there was to be some
16 kind of claim referring specifically to schizophrenia
17 and schizoaffective disorder, is there comfort or
18 discomfort with a dual-diagnosis claim, or two-
19 diagnosis claim?

20 Dr. Meltzer, I'll let Novartis answer, and
21 then we'll come back just to the committee, but you
22 can give the last word on behalf of Novartis.

23 DR. MELTZER: Well, I've seen a number of
24 very large datasets from community mental health
25 centers around the country, and the diagnosis of

1 schizoaffective disorder is about 25 percent of the
2 sample. And I think it's very fortuitous, in a sense,
3 that we didn't use a structured interview because I
4 would bet, on average, the way the clinicians made
5 their clinical diagnoses are comparable to the way
6 it's done in America.

7 And what is happening is that when we
8 completely rule out bipolar disorder by history and
9 symptoms, you have this group of psychotic patients
10 with a schizophrenic positive symptom/negative symptom
11 type syndrome, and when, in addition to that, there is
12 clearcut mood symptoms present, regardless of the
13 temporal issue -- and that's where DSM4 came in and
14 that's what most people don't understand that DSM4
15 diagnoses schizoaffective disorder in terms of a
16 temporal relationship -- but the average clinician
17 does, and this is why concomitant therapy is so
18 prevalent today. Whenever they see depression,
19 whenever they see mania, in addition to the
20 schizophrenia picture, in the absence of reasons to
21 call it bipolar disorder, they will diagnose it
22 schizoaffective disorder.

23 And if they remember the RDC, the research
24 diagnostic criteria, then we might call it
25 schizoaffective manic or schizoaffective depressed --

1 in that finer RDC criteria it was beautifully laid
2 out, and if DSM had stayed with it, we wouldn't be in
3 this problem. But, clinically, I think it's very
4 fascinating that in order to get this study -- or when
5 sites were recruiting for this, one found about, what,
6 30 to 40 percent of the sample were considered
7 schizoaffective, and the reason for that is just what
8 I said, that's the population that's really at
9 greatest risk for suicidality. And I would be
10 concerned, really, if you did approve it just for
11 schizophrenia, that it might be interpreted or might
12 create some barriers to access to clozapine for the
13 group that needs it perhaps the most -- that is, these
14 people who the average clinician out there is calling,
15 by his own empiric criteria, schizoaffective disorder.

16 DR. RUDORFER: I certainly agree that
17 there's a major problem in the field in terms of
18 identifying this disorder. However, I think we're
19 faced with a dilemma that the inclusion criteria here
20 with DSM4 definition, and I don't know how we could
21 evaluate a claim where it's every clinician decides
22 for his or herself.

23 According to DSM4, one needs a full mood
24 syndrome, you need a full major depressive episode or
25 a full manic episode, concurrent with criteria in (a)

1 for schizophrenia, for the diagnosis of
2 schizoaffective disorder. Now, that means criterion
3 (a) only calls for a month of psychotic symptoms. If
4 people meet the full six-month criterion for
5 schizophrenia, they should be called schizophrenia.
6 If they are called schizoaffective by DSM4, it means
7 they don't meet full criteria for schizophrenia. I
8 mean, that's what we have to work with here.

9 DR. OREN: I'll recognize Dr. Leber, from
10 the public.

11 DR. LEBER: This is a clarifying question
12 I'll direct to Tom. In 1998, when this protocol was
13 being planned, was it not the policy of the Division
14 to make the claim for drugs used in the management of
15 schizophrenia, antipsychotic rather than
16 antischizophrenic and, if so, would that not explain
17 the apparent dilemma that exists now?

18 DR. LAUGHREN: Yes, it's true. There has
19 been a transition over the past four to five years.
20 Prior to that time, all the antipsychotics did have a
21 general claim, and it's since then that we've
22 gradually shifted to focusing on schizophrenia.

23 DR. OREN: Dr. Kane.

24 DR. KANE: John Kane, Zucker Hillside
25 Hospital. Just on this issue and keeping in mind the

1 nature of the patient population, if we think back to
2 the demographic and treatment history characteristics
3 of the sample included, these people had been ill for
4 over ten years, and the average patient had made
5 suicide attempts, was hospitalized for suicide.

6 I think we want to keep in mind the way
7 that this drug is going to be helpful to patients who
8 may need it. And I certainly understand the
9 discussion here, and I think the point is well taken,
10 that we've seen an evolution, but let's not lose sight
11 of the population that really needs to be treated with
12 this drug.

13 DR. OREN: Dr. Katz.

14 DR. KATZ: I think it is, of course,
15 important to think about what is the population that
16 might benefit from the drug or, in fact, might be
17 treated with the drug, but we have to be concerned
18 with what the data are and whether or not the
19 population whom we're contemplating approving it
20 actually was the population that was studied or is
21 currently considered to be the population that we
22 would indicate it for. So, we have to think about who
23 it is going to be used in, but we really have to focus
24 on what the data support.

25 DR. OREN: So, canvassing the committee,

1 is there any consensus on this diagnostic question?
2 Dr. Cook.

3 DR. COOK: The only thing I'd like to
4 state is we have a specific question, but often you're
5 looking for more general direction. It seems to me
6 that if this is schizophrenia or schizoaffective
7 language on the basis of practicality, then that
8 probably applies to every other schizophrenia
9 indication, if most of them had put in similar sorts
10 of populations. I don't think it's a particularly
11 unique population, it's an appropriate population.
12 Essentially, if you don't want this to be off-label
13 for schizoaffective, that applies to the other
14 antipsychotics. So, I just think it's a bigger policy
15 decision than this specific study.

16 DR. LAUGHREN: Just one clarification.
17 Again, if you recommended approving this claim, the
18 claim is focused specifically on suicidality in these
19 two populations, it would not be a general claim for
20 either all schizophrenia or all schizoaffective
21 disorder.

22 DR. COOK: I understand that about the
23 specific language here, but if you extrapolate that
24 logic, the same could be applied to the treatment of
25 psychosis in schizophrenia and schizoaffective

1 disorder. I'm willing to say there's an independence
2 here, and this is an interesting specific question, an
3 important specific question, but as soon as you say
4 this should be schizophrenia or schizoaffective
5 disorder, the logic of extending that to treatment of
6 positive symptoms in schizophrenia by antipsychotics
7 would follow.

8 DR. OREN: Dr. Ryan.

9 DR. RYAN: Could I get a clarification
10 from Dr. Laughren about the design of the study
11 because it seems like you use the word "two
12 populations", and yet that doesn't -- I'm having
13 trouble making sense of that because when you approved
14 it without power to test it in either one, it seems to
15 me like it's possible you're thinking this is one
16 population because if you're thinking about it as two
17 populations and the study design leaves you in the
18 quandary that we may or may not find ourselves in
19 right now and -- you know, if you look at most of the
20 other ways of saying whether is this one population we
21 have trouble drawing the boundary versus two
22 populations. So, what was the original thinking?

23 DR. LAUGHREN: Well, the focus is on
24 suicidality. I mean, that's the primary focus of the
25 study -- suicidality coming out of several different

1 populations. I mean, I don't know that I can be any
2 clearer than that. And, again, I don't think this is
3 so unusual.

4 DR. RYAN: But you didn't let them test it
5 in bipolar disorder, say, or other things where it
6 might be a completely splendid drug to treat the
7 suicidality as well as the disorder.

8 DR. KATZ: Well, I think we're willing to
9 grant a claim, assuming everything else is acceptable,
10 for suicidality in schizophrenia and schizoaffective
11 disorder. I think we would be willing to do that.
12 The question has been raised that maybe these people
13 didn't have schizoaffective disorder, as currently
14 diagnosed, and therefore that would lead to
15 misbranding, if you will, by saying these patients had
16 schizoaffective disorder when, in fact, by common
17 understanding they don't. So, that's one issue. The
18 question is whether or not those patients really are
19 labeled, if you will, appropriately in the context of
20 the year 2002, or whatever year this is.

21 The other -- that's the main point,
22 whether or not we really are dealing with the right
23 population.

24 DR. OREN: Dr. Hamer.

25 DR. HAMER: Perhaps I'm wrong, but my

1 understanding of the way the diagnostic criteria were
2 used here would imply that if these patients were
3 mislabeled because they didn't meet criteria for a
4 full-blown mood episode, then they probably met
5 criteria -- the way that the criteria were used here,
6 then they probably met criteria for schizophrenia.
7 So, it's not like we have a mixture of schizophrenics
8 and people who could be anything -- personality
9 disorders, attention deficit disorder, whatever else -
10 - it's either schizophrenia and schizoaffective
11 disorder, or primarily schizophrenia. That's at least
12 my impression of the way the diagnostic criteria were
13 used.

14 DR. OREN: Dr. Ortiz.

15 DR. ORTIZ: Yes, I think I would agree
16 with that. It sounds like the clinical information
17 that we've gotten, that many of these people could
18 have been schizophrenia and major depression, not
19 necessarily schizoaffective.

20 DR. OREN: Dr. Rudorfer.

21 DR. RUDORFER: Or bipolar disorder with
22 psychosis. I mean, I think the general issue -- one
23 general issue I'm having problems with again is the
24 fact that without the structured interview, we really
25 don't know how the diagnostic criteria were used

1 because it sounds as if other than people being aware
2 that there was a set of DSM4 criteria, we have no
3 information on how the clinical data were applied to
4 those criteria.

5 DR. OREN: Dr. Katz.

6 DR. KATZ: The other point when
7 considering whether -- what populations it ought to be
8 approved for, has to do with something that Dr. Mehta
9 said, which was it wasn't powered to look at the
10 individual subtypes, and there are many other
11 demographic characteristics which we ordinarily
12 wouldn't say, well, it doesn't work in men, or it
13 works in women, that sort of thing. But you are
14 allowed to look at the data as it was generated and,
15 for example, if it turns out that the study was
16 overall positive but all the action was in one
17 particular subgroup and there was absolutely nothing
18 going on in, let's say, the schizoaffective group, you
19 could reasonably -- I mean, it's not immediately
20 obvious what the best thing to do in that case was --
21 but you could reasonably say, well, yes, it was
22 overall positive when we enrolled all these patients,
23 but really it had no effect in one particular subtype.
24 And, again, you saw the point estimate and the
25 confidence intervals around the treatment effect for