

4416 '99 AUG -9 P2:47

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH
JOINT MEETING OF THE
NONPRESCRIPTION DRUGS ADVISORY COMMITTEE
AND THE
ARTHRITIS ADVISORY COMMITTEE

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

Tuesday,
July 20, 1999

The Ballrooms
Holiday Inn Gaithersburg
2 Montgomery Village Avenue
Gaithersburg, Maryland

IN ATTENDANCE:

Nonprescription Drugs Advisory Committee

ERIC P. BRASS, M.D., Ph.D., Chairman
Chair, Department of Medicine
Harbor-UCLA Medical Center
1000 West Carson Street
Torrance, California 90509

SANDRA TITUS, Ph.D., Executive Secretary
Advisors and Consultants Staff (HFD-21)
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

GEORGE A. BLEWITT, M.D., Non-Voting Industry Representative
Nonprescription Drug Manufacturers Association
c/o Dr. Lorna Totman
1150 Connecticut Avenue, N.W.
Washington, D.C. 20036

EDWIN E. GILLIAM, Ph.D.
Family Nurse Practitioner
Bone Marrow Transplantation Program
University Physician, Inc.
3695 West Sunbright Drive
Tucson, Arizona 85742-1138

KAREN HAMILTON, Consumer Representative
Director, Department of Consumer Affairs
400 R Street, Suite 3000
Sacramento, California 95814-6200

MARY ANNE KODA-KIMBLE, Pharm.D.
Professor of Clinical Pharmacy
Dean, School of Pharmacy
University of California at San Francisco
513 Parnassus, Room S926
San Francisco, California 94143-0446

EDWARD P. KRENZELOK, Pharm.D.
Director, Pittsburgh Poison Center
Children's Hospital of Pittsburgh
3705 Fifth Avenue
Pittsburgh, Pennsylvania 15213

IN ATTENDANCE:

Nonprescription Drugs Advisory Committee (Continued)

RICHARD A. NEILL, M.D.
Assistant Professor
Department of Family Practice and Community Medicine
University of Pennsylvania College of Medicine
39th and Market Streets, 615 Mutch Building
Philadelphia, Pennsylvania 19104

HARI C. SACHS, M.D.
Coleman, Coleman, and Sachs
11119 Rockville Pike, Suite 310
Rockville, Maryland 20852

Nonprescription Drugs Advisory Committee Consultants

JENNIFER ANDERSON, Ph.D.
Boston University School of Medicine
CHQOER VA Medical Center (152)
200 Springs Road
Bedford, Massachusetts 01730

REBAT HALDER, M.D.
Chairman, Department of Dermatology
Howard University College of Medicine
2041 Georgia Avenue, N.W.
Washington, D.C. 20060

CAROL McNEELY, M.D., Ph.D.
1120 19th Street, Suite 250
Washington, D.C. 20036

Guest Expert

LYNN GERBER, M.D.
Rehab Medicine Department
National Institutes of Health
Building 10, GS 235
9000 Rockville Pike
Bethesda, Maryland 20892

IN ATTENDANCE:

Arthritis Advisory Committee

STEVEN B. ABRAMSON, M.D., Chairman
Chairman of Rheumatology and Medicine
Hospital for Joint Diseases
301 East 17th Street
New York, New York 10003

KATHLEEN REEDY, Executive Secretary
Advisors and Consultants Staff (HFD-21)
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

JANET D. ELASHOFF, Ph.D.
Director, Division of Biostatistics
Cedars-Sinai Medical Center
8700 Beverly Boulevard
Los Angeles, California 90048

E. NIGEL HARRIS, M.D.
Dean, Department of Internal Medicine
Office of the Dean
Morehouse School of Medicine
720 Westview Drive S.W.
Atlanta, Georgia 30310-1495

DANIEL J. LOVELL, M.D., M.P.H.
Associate Director
Division of Pediatric Rheumatology
Department of Pediatrics
Children's Hospital Medical Center
Pavilion Building, Room 1-29
3333 Burnet Avenue
Cincinnati, Ohio 45229-3039

LEONA M. MALONE, M.S.W., Consumer Representative
8D Lexington Lane East
Palm Beach Gardens, Florida 33418

IN ATTENDANCE: (Continued)

FRANK PUCINO, JR., Pharm.D.
Clinical Care Specialist
Pharmacy Department
National Institutes of Health
Building 10, Room 1N-257
9000 Rockville Pike
Bethesda, Maryland 20892

YVONNE S. SHERRER, M.D.
Assistant Professor of Medicine
Medical Director, Center for Rheumatology,
Immunology, and Arthritis
University of Miami School of Medicine
5333 North Dixie Highway, Suite 110
Fort Lauderdale, Florida 33334

DAVID E. YOCUM, M.D.
Professor of Medicine
Division of Rheumatology
Department of Medicine
University of Arizona
UMC Building, Room 6409
1501 North Campbell Avenue
Tucson, Arizona 85724

C O N T E N T S

PAGE

Call to Order and Introductions

Eric P. Brass, M.D., Ph.D.
 NDAC Chair and Joint Meeting Chair 9

Conflict of Interest Statement

Sandra Titus, Ph.D.
 Executive Secretary, NDAC 11

Welcome

John E. Hyde, M.D., Ph.D.
 Acting Deputy Director
 Division of Anti-Inflammatory, Analgesic,
 and Ophthalmic Drug Products 13

FDA Presentations on NDA 21-070,
 Flexeril 5mg (Cyclobenzaprine HCL)

Background and Efficacy

James P. Witter, M.D., Ph.D.
 Medical Officer, DAAO 15

Pharmacokinetics

Sue-Chih Lee, Ph.D.
 Pharmacokinetics Reviewer
 Division of Pharmaceutical Evaluation III 24

Abuse Potential

Michael Klein, Ph.D.
 Senior Interdisciplinary Scientist
 Division of Anesthetic, Critical Care,
 and Addiction Drug Products 31

C O N T E N T S

PAGE

FDA Presentations (Continued)

Safety

Rosemarie Neuner, M.D., M.P.H.
Medical Officer, Division of Over-the-
Counter Drug Products 41

Label Comprehension

Kathryn Aikin, Ph.D.
Social Science Analyst, Division of Drug
Marketing, Advertising, and Communications 50

Neurologic Impact

Linda Katz, M.D., M.P.H.
Deputy Director of OTC 58

Presentations by the Sponsor,
Merck & Company

Introduction

Edwin Hemwall, Ph.D.
Regulatory Affairs 65

Review of Flexeril OTC Development Program

Scott Korn, M.D.
Clinical Research 72

Conclusion

Edwin Hemwall, Ph.D. 114

Questions from Advisory Committees
to FDA Staff and the Sponsor 117

C O N T E N T S

	PAGE
Open Public Hearing	
Larry D. Sasich, Pharm.D. Public Citizen	146
Questions from Advisory Committees to FDA Staff and the Sponsor	151
Charge to the Committee	
Linda Katz, M.D., M.P.H.	171
Discussion of Questions by Advisory Committees	172

P R O C E E D I N G S (8:30 a.m.)

1
2 DR. BRASS: Good morning. I'm told this is
3 working. I'm Eric Brass, chair of the Department of
4 Medicine at Harbor-UCLA Medical Center, and chair of the
5 Nonprescription Drugs Advisory Committee. I'd like to
6 welcome everybody to this joint meeting of the
7 Nonprescription Drugs Advisory Committee with the Arthritis
8 Advisory Committee to discuss an NDA for 5-milligram
9 Flexeril OTC.

10 We have a very large group, and so I would like
11 to begin by going around the table and asking everybody to
12 introduce themselves. That will also allow them to
13 familiarize themselves with this high-tech microphone where
14 you actually have to press the on button before you talk,
15 and then please remember to press the off button because
16 nobody else will be able to talk by pressing the on button.

17 So if we can start at the far end of the table,
18 please, and if you can introduce yourself?

19 DR. HALDER: Rebat Halder, Department of
20 Dermatology, Howard University.

21 DR. SHERRER: Yvonne Sherrer, rheumatologist,
22 Fort Lauderdale, Florida.

23 DR. ANDERSON: Jennifer Anderson, statistician
24 from Boston, Massachusetts.

25 DR. KRENZELOK: Ed Krenzelok, Pittsburgh Poison

1 Center and University of Pittsburgh.

2 DR. GERBER: Lynn Gerber, physiatrist-
3 rheumatologist, Clinical Center, NIH.

4 DR. PUCINO: Frank Pucino, Pharmacy Department,
5 Clinical Center, NIH.

6 DR. MCNEELY: Carol McNeely, dermatologist,
7 Washington, D.C.

8 DR. HARRIS: Nigel Harris, rheumatologist,
9 Dean, Morehouse School of Medicine.

10 DR. BLEWITT: George Blewitt, industry liaison
11 representative to the Nonprescription Drugs Advisory
12 Committee.

13 DR. YOCUM: Dave Yocum, University of Arizona,
14 rheumatologist.

15 DR. SACHS: Hari Sachs, pediatrics, Rockville,
16 Maryland.

17 DR. TITUS: Sandy Titus, the administrator for
18 Nonprescription Drugs Advisory Committee.

19 DR. NEILL: Richard Neill, family physician at
20 the University of Pennsylvania.

21 MS. MALONE: Leona Malone, consumer rep for the
22 Arthritis Committee, West Palm Beach.

23 MS. HAMILTON: Kathleen Hamilton, consumer rep
24 to the Nonprescription Drugs Advisory Committee, and
25 director of the California Department of Consumer Affairs.

1 DR. ELASHOFF: Janet Elashoff, biostatistics,
2 Cedar-Sinai and UCLA.

3 DR. GILLIAM: Eddie Gilliam, family nurse
4 practitioner, Tucson, Arizona.

5 DR. LOVELL: Dan Lovell, pediatric
6 rheumatologist, University of Cincinnati.

7 DR. HYDE: John Hyde, Acting Deputy, Division
8 of Anti-Inflammatory, Analgesic, and Ophthalmic Drug
9 Products, FDA.

10 DR. MIDTHUN: Karen Midthun, Acting Division
11 Director, Anti-Inflammatory, Analgesic, and Ophthalmic Drug
12 Products, FDA.

13 DR. KATZ: Linda Katz, Deputy Director,
14 Division of Over-the-Counter Drug Products.

15 DR. GANLEY: Charlie Ganley, Director, Division
16 of Over-the-Counter Drug Products, FDA.

17 DR. DeLAP: Robert DeLap, Director, Office of
18 Drug Evaluation V, FDA.

19 DR. BRASS: Thank you all.

20 I'll now ask Dr. Titus to read the conflict of
21 interest statement.

22 DR. TITUS: The following announcement
23 addresses conflict of interest with regard to this meeting
24 and is made a part of the record to preclude even the
25 appearance of such at this meeting.

1 Based on the submitted agenda for the meeting
2 and all financial interests reported by the participants,
3 it has been determined that all interests in firms
4 regulated by the Center for Drug Evaluation and Research
5 which have been reported by the participants present no
6 potential for a conflict of interest at this meeting, with
7 the following exceptions. In accordance with 18 U.S.C.
8 208(b), full waivers have been granted to Dr. Mary Anne
9 Koda-Kimble and Dr. David Yocum. A copy of these waiver
10 statements may be obtained by submitting a written request
11 to the agency's Freedom of Information office, Room 12A-30,
12 in the Parklawn Building.

13 In addition, we would like to disclose for the
14 record that Dr. Steven Abramson has an interest in Merck.
15 Dr. Kenneth Brandt has an interest in Merck, and Johnson &
16 Johnson, the parent company of Ortho-McNeil. Dr. David
17 Yocum's employer, the University of Arizona, has interests
18 in Novartis. These unrelated interests do not constitute a
19 financial interest in the particular matter within the
20 meaning of 18 U.S.C. 208. Notwithstanding these interests,
21 it has been determined that it is in the agency's best
22 interest to have Dr. Abramson, Dr. Brandt, and Dr. Yocum
23 participate fully in all matters concerning Flexeril.

24 In the event that the discussions involve any
25 other products or firms not already on the agenda for which

1 an FDA participant has a financial interest, the
2 participants are aware of the need to exclude themselves
3 from such involvement, and their exclusion will be noted
4 for the record.

5 With respect to all other participants, we ask
6 in the interest of fairness that they address any current
7 or previous financial involvement with any firm whose
8 products they may wish to comment upon.

9 DR. BRASS: Thank you.

10 I'll ask Dr. Hyde now to make some opening
11 remarks.

12 DR. HYDE: Good morning and welcome to the
13 members of the Nonprescription Drugs and the Arthritis
14 Advisory Committees, the representatives of Merck, and to
15 the audience.

16 The purpose of today's meeting is to seek
17 advisory committee input on the application to market the
18 muscle relaxant Flexeril over the counter. Flexeril is an
19 approved prescription product, but currently there is no
20 muscle relaxant approved for OTC use. Thus, this
21 application under consideration represents an introduction
22 of a new class of agents into the OTC market.

23 As Dr. Witter will describe shortly, the topic
24 of muscle relaxants for over-the-counter use has been the
25 focus of a process extending back over a decade. The

1 activities involved previous advisory committee meetings,
2 several FDA divisions, including the Neuropharmacologic
3 Drug Products, Analgesic and Inflammatory Division, OTC
4 Division, as well as drug companies and members of the
5 public.

6 A great deal of effort has gone into defining
7 the questions raised by OTC muscle relaxant use and to
8 trying to determine ways in which those questions might
9 best be answered. Today's meeting is a major milestone in
10 that process. Previous public meetings have been general
11 or rather theoretical. Today we have a specific
12 application before us. Today we have actual study results
13 to consider. This promises to be a rich advisory committee
14 experience, so in the interest of getting into today's
15 business, I'll yield to the first speaker.

16 DR. BRASS: Thank you, Dr. Hyde.

17 Just a word about the format this morning. We
18 will begin with the presentations from the FDA, followed by
19 the presentations by sponsor. Because of the likelihood of
20 many issues being addressed by both sets of presentations,
21 I'm going to ask the committee to hold all questions for
22 the FDA until after the sponsor's presentation, with the
23 only exceptions being very brief questions of
24 clarification. Otherwise, we will probably be here through
25 tomorrow's meeting discussing this.

1 So, with that background, I'd like to ask Dr.
2 Witter from the FDA to begin the FDA's presentations.

3 DR. WITTER: Can you hear me okay? Yes.

4 Good morning and welcome to today's combined
5 meeting of the Nonprescription Drugs Advisory Committee and
6 Arthritis Advisory Committee to consider Flexeril for over-
7 the-counter use. This should be an interesting discussion,
8 so please do as our friend is doing here and pay close
9 attention.

10 Next slide.

11 Besides myself discussing briefly some of the
12 background and efficacy with Flexeril, the other speakers
13 today will be Dr. Lee discussing PK issues, Dr. Paul
14 Andreason discussing the neurologic impact of Flexeril, Dr.
15 Michael Klein discussing the abuse potential, Dr. Rosemarie
16 Neuner discussing safety aspects, and Dr. Kathryn Aikin
17 discussing label comprehension. I know that our speakers
18 are excited and ready to go, so I'll try and move along
19 here.

20 Next slide.

21 As Dr. Hyde has indicated, we have been
22 discussing muscle relaxants in general or consideration for
23 their use over-the-counter for a while now. This slide
24 attempts to kind of get you some sense of history here. As
25 you can see, I've broken this up into two groups, one

1 discussing muscle relaxants as a group of drugs and the
2 other as single agents. The first meeting that I'm aware
3 of was in 1982 when these compounds were in a different
4 division. There were two subsequent meetings, the most
5 recent being in March of 1995, which was also a combined
6 meeting, as is today.

7 As single agents, there was a meeting in
8 February of 1997 to discuss whether Soma should be a
9 scheduled compound, and then, of course, we have today's
10 meeting, which is the first to consider use of muscle
11 relaxants over-the-counter in the U.S.

12 Next slide.

13 Now, in terms of what's gone on previously,
14 there's been a lot of interesting discussion, as I'm sure
15 there will be today, and I'd like to just present that for
16 a bit here. In March of 1995, the following five questions
17 were posed to the advisory committee meeting. I think it's
18 fair to say that we didn't get much beyond the first
19 question, which is: "Should muscle relaxants be considered
20 for over-the-counter use?" I think part of the problem was
21 trying to decide things in the absence of data, which is
22 not the problem today.

23 Next slide.

24 Some of the discussion at these prior meetings
25 involved the realization, for example, that muscle

1 relaxants are a diverse group of compounds, ranging from
2 compounds such as Parafon Forte to Robaxin to Soma, and, of
3 course, Flexeril. It was also appreciated that because
4 most of these are old compounds, they were actually DESI'd
5 into use because of the Kefauver-Harris amendment in 1962.
6 But that was not the case with Flexeril. Flexeril, as we
7 know, was submitted in December of 1975 and was approved in
8 August of 1997.

9 Next slide.

10 The muscle relaxants, other issues were whether
11 there was efficacy, and probably the best way to summarize
12 both the DESI review and the 1994 clinical practice
13 guidelines, which I believe is in your package, is that
14 muscle relaxants as a group are probably more effective
15 than placebo but not NSAIDs, and that contributes to why
16 the labeling says "as an adjunct to" things like rest and
17 physical therapy for the prescription muscle relaxants.

18 The mechanism of action was discussed and it
19 was generally appreciated that these were not direct
20 peripheral muscle relaxants, and there was a question as to
21 whether, in fact, efficacy was because of their sedative
22 properties.

23 Next slide.

24 The other discussion focused on who the target
25 population is and is there a societal benefit for muscle

1 relaxants, especially over-the-counter? What is the
2 frequency of spasm and pain in areas such as the back,
3 legs, shoulders and neck? What is the nature of the
4 prescribed use of muscle relaxants? Is the condition of
5 back pain from muscle spasm self-recognizable? There was a
6 lot of discussion about that issue, and I think we'll be
7 discussing that again today. And would delay in diagnosis
8 lead to serious consequences?

9 Next.

10 As I mentioned, these are, for the most part,
11 old drugs, and there was also a concern that there's a
12 large PK/PD knowledge gap as assessed by current standards.
13 There was also discussion as to whether the prescription
14 doses were too unsafe for OTC use, and if that was the
15 case, then effectiveness and safety of the OTC dose must be
16 established as we're discussing today.

17 There were discussions about whether general
18 guidelines were possible, and, in fact, I'll describe
19 something that was issued in 1986, but it was pretty much
20 decided that each drug would really have to stand on its
21 own merits.

22 Next.

23 Also at this meeting was described the proposed
24 World Health Organization core set of outcome criteria for
25 lower back pain, which included such endpoints as patient

1 global, time to and duration of improvement, forward
2 flexion, quality of life index, disability index, and
3 medication use.

4 Next slide.

5 Now, what I had mentioned as a letter in 1986
6 from the agency described some of the types of studies that
7 should be considered for muscle relaxants over-the-counter.
8 These were, for example, cognitive impairment and/or
9 sedation in the elderly, usage trials to mimic over-the-
10 counter conditions, PK trials in healthy volunteers, the
11 elderly, with renal impairment, and cirrhotic patients, and
12 then other types of trials to assess, for example, abuse
13 potential, market/mall studies, et cetera.

14 Next.

15 So hopefully that sets some kind of a
16 perspective on why we have the kinds of studies that we
17 have today for Flexeril. There were in fact 13 studies
18 submitted for this NDA. Four protocols dealt with clinical
19 pharmacology PK, six protocols discussed psychomotor
20 aspects, and three protocols were designated as Phase III
21 clinical studies. Those were broken up into two types.
22 There were placebo-controlled, which is Study 6 and 8, and
23 there was a use trial, which was Study 9, which was an
24 open-label study.

25 Next.

1 Just in the interest of time, I'll just briefly
2 describe some of the results of these Phase III trials. In
3 terms of looking at the patients in Studies 6 and 8 -- by
4 the way, these were basically one-week studies -- there
5 were, as you can see here, four groups, Flex 2.5, Flex 5,
6 Flex 10, and placebo. The reason that there are more in
7 the Flex 5 group, for example, is that this was a common
8 dose between the two Studies 6 and 8.

9 The patients in Studies 6 and 8 had a physician
10 rated moderate or moderately severe painful muscle spasm of
11 the lumbar and/or cervical spine region. The spasm was at
12 either less than or equal to 7 or 14 days, depending on the
13 study, as is in front of you; whereas in Study 9, patients
14 had self-diagnosed back pain.

15 Next.

16 Concomitant therapies. In Studies 6 and 8,
17 analgesics, psychomotor agents, and muscle relaxants were
18 not allowed. Yet there were a few patients in Study 6 who
19 took NSAIDs in the Flex 5 group, and the four patients in
20 Study 6 took aspirin. Just so we're on the same page, Flex
21 5 refers to 5 milligrams three times a day. Study 9, on
22 the other hand, did allow analgesics, and, in fact, 16
23 percent of the patients took ibuprofen, and 11 percent of
24 patients took acetaminophen during this study. Heat
25 therapy was allowed, and anywhere from 27 to 38 percent of

1 patients used this modality.

2 Next.

3 In terms of looking at Studies 6 and 8, overall
4 the completion rate was 86 to 93 percent. The
5 discontinuations were really primarily for two reasons,
6 either clinical adverse events or ineffective therapy. As
7 you can see here, for example, in Study 6, Flexeril 10 was
8 significantly different than placebo in terms of withdrawal
9 for clinical adverse events, whereas for ineffective
10 therapy, the Flex 2.5 in Study 8 was different than Flex 5.
11 This generally depicts, although I'm not showing all the
12 data today, the tendency that at the higher doses of Flex 5
13 and Flex 10, patients tended to withdraw because of
14 clinical adverse events, whereas with Flex 2.5 and placebo
15 they tended to withdraw from the trials because the therapy
16 was ineffective.

17 Next slide.

18 Primary endpoints in Studies 6 and 8 in the
19 placebo-controlled trials were basically all patient-
20 derived 5-point categorical scales. For example, a global
21 impression of change, medication helpfulness, and the diary
22 card, relief from starting backache. The global impression
23 was also the variables studied in Study 9.

24 Next slide.

25 Again, in the interest of time, I'm just going

1 to show some of the results here. This is patient global
2 at Visit 3, which is essentially at the one-week time
3 point. The results are consistent, so this is really
4 representative. You can see here is depicted the mean and
5 standard deviations in both Studies 6 and 8. As you can
6 see, Flexeril 5 and Flexeril 10 in Study 6 were
7 significantly different than placebo, although the effect
8 sizes appear modest. In Study 8, Flexeril 5 again does
9 distinguish itself statistically from placebo, whereas Flex
10 2.5 does not. Again, the results appear to be clinically
11 modest.

12 Next slide.

13 Now, I'm not describing the other primary
14 endpoints of medication helpfulness and the diary. They
15 were, again, basically the same type of results. I'd just
16 like to describe briefly the secondary results of physician
17 rating of muscle spasm. This was again on a 5-point
18 categorical scale ranging from zero/none to 4/severe board-
19 like muscles.

20 Next slide.

21 Looking at the results for this secondary
22 variable, again at Visit 3, here I've depicted the mean
23 change from baseline, and as you can see, in Study 6,
24 Flexeril 5 and Flex 10 again do separate from placebo, but
25 again the results appear to be clinically modest, and the

1 same applies for Study 8 in that Flex 5 does separate from
2 placebo but Flex 2.5 does not.

3 Next slide.

4 Now, in terms of discussing and thinking about
5 whether Flexeril works, one could argue that it is useful
6 to focus on the distinction between efficacy and
7 effectiveness. It is widely accepted that in a randomized
8 clinical trial, like Studies 6 and 8, efficacy means that
9 the treatment produces a reduction in the probability of
10 experiencing the adverse outcome in the study group being
11 investigated. Efficacy, however, needs to be distinguished
12 from effectiveness. Effectiveness implies that the
13 treatment works under usual conditions of use as opposed to
14 conditions of investigation. It is possible to perform
15 trials to assess effectiveness.

16 So it could be argued, for example, that
17 efficacy and the question of whether efficacy was
18 established in Studies 6 and 8, the problem is that, for
19 example, there were not a lot of elderly patients, and so
20 efficacy cannot be necessarily true in all the subgroups.
21 Effectiveness in Study 9 was probably not demonstrated for
22 several reasons, because of a lack of a control group,
23 either active or especially a placebo-control group,
24 because of lack of physician verification of the muscle
25 spasm as the cause of the pain, because of the use of

1 concomitant analgesics and NSAIDs which confounded the
2 results in the trial, and really because also of a lack of
3 endpoints. In this case you'll recall that there was only
4 a patient global being studied in Study 9.

5 Next slide.

6 So some of the issues that we will probably be
7 discussing today are: Has, in fact, the effectiveness for
8 OTC use been established? This will probably come down to
9 a discussion of statistically significant results but are
10 they clinically meaningful? I'm sure there will be a
11 discussion again as to whether back spasm as a source of
12 back pain is, in fact, self-recognizable, and were the
13 appropriate endpoints studied?

14 Thank you.

15 DR. BRASS: Any clarification questions for Dr.
16 Witter?

17 (No response.)

18 DR. BRASS: Do you want to go ahead and just
19 introduce your next speaker?

20 DR. WITTER: Our next speaker is Dr. Lee.

21 DR. LEE: Good morning. My name is Sue-Chih
22 Lee. I'm the pharmacokinetics reviewer for the Flexeril
23 NDA. I will talk about pharmacokinetic issues of this
24 drug.

25 Next, please.

1 To support this NDA, the sponsor conducted four
2 pharmacokinetic studies, one study to determine single and
3 multiple dose pharmacokinetics and dose proportionality,
4 one study in elderly subjects, and one in hepatic
5 impairment patients. A study to determine
6 bioavailability/bioequivalence was conducted to determine
7 the performance of a new formulation, also provided
8 literature articles and study reports. Of those, the ones
9 related to drug metabolism and drug-drug interactions are
10 considered most relevant.

11 Next, please.

12 I will go through briefly the pharmacokinetic
13 characteristics of cyclobenzaprine and then talk about
14 issues. First, absorption and bioavailability. After a
15 single dose administration, peak plasma concentrations
16 occurred at four to five hours after dose. The absolute
17 bioavailability is about 0.55.

18 Next, please.

19 This slide shows the plasma concentration time
20 profiles. The left-hand side shows profiles after a single
21 dose administration, and the right-hand side is for after
22 multiple dose administration. Three doses were studied,
23 2.5 milligrams, 5 milligrams, and 10 milligrams. As you
24 know, the prescription dose was 10 milligrams TID, while
25 the proposed OTC use is 5 milligrams TID. Those

1 proportionalities were established for the three doses
2 studied. It's apparent that plasma concentrations
3 increased substantially after multiple dose, as you can see
4 from here. This is after multiple dose, and this is after
5 single dose. The accumulation ratio is about four-fold.

6 Next, please.

7 Plasma protein binding, based on a literature
8 article, is about 93 percent for cyclobenzaprine, over a
9 concentration range of 0.1 to 1 microgram per mil. We do
10 not have information on binding in the therapeutic
11 concentration range, which is close to 0.01 micrograms per
12 mil. However, it's expected that protein binding will be
13 93 percent or greater than the therapeutic concentration
14 range, depending on whether the binding is linear or non-
15 linear.

16 Next, please.

17 Elimination of cyclobenzaprine is primarily
18 through metabolism, while biliary excretion and renal
19 excretion played only minor role or even negligible role.
20 The effective half-life of cyclobenzaprine is about 18
21 hours in healthy young subjects.

22 Next, please.

23 This slide shows the proposed metabolic pathway
24 for cyclobenzaprine. As you can see, several metabolites
25 were found. Glucuronide to N-demethylated products were

1 considered the major metabolites. However, this scheme may
2 not represent the total picture, as the reflection of the
3 dose was not accounted for.

4 The sponsor conducted in vitro studies to
5 identify what cytochrome P450 enzymes were responsible for
6 the metabolism of cyclobenzaprine. The individual studies
7 show that N-demethylation reaction was mediated primarily
8 through CYP 3A4 and 1A2, while CYP 2D6 played a minor role.
9 The sponsor concluded that genetic polymorphism is not a
10 major concern because CYP 2D6 plays only a minor role, and
11 also there is a low potential for inhibition of
12 cyclobenzaprine metabolism by other concurrent medications
13 due to multiple metabolic pathways and cytochrome P450
14 involved. However, we do not consider the study as
15 definitive because the studies were conducted at a much
16 higher cyclobenzaprine concentrations than the therapeutic
17 levels.

18 Next, please.

19 I will talk about pharmacokinetics in special
20 populations, including elderly and hepatic impairment
21 patients. First, a study was conducted in elderly
22 subjects. Historical data in young subjects were used as
23 comparison. In elderly subjects, longer effective half-
24 life was found, which was about 33 hours as compared to 18
25 hours in young volunteers. The AUC in elderly was 85 to 95

1 percent higher than in young subjects. Steady-state
2 concentrations in elderly subjects after 5 milligram TID
3 dosing was similar to that observed in young volunteers
4 after 10 milligram TID dosing. Because of this elevated
5 plasma concentration in elderly patients, we consider that
6 dose adjustment should be considered for elderly patients.

7 Next, please.

8 This is just to illustrate the plasma
9 concentrations at steady state. This is for healthy
10 elderly, while this is for healthy young subjects. When I
11 say healthy, it just means that there is no, say, liver
12 disease or heart disease. As you can see, the plasma
13 concentration in the elderly is about twice as high as in
14 the young subjects.

15 Next, please.

16 This is about hepatic impairment patients. The
17 study was conducted in 16 mild to moderate hepatic
18 impairment patients, and also there were 8 healthy control
19 subjects who were age matched. However, we found out that
20 there was only one patient that had moderate hepatic
21 impairment based on the Child-Pugh classification.
22 Therefore, we consider this basically a study in mild
23 hepatic impairment patients.

24 Next, please.

25 Again, the effective half-life in hepatic

1 impairment patients were found to be higher than in control
2 subjects, resulting in elevated plasma concentrations. It
3 was also observed that many patients had not reached steady
4 state at the end of the study, and therefore plasma
5 concentration and a degree of accumulation will be even
6 higher than what was observed in this study.

7 Next, please.

8 This is just to show again the plasma
9 concentrations. The upper curve is for the hepatic
10 patients, and the lower curve for healthy controls. This
11 is just to show that the concentration in hepatic patients
12 are elevated.

13 Next, please.

14 I will go to PK considerations in OTC switch.
15 First of all, for special populations, as I have mentioned,
16 in elderly and mild hepatic impairment patients, there were
17 elevated plasma concentrations, and we would recommend
18 dosage adjustment in these patients. For moderate or
19 severe hepatic impairment patients, there are no
20 information in these patients, and therefore we consider
21 cyclobenzaprine should not be used in these patients.

22 Another consideration is for pediatric
23 population. There is no PK studies, and therefore we
24 consider it necessary for the sponsor to conduct studies in
25 pediatrics so that dosage determination will be based on

1 scientific data. Of course, these are for the committee to
2 consider.

3 Next, please.

4 Another consideration in OTC switch is drug-
5 drug interactions. There are pharmacokinetic interactions
6 with several drugs which are already in the label, and I
7 will mainly focus on the pharmacokinetic interactions.
8 Although there are no clear signals from the prescription
9 products about drug-drug interaction potentials, however,
10 based on the data we have, we cannot rule out the potential
11 at this time.

12 The sponsor did conduct studies to determine
13 whether the metabolism of cyclobenzaprine can be inhibited
14 by other concomitant medications. However, as I mentioned
15 before, the study to identify primary cytochrome P450
16 enzymes was not definitive, and further research is needed.
17 Another aspect is whether cyclobenzaprine can act as an
18 inhibitor of the cytochrome P450 enzymes and therefore
19 inhibit the metabolism of other concomitant drugs. An in
20 vitro study was conducted for this purpose. However, the
21 study did not include cytochrome P450 2C19 substrate, and
22 the study in 2D6 was high 2D6 substrate concentrations.
23 Therefore, we considered in vitro study with these two
24 isozyme substrates are necessary. Again, these are for the
25 committee to consider.

1 Next, please.

2 With slowly evolving information, another
3 implication is about the prescription label. The
4 prescription product was approved in 1977, and there was
5 limited pharmacokinetic information. With the new
6 information that we have, we think that the future work
7 should also include revision of the prescription label and
8 mostly with the information related to drug metabolism and
9 information in special populations, and possibly also
10 dosage adjustment.

11 Thank you. This concludes my presentation.

12 DR. BRASS: Dr. Yocum?

13 DR. YOCUM: With the effects in the P450
14 system, does grapefruit juice affect metabolism at all, or
15 is that known?

16 DR. LEE: I do not know, especially at this
17 point. I don't know exactly what is the cytochrome P450
18 responsible for the metabolism of cyclobenzaprine.

19 DR. BRASS: Thank you.

20 We're going to shift order again and ask Dr.
21 Klein to discuss the abuse potential.

22 DR. KLEIN: Good morning. With this NDA the
23 sponsor had submitted data related to drug abuse and drug
24 misuse of the product. The sort of data that was submitted
25 is what we usually use within our division for a review of

1 drugs for scheduling under the Controlled Substances Act.
2 This is largely epidemiological data. It's data that's
3 related to usage problems, to problems that occur with
4 actual use of the drug. We never use it as stand-alone.
5 We use it to complement pharmacological data, the way that
6 a drug is used in treatment, as well as interactions of the
7 drug with other likely concomitant medications.

8 Could I have the next slide, please?

9 The three data systems which were addressed in
10 the sponsor's NDA were data from the Drug Abuse Warning
11 Network, or DAWN, Poison Control Center reports from the
12 American Association of Poison Control Center's Toxic
13 Exposure Surveillance System, and adverse events reports.
14 In addition to review of the sponsor's submissions, we
15 independently went into the separate databases and looked
16 at the information ourselves.

17 Could I have the next slide, please?

18 Briefly, DAWN identifies substances that are
19 associated with drug-induced or drug-related emergency
20 department visits, and medical examiner or coroner deaths.
21 It's used to track increases or decreases in abuse or
22 misuse of a drug. The emergency department data on the
23 next slide, please, is from a selected sample of
24 approximately 500 hospital emergency departments in 21
25 major metropolitan areas across the United States, and it's

1 used to product estimates of drug abuse visits to emergency
2 departments in the U.S. The medical examiners data comes
3 from approximately 175 jurisdictions and collects
4 information on drug-related and drug-induced deaths
5 involving both legal and illegal substances. Death has to
6 be directly caused by the drugs, such as a fatal overdose
7 or possibly an accident or a homicide that's related to
8 drug use in order for it to enter into the system.

9 Next slide.

10 Now, the emergency department visits are
11 included in DAWN if the drug is illegal or legal and used
12 inappropriately or displays evidence of dependence or is
13 used intentionally for psychic effects or for suicide
14 attempts. Accidental ingestions or inhalations of the drug
15 are not included if there's no intent to abuse or if the
16 adverse affect developed when the drug was used as
17 prescribed. Also, accidental overdoses of OTC or Rx drugs
18 taken as directed, unless used in combination with an
19 illicit drug, are not included in the DAWN system. Also,
20 alcohol is reportable only when used in combination with
21 another drug.

22 We usually look at the number of emergency
23 department mentions in this selected sample from DAWN. We
24 look at prescription data, actual usage data, and we
25 calculate a very simple frequency of use by the emergency

1 department over the number of prescriptions used in a
2 certain time period. We chose for cyclobenzaprine two
3 comparators of carisoprodol and diazepam because all three
4 are centrally acting, they're available by prescription,
5 they have sedating effects, and they also had the same
6 therapeutic indication.

7 We see that the relative frequencies of
8 reporting into DAWN for diazepam and carisoprodol are in
9 the 10 to 11 range, and cyclobenzaprine close to 4. In the
10 case of diphenhydramine, it's not a good comparator because
11 of its availability being OTC, but we threw in a number of
12 emergency department mentions just so that you see how
13 diphenhydramine stacked up in emergency department
14 submissions in this data set compared to the three muscle
15 relaxants. I urge you to notice that we can't calculate
16 the frequency of use because it being an OTC drug, we don't
17 have actual usage data. But you see that diphenhydramine
18 falls into the range between carisoprodol and diazepam in
19 emergency department mentions.

20 Could I have the next slide, please?

21 Now, bearing that in mind, I thought that you
22 might want to see how diphenhydramine stacked up back in
23 our archival data, so I went back to some old DAWN data,
24 also covering the same number of years, 1977 to 1982, so
25 that you could get a perspective of how these three drugs

1 ranked back when diphenhydramine was available by
2 prescription only. The emergency department mentions for
3 diphenhydramine were one-tenth what they have been for the
4 last six years of reporting. Diazepam is roughly the same,
5 has roughly the same emergency department mentions, and
6 carisoprodol was much lower at that time.

7 Could I have the next slide, please?

8 DAWN can be broken down by age, and you see
9 that the 6 to 17 age range constituted 8 percent of these
10 emergency department mentions, and by gender, 58 percent.
11 Actually, that comes out to more than 100 percent, but it
12 was 58 percent female and 42 percent male.

13 Next slide, please.

14 The reason for the emergency department visits
15 were largely overdose, three-quarters of which were
16 attempted suicides. The "Others" category, which came up
17 to about 17.5 percent, included a whole gamut of reasons,
18 including recreational abuse, accident, other psychic
19 effects, and so on.

20 Next slide, please.

21 As you can see from this slide, most of the
22 emergency department mentions resulted from cyclobenzaprine
23 use in combination with other drugs. That's approximately
24 80 percent were in combination with other drugs, the
25 majority of which the major drug in combination or the

1 substance in combination was alcohol. We show that there
2 were other drugs of abuse that were also associated with
3 cyclobenzaprine, and those are much smaller numbers that
4 are on the slide.

5 Next slide, please.

6 For the medical examiner reports, we can see
7 that the single drug episodes occur with a very low
8 frequency. There were 146 total reports, of which only
9 seven involved cyclobenzaprine used by itself, and the
10 remainder in combination. Other drugs of abuse that were
11 used in combination included alcohol, and other drugs of
12 abuse are listed. They're also low level, but they
13 included cocaine, marijuana, heroin and other opiates as
14 well.

15 Next slide, please.

16 The Poison Control Center data provides data
17 that can be used in addressing drug abuse that relates to
18 exposure duration. Information is also provided for the
19 reason for use, and it's broken down by age, the medical
20 outcome, as well as a description of the clinical reasons
21 for the Poison Control Center data.

22 Next slide, please.

23 In between 1986 and 1997, there were 31,000
24 Poison Control Center reports for cyclobenzaprine, of which
25 approximately 17,000 were for use of the drug by itself.

1 More than 90 percent of these reports resulted from a
2 single exposure over a short time period. So these were
3 one-time events, as opposed to a drug such as heroin,
4 which, if you have a report that involves heroin, you
5 expect more chronic use on a regular basis. Intentional
6 abuse and misuse combined was approximately 5 percent of
7 these reports.

8 Next slide.

9 Suicide attempt was the most frequently
10 reported reason for toxic exposure to cyclobenzaprine.
11 Thirty-eight percent of the reports involved the drug in
12 the suicide attempt as a single agent, and 53 percent were
13 in combination with all other substances.

14 Next slide.

15 Eight percent of these suspected suicides
16 involved minors, who were primarily teenagers, and that's
17 for cyclobenzaprine in combination, and for cyclobenzaprine
18 use alone, it was approximately 6 percent that involved
19 minors.

20 Next slide.

21 The most serious medical outcomes for the drug
22 alone. In this case, I'm just addressing the moderate
23 effects and major effects because those are the ones that
24 really required some sort of medical attention to varying
25 degrees. The major effects may have had more long lasting

1 adverse consequences, and of course death. These comprised
2 approximately 14 percent of the toxic exposures, and 3
3 percent of those involved minors. For all the reports, 21
4 percent of the toxic exposures were rated with the most
5 serious medical outcomes, and 4 percent of these involved
6 minors.

7 Next slide.

8 Again, the most serious medical outcomes
9 involved combinations with alcohol and other drugs. So it
10 was hypnotics, opiates, antidepressants, other skeletal
11 muscle relaxants, and drugs of abuse. There were 39 deaths
12 of all ages, total of all ages, and these were reported
13 primarily for the drug and alcohol combinations again.

14 Next slide.

15 The primary clinical effects were neurologic,
16 of which 68 percent were of the adverse effects reported
17 were neurologic, the major one being drowsiness or
18 lethargy, 44 percent. But these also included confusion,
19 hallucinations, and the cardiovascular effects were about
20 16 percent of the reports, of which 13 percent were
21 tachycardia.

22 Next slide, please.

23 AERS is the spontaneous reporting system of
24 adverse effects. It comes in directly to FDA. This area
25 is going to be discussed much more thoroughly in the

1 following talk by Dr. Neuner. We just looked at reports of
2 drug abuse, drug dependence, and drug withdrawal as COSTART
3 terms that would be reported to the system. There were
4 approximately 9 reports of withdrawal and/or dependence. A
5 couple of the reports were associated with depression.
6 These may have been related to pre-existing conditions of
7 the patients, and that sort of information on depression
8 when it's pre-existing is hard to tease out from this sort
9 of data system.

10 Next slide.

11 In conclusion, there is a signal of abuse and
12 dependence from these systems. The major contributor to
13 the signal is related to overdoses from suicide attempts,
14 and approximately 8 percent of the suicide attempts
15 occurred in minors. The relevance of these signals, the
16 significance of them has to be taken in the context of the
17 drug's safety features, its pharmacology, how it's going to
18 be used in treatment, and other concomitant medications.

19 Thank you.

20 DR. BRASS: Dr. Yocum?

21 DR. YOCUM: In your ED department stuff, do you
22 have any data on relationship to accidents such as motor
23 vehicle accidents, fractured hip in the elderly, any sort
24 of data that relates to some of the side effects that we're
25 seeing?

1 DR. KLEIN: No. No, I'm afraid I don't, but we
2 could go back over the system. In a case like that, that
3 sort of information isn't tabulated individually, but the
4 reports could be retrieved, with some difficulty.

5 DR. BRASS: Could you just clarify in the 39
6 deaths in the Poison Control data, were any of those with
7 cyclobenzaprine alone?

8 DR. KLEIN: Three were.

9 DR. BRASS: Thank you.

10 Yes?

11 DR. LOVELL: You presented data on
12 diphenhydramine when it went from prescription to OTC and
13 it resulted in -- I think I heard you say a 10-fold
14 increase in reports to your --

15 DR. KLEIN: Yes. I have to be very careful not
16 to say that this event caused that 10-fold increase,
17 because in the intervening time, there are a lot of
18 changes, a lot of changes to the whole drug abuse scene, a
19 lot of changes to the way people are taking drugs, and a
20 lot of differences in the way a drug is recognized. So
21 what I'm saying at this point is that to compare
22 diphenhydramine now to those three muscle relaxants is not
23 a very clean comparison because they're both available to
24 different extents. But this is just to show you the
25 perspective, that from that time period, that's what it

1 was.

2 I mean, we also see that carisoprodol is also
3 much lower, and in the intervening years abuse reports of
4 carisoprodol have increased. But that data is there.
5 There's a 10-fold change in these number of reports, but to
6 make the direct link as to what the cause was, I can't pull
7 that out from the data.

8 DR. BRASS: Thank you.

9 Dr. Neuner?

10 DR. NEUNER: Good morning. My name is
11 Rosemarie Neuner and I'm a medical reviewer from the
12 Division of Over-the-Counter Drugs. For the next few
13 minutes I will be discussing the findings of the Flexeril
14 MR actual use trial and the safety review for this NDA.

15 Next slide, please.

16 My talk is comprised of data from the following
17 sources: the results from the actual use trial and a
18 safety review of the clinical study safety database, and
19 postmarketing surveillance data from postmarketing studies,
20 spontaneous adverse event reports, a search of the
21 literature, and overdose data. I will not be discussing
22 the latter since it has already been presented by my
23 colleague, Dr. Klein.

24 Next slide, please.

25 I would like to begin my talk with the results

1 from the actual use study.

2 Next.

3 First I would like to start with some general
4 background information about actual use studies. These
5 studies simulate OTC use and usually have few exclusion
6 criteria. Study objectives depend on the specific product
7 and concerns related to that product such as compliance,
8 dosing, duration of use, off-label use, safety and
9 efficacy.

10 Next slide, please.

11 For Flexeril MR, there were two compliance
12 issues that were studied in the actual use trial. They
13 were: Are consumers able to follow labeling directions for
14 appropriate dosing and duration of use for this product?
15 Are consumers able to follow the warning statements
16 regarding driving and the operation of heavy machinery
17 while using this product?

18 Next slide, please.

19 The study itself was a multi-center, open-
20 label, non-randomized, uncontrolled 7-day study in 468
21 adults with self-diagnosed painful muscle spasm, tightness
22 or soreness of the back or neck. Recruitment for this
23 study was done by a variety of public advertisements.
24 Fifty-six percent of the participants entered in this study
25 were recruited by newspaper ads.

1 Next slide, please.

2 Unlike other actual use studies, the Flexeril
3 MR actual use trial excluded patients if they had a history
4 of heart or thyroid disease, psychosis, substance abuse,
5 concomitant treatment with sedatives, tranquilizers or
6 anti-depressants, or if they had pending litigation or
7 workmen's compensation for back or neck injury.

8 Next slide, please.

9 In this study, a 10-day supply of the drug was
10 dispensed and used without physician intervention according
11 to the listed directions, which read: "Take one tablet
12 every six to eight hours. Do not exceed three tablets in
13 24 hours continuously for more than seven days." The study
14 materials were collected from the participants on days 8
15 through 10.

16 Next slide, please.

17 The methods of evaluation used to assess
18 patterns of use and participants' compliance with label
19 directions were pill counts and the recordings from the
20 participants' diary cards. The criteria used for
21 determining non-compliance with the label instructions were
22 as follows: If a participant took more than three tablets
23 in at least one day; if a participant took more than one
24 tablet per dose at least once, or if they medicated three
25 times a day for eight, nine, or ten consecutive days.

1 Next.

2 Eighty-eight percent of the 468 participants
3 entered completed the study. Twelve percent discontinued
4 for a variety of reasons, such as adverse events, loss to
5 follow-up, ineffective therapy, protocol deviations, never
6 took therapy, or for other reasons. Ninety-six percent
7 actually returned their diary cards and were included in
8 the final study analysis.

9 Next slide.

10 The table on this slide shows that the overall
11 compliance rate for the study was 73 percent. The sponsor
12 also looked at the compliance rates of two other subgroups
13 of participants, those who reported somnolence and those
14 who had used the prescription drug previously. Individuals
15 who had taken the drug previously tended to be more non-
16 compliant, which means that they actually took more
17 medication than directed.

18 Next slide, please.

19 This slide shows that the breakdown by reason
20 for non-compliance for the three groups that were looked at
21 in this study, as I have mentioned previously, this
22 breakdown by reason for non-compliance shows that those who
23 used the drug previously tended to be more non-compliant.

24 Next slide, please.

25 Analysis of the usage data revealed that 56

1 percent continued to take at least one pill for an
2 additional three days, 13 percent took more than four pills
3 per day.

4 Next slide.

5 After the study was under way, the sponsor
6 added questions to assess the participants' adherence to
7 the warning statements regarding not to drive or operate
8 machinery. Thus, only 235 of the 449 participants were
9 queried. This table shows that 60 percent of those
10 questioned drove while taking this medication despite the
11 warning not to drive.

12 Next slide.

13 The table on this slide shows that although
14 only 29 percent of those queried operated machinery, 10
15 percent of them did not avoid doing so in face of the
16 warning statements.

17 Next, please.

18 In conclusion, the actual use study's limited
19 length makes it difficult to determine if there is a risk
20 for potential misuse or drug abuse. The failure to heed
21 the warnings regarding driving and operating heavy
22 machinery is worrisome due to the drug's potential for
23 sedation.

24 Next slide.

25 I will next discuss the clinical trial safety

1 database.

2 Next, please.

3 A total of 2,106 subjects were enrolled in the
4 13 trials. One thousand six hundred and thirty-two
5 subjects actually took cyclobenzaprine. The duration of
6 these trials ranged from 1 to 14 days. The majority of
7 these studies were single-dose trials.

8 Next, please.

9 Demographically, the Phase III trials were
10 predominantly Caucasian, female, age 30 to 39 years old.
11 There were very few minority subjects enrolled in these
12 studies. Only 5.3 percent of those who were treated with
13 cyclobenzaprine were over 65 years of age.

14 Next, please.

15 Forty-eight percent of the cyclobenzaprine
16 subjects reported one or more drug adverse events, as
17 compared to 26 percent of the placebo subjects in these
18 studies.

19 Next, please.

20 There was one reported death in the
21 cyclobenzaprine group due to an acute MI. This occurred in
22 a 33-year-old diabetic obese female after five days of
23 treatment with cyclobenzaprine 5 milligrams three times a
24 day, who developed shortness of breath and widened QRS
25 complexes on EKG, which progressed to ventricular

1 fibrillation, cardiac arrest, and death. Autopsy revealed
2 severe heart disease and measurable blood levels of a
3 cocaine metabolite. Thus, this individual's death, due to
4 an MI in the face of at least three known risk factors for
5 heart disease, was confounded by the use of cocaine, which
6 is a known arrhythmogenic agent.

7 Next slide, please.

8 The most commonly reported adverse events in
9 the double-blind studies were somnolence, dry mouth,
10 headache, asthenia/fatigue, nausea and dizziness. The
11 incidences of somnolence, dry mouth, asthenia/fatigue and
12 dizziness were found to be dose related compared to
13 placebo.

14 Next, please.

15 It is important to note that the clinical
16 trials submitted in support of this application were not
17 designed to demonstrate possible adverse events due to
18 drug-disease interactions such as glaucoma, thyroid or
19 heart disease, prostatic hypertrophy, seizures, or drug-
20 drug interactions.

21 Next slide.

22 Thus, no conclusions can be drawn from the
23 safety database regarding subgroup analysis for risk of
24 adverse events due to age and race. The risk for
25 potentially developing drug-drug and drug-disease

1 interactions cannot be adequately assessed either.

2 Next.

3 The next part of my talk concerns postmarketing
4 surveillance data associated with the use of prescription
5 cyclobenzaprine.

6 Next slide, please.

7 The sponsor has resubmitted the data from two
8 postmarketing studies with over 7,600 subjects, which has
9 been previously reviewed by the agency. Overall, the
10 safety profiles from these two studies were similar to that
11 of the safety review database generated from the 13
12 clinical studies.

13 Next slide, please.

14 The sponsor has also submitted 968
15 postmarketing case reports of adverse events due to
16 prescription cyclobenzaprine that they had collected up to
17 August of 1998. Sixty-six of the 968 cases were due to
18 drug overdoses. One hundred and eighty-six of the
19 remaining 902 cases were classified as serious in nature.
20 Fifty-one were death reports due to a variety of causes.
21 There were an additional 35 deaths in the FDA's SRS
22 database associated with the use of cyclobenzaprine that
23 are currently under review.

24 Next slide, please.

25 The most frequently reported postmarketing

1 adverse events collected by the sponsor were mental
2 disorder, hallucination, rash, somnolence, nausea,
3 dizziness, confusion, and seizures.

4 Next, please.

5 Due to the limitations in time, I will only be
6 discussing a few of these reports as related to drug-drug
7 interactions. There were a total of 24 case reports of
8 drug-drug interactions with cyclobenzaprine. Eight cases
9 involved the use of alcohol, four cases involved the use of
10 monoamineoxidase inhibitors, three cases involved the use
11 of fluoxetine, an SSRI. The nine remaining cases involved
12 a mixture of agents from various drug classes.

13 Next, please.

14 This NDA also contained the results of a
15 worldwide literature search on cyclobenzaprine. One
16 hundred and twenty-six publications from peer and non-peer-
17 reviewed journals were submitted for a review, which
18 included 25 case reports of a variety of adverse events
19 such as neuroleptic malignant syndrome, torsades de
20 pointes, seizures, drug-induced delirium, and tinnitus.
21 There were also four articles expressing concern regarding
22 the poor risk-benefit ratio of this drug in the elderly,
23 who are at risk for developing adverse events due to the
24 drug's anticholinergic effects.

25 Next slide, please.

1 Conclusions from the review of the
2 postmarketing data are that risk does exist for drug-
3 induced confusion, disorientation, hallucinations, and
4 psychosis associated with the use of cyclobenzaprine. The
5 risk for drug-induced adverse events cannot be assessed
6 since drug interaction studies were not done.

7 Next slide, please.

8 Finally, the literature suggests individuals
9 over 65 years of age may be at an increased risk for
10 developing psychiatric disorders and CNS adverse events due
11 to the drug's anticholinergic side effects.

12 Thank you.

13 DR. BRASS: Any questions for Dr. Neuner?

14 (No response.)

15 DR. BRASS: Thank you.

16 We will go on to Dr. Aikin.

17 DR. AIKIN: Good morning. My name is Kathryn
18 Aikin. I am a social science analyst in the Division of
19 Drug Marketing, Advertising and Communications. I'd like
20 to talk to you today about the label comprehension study
21 done as part of the application package for Flexeril.

22 Next slide, please.

23 Unlike prescription drugs, which are designed
24 to be administered under the supervision of an alert
25 intermediary, such as a doctor or other health

1 professional, consumers should be able to safely and
2 effectively use over-the-counter drugs based solely on the
3 package labeling. It is for this reason that the Code of
4 Federal Regulations states that for OTC labeling to be
5 clear and truthful, it must contain information on intended
6 uses, directions, warnings, and side effects presented in a
7 manner as to render the label likely to be understood by
8 ordinary consumers, including individuals with low
9 comprehension ability as assessed under customary
10 conditions of purchase and use.

11 Next slide, please.

12 One way to assess consumers' understanding of
13 the proposed labeling is to conduct a label comprehension
14 study. A label comprehension study investigates the degree
15 to which label communicates desired information. It
16 usually involves measures designed to assess consumers'
17 understanding of important label directions, warnings, and
18 intended uses, and it's often conducted using a mall
19 intercept methodology.

20 Next slide, please.

21 The label comprehension study for Flexeril
22 focused on several main objectives: consumer comprehension
23 of label directions, warnings, and uses; accuracy of
24 consumer self-selection for use; consumer ratings of
25 appropriateness of use for various pre-existing conditions;

1 and the package insert was also evaluated to investigate
2 whether or not it affected comprehension of use and warning
3 information. My talk today will focus just on the package
4 labeling.

5 Next slide, please.

6 Four hundred participants were recruited.
7 Participants who had suffered back or neck pain in the last
8 12 months and who had used prescription medication for back
9 or neck pain sometime in the past or present were over-
10 sampled. The sample included 102 participants aged 65 and
11 over, and 48 participants who had not completed a high
12 school education. The sample was equally divided by
13 gender.

14 Next slide, please.

15 Participants were recruited in 14
16 geographically distributed malls across the United States.
17 After being screened for eligibility, participants were
18 provided with a picture of the front label of the product
19 and given the following instructions: "Here is the front
20 label for a new product you might see when you are shopping
21 for nonprescription products. Please carefully look over
22 the label and tell me when you are finished. Please take
23 as much time as you need."

24 Next slide.

25 I'm sorry, go back one slide. Thank you.

1 After the participant had read the front label,
2 the back product label was provided with similar
3 introductory instructions. "Here is the back label from
4 the same product. Please carefully look over the label as
5 you would if you were going to consider buying this product
6 for your own use. Please take as much time as you need and
7 tell me when you are finished." The participant was then
8 informed that questioning would focus on the back label.
9 Both labels were left in front of the participant during
10 questioning. The package insert was provided after
11 participants had finished answering questions about the
12 back label.

13 Next slide.

14 The results were analyzed by age, age 18 to 64
15 versus those 65 and older, and education level, those with
16 less than a high school graduation versus those who had
17 graduated high school or more. The significance level
18 employed in the test was P or alpha, less than 0.10.

19 Next slide.

20 The results of the study can be divided into
21 two areas, those concepts that were not well understood by
22 participants and those concepts that were well understood.
23 I will start first with the concepts that were well
24 understood. There were a number that participants showed
25 good comprehension on.

1 Ninety-six percent of participants understood
2 that Flexeril can be used for back or neck pain due to
3 recent muscle strain, 94 percent understood it could be
4 used for neck or back pain due to recent muscle overuse,
5 and 94 percent understood it could be used for spasm of the
6 back or neck due to strain, overuse or injury.

7 Participants understood many of the conditions that
8 indicate a doctor should be consulted before taking
9 Flexeril, including whether a person had heart, thyroid, or
10 liver disease; pain shooting down the legs or back pain
11 that gets worse when you lie down; weakness in an arm or
12 leg; fever; difficulty urinating; taking sedatives,
13 tranquilizers, antidepressants or other muscle relaxants;
14 and if they were age 65 or older.

15 Next slide, please.

16 Participants understood there were drowsiness-
17 related side effects associated with the use of Flexeril,
18 and that alcohol, sedatives and tranquilizers may increase
19 the drowsiness effect. This was assessed by agreement or
20 disagreement with whether the statements "significant
21 drowsiness may occur" and "alcohol, sedatives, and
22 tranquilizers may increase the drowsiness affect" appeared
23 on the label.

24 Ninety-one percent of participants understood
25 they should take only one tablet at a time. Eighty-eight

1 percent of participants understood the maximum daily dose
2 was three tablets in a 24-hour period. Eighty-eight
3 percent of participants understood the product should be
4 taken for no more than 10 days in a row.

5 Next slide, please.

6 There were some concepts that consumers did not
7 show good comprehension on. Thirty percent of participants
8 did not understand Flexeril works differently than a pain
9 reliever. This includes people who said either that
10 Flexeril works the same as a pain reliever or that they did
11 not know how Flexeril worked. Participants aged 65 and
12 older were more likely than younger participants to say
13 they did not know how Flexeril worked.

14 Sixty-eight percent of participants did not
15 understand that Flexeril can be taken concomitantly with
16 pain relievers. Participants who did not graduate high
17 school were more likely to get this wrong than those with a
18 higher education. Forty-three percent of participants did
19 not understand that Flexeril does not provide relief on the
20 first day of use. These participants believed either that
21 Flexeril provides pain relief within an hour or so, but
22 then the relief wears off and could then come back. When
23 asked to define the phrase what they thought "temporarily
24 relieves pain, muscle tightness and spasm of the back or
25 neck due to recent strain, overuse or minor injury" meant,

1 52 percent of participants said the pain is relieved in a
2 few hours and then could come back, or the pain is relieved
3 quickly. This is more descriptive of an analgesic. Both
4 of these are incorrect in terms of how Flexeril works.
5 These responses point to a pattern of understanding of
6 Flexeril as a fast-acting analgesic and not as a muscle
7 relaxant.

8 Next slide, please.

9 The percentage of participants who said they
10 would use Flexeril for conditions they had previously
11 experienced but were not indicated by label instructions
12 ranged from 49 percent for neck pain to 79 percent for back
13 and neck pain. The instructions on the label used indicate
14 that Flexeril is only to be used for back or neck pain due
15 to recent strain, overuse or injury.

16 Forty-two percent of participants aged 65 and
17 over incorrectly indicate they would use Flexeril without
18 first speaking to a doctor. Among participants age 65 and
19 over, 28 percent indicated they would use Flexeril for
20 arthritis in the knees, 40 percent indicated they would use
21 Flexeril for back or neck pain caused by arthritis, and 49
22 percent indicated they would use Flexeril for leg cramps.
23 None of these conditions are indicated by the label.

24 Next slide, please.

25 In conclusion, consumers generally understand

1 the dosing instructions. They understand to take one
2 tablet at a time, no more than three in 24 hours, and for
3 no more than 10 days in a row. Consumers generally
4 understand that the product can be used for back or neck
5 pain due to recent muscle strain, overuse or injury.
6 However, they are less sure about conditions for which the
7 product cannot be used, especially if they have experienced
8 those conditions in the past.

9 Next slide, please.

10 Consumers age 65 and over may not consult a
11 doctor before using the product. Consumers age 65 and over
12 may use Flexeril for inappropriate conditions, such as
13 arthritis in the knees and leg cramps.

14 Next slide, please.

15 Consumers may expect Flexeril to act like an
16 analgesic. A large part of the sample indicated that
17 Flexeril provides quick pain relief and that it works the
18 same as a pain reliever.

19 In summary, there are concerns that consumers
20 understand some but not all of the important label
21 directions and warnings.

22 Thank you.

23 DR. BRASS: Thank you.

24 Are there any questions? Yes.

25 DR. LOVELL: In only one of the questions you

1 mentioned the differentiation between those with and
2 without high school education.

3 DR. AIKIN: Yes.

4 DR. LOVELL: Can we assume from that that it
5 didn't affect any other responses to the other questions?

6 DR. AIKIN: There were no differences on
7 education in any of the questions that I mentioned. There
8 were few differences between those with a lower education
9 and a high education level on some of the other questions.

10 DR. BRASS: Dr. Andreason was supposed to
11 present the FDA's presentation on neurologic impact. He's
12 not available, so that presentation will be done by Dr.
13 Katz.

14 DR. KATZ: I'm Dr. Linda Katz, Deputy Director
15 of the Division of Over-the-Counter Drug Products. What
16 I'm going to do is to go through Dr. Andreason's slides
17 since he cannot be with us this morning. I would like to
18 request, however, if anyone has critical questions
19 regarding study design, if you can hold that to this
20 afternoon since he should hopefully be available for our
21 discussion time at around 2 o'clock.

22 Next slide.

23 What Dr. Andreason did was to review six
24 studies to talk about the sedation and psychomotor
25 potential of Flexeril. The major issue that comes about is

1 whether or not sedation may be a major therapeutic effect
2 of the product itself, and if that's actually the way that
3 it works. The question that was raised or addressed in all
4 six of these studies was: Can Flexeril produce sedation
5 without psychomotor impairment?

6 As I mentioned earlier, there were six studies
7 that were performed. Three were two-hour post-dose
8 studies, one was a study that explored peak pharmacodynamic
9 effects, and two studies compared Flexeril with other drugs
10 at peak effect.

11 The order of the studies -- just follow with me
12 because it's a little different than the review. Study 012
13 or 12 is the first one that I will talk about. The study
14 objective was to measure the time course of sedation in
15 Flexeril and comparative agents. This was designed as a
16 double-blind, two-day, four-dose, placebo-controlled
17 crossover study in 28 healthy volunteers. It compared the
18 sedative effects of Flexeril, 5 milligrams taken three
19 times a day, clemastine, 1 milligram BID, and
20 diphenhydramine, 50 milligrams TID.

21 The study results indicated that the peak
22 sedation occurred at approximately 4 to 6 hours post-dosing
23 for Flexeril, and this was determined by the multiple sleep
24 latency test. The peak sedation for diphenhydramine
25 occurred at approximately two hours post-dosing. Further,

1 Flexeril 5 milligrams TID was found to be more sedating
2 after the fourth dose or after the fourth hour until the
3 end of the study, when it was compared to 50 milligrams TID
4 of diphenhydramine. All active compounds caused some form
5 of psychomotor impairment that roughly followed the time
6 course of the sedation.

7 In conclusion for this study, Flexeril was
8 found to be more sedating than diphenhydramine or placebo
9 with repeated dosing; that the sedation and psychomotor
10 effects occurred consistently; and the affects on
11 psychomotor functioning was translatable to potentially
12 impaired driving ability.

13 Studies 001, 002, and 003 are grouped together
14 since their study designs are very similar. Study 001 was
15 a double-blind, single-agent, crossover trial that was done
16 with 24 volunteers. It was designed to compare the
17 sedative and cognitive effects of Flexeril 2.5 and 5
18 milligrams with diphenhydramine taken three times a day.
19 Study 002 was a double-blind, four-day, 10-dose, crossover
20 study in 18 volunteers, again to investigate the sedative
21 and cognitive effects of multiple doses of Flexeril, 5
22 milligrams TID. Study 003 was a double-blind, 4-day, 10-
23 dose, crossover trial in 18 volunteers who were elderly,
24 again with dosing TID, to investigate the sedative and
25 cognitive effects of the multiple dosing of Flexeril, 5

1 milligrams, diphenhydramine, 50 milligrams, and placebo.

2 The assessment that was performed was the same
3 in all three studies, and all studies employed self-report
4 visual analog scales to assess subjective levels of
5 alertness and mood, ranging from alert to drowsy.

6 A psychomotor testing was performed two hours
7 post-dosing, and the assessments that were performed were
8 for auditory sustained attention, delayed recall and
9 recognition, finger tapping -- that one was not performed
10 in 003 -- choice reaction time, critical flicker fusion
11 threshold, which was also not performed in 003, continuous
12 performance, visual sustained attention, digit span, and
13 verbal free recall.

14 The summary of design and analysis power is
15 basically seen in the next slide. The studies were felt to
16 be underpowered. The sample sizes were fairly small and
17 too small to conclude that there was no difference, that no
18 difference means that there was no effect. The measurement
19 affect taken before peak affect was reached in all of these
20 studies. The statistical threshold for declaring no
21 difference was too low in an analysis of safety.

22 The results showed that the impaired reaction
23 time, total decision time, digit span reverse, which is I
24 guess a compilation of memory and concentration, visual and
25 sustained attention, all of these measures were impaired,

1 and Flexeril turned out to be more sedating than placebo.

2 In conclusion for these studies, studies 001
3 and 003 measured sedation and psychomotor function at peak
4 effect time for diphenhydramine but not Flexeril. Studies
5 001 and 003 were felt not to be useful comparisons of
6 Flexeril with diphenhydramine with respect to sedation or
7 psychomotor function due to the sampling power sizes, as I
8 mentioned earlier, and also to the problems with which the
9 measurement times were taken.

10 Study 002 does not accurately measure
11 Flexeril's potential for sedating properties, and despite
12 the low power and off-peak effects, there was still
13 significant drowsiness and psychomotor effects that were
14 detected in all three of these studies.

15 Studies 014 and 015 are also put together
16 since, again, their design is very similar. Both of these
17 are double-blind, placebo-control, crossover trial that are
18 single dose, and they were designed to investigate the
19 effects of Flexeril, 5 milligrams, diphenhydramine, 50
20 milligrams, and amitriptyline, 50 milligrams, on driving-
21 related psychomotor skills. The differences in these two
22 studies were the populations that were studied. Study 014
23 looked at 32 volunteers that were age 65 through 82 years
24 old, and in study 015 there were 32 volunteers age 21
25 through 40.

1 The assessments that were made in this trial
2 were the visual analog scales, the divided attention task,
3 also known as DAT, critical tracking task, CTT, vigilance
4 task, VIG. In studies 014 and 015, psychomotor testing was
5 performed 1 to 2 hours after diphenhydramine and 4 to 5
6 hours after Flexeril dosing. Driving simulators were not
7 employed in any of these studies.

8 The results showed that critical tracking and
9 vigilance were worse than placebo but roughly similar to
10 diphenhydramine; that the impairment in psychomotor
11 function occurred in the absence of perceived sedation in
12 014 for those individuals receiving Flexeril. The
13 following is just a summary of the vigilance task with the
14 response times and the errors that are seen in each of the
15 groups.

16 As you'll see for diphenhydramine, the response
17 time was 2.35 seconds, with an error of 16.5. For Flexeril
18 it was 1.85, with errors that were 11.6.

19 In conclusion, Flexeril was found in these
20 studies to be more sedating than placebo. Psychomotor
21 impairment occurs in the absence of significantly perceived
22 sedation.

23 The overall conclusions that were reached from
24 these six studies were: sedation at night may be
25 beneficial, but during the day it was be an adverse event.

1 Peak sedative and psychomotor impairment occurs 4 to 6
2 hours after dosing. Psychomotor impairment may occur in
3 the absence of marked perceived drowsiness, and psychomotor
4 impairment risk needs to be conveyed in understandable
5 labeling terms.

6 DR. BRASS: Thank you.

7 Are there any questions for Dr. Katz?

8 DR. KODA-KIMBLE: This is not a neurologic
9 question. Is it standard FDA procedure to use a P of 0.1
10 for safety?

11 DR. KATZ: For this kind of a trial -- and
12 again, probably this question may be best answered by Dr.
13 Andreason when he comes in, because these trial designs are
14 designed a little differently than some of the trials we
15 look at for safety and efficacy. But the 0.1 that was used
16 is standard, to my understanding, of what Dr. Andreason has
17 said. But I think if we could, could you defer that
18 question to when he comes?

19 DR. KODA-KIMBLE: Sure. I think this is a
20 question you can answer too. I believe the studies
21 involved diphenhydramine 50 milligrams, and is it not true
22 that the OTC dose is 25 milligrams?

23 DR. KATZ: It's 25 to 50.

24 DR. KODA-KIMBLE: Oh, okay.

25 DR. BRASS: Could you turn off your mike?

1 Other questions?

2 (No response.)

3 DR. BRASS: If not, thank you very much, and we
4 will take our break now. We're a little bit ahead of
5 schedule, so I'd like to reconvene at 10:10, if there's no
6 objections.

7 (Recess.)

8 DR. BRASS: As we reconvene, if I could ask
9 members of the audience who are here to present in the open
10 public forum if they could be sure to touch bases with Dr.
11 Titus during the lunch break so we have an accurate list of
12 who has requested time in the open public forum.

13 I'd like to introduce Dr. Edwin Hemwall from
14 Merck, who will lead the sponsor's presentation.

15 DR. HEMWALL: Good morning, advisory committee
16 members, FDA staff, guests. I'm Ed Hemwall, representing
17 Regulatory Affairs for Merck Research Labs and Johnson &
18 Johnson-Merck Consumer Pharmaceuticals. We're here today
19 to present the results of our development program which
20 formed the basis for our conclusion that Flexeril 5
21 milligrams available in nonprescription form will offer a
22 safe and effective treatment option for millions of
23 Americans who suffer from occasional muscle spasm or strain
24 of the back or neck.

25 Back problems are a common medical condition in

1 the United States. By one measure, approximately 15
2 million adults report disabling low back pain each year.
3 Approximately 50 percent will self-medicate before
4 consulting a primary care physician, and another study
5 concludes that roughly 60 percent of the people with acute
6 episodes will suffer a recurrence within one year. So it's
7 clear that back pain is something that affects the daily
8 lives of a large number of the American public, and these
9 numbers don't even include the chronic conditions or pain
10 from other regions of the back or neck.

11 Indeed, it's probable that most of you in this
12 audience have either suffered from acute back pain or know
13 someone who has at some time or another. Thus, it should
14 not really be surprising that over 30 million prescriptions
15 are dispensed each year for drugs in the muscle relaxant
16 category.

17 Within that muscle relaxant category, the most
18 commonly prescribed product is Flexeril or its generic
19 equivalent, cyclobenzaprine hydrochloride. Flexeril has
20 been available by prescription in the United States, as you
21 heard, since 1977 at a recommended dose of 10 milligrams
22 three times daily. As you know, it's indicated as an
23 adjunct to rest and physical therapy for relief of muscle
24 spasm associated with acute painful musculoskeletal
25 conditions. Despite being available generically since

1 1989, and therefore not promoted, the use of
2 cyclobenzaprine has continued to increase to a current rate
3 of over 10 million prescriptions per year, and this
4 continued growth provides a measure of the reliance and the
5 value that physicians place upon this product as safe and
6 effective treatment of this common medical condition.

7 The idea that Flexeril or other muscle
8 relaxants would be reasonable for OTC availability has
9 often been considered, as you heard this morning. In fact,
10 the muscle relaxant category is a new concept in the U.S.,
11 but the general concept of treating muscle pain as an
12 indication for treating back pain or spasm is not.
13 Millions of Americans purchase a variety of NSAIDs, non-
14 specific analgesics, topical balms, and yet still find it
15 necessary to visit a physician to obtain access to the more
16 effective relief from prescription-only medications.

17 Several muscle relaxant drugs, not including
18 cyclobenzaprine, have been available over-the-counter in
19 Canada for over 20 years. In fact, they were reevaluated
20 in 1995 and were moved from a more restrictive, behind-the-
21 counter, third-class to the more open, front-of-the-counter
22 status in all the provinces, attesting to a history of
23 consumer safe self-medication of common back problems.

24 As you also heard this morning, in 1995 your
25 predecessors on these two advisory committees spent an

1 afternoon discussing in general terms the pros and cons of
2 OTC availability of this diverse range of drugs in this
3 category, and during those deliberations, certain key
4 considerations or challenges were identified for sponsors
5 seeking to develop a nonprescription version of a muscle
6 relaxant drug, and these included proof of efficacy that is
7 not only statistically significant but clinically
8 meaningful, establishing a safety profile that is
9 consistent with and appropriate for OTC use, and
10 demonstrated consumer ability to use the product correctly.

11 One of the committee's recurring observations,
12 however, was the lack of quality data to address these
13 questions. We listened to those concerns, and the Flexeril
14 OTC development program, which includes 13 clinical trials,
15 was carried out to address these issues and others specific
16 to cyclobenzaprine. Today we're pleased to be able to
17 review for you compelling new findings which establish for
18 the first time a data-driven foundation for considering OTC
19 use for a muscle relaxant product.

20 The effectiveness of the 10 milligram
21 prescription dose of cyclobenzaprine has already been
22 established and validated by over 20 years of clinical use.
23 In order to establish efficacy of the lower 5 milligram
24 dose in the OTC indication, we conducted two large multi-
25 center placebo-controlled studies in patients with painful

1 muscle spasm of the neck or low back. Beyond satisfying
2 the primary endpoint success criteria from patient self-
3 assessments, data from these studies addressed the other
4 questions which are on your mind of dose response, time to
5 the onset of relief, physician-verified reduction of
6 palpable muscle spasm, the role of sedation in efficacy,
7 and the magnitude of the treatment effect.

8 In order to assess safety as it applies to OTC
9 availability of the lower 5 milligram dose, we examined all
10 clinical studies databases for all doses. We also examined
11 the extensive marketed use experience with the 10 milligram
12 prescription dose, including nonprescription spontaneous
13 adverse event reports from over 100 million prescriptions
14 dispensed over 20 years, and two postmarket surveillance
15 studies in over 7,600 patients, safety in overdose, both
16 alone and in combination with other agents, and the
17 potential for abuse or recreational use.

18 In addition, as you heard this morning, we
19 conducted four clinical pharmacokinetic studies, six
20 special clinical pharmacology studies designed to assess
21 the sedative properties of the lower 5 milligram dose
22 relative to other OTC drugs and whether or not that
23 sedation or drowsiness translated to impaired psychomotor
24 function in both young and elderly subjects.

25 Finally, a key component of any Rx to OTC

1 switch program is demonstrating the ability to use the
2 product correctly in a simulated OTC environment. We
3 developed multiple sequential iterations of the draft label
4 with FDA input at various stages along the way. As you've
5 already heard, our program included a pattern of use study
6 in which patients with self-diagnosed painful muscle spasm,
7 tightness or soreness of the back or neck, were given a 10-
8 day supply of the product and instructed to take it
9 according to the label.

10 Additionally, we performed a comprehension
11 study from which we applied the learnings to improve the
12 final proposed label provided in your background package,
13 and this study assessed the ability of the carton back
14 panel and package insert to communicate key label messages,
15 such as how and when to use the product, who should or
16 should not use it, when to see a doctor, the potential to
17 cause drowsiness, and the delayed onset of action.

18 The proposed indication or use for the 5
19 milligram dose is for relief of painful muscle tightness
20 and spasm of the back or neck due to a recent strain,
21 overuse, or minor injury. One tablet should be taken every
22 6 to 8 hours; no more than three tablets daily for no more
23 than 10 days duration. A range of additional information
24 including warnings is also provided, some of which are
25 standard OTC labeling language. Much, however, is unique

1 to the use of the Flexeril product and its attributes, and
2 as noted, it has been substantially enhanced to address the
3 shortcomings of the version that were seen in the
4 comprehension study, as reviewed by Dr. Aikin.

5 As stated earlier, label development is an
6 interactive process, and we look forward to continued
7 collaboration with FDA experts to further improve the
8 labeling for this new category.

9 So, to summarize, in the presentation that Dr.
10 Scott Korn will provide today, you will see that we do have
11 a strong rationale for proposing OTC availability for
12 Flexeril product, and you will see that we have addressed
13 the important questions, perhaps even some
14 misunderstandings that have existed before now, and we
15 believe that our program has demonstrated clinically
16 meaningful and statistically significant efficacy of the
17 lower 5 milligram dose in the target OTC population. We
18 have provided an extensive safety profile from over 20
19 years of prescription use of the 10 milligram dose, and
20 from new studies of sedation potential which are consistent
21 with and acceptable for OTC availability.

22 Finally, we have produced a basis for
23 informative labeling which enables consumers to safely
24 self-treat this common medical condition.

25 We have the following independent consultants

1 with expertise in several topics today to provide
2 additional perspective on some of the questions which may
3 arise during your deliberations: Dr. Moore, a
4 rheumatologist and former member of the Arthritis Drugs
5 Advisory Committee; Dr. Borenstein, a rheumatologist and
6 expert in back pain management; Dr. Preskorn, clinical
7 psychiatrist and expert in pharmacokinetic/pharmacodynamic
8 relationships; Dr. Wilkinson, the lead investigator in the
9 driving skills psychomotor studies; Dr. Lesser, a
10 neurologist and expert in the study of seizures; Dr.
11 Barbey, a cardiologist and clinical pharmacologist and
12 expert in drug electrophysiology; Dr. Jones, formerly of
13 the FDA and expert in pharmacovigilance; and Dr. Koch, an
14 expert in clinical trial design and statistics.

15 The concludes my introduction. I'd now like to
16 introduce Dr. Scott Korn from Merck Research Labs Clinical
17 Research. Dr. Korn will review with you the most important
18 features of our OTC program, which is covered in much
19 greater detail in your background information package.

20 Thank you.

21 DR. KORN: Good morning. As Dr. Hemwall
22 stated, the nonprescription cyclobenzaprine program had
23 three primary objectives. First, to evaluate the efficacy
24 and safety of cyclobenzaprine in doses of less than 10
25 milligrams. Second, to evaluate the potential of the

1 proposed OTC dose to impair performance. Third, to develop
2 and test a label that could clearly convey the key
3 information required for safe self-medication.

4 This morning's presentation will review the
5 efficacy, then pharmacokinetic, then safety, then label
6 development studies. In each section, I will highlight
7 data that is pertinent to the questions that you have been
8 asked to discuss this afternoon.

9 Protocols 6 and 8 were the two pivotal trials,
10 and they examined the following two questions. First, is
11 there a dose less than 10 milligrams that is effective and
12 less sedating than the 10 milligram dose? We recognized
13 that the proposed OTC dose should be statistically
14 different than placebo and provide a clinically significant
15 difference as well. We also wanted to examine in these
16 studies whether the proposed OTC dose actually reduces
17 palpable muscle spasm as evaluated by a physician.

18 The two trials had similar designs. Both were
19 double-blind, randomized, placebo-control. In Protocol 6,
20 we have included the 10 milligram dose, the proposed OTC
21 dose of 5 milligrams, and placebo. Protocol 8 was
22 conducted after Protocol 6 already showed that 5 milligrams
23 was effective, so we did not include the 10 milligram dose
24 but instead put a 2.5 milligram TID dose in to better
25 characterize the entire dose-response curve for efficacy.

1 In both trials patients with acute physician-
2 rated moderate or moderately severe spasm were enrolled.
3 Concomitant analgesics and nonsteroidal anti-inflammatory
4 drugs were prohibited during the two trials.

5 This schematic shows that patients had three
6 office visits during the study, on Day 1 when they were
7 randomized to medication, on Day 3 or 4 which was their
8 first on-treatment evaluation, and on Day 8 after they had
9 completed 7 days of medication. There were two rating
10 scales that the patients completed at Visits 2 and 3, their
11 on-treatment visits, and there was a diary that patients
12 filled out each evening that included one rating scale.

13 Dr. Witter reviewed the rating scales this
14 morning. Each of them was a 5-category scale. The
15 clinical global impression of change and medication
16 helpfulness scales were completed at the office visits.
17 The relief from daily backache question diary was completed
18 each evening. On each of the 5-category scales, we
19 predefined that the top three scores would be considered
20 responders, and the lower two scores would be considered
21 non-responders for our secondary analysis of responders.

22 We predefined what the criteria would be for a
23 successful treatment. At either Visit 2 or at Visit 3, an
24 effective treatment needed to be significantly superior to
25 placebo for two of the three patient ratings, namely the

1 clinical global impression of change, the medication
2 helpfulness, or the patient's daily diary. We prespecified
3 that the treatments would be compared using a P value of
4 less than or equal to 0.03 to provide an overall alpha
5 level for the experiment of 0.05 or less.

6 Protocols 6 and 8 had similar patient
7 demographics. Patients were slightly more females than
8 males, mean age approximately 42, predominantly Caucasian.
9 About two-thirds of the patients had low back pain and one-
10 third had neck pain. By design, the two studies differed
11 in the duration of symptoms before randomization. In
12 Protocol 6, patients were allowed to be symptomatic for up
13 to 14 days before entry. In Protocol 8, we wanted more
14 acute patients, so we limited enrollment to patients who
15 had been symptomatic for less than 7 days.

16 We see on this slide the primary results from
17 Protocol 6 for the three primary endpoints. The means for
18 each of the three parameters are shown. We notice in the
19 far-right column that cyclobenzaprine 10 milligrams was
20 significantly different than placebo, as indicated by the
21 asterisks, for each of the three parameters at each of the
22 two primary time points for each parameter. So
23 cyclobenzaprine 10 milligrams was clearly effective
24 according to the predefined criteria.

25 Cyclobenzaprine 5 milligrams was also clearly

1 effective, being statistically significantly different than
2 placebo in each endpoint at each of the primary time
3 points. The only difference between the 5 and the 10
4 milligram dose was that the 5 milligram dose, as expected,
5 had slightly slower onset of action than 10 milligrams. At
6 the primary time points, however, the means for 5 and 10
7 were virtually identical.

8 This slide displays the results of Protocol 8
9 in the same format. This study confirmed that 5 milligrams
10 is an effective dose. Looking at the far-right column for
11 Protocol 8, 5 milligrams was significantly better than
12 placebo for each of the primary endpoints at the final
13 visit of the study. In contrast, 2.5 milligram dose was
14 only significantly better than placebo on one of the
15 parameters at one of the time points. So Protocol 8
16 confirms that 5 milligrams is effective.

17 The difference between 5 milligrams and placebo
18 was consistent across the two trials. The mean relief from
19 starting backache for 5 milligram and placebo are shown on
20 this slide from both studies. The diary ratings show that
21 the relative efficacy of 5 milligrams was similar in the
22 two trials. The placebo response on Day 2 in the second
23 trial was slightly higher, and that probably explains why
24 the differences between 5 milligrams and placebo were
25 significant at Visit 2 in Protocol 6 but not in Protocol 8.

1 In order to examine whether the difference from
2 placebo was clinically meaningful in addition to
3 statistically significant, we conducted three secondary
4 analyses. The first analysis was time to any patient
5 reported in their diary that they had a lot or complete
6 relief, what I'll call substantial relief. This slide
7 shows the cumulative proportion of patients who recorded in
8 their diary that they had a lot or complete relief by study
9 day in Protocol 6. The 5 milligram group in the yellow
10 color and the 10 milligram group in the pink color had
11 significantly different distributions than did placebo.
12 The median time to a lot or complete relief with 5
13 milligrams and 10 milligrams was approximately 5 days, and
14 that was about 2 days less than with placebo. Two days in
15 a 7-day period is clearly a clinically meaningful
16 difference, since the sooner a patient gets pain relief,
17 the sooner they should be able to resume their normal
18 activities.

19 This slide shows the same analysis for Protocol
20 8, and the results are similar. The 5 milligram group in
21 the yellow was significantly different than the placebo
22 group in the white, and the median time to a lot or
23 complete relief was approximately 2 days less with 5
24 milligrams than with placebo.

25 The second analysis we conducted concerned the

1 magnitude of effect looking at the difference in the
2 proportion of responders between the 5 milligram group and
3 the placebo group. In Protocol 6, the differences in the
4 proportion of responders between 5 milligrams and placebo
5 ranged from 11 to 17 percent. The advantage for 5
6 milligrams relative to placebo was as large as the
7 difference between 10 milligrams and placebo, and both
8 differences for 5 and 10 are large enough to be clinically
9 meaningful.

10 The difference that we see in this slide is
11 actually very similar to the order of magnitude that has
12 been shown with the H2 blockers in their nonprescription
13 studies for heartburn relief.

14 Looking at Protocol 8, we see the same
15 phenomenon. On 5 milligrams relative to placebo, the 5
16 milligram group had a 12 to 20 percentage point increase
17 versus placebo. In contrast, the 2.5 milligram group
18 generally had a less than 10 percentage point difference
19 versus placebo.

20 The third analysis we performed was to place
21 the observed differences in a clinical context by
22 calculating the standardized difference from placebo,
23 otherwise known as effect size. This is defined as the
24 difference in means divided by the pooled standard
25 deviation for all the treatment groups in the trial. It's

1 a unitless measure that can be used to provide a common
2 metric for comparing drugs within and across studies. The
3 values for 5 milligrams in both of our trials ranged from
4 0.24 to 0.41, which is modest but consistent with the other
5 analyses that I've just presented.

6 These values actually compare quite favorably
7 with published values for antihistamines used to treat
8 symptoms of the common cold. In a published meta-analysis,
9 the pooled estimate for the antihistamine effect was 0.153
10 to 0.291, which is, if anything, a little less favorable
11 and less robust than the difference for cyclobenzaprine.

12 So the analysis of effect size was the third
13 analysis that showed that the difference between 5
14 milligrams and placebo was clinically meaningful.

15 We predefined in a planned combined analysis of
16 Protocols 6 and 8 to look at whether sedation was required
17 for efficacy. In Protocols 6 and 8, there were 321
18 patients who received 5 milligrams and did not report
19 sedation at any point during the trial. There were also
20 412 patients in those trials who received placebo and did
21 not report sedation. The display on the screen here shows
22 the proportion of responders for the clinical global
23 impression of change rating at Visit 2. Three values are
24 shown for the 5 milligram group and three values are shown
25 for placebo. For 5 milligrams, we see the point estimate

1 for percent of responders for all patients, those 321 who
2 did not report sedation and the 132 who reported sedation.
3 For placebo, the same three subgroups, all patients and
4 those who did not report sedation.

5 Looking at the proportion of responders, we see
6 that the difference between 5 and placebo in those who did
7 not report drowsiness is as large as the difference between
8 5 and placebo in the all patients treated analysis. To us,
9 this demonstrates that clinically apparent sedation is not
10 required for the product to be effective. Efficacy is not
11 a result of just inducing drowsiness.

12 Turning our attention to the physician ratings,
13 at each of the visits the patients were examined and their
14 muscle spasm was rated by a physician using this 5-category
15 scale that was based on the hardness and consistency of the
16 muscles. Patients had to have a score of 2 or 3 to be
17 randomized into the trial, a rating of moderate or
18 moderately severe.

19 A secondary analysis specified in our protocols
20 was to look at the degree of reduction in muscle spasm at
21 the follow-up visits. This slide summarizes the mean
22 muscle spasms by treatment group and visits in the two
23 protocols. At baseline, the treatment groups were similar.
24 In Protocol 6, at both Visit 2 and Visit 3, both 5 and 10
25 milligrams had significantly less spasm than did placebo.

1 In Protocol 8, the 5 milligram group had significantly less
2 muscle spasm than placebo at Visit 3, while the 2.5
3 milligram group did not.

4 So both trials demonstrated that, according to
5 physician examination, a greater reduction in muscle spasm
6 occurs with 5 milligrams than with placebo.

7 One of the questions you've been asked to
8 consider this morning is whether patients can tell that
9 their condition is improving. We looked at the correlation
10 between the physician ratings of muscle spasm and the
11 patient global ratings that were the primary endpoints in
12 this trial. There was an appreciable correlation between
13 the physician ratings of spasm and the patient global
14 ratings. The Spearman correlation coefficient summarized
15 on this slide ranged from 0.297 to 0.644. These
16 correlation coefficients show that patients with acute
17 painful muscle spasm of the back or neck can indeed assess
18 whether their spasm is improving.

19 Another question that you've been asked to
20 consider today is what is the evidence that patients can
21 self-diagnose this condition. We went back and looked at
22 the screening logs from the sites in the two studies to
23 help address this question. Fourteen of the 20 sites in
24 Protocols 6 and 8 included the phrase "muscle spasm" in
25 advertisements that were used to recruit patients. Looking

1 at those 14 sites, there were 439 patients who were
2 screened, and of those 439 patients, only 6 percent were
3 excluded from the studies because they did not have
4 cervical or lumbar muscle spasm on examination. This data
5 indicates that patients who respond to advertisements for
6 patients with muscle spasm do indeed have physician-
7 confirmed muscle spasm when they're enrolled in the trial.

8 The data we have reviewed from Protocols 6 and
9 8 demonstrate that cyclobenzaprine 5 milligrams TID are
10 statistically and clinically superior to placebo for acute
11 painful muscle spasm of the back or neck. The overall
12 efficacy of 5 milligrams TID is similar to 10 milligrams
13 TID, examining the mean scores at the primary time points.
14 The only difference is that 10 milligrams has a faster
15 onset of efficacy, as you would expect.

16 Muscle spasm has been shown to resolve more
17 quickly with both 5 and 10 milligrams TID than with
18 placebo, and patients can indeed recognize when they have
19 painful muscle spasm, and they can accurately determine
20 whether that condition is improving with treatment.

21 Turning our attention to safety, at therapeutic
22 plasma concentrations of cyclobenzaprine, we know there are
23 two pharmacologic effects. The first is an antihistaminic
24 effect on the H1 receptor, and the second is an
25 antimuscarinic or anticholinergic effect. As we examine

1 the safety data from our clinical trials, we will see that
2 the clinical adverse experiences reported are consistent
3 with both of these known pharmacologic effects of the drug.

4 Since safety can be influenced by
5 pharmacokinetics, I will review what we know about the
6 kinetics of cyclobenzaprine first, and I will then discuss
7 the safety data from our nonprescription clinical studies,
8 both the adverse experience data and the psychomotor data
9 that Dr. Katz presented. I will then review the
10 information from the marketed use of the 10 milligram
11 product, and that includes the spontaneous reports to Merck
12 or the FDA, the Poison Control Center data, and the Drug
13 Abuse Warning Network data.

14 The pharmacokinetics of cyclobenzaprine have
15 been examined in earlier studies, as well as part of this
16 nonprescription development program. As Dr. Lee presented,
17 the drug is well absorbed, it has a high clearance, a large
18 volume of distribution, and it is highly protein bound.
19 The Tmax is reached in four hours, and after 7 days of
20 dosing TID, the plasma concentration at steady state in
21 younger subjects is about four-fold higher than a single
22 dose, and in elderly subjects about eight-fold higher than
23 after a single dose.

24 This is a slide similar to what you've been
25 shown this morning. There are three treatment groups on

1 the slide, 5 milligrams TID in the yellow. In the elderly
2 patients, 65 to 79 years old, it's a closed square. In the
3 younger patients, 22 to 40, it's a closed circle. We see
4 that after a single dose, the levels in the elderly and the
5 young are similar after 5 milligrams, and peak
6 concentration is approximately half of that after 10
7 milligrams. Looking at steady state after 7 days of
8 dosing, a slightly different picture. In the young, this
9 is the plasma concentration at steady state. The elderly
10 patients who have 5 milligrams TID develop plasma
11 concentrations that are similar to younger subjects who
12 take the current prescription dose of 10 milligrams TID.

13 Now, we have submitted the information to the
14 agency for our prescription product as well, and we have
15 submitted draft labeling incorporating this revision and
16 the hepatic impairment data, and we look forward to the
17 agency's review of that data and approval to change our
18 current prescription circular.

19 As Dr. Lee mentioned, there was a radiolabeled
20 oral study done as part of the original NDA over 20 years
21 ago. Approximately 50 percent of the drug was observed to
22 come out in the urine. The major fraction in the urine was
23 a glucuronide. There were four oxidative metabolites
24 identified, each about 3 to 7 percent of the total radio
25 activity, and those were mediated through several P450

1 isozymes, 3A4, 1A2, and 2D6. There were also a number of
2 unidentified low concentration metabolites and one that's
3 approximately 6 percent.

4 We reviewed this data and we believe that the
5 drug has a low potential for drug-drug interactions.
6 First, it is unlikely that other drugs will significantly
7 exhibit the excretion of cyclobenzaprine since there are
8 multiple elimination pathways. There's fecal excretion of
9 unchanged drug, there's urinary excretion of a glucuronide,
10 and there's oxidative metabolism using several different
11 enzymes. We also have in vitro data that shows that
12 cyclobenzaprine does not inhibit the major P450 isozymes,
13 although we do not have that data for 2C19. So it's
14 unlikely to inhibit the metabolism of other drugs that rely
15 on 3A4 or 2D6.

16 We have had extensive marketed experience with
17 cyclobenzaprine, and there are no documented
18 pharmacokinetic interactions reported in the literature.
19 What I mean by documented is there are no case reports
20 where the plasma concentration of either cyclobenzaprine or
21 another drug was shown to have been altered by concomitant
22 administration.

23 I'd like to now turn our attention to the
24 clinical adverse experience profile. Information about
25 adverse experiences was collected by open-ended questioning

1 in all of our studies. Merck defines an adverse experience
2 as any unfavorable clinical event, whether or not it is
3 considered related to the study medication. The event only
4 needs to occur once during the trial and it is counted as
5 an adverse experience. At the end of the trial the
6 investigator is asked to assess the relationship to study
7 medication for any events that have been reported.

8 The most commonly observed adverse experiences
9 with 5 milligrams in Protocols 6 and 8 are presented on
10 this slide. We see that the incidence of adverse
11 experiences is clearly dose related, ranging from 35
12 percent on placebo to 44 percent on 2.5, 55 percent on 5,
13 and 62 percent on 10 milligrams administered TID for seven
14 days.

15 The most common adverse experiences were
16 drowsiness and dry mouth, which are both reflections of the
17 antihistaminic and anticholinergic properties of the drug.
18 Approximately 29 percent of the patients who received 5
19 milligrams reported drowsiness, and this compared to
20 approximately 10 percent of the patients who received
21 placebo. Twenty-one percent of the patients who received 5
22 milligrams reported dry mouth, compared to approximately 7
23 percent of the patients who received placebo.

24 Asthenia and fatigue, which are terms used by
25 some investigators to describe drowsiness or related

1 experiences, also appear to be dose related, ranging from
2 2.6 up to 6 percent.

3 Headache and nausea were not dose related, and
4 indeed the incidence of headache and nausea with 5
5 milligrams were actually less than with placebo.

6 While 55 percent of the patients who received
7 cyclobenzaprine 5 milligrams reported one or more adverse
8 experiences, very few discontinued medication because of an
9 adverse experience. This slide summarizes the
10 discontinuations due to adverse experiences in the Phase
11 III studies, including the 469-patient use study.
12 Discontinuations due to adverse experiences were dose
13 related. We see them ranging from 1.6 percent on placebo
14 up to 7.5 percent on 10 milligrams. Four percent of the
15 patients who received 5 milligrams discontinued for an
16 adverse experience, versus 7.5 percent of the patients who
17 received the current prescription dose.

18 The most common adverse experience prompting
19 discontinuation was somnolence. Two and a half percent of
20 the patients who received cyclobenzaprine 5 milligrams
21 discontinued the medication because of somnolence.

22 The investigators were asked to rate the
23 intensity of all adverse experiences on a 3-point scale:
24 mild, indicating that the patient was aware of the symptom
25 but it was easily tolerated; moderate, indicating that the

1 symptom may have interfered with the patient's usual
2 activity; and severe, which is the inability to work or do
3 the patient's usual activity.

4 We see on this slide a chart illustrating the
5 maximum intensity of somnolence at any point for patients
6 who received 5 milligrams in Protocols 6 and 8. Seventy
7 percent of the patients did not experience any somnolence.
8 Seventeen and a half percent said the worst their
9 somnolence was was mild, 9.3 percent said they had moderate
10 drowsiness at some point, and only 2.4 percent reported
11 that their drowsiness was severe enough to interfere with
12 their daily activities at any point during the study.

13 When did the somnolence or drowsiness start in
14 these patients? The proportion of patients who received 5
15 milligrams TID and first reported somnolence on a given day
16 is displayed on this slide. We see that approximately 16
17 percent of the patients reported drowsiness starting on the
18 first day, and an additional 10 percent reported drowsiness
19 beginning on the second day. But from the third day on,
20 very few patients reported the onset of somnolence. So
21 based on this data, we conclude that when somnolence
22 occurs, it generally starts on the first or second day of
23 dosing.

24 One might expect that elderly patients would
25 have more adverse experiences than younger patients given

1 that their plasma concentration for an equal dose would be
2 higher, but our clinical data does not show that. In the
3 Phase III studies, the incidence of adverse experiences in
4 the 74 patients age 65 and older was not greater than in
5 the patients who were less than 65 years old. This slide
6 displays those adverse experiences that were reported by
7 two or more of the patients who were 65 years old. The
8 incidence of somnolence in the elderly patients was not
9 different than in the younger patients. The incidence of
10 dry mouth and constipation and abdominal pain did appear to
11 be slightly higher, and these are reflections of the
12 anticholinergic properties of the drug.

13 As Dr. Neuner discussed, many patients use
14 cyclobenzaprine for up to 10 days in the use study. So
15 based on the safety data from that use study and our two
16 pivotal trials, we've concluded that cyclobenzaprine 5
17 milligrams TID is generally well tolerated when used for up
18 to 10 days. Somnolence and dry mouth are the most common
19 adverse experiences, and they are both dose related. Most
20 patients who reported somnolence develop it on the first or
21 second day of dosing, but most episodes of somnolence are
22 mild or moderate and do not prompt discontinuation of the
23 treatment.

24 In order to better understand the
25 characteristics of the drowsiness that can occur with

1 cyclobenzaprine and whether it leads to impaired
2 performance, we conducted six psychomotor studies, two of
3 which were in elderly subjects. An alert/drowsy visual
4 analog scale was used in all six studies to assess the
5 subjective sensation of drowsiness, and one study included
6 the multiple sleep latency test. We included computer-
7 based measures of psychomotor performance in all trials,
8 and although our package warns against driving until you
9 know how the product reacts with you, we know that some
10 people will drive while taking a potentially sedating
11 medication, and therefore we did two studies designed to
12 specifically address the extent to which cyclobenzaprine 5
13 milligrams could impair driving-related skills. In answer
14 to Dr. Koda-Kimble's question, we were not aware at the
15 time the studies were planned or conducted that they would
16 be evaluated at a P value level of 0.1.

17 The first four trials were basically
18 exploratory in nature. What we learned from these studies
19 is that cyclobenzaprine 5 milligrams is more sedating than
20 placebo in subjects who are less than 50 years old. The
21 onset of sedation occurs later than with diphenhydramine.
22 Peak sedation with cyclobenzaprine is approximately four
23 hours post-dose, and with diphenhydramine it's
24 approximately one to two hours post-dose. Of interest in
25 our multiple-dose studies, we did not see sedation continue

1 to increase over the course of 10 doses.

2 The MSLT study, or multiple sleep latency
3 study, did demonstrate that cyclobenzaprine reduces the
4 amount of time it takes to fall asleep in an unstimulated
5 environment when you're told to try and fall asleep, and it
6 does so by about one to two minutes more than the maximum
7 OTC dose of either diphenhydramine or clemastine, which is
8 known as Tavist. In all four studies, however, there was
9 no consistent impairment either at 0.05 or at 0.1 of
10 substantial psychomotor impairment.

11 We took the results of these four trials and we
12 then designed the two trials that looked specifically at
13 driving-related skills. We designed those studies to
14 assess psychomotor performance at the time when maximum
15 sedation would be expected based on the results of these
16 four exploratory trials.

17 We conducted the two studies, one in the
18 elderly, age 65 and older, and one in subjects age 21 to
19 40. Both are summarized in your background package. I
20 will in the interest of time only present the results of
21 the elderly since those subjects are assumed to have had
22 higher plasma concentrations than the younger subjects and
23 would be expected to have, if anything, greater impairment.
24 Both trials were double-blind, 4-period crossover studies.
25 The subjects received three doses of cyclobenzaprine on Day

1 1 and the fourth dose the morning of Day 2, four hours
2 before the test battery was begun. They also received in
3 the other periods amitriptyline 50 milligrams,
4 diphenhydramine 50 milligrams, and placebo.

5 We selected amitriptyline as the positive
6 control based on the extensive information available in the
7 literature that it indeed is associated with impairment in
8 test batteries like this, and actually an increased risk of
9 traffic accidents in epidemiologic data. Diphenhydramine
10 was included to evaluate how cyclobenzaprine compares to a
11 currently marketed OTC antihistamine.

12 Subjects completed a visual analog scale at the
13 beginning of the test battery, and then three driving-
14 related tests were conducted. Now, this test battery was
15 selected instead of a driving simulator as it has been well
16 validated over the years. It is a multidimensional test
17 battery that has evolved from over 25 years of laboratory
18 work by the investigators and has been shown to be
19 sensitive to the effects of alcohol, drugs, and aging.
20 Skills impairment in this battery mirrors the blood alcohol
21 concentration curve and its relationship to crash risk.
22 The battery has an excellent test/retest reliability, and
23 it produces results that are consistent with a state of the
24 art driving simulator as was recently shown in a study by
25 the investigators for the Department of Transportation.

1 I will explain what the three tests are and
2 then show the relevant primary endpoint data for each of
3 the three parameters.

4 The first psychomotor test is critical
5 tracking, which is the ability to control movement of a
6 machine in use during very focused brief periods of
7 attention. The test consists of a subject trying to follow
8 a cursor on a computer monitor by using a joystick. The
9 cursor moves more and more quickly as the trial progresses,
10 and it becomes more and more difficult to follow with the
11 joystick. The primary parameter in this study is the
12 lambda score, which is the level of difficulty the subject
13 is able to complete. So a higher score is a higher level
14 of difficulty and represents less impairment.

15 The mean lambda score and the 95 percent
16 confidence interval is shown for each of the treatment
17 groups in this slide. We see amitriptyline on the far
18 right has the worst level of performance, and placebo on
19 the far left had the best. Cyclobenzaprine was
20 significantly different than placebo, but the difference is
21 quite small and actually is less than 10 percent and not
22 significantly different from the level of diphenhydramine
23 in this test parameter.

24 The second test was divided attention, which
25 models the demands of driving on a highway. It's the

1 ability to simultaneously perform tracking, which you can
2 think of as steering, and visual searching, which is
3 looking to the sides of the vehicle. When you do the
4 visual searching, you're monitoring for cues that require a
5 response. This is a very complex, high-demand task, and
6 it's a very good analog for driving.

7 The primary parameter for this test is an
8 overall performance score which incorporates a measure of
9 tracking error or weaving and response time to a visual
10 signal, reaction time.

11 The overall performance score and 95 percent
12 confidence interval for each treatment are shown on this
13 slide. The data is normalized to a score of 50, so a lower
14 score in this parameter indicates less impairment.
15 Amitriptyline on the far right had significantly more
16 impairment than placebo. Cyclobenzaprine and
17 diphenhydramine were not significantly different than
18 placebo, and cyclobenzaprine was not significantly
19 different than diphenhydramine either.

20 The third test is vigilance, which is the
21 ability to maintain attention to a monotonous task over 40
22 minutes in a sound-proof booth. This is actually the
23 opposite of the MSLT test, where subjects are told to fall
24 asleep as fast as they can. Here the subject is told to
25 stay awake during the monotonous period in the booth. The

1 primary parameter in this test is the reaction time to an
2 infrequently appearing signal, and the higher score or the
3 higher reaction time represents more impairment. So while
4 they're in the booth, there's a signal that appear
5 intermittently and they're supposed to react and indicate
6 that they've acknowledged that signal when it occurs. So a
7 higher reaction time is worse.

8 A secondary parameter for this test is actually
9 the number of errors, either the number of times they've
10 missed a signal and did not acknowledge it, or the number
11 of times they acknowledged a signal that actually never
12 occurred.

13 The mean response time in seconds and the 95
14 percent confidence interval are shown on this slide.
15 Amitriptyline and diphenhydramine had significantly
16 prolonged reaction times compared to placebo, while
17 cyclobenzaprine was not associated with a significant
18 increase in reaction time. The 1-second difference between
19 amitriptyline and placebo may not look like a lot on this
20 slide, but if we remember that a car traveling 55 miles an
21 hour travels 80 feet in that one second, a 1-second
22 prolongation is clinically meaningful.

23 A secondary endpoint in this trial was the
24 number of errors, the signals they missed or the signals
25 they acknowledged that weren't there. Here we see that

1 amitriptyline and diphenhydramine and cyclobenzaprine were
2 associated with more what we'll call false alarms or
3 signals received that weren't there than was placebo, but
4 clearly cyclobenzaprine is not as bad as amitriptyline, and
5 cyclobenzaprine is not significantly worse than
6 diphenhydramine.

7 The driving-related skills study in the younger
8 subjects showed even smaller differences than these that
9 were seen between cyclobenzaprine and placebo in the
10 elderly subjects. Based on all six psychomotor studies, we
11 conclude that cyclobenzaprine 5 milligrams TID is
12 associated with sedation that is similar to that seen with
13 OTC doses of diphenhydramine and clemastine as measured by
14 a visual analog scale. Cyclobenzaprine 5 milligrams does
15 assist in falling asleep when someone is trying to do that,
16 but it does not substantially interfere with critical
17 driving skills either in young or elderly subjects to a
18 substantial extent. Subjects can generally overcome their
19 drowsiness and perform adequately in these laboratory
20 studies. In both the young and the elderly, performance in
21 the laboratory study was not worse than with the maximum
22 OTC dose of diphenhydramine.

23 I would now like to move on to the next section
24 of the talk which deals with what we know about the safety
25 of the 10 milligram product that's been marketed since

1 1977. Merck conducted two open-label postmarketing
2 surveillance studies. These enrolled approximately 7,600
3 patients, and 567 of those patients were 65 years old or
4 older. The elderly patients in these two studies did not
5 have a higher incidence of adverse experiences than the
6 younger patients. Approximately 20 percent of the elderly
7 patients and 19 percent of the younger patients reported an
8 adverse experience in these large trials conducted 20 years
9 ago. Looking at the profile of adverse experiences, they
10 were generally similar in the elderly to the younger
11 groups.

12 Merck collects spontaneous reports of adverse
13 experiences on all of its products and enters that data in
14 a database that we refer to as our Worldwide Adverse
15 Experience System. All voluntary reports to Merck of
16 adverse experiences after use of a Merck product are
17 entered into this database regardless of whether the
18 reporting physician or a company physician feel that the
19 case is causally related to the product.

20 It's worth noting that reporting practices have
21 changed since 1977. The terminology of adverse experiences
22 used by both us and the FDA has changed over that time, and
23 the regulatory definition of what is a serious adverse
24 experience has changed over time.

25 We estimate that since 1977, physicians have

1 written over 100 million prescriptions for cyclobenzaprine
2 in the United States, and during that time, Merck has
3 distributed over 1.5 billion tablets in the United States.
4 Since 1989, generic cyclobenzaprine has been available, so
5 this is actually an undercount of the amount of
6 cyclobenzaprine tablets that have been used. As Dr.
7 Hemwall mentioned, we've had 993 reports of spontaneous
8 adverse experiences reported to us. Two hundred and
9 thirty-eight of those reports met the regulatory definition
10 in effect at that time for what a serious adverse
11 experience would be. Most of the adverse experiences
12 related to the central nervous system, which is not
13 surprising given the safety profile of the drug in clinical
14 trials and the known pharmacologic effects.

15 Unfortunately, our WAES database does contain
16 52 reports describing 65 patients who died while taking or
17 after taking cyclobenzaprine. Reviewing these 65 patients
18 shows that there were 15 reports describing 22 patients who
19 intentionally overdosed with the product. There were three
20 reports of fetal death after a mother had taken
21 cyclobenzaprine at some point during her pregnancy. But in
22 the remaining reports, there was no clear pattern to
23 suggest that cyclobenzaprine was causally related to the
24 deaths. The majority of the reports, 29 to be exact, were
25 confounded by the presence of underlying diseases or the

1 use of other medications. There were six patients with
2 underlying heart disease, four with pulmonary disease,
3 three with hepatic disease, three with cancer. Clearly, a
4 spattering and a cross-section of concomitant illnesses
5 that do not indicate a clear pattern.

6 We have gone and looked at the FDA's
7 spontaneous report system database to see what is in that
8 database concerning cyclobenzaprine, and we are aware of 25
9 reports of death that did not originate from Merck that are
10 in those databases. Twenty-one of the 25 reports have been
11 available to us already for review through the Freedom of
12 Information, and we know within those 21 reports that there
13 are five duplicates within the 21. We also know that two
14 of those reports have also been sent in by Merck. So
15 within the 21 reports we've been able to review, there were
16 14 unique patients that Merck was not aware of. They may
17 have been reported by other manufacturers of generic
18 products, or they may have been reported by manufacturers
19 of other ethical products that the patients were using
20 concomitantly.

21 There were five overdoses in the 14 reports,
22 two fetal deaths, three heart attacks, and a spattering of
23 other diseases. So again, within the FDA's database,
24 looking at reports that did not come from Merck, no
25 consistent pattern to suggest causality.

1 We know that cyclobenzaprine is chemically
2 related to amitriptyline, a drug that is associated with
3 cardiac arrhythmias and seizures in overdose. We have
4 examined the available data to determine whether
5 cyclobenzaprine is associated with life-threatening
6 arrhythmias or seizures in either therapeutic use or
7 overdose. The WAES database contains 16 reports of life-
8 threatening dysrhythmias, which we classify as ventricular
9 tachycardia, ventricular fibrillation or cardiac arrest.
10 Eight of those patients died and were included in the
11 summary slides I just presented. Of the remaining eight
12 cases, there were two reports of ventricular tachycardia or
13 fibrillation occurring during surgery, one in a patient who
14 received droperidol, and one who was in septic shock
15 undergoing an exploratory laparotomy. There were five
16 reports of cardiac arrest with little information
17 available, except in one case we know that there was a
18 documented mycoplasma infection which may have been
19 associated with a heart block, and there was one non-fatal
20 overdose with several other drugs.

21 We've looked at the FDA's SRS database and we
22 found three reports of life-threatening dysrhythmias that
23 did not come from Merck and were entered in the database.
24 There was a fatal overdose with other drugs, including
25 oxycodone and acetaminophen, a person who developed chest