

1 followed in the SART registry.

2 But everything that we've been discussing here
3 today really should be monitored.

4 DR. GREENE: I'd like to--Mike Greene.

5 I'd like to put in a plug for a couple of specific
6 things. One is the issue of monozygotic twinning, which I
7 mentioned earlier. Another is the incidence of premature
8 delivery, regardless of the multiplicity of the
9 gestation--even if it's just a singleton gestation.

10 I think that--I'll leave it to the FDA staff
11 people to ponder the practicality of worrying about the
12 incidence of cerebral palsy, because that poses a
13 significant methodologic problem, in terms of long-term
14 collection of data. And the other issue is the high order
15 multiple gestation rate associated with a drug, or
16 combination of drugs; and is there--would there be evidence
17 that some drugs, or the way that they're commonly employed,
18 lead to a higher incidence of high order multiple gestations
19 than other drugs, or the way those drugs are employed?

20 DR. DATTEL: Bonnie Dattel.

21 Just also a plug in for treatment failures, and
22 including women who have multiple cycles and fail, because
23 they'll never get into the pregnancy registry. So--and,
24 also, how many cycles someone has had and then has a
25 successful pregnancy; how many times their ovaries and the

1 dormant ova have been exposed. So those, I think, are
2 important.

3 CHAIRMAN AZZIZ: I think the biggest
4 challenge--obviously that's why we're discussing it in the
5 Committee, is how to differentiate, as Richard said--how to
6 differentiate an ART-related procedure problem, versus a
7 drug problem. And we are obviously here in the business of
8 attempting to determine whether the drugs have an impact on
9 pregnancy outcome, not--the rest of the FDA, perhaps,
10 interest in looking at ART outcome.

11 So I am actually relatively loathe to increase the
12 number of things that need to be monitored beyond that--what
13 would be not monitored normally for other drugs. In fact,
14 if anything, there should be, certainly, a caveat that
15 perhaps many of the things that we might observe may
16 actually be related to the embryo manipulation or ART
17 procedure. So, in fact, I would tend to--other than a few
18 specific items that are being brought up, I would actually
19 suggest to the Division that they do not increase above what
20 they already are going to look at for other drugs, and
21 perhaps even then, take those and perhaps limit that.

22 DR. GREENE: Mike Greene. I had my button pushed,
23 I guess.

24 I had one concern, and that is that although
25 there's a different branch of the FDA to look at devices, I

1 wonder whether there are certain aspects of the ART that
2 might not fall between the cracks. So, for example, if
3 merely prolonging the period of embryo culture from two days
4 to five days in and of itself could increase the incidence
5 of problems, who regulates that? The people who look at the
6 drugs, or the people who look at the devices?

7 DR. TRUSSELL: James Trussell.

8 I hear and understand the need for long-term
9 follow-up, but I haven't heard anybody put a number on it.
10 So are we talking about five years, 10 years, 20 years, 30
11 years--what is--it's a huge question. I mean, it's a very
12 important question with huge implications.

13 CHAIRMAN AZZIZ: I think--Dr. Rarick, do have a
14 clarification there, because there are other sections of the
15 FDA looking at the device pregnancy outcome, or at least
16 it's been--you've come up with some guidelines recently. So
17 perhaps you can educate us.

18 DR. HOUN: The Center for Devices does have a post
19 market surveillance office, and they, under the device
20 statute, have a different requirement, where they can impose
21 a requirement for such studies under specific serious
22 conditions.

23 In terms of who governs how long an embryo stays
24 in the culture dish, that is not under FDA regulation.
25 That's under practice of medicine, and various guidelines

1 that exist in the medical world.

2 There could be a possibility, in terms of talking
3 with the Center for Devices and the Center for Drugs, to try
4 to figure out if there are some common types of devices that
5 the Device Center has questions on, but they wanted of
6 include in this effort. I mean we could investigate this
7 further if this is something the Committee would like to get
8 information on.

9 CHAIRMAN AZZIZ: But the FDA actually has had some
10 guidelines recently, regarding the manipulation of embryos
11 and of gametes and, in fact, I may have to ask Shawn from
12 ASRM to speak on that--and the public--just so that--for our
13 Committee's information, would you mind saying a word Shawn?

14 MR. TIPTON: Right now?

15 CHAIRMAN AZZIZ: Yes, sir.

16 MR. TIPTON: I'm Shawn Tipton, I'm the Director of
17 Public Affairs with the American Society for Reproductive
18 Medicine. There are a couple things, I think, that might
19 come into play. One is the device folks have actually
20 recently backed off a little bit of having some special
21 consideration for ART devices, and so we're going to treat
22 these the same as we do devices in other fields.

23 What Dr. Azziz, I think, is referring to is the--a
24 proposal out of CBER to--it's more sort of tissue, culture
25 and infectious disease prevention guidelines that have been

1 proposed; published the Register, I guess September 30th.
2 So they are certainly moving that way. And again, I don't
3 know to what extent--they certainly are talking about what's
4 in the culture media. I don't think they're talking about
5 how long it will be in there.

6 CHAIRMAN AZZIZ: Thank you. I wanted to bring you
7 up, because I do know that CBER is working on some of the
8 media, and aspect, and so on and so forth. So I think it's
9 important.

10 But I'd like to, for the moment, concentrate on
11 what we initially started out, which is ART pharmaceutical
12 drugs, related to the process. And, again, my comment was:
13 it's going to be very difficult to separate those, so I
14 would not want to overburden the system just because I think
15 we're going to get more confounding data.

16 DR. DATTEL: I just--Bonnie Dattel--I just had a
17 comment on follow-up, and how long is "long," and I think we
18 have to go back to the DES story, and that it may take a
19 generation, if these are fetuses exposed to potent drugs
20 affecting reproductive organs. We may not know the answer
21 in three years, or at school age. There may be a sub-set of
22 patients that need to be followed for longer than that. I
23 don't know the answer to that.

24 But I think that we shouldn't cut ourselves off
25 too soon, in terms of reproductive issues later for the

1 offspring.

2 DR. GREENE: Mike Greene.

3 I'd like to ask another point of clarification,
4 and that is: is it necessary that there be a Phase 4, if you
5 will, that must be completed prior to licensing? Or would
6 that--would licensing be possible, and part of that
7 licensing require a Phase 4 surveillance?

8 DR. RARICK: Yes, we wouldn't refer to it as
9 "Phase 4" until after approval. People could certainly
10 begin these things in Phase 3, prior to approval. So, for
11 example, while a new drug application is being reviewed,
12 they could already have put in place some follow-up of their
13 patients in clinical trials, or start a new IND where they
14 start a registry of users.

15 But in terms of our abilities to impose
16 requirements at approval, we then call those "Phase 4
17 commitments." They are agreements with a sponsor to do
18 things in Phase 4 that we then monitor.

19 CHAIRMAN AZZIZ: A question again--it was
20 mentioned earlier that once you get into the generic issue
21 it becomes very difficult to monitor these things. And
22 perhaps you can tell us why.

23 DR. RARICK: I'll try. It's a simple--the
24 simplest answer is just the finances and the feasibility.
25 So, for example, if the innovator company--the initial

1 company--no longer is on patent, and they're no longer
2 making money from their product, they're not particularly
3 interested in continuing to run a very, very expensive
4 pregnancy registry system, when a generic has taken over the
5 market. And yet they are the innovator, and they would be
6 the ones that it would initially imposed upon, because they
7 have that three to five years exclusivity at the time of
8 approval.

9 CHAIRMAN AZZIZ: So when a generic manufacturer
10 comes on the market, that can't--I mean, you can't require
11 that all the companies who are producing one product to do
12 this? I mean, this is--maybe I'm just being foolhardy, but
13 I just don't quite understand--if all of a sudden four
14 companies are producing a gonadotropin, why can't you just
15 have a collaborative--coordinated through the FDA? I'm not
16 sure.

17 DR. KWEDER: It's actually extremely difficult.
18 Generic manufacturers are not in--usually in the business of
19 conducting research. There are usually more than one of
20 them. They don't have R&D groups that innovator--we call
21 them "innovator pharmaceutical firms," the ones who
22 bring--do the actual development and bring a product to the
23 NDA approval process--have. So it's--just the logistics is
24 tough.

25 Now, if the FDA were coordinating something, or

1 had another carrot that it could offer, we might be able to
2 do more, even with the innovator firm.

3 An example of that is that within the past few
4 years in pediatrics, what we have been able to offer--and
5 this is only because of a Congressional action--is we can
6 offer an innovator company additional marketing--six months
7 of additional marketing exclusivity for actually studying a
8 pediatric indication that we think is important. And, you
9 know, initially one might think, "Well, six months--what's
10 the big deal," you know, when you have a marketing
11 exclusivity for seven years. Well, six months is a big
12 deal. It's a huge deal. It's billions of dollars. And
13 we've had great success with this program, with companies'
14 coming forward, because it carries to the entire molecular
15 entity.

16 We don't have such a mechanism now for pregnancy
17 registries, or long-term follow-up studies for drugs
18 relevant to pregnancy outcomes. I think it's something
19 that, you know, is certainly worth considering in the
20 future, but it hasn't come before us yet.

21 Does that help? Does that answer your question a
22 little bit?

23 DR. GREENE: Mike Greene.

24 Again, please correct me if I'm wrong, but I think
25 part of the problem also is that a generic manufacturer may

1 not necessarily be incorporated in the United States and
2 subject to American laws. Is that not true?

3 DR. LERNER: Just as a corollary with that--from
4 what Dr. Kweder said is, I think, a labeling change, or a
5 new indication, where you then give them more market
6 exclusivity is certainly a perfect tie-in.

7 DR. RARICK: No, generic companies that are
8 outside of the U.S. still meet our rules and laws. They
9 still submit abbreviated new drug applications. I think
10 Sandy's was to the point of--general applications don't
11 include a clinical section, or a post marketing surveillance
12 section. They are specifically copies of an innovator
13 product, and they are--chemistry and manufacturing controls
14 almost exclusively is what's in their packages.

15 Labeling is a route for giving an innovator a
16 carrot, in terms of a new indication, but often times
17 pregnancy information added to a label is not necessarily a
18 new indication, and it never has been. It's simply been
19 added information in a pregnancy section. Because the
20 indication remains the same. It's for--let's say it's an
21 anti-hypertensive. Simply because it's an anti-hypertensive
22 in pregnancy, it's not usually a new indication. It's
23 simply an anti-hypertensive.

24 DR. LERNER: No, I'm not saying a new indication
25 in pregnancy, but I'm saying a new indication for whatever

1 their new indication is for--some new medical disease or
2 entity that's, you know, completely unrelated, but they're
3 sort of coming back to you and are beholden to you, so that
4 may be, then, a good carrot to tie them in.

5 DR. RARICK: Oh, yes, it's always easiest to
6 negotiate those Phase 4 agreements when you have an
7 application that you're working on for any indication.

8 CHAIRMAN AZZIZ: I mean, obviously, we don't want
9 to recommend something that's going to be overburdensome to
10 a pharmaceutical company. We certainly want to foster drug
11 innovation. So, again, we always have to keep that in mind
12 as we recommend these issues. But, again, these drugs are
13 highly related to reproduction and subsequent outcomes, so I
14 think that that's an issue.

15 Further comments on number 3? If not, we'll
16 summarize and move forward.

17 DR. TRUSSELL: It's a clarification, really, of
18 what you meant when you summarized it before. But even
19 though the focus here is on the drugs that are used, it
20 would seem to me that a registry would be rather useless if
21 it did not have information on all the stuff that was
22 ancillary to that to get the woman pregnant in the first
23 place.

24 So all of the devices that were used--blah, blah,
25 blah, blah, blah--if you don't have it, it's going to be, in

1 my opinion, useless.

2 DR. WEISS: Sheila Weiss.

3 I want to add to that. I think there's two things
4 we've been dancing around. Maybe they're dirty words. One
5 is "collaborative," and the other is "comparative." And I
6 think those are things that we need to think about when
7 we're thinking about ART; that whatever data we collect, be
8 it on drugs, on methodology, on devices that are used, we'd
9 like it to be consistent from one study to another, and the
10 follow-up be similar. Because one of the things you're
11 going to want to do is say, "Well, is it the drug or the
12 device, or the method?" And the only way you can do that is
13 if you have comparative data--or another comparative
14 population. And I think one registry, or a--that is
15 comparable to another, or a collaboration that works
16 together is the only way we would be able to do that.

17 CHAIRMAN AZZIZ: I think those are very valid
18 concerns, I think.

19 Just as a reminder--the SART data does have the
20 outcomes of all the pregnancies of women initiated in ART,
21 and the drugs that they took during pregnancy. That drug
22 data currently has not been collated or used. I mean, it
23 is accumulated at the CDC right now. But, I mean, that data
24 has been collected for the last four or five years now, so
25 that that information is actually available, and could be

1 generated relatively quickly if somebody put a few resources
2 to get somebody to collate through the data.

3 So that actually has already been obtained, and
4 probably we already have enough information today from that
5 database to answer most of these questions, other than
6 absolutely new drugs; things like the antagonists, and so on
7 and so forth.

8 DR. FALK: Just to make note of the fact that
9 there's one other aspect of assisted reproductive technology
10 that's gone unmentioned here, and that may be the crack that
11 was referred to before--and that is that this is, in a way,
12 a surgical procedure. So it's not just drugs, and it's not
13 just devices either. It's also the people who are handling
14 and manipulating the embryos. And that's why the SART data
15 is particularly good in that regard, because it is clinic
16 specific.

17 CHAIRMAN AZZIZ: Any further comments?

18 [No audible response.]

19 Let me just simply summarize. I think that the
20 ART issue is confounded by the fact that obviously there are
21 devices, laboratory procedures and surgical procedures
22 involved here. Certainly, I think all of us would like to
23 see long-term data in these drugs--not just the procedure,
24 but in the drugs. I don't think, other than simply
25 information related to the procedure specifics, I have to

1 agree with Dr. Trussell that it is worthless to use this
2 data if you have no clue of what actually happened, or what
3 procedure was undertaken. But, again, the issue has been
4 that this data currently has been and is now obtained, and
5 rests somewhere in some basement at the CDC; but that data
6 has already been there so that, in fact, if the FDA wants to
7 put some effort into looking at this data, that would not be
8 a monumental task, other than paperwork.

9 Let's move on to question 4--3, I'm sorry.

10 One, what other mechanisms exist to collect this
11 type of data or other information? I think we may have
12 answered that already. Anybody want to add to that?

13 [No audible response.]

14 CHAIRMAN AZZIZ: Okay. Let's move on to 3(b):
15 Does the Committee have any recommendations on how these or
16 other mechanisms might be encouraged?

17 DR. LERNER: I just had a quick question. I know,
18 with the NIH money drying up, perhaps including some of the
19 other agencies, in terms of trying to establish some
20 databases and some money from elsewhere might be a good
21 idea.

22 CHAIRMAN AZZIZ: Something about "money talks
23 and--" --

24 [Laughter.]

25 CHAIRMAN AZZIZ: All right.

1 DR. DATTEL: Bonnie Dattel.

2 I think we do have to encourage the societies that
3 are working with these tools to participate in this, and
4 provide the information--such as you've already mentioned,
5 Ricardo--that is available, and to continue to do so,
6 and--as part of their own process, if you will--I don't want
7 to call it "monitoring process." But I think that I would
8 encourage societies to continue to collect the data and
9 participate in this research, in terms of collaborative
10 effort.

11 CHAIRMAN AZZIZ: Just a reminder though--I mean,
12 societies, unfortunately, are not in the business of doing
13 that. In fact, societies are not--professional societies,
14 most of them, are obviously not regulatory. So while SART
15 has done something that I think is uniquely extraordinary
16 for a non-profit society, I do think that it's probably not
17 going to happen, as societies see membership dwindle, pretty
18 much around the country--everybody is paying less, and so on
19 and so forth. So I don't think that that's--I don't think
20 that's the place.

21 I mean, clearly, the people who make the money on
22 the process should be, obviously, the one's that have the
23 vested interest. But I do think--like I said before--that
24 that database is there, and certainly would be--with outside
25 funds, could be probably studied. I don't know of any other

1 mechanisms, which is what we're trying to--do we have any
2 other suggested mechanisms?

3 I think it would be foolhardy to try to repeat and
4 reinvent that wheel. That wheel's undergone a lot of
5 reinvention, and I think it's working okay.

6 4. Further comments on number 3?

7 [No audible response.]

8 CHAIRMAN AZZIZ: Okay.

9 Let's move on to number 4: are there any other
10 comments or suggestions for the FDA on the two draft
11 guidance documents discussed this morning, which are the
12 Reviewer Guidance--Evaluation of Human Pregnancy Outcome
13 Data, and Guidance for Industry--Establishing Pregnancy
14 Registries--and, again, this refers to the text that you
15 actually have.

16 Any worthwhile comments that you've noted on the
17 side? And I think we've gone through some of those already,
18 but perhaps if you have some, it would be very helpful.

19 DR. RARICK: Let me just note that if you have
20 been editing it extensively, and have many, many written
21 comments, you can give those to us, rather than reading them
22 all completely here. But there's major general issues that
23 you'd like for us to consider as a group, we can hear them
24 now.

25 MS. HAUSER: I have a comment and a request--not

1 so much on the registries, but perhaps to Dr. Kweder and her
2 group on pregnancy labeling. And I think she alluded to
3 this--the problem of provider liability in interpreting the
4 labeling, as far as using the medications and incurring
5 risks related to a potential association, as well as the
6 reverse problem.

7 And I looked at the list of members on that
8 committee. I don't know if you had any representatives from
9 the legal profession, which we're in constant professional
10 tension with around these kinds of issues. It might be
11 helpful, if there are no members, to have one or two perhaps
12 as part of that.

13 CHAIRMAN AZZIZ: I have--in addition to the
14 comments I made earlier about the, sort of, dichotomy, and
15 some of the comments on the table that's needed and so
16 on--in your page 11 of your first draft, it says, "Selection
17 of Comparison Group"--that's a concise statement but it
18 isn't very helpful.

19 I think that it needs to be a little clearer as to
20 how and when is a comparison group going to have to be
21 required. And that wasn't helpful to me and, in fact, I was
22 trying to figure out how I would get a comparison group.

23 So--

24 The second thing--I think Dr. Harris pointed
25 out--and you're going to have to remind me--yes, the legal

1 issues of the provider. This is a different kind of plea,
2 but I'm involved in the FDA, and I still sometimes have no
3 clear idea of what the FDA's regulation over practice is.
4 We know that it isn't there but, yet, for example, if I went
5 to the Web site and tried to figure out where on the Web
6 site does it say that practitioners can administer drugs
7 above and beyond what the FDA approves--and I know Lisa
8 FAXed me something one time--that's not easily available.
9 And at some point the FDA's going to have to undergo some
10 physician education programs relating to the FDA function.
11 Because I can tell you this is the major mystery and
12 bugaboo--for those of us who are here, much less the ones
13 who are out in the practice.

14 DR. KWEDER: Yes, I absolutely agree. And I think
15 that we are--we don't do that very well at all. You know,
16 and in fact, I can remember--just as an anecdotal
17 experience--being at a meeting--this illustrates this--while
18 being at a meeting, where the discussion was the use of
19 thrombolytic agents in ,pregnancy, and someone was talking
20 about--low molecular weights heparins was the topic of
21 discussion, and a woman who cares for a lot of pregnant
22 women, who actually studies some of these in pregnancy, got
23 up, and she said, "And--" --it was heartfelt; very
24 frustrated--she said, "And we really think that these
25 products are probably safer than regular heparin in

1 pregnancy, and the FDA won't let us use them."

2 And, you know--but I think that's--it's exactly
3 what you're talking about. I think it's very misunderstood.
4 We don't communicate it clearly. And we, ourselves--we know
5 what we do [laughs]. Everybody else doesn't. So--well
6 taken.

7 CHAIRMAN AZZIZ: Any further comments on number 4,
8 here? If you have extensive editing things, please do hand
9 them to the staff. That will be very helpful to them. But
10 other comments on the two draft documents? They were very
11 clearly written. I liked--I mean, whoever wrote them--nice
12 writing. I just need to say.

13 But, in general--anybody else have any comments?

14 [No audible response.]

15 CHAIRMAN AZZIZ: All right. And since we are 15
16 minutes ahead of time, I don't think we'll have time to
17 start on the afternoon schedule, but I would like to start
18 earlier this afternoon than the time here.

19 Let us now break for lunch. Let us meet and start
20 at 1:15. Thank you.

21 [Whereupon, the proceedings were recessed to be
22 resumed at 1:15 p.m.]

1 A F T E R N O O N S E S S I O N

2 CHAIRMAN AZZIZ: Okay, let's go ahead and
3 re-start, please. It is now 1:18. We'd like to stay on
4 time. We have a lot of work to do this afternoon.

5 Again, I'd like to introduce Dr. Rarick, who is
6 going to introduce our afternoon discussion.

7 DR. RARICK: And this will be quick--thank you,
8 Ricardo. I forgot to mention this morning--congratulations
9 to all the Mets fans out here. Yeah, we have one--and
10 Atlanta--Atlanta, I know, you're depressed. It was a fund
11 game last night.

12 Anyway--I was just going to bring us back from
13 lunch--to change gears, we're going to be speaking about two
14 guidance documents. They are guidance documents that
15 currently exist and ar under revision. And Dr. Susan Allen
16 will be describing each of those two us: Estrogen Class
17 Labeling--both estrogen and combined estrogen/progestin drug
18 development guidances.

19 Thanks, Susan.

20 DR. ALLEN: Can you hear me in the back? Yes?
21 No? If I lean over, is that better? Okay.

22 Well, let me say welcome back from lunch. And
23 I'll preface my talk by saying that over the last two weeks,
24 every Sunday in the paper I looked through the comics to see
25 if I could find some type of cartoon that would enable me to

1 lighten up this presentation that I'm going to give to you.
2 But Garfield and Doonesbury were not too helpful in this
3 regard. So I will do my best to make this interesting for
4 you, if I can't make it totally entertaining.

5 And, as you can see here, the title of my
6 presentation is: "FDA Guidance Documents related to
7 Estrogen-Containing Drug Products--Current Issues and
8 Status." And let me say that the bulk of my discussion will
9 relate to documents that are contained in the latter half of
10 your pre-meeting packet. So that's section 3(a), 3(b) and
11 4. And I will be presenting a great deal of information in
12 a short period of time. But one thing I want you to keep in
13 mind is that--as Lisa mentioned--nothing is set in stone.
14 These are proposed revisions to these guidance documents,
15 and we will certainly be seeking the opinion of the
16 committee, as well as those of you in the audience, about
17 the proposed changes.

18 Next slide, please.

19 I have three goals for my presentation. The first
20 is to describe current guidance documents that are prepared
21 by the Division of Reproductive and Urologic Drug Products,
22 and are related to estrogen-containing drug products.

23 I'm going to review--briefly review--the content
24 of two of these documents for you, and I will summarize
25 proposed changes in two of these particular documents.

1 Next slide, please.

2 There are three guidance documents produced by our
3 division that are related to estrogen-containing drug
4 products. there are two labeling guidance documents and
5 there is one drug-development guidance document. The two
6 labeling guidance documents are, first, a Guidance Document
7 for Non-Contraceptive Estrogen Drug Products--that is also
8 known as "estrogen class labeling."

9 The second labeling guidance document is a
10 guidance document for combined oral contraceptives.

11 The Single Drug Development guidance document that
12 the division prepares is a guidance document on estrogen and
13 estrogen/progestin-containing drug products for HRT in
14 menopausal women.

15 Next slide.

16 The two that I will be focusing on today are the
17 ones that you see there. I will talk about some proposed
18 revisions in the Labeling Guidance for Non-Contraceptive
19 Drug Products, and I will also talk about some revisions in
20 the Drug Development guidance for clinical evaluation of
21 estrogen and estrogen/progestin-containing drug products for
22 HRT.

23 Okay. Let's start first with estrogen class
24 labeling document, and let me begin with a bit of a
25 background for you.

1 In Section 3(b) of your meeting packet, you will
2 see a previous version of this document that was published
3 in 1992. And I also want to let you know that this document
4 was put out for public comment in the fall of 1998. The
5 Division received extensive suggestions for revision in the
6 document, at that time. We did make some revisions to it,
7 and we also made some changes based upon our internal
8 thinking about the document. And that has resulted in the
9 draft guidance that you see in Section 3(a) of your packet.

10 So what I'm going to be doing is talking about
11 changes in the document, in Section 3(b), that have resulted
12 in the document under Section 3(a) of your packet.

13 For the next few slides, let me talk about
14 specific components of the guidance document originally
15 produced in 1992 that have been proposed for revision. And
16 the first section is the boxed "warning section" in that
17 guidance document. If you look at the old version, you'll
18 see that there were basically two issues that were covered
19 in the boxed warning of that particular guidance document.
20 One was the increased risk of endometrial carcinoma
21 associated with unopposed estrogen use, and the second was
22 the use of estrogens during pregnancy, with a particular
23 emphasis on DES effects in male and female offspring of
24 women who took that drug during pregnancy.

25 The pharmacology section of that document really

1 gave an extensive explanation of the mechanism of action and
2 the metabolism of endogenous estrogens. It didn't focus a
3 great deal on exogenous estrogen administration, or specific
4 routes of administration of those types of estrogens.

5 Next slide, please.

6 You'll also see that in the older version of the
7 guidance there were seven indications listed for which these
8 drug products may be developed; five of them were specific
9 for ERT--or estrogen replacement therapy products--and those
10 five are: the treatment of moderate to severe vasomotor
11 symptoms associated with the menopause; the treatment of
12 vulvar and vaginal atrophy associated with the menopause;
13 hypoestrogenism due to hypogonadism, castration, or primary
14 ovarian failure; abnormal uterine bleeding due to hormonal
15 imbalance in the absence of organic pathology; and
16 osteoporosis prevention.

17 Next slide, please.

18 You'll also notice that the older version of the
19 document focused primarily on provider labeling, and there
20 was a separate patient package insert guidance. As I
21 mentioned a few minutes ago, because we did receive
22 suggestions for extensive modification to this particular
23 document, we decided to go ahead and publish again the draft
24 revisions in September of 1999--so just a few weeks ago.

25 Okay--with regard to the proposed changes that the

1 Division is suggesting in this particular guidance document,
2 overall you can tell that the new version combines
3 prescribing information and information for patients in a
4 single document. And even though there is mention of
5 combination estrogen/progestin therapy in this guidance
6 document, it focuses more on estrogen-alone drug products.

7 Now, the last item on this particular slide I want
8 to emphasize for a minute. You can--if you've looked at the
9 older version, compared it to the newer version, you'll see
10 that the detailed information on the prevention and
11 management of osteoporosis has been deleted in the proposed
12 revision. That does not mean that the indication has been
13 deleted. If you'll turn to page 6, item number 6 at the
14 bottom of that page still lists the indication of prevention
15 of osteoporosis as an indication for drug development of
16 drugs in this class. Inadvertently--not intentionally--this
17 particular indication was omitted from the patient labeling
18 section of this document. So, hopefully, that clarifies
19 some concerns that you may have in that regard.

20 Next slide, please.

21 With regard to changes in this particular guidance
22 document, you'll see that the boxed warning in the newer
23 version now is limited to a discussion of the increased risk
24 of endometrial hyperplasia associated with unopposed
25 estrogen use. The previous text that related to the use of

1 estrogens during pregnancy has been moved to the
2 "precautions" section, and there's been an expanded
3 discussion of that particular issue in that section.

4 The pharmacology section of the newer document now
5 requests specific information for different dosage forms of
6 these products. There's some discussion about oral
7 estrogen-containing products; topical, or intravaginal
8 dosage forms, as well as transdermal forms.

9 You'll also see that there's a request that
10 sponsors provide detailed information on specific
11 pharmacokinetic parameters about the drug product that would
12 include such things as absorption, distribution, metabolism
13 and excretion of the particular product. There's also a
14 "special populations" subsection in the pharmacology
15 section, and that was added to deal specifically with
16 certain patient populations, such as those that may have
17 renal or hepatic impairment and may require dosage
18 adjustment during drug administration.

19 There's also a "drug interactions" section. And
20 we added this specifically because there is a great deal of
21 published literature now that discusses the impact of
22 ethinyl estradiol--contained in oral contraceptives--and its
23 metabolism, on other drug products, as well as the converse:
24 the effect of other drug products on the metabolism of
25 ethinyl estradiol. We don't know if other

1 estrogen-containing products react the same way, but we
2 decided to include as much information as we had on this
3 particular estrogen, which is ethinyl estradiol.

4 Next slide, please.

5 One of the bigger changes that I think we are
6 proposing to make in the document is a change to require
7 that the indication that winds up in a label for an approved
8 product is specific for the trials conducted. If you,
9 again, look back to the 1992 version of this document,
10 you'll see that if a sponsor had conducted a trial
11 demonstrating efficacy for one particular indication, the
12 guidance document technically allowed them to include the
13 other indications in their label, even though they really
14 didn't do a clinical trial to look at those other
15 indications. And from our perspective--and also thinking
16 about how the public would gain the most benefit from
17 information provided in a label--we felt that it was very
18 important to now say: "You must conduct a clinical trial for
19 each specific indication that you want approved," because
20 it's only through that process that we will obtain
21 meaningful dosing information to include in the label.

22 We're also proposing that the indication entitled
23 "abnormal bleeding due to hormonal imbalance" be deleted
24 from the new version of the document. We found this to be a
25 very vague indication--but we will ask your opinion in that

1 regard.

2 Next slide, please.

3 We've also added several new sections and
4 sub-sections to the document. We have a "Clinical Studies"
5 section now that asks sponsors to describe the study design
6 of their Phase 3 clinical trials, including end-points.
7 We've added a section on hypothyroidism, for women who may
8 possibly need an adjustment in their thyroid hormone
9 replacement therapy if they're talking exogenous estrogens.
10 We've added a venous thromboembolism sub-section in the
11 "Warnings" section to describe the increased risk for this
12 particular event in women who are current ERT users. And
13 then we've added a pediatric and geriatrics use section, as
14 required by the regulations.

15 You'll find some minor editorial changes
16 throughout the document. And then, again, the latter half
17 of the document is devoted to patient labeling that we hope
18 conveyed the information that was contained in the first
19 half of the document--or the provider labeling part.

20 Okay. That's one guidance document.

21 Now, what I'd like to do is spend the rest of my
22 presentation time on the second guidance document, which is
23 the ERT/HRT drug development guidance document.

24 Once again, as some background, this particular
25 guidance document was first published in 1995, and it

1 focused on the combination of estrogen and progestin
2 products for use in menopausal women. It was originally
3 intended to describe recommendations for endometrial
4 hyperplasia prevention studies, but if you look at it, it
5 also appears to address several other things: the treatment
6 of vasomotor symptoms; the treatment of other symptomatic
7 indications; and also osteoporosis prevention.

8 Next slide, please.

9 Also, if you look at that document, I think that
10 you will find that there's an enormous amount of information
11 contained in it, but it's not well organized. So one of the
12 first things that we're proposing to do is to get it
13 organized, and to change the format so that there are two
14 separate sections to this guidance document. The first
15 section will be dedicated to estrogen replacement therapy,
16 and the second will be dedicated to those trials looking at
17 endometrial hyperplasia prevention.

18 Each section will contain information on the
19 following items: the indications for which those drug
20 products may be developed; the study design of the Phase 3
21 trials that are recommended or required; the inclusion
22 criteria for those trials; study end-points; and also safety
23 monitoring throughout the conduct of the trials.

24 Next slide, please.

25 Okay--so think in sections again. We're going to

1 talk first, now, about Section 1 of this guidance document,
2 which will be devoted to trials of estrogen-containing drug
3 products, or ERT trials.

4 Okay. You'll see that the current version of the
5 guidance document lists five indications for which these
6 products may be developed--very similar to what you saw in
7 the estrogen class labeling. They are the treatment of
8 vasomotor symptoms; vulvar and vaginal atrophy;
9 hypoestrogenism due to hypogonadism, castration or primary
10 ovarian failure; treatment of abnormal bleeding due to
11 hormonal imbalance; and prevention of osteoporosis.

12 Next slide, please.

13 We are, once again, proposing to delete some of
14 those indications that were listed on the previous slide
15 from the newer version of this guidance document.

16 And, Lonnie, can you go back one slide, please?

17 And we're actually proposing to delete the latter
18 three indications on this particular slide. And we will be
19 asking the Committee's opinion, as well the opinion of those
20 in the audience about that proposed action.

21 Next slide, please.

22 Did you skip one? You did. Okay.

23 Also, the proposed revisions in this section of
24 the document really are going to focus on the vasomotor
25 symptom indication. You'll look at the older section--the

1 older version of the document, and you see that it appears
2 to address all of these different types of symptomatic
3 indications, when really it's focusing on the vasomotor
4 symptom indication. So we're going to appropriately title
5 this section that way in the revised version.

6 Go ahead.

7 We have made some modifications in the number and
8 the design of trials that will be needed for these
9 particular drug products, and we've reduced the number of
10 trials required from two to one. That single trial must
11 meet all of the criteria that are listed on the bottom half
12 of this slide. It must be placebo-controlled; it needs to
13 be double-blind; it needs to be of at least three months'
14 duration; and it needs to evaluate dosage levels, so that
15 the lowest effective dose of the estrogen product can be
16 determined.

17 Next slide, please.

18 With regard to inclusion criteria, the Division
19 currently defines menopausal status in the following way:
20 greater than or equal to 12 months of spontaneous
21 amenorrhea; or greater than or equal to six months of
22 amenorrhea, with an FSH greater than 40 million IUs per ml,
23 and an estradiol less than 20 picograms per ml.

24 Next slide, please.

25 Women who choose to enroll in these trials, and

1 who have been using estrogen or
2 estrogen/progestin-containing products prior to enrollment
3 should undergo wash-out periods before an assessment is made
4 of vasomotor symptoms at baseline. And we suggest the
5 following wash-out periods for each of the different types
6 of products that you see up here: an eight-week wash-out
7 period for oral products; a four-week wash-out period for
8 transdermals; and a one-week wash-out period for vaginal
9 products.

10 Screening mammography is recommended for all women
11 aged 40 or older who participate in these trials; and,
12 certainly, any finding suspicious of a malignancy should
13 result in exclusion from trial participation and referral
14 for further management.

15 Next slide, please.

16 Also with regard to inclusion criteria, when you
17 look at the requirement for vasomotor symptoms at baseline,
18 our inclusion criteria in this regard are based both upon
19 the severity of those symptoms, as well as the frequency of
20 those symptoms. And we require women, at enrollment, to be
21 experiencing moderate to severe vasomotor symptoms.
22 Gradations of severity are defined, as you see, on this
23 slide, with "mild" being a sensation of heat; "moderate,"
24 a sensation of heat with perspiration that does not stop
25 activity; "severe," a sensation of heat with sweating that

1 does stop activity.

2 We also require women to have a minimum of seven
3 to eight of these symptoms per day at baseline, or 50 to 60
4 per week.

5 With regard to the primary efficacy end-points for
6 these types of trials, we expect to see a clinically and a
7 statistically significant reduction in both the frequency
8 and the severity of hot flushes, and we expect that
9 reduction to occur within four weeks of initiating therapy,
10 and it should be maintained throughout the entire duration
11 of the trial, which must be a minimum of 12 weeks.

12 Subjective measures, such as patient diaries can
13 also be used as primary end-points, and objective measures,
14 like thermography, can also be used as either primary
15 end-points or as supportive information.

16 Next slide, please.

17 With regard to safety monitoring--and some special
18 considerations for these trials--the Division strongly
19 recommends that endometrial biopsies be performed at entry
20 to these studies and at study-end or discontinuation. Once
21 again, follow-up mammography should also be performed in
22 these patients, and all women with a uterus should receive
23 14 days of appropriate progestin therapy at the end of the
24 clinical trial.

25 Next slide, please.

1 Okay--Section 2 of the document will focus on
2 trials of combination estrogen/progestin products, or what
3 we call "HRT trials," or "endometrial hyperplasia prevention
4 trials."

5 Next slide, please.

6 Approval of drugs for this particular indication
7 will mean that the combination drug policy applies, and that
8 the lowest effective dose of both of the components must be
9 determined in the clinical trials. The goal of these
10 studies is to determine the lowest effective progestin dose
11 for protection against endometrial hyperplasia or cancer.
12 And trial design issues--a single trial, of 12 months'
13 duration needs to be performed. There should be two
14 treatment arms per estrogen dose. And this is a different
15 suggestion than what you will find in the older version of
16 your guidance document--and I'll talk about that in just a
17 minute. We would also expect to see a dose-related
18 difference between the two treatment arms.

19 In the past, we required three treatment arms for
20 these trials. One of those treatment arms was to be an
21 estrogen-alone arm. And because we know so much about the
22 natural history of the development of endometrial
23 hyperplasia following unopposed estrogen use, we did not
24 feel that that was necessary, nor really was it ethical to
25 continue to require that anymore. So now it's two treatment

1 arms per estrogen dose, and the two arms should have a
2 different dose of progestin, but the same dose of estrogen.

3 With regard to inclusion criteria--again the
4 Division defines menopausal status for these trials in the
5 same way that it does for ERT trials. Wash-out periods from
6 prior HRT use--again, the same for ERT trials, with the
7 exception that if a woman is using a progestin-containing
8 injectable or implant prior to enrollment, then a wash-out
9 period appropriate to the product's half-life should also be
10 followed.

11 Screening mammography--again, for all patients age
12 40 and over, and finding suspicion of malignancy should
13 result in exclusion from participation.

14 Next slide, please.

15 Okay--with regard to primary efficacy end-point,
16 in the earlier version of the document, when we required
17 three treatment arms, the primary efficacy end-point for
18 these trials was demonstration of a statistically
19 significant difference in the rate of endometrial
20 hyperplasia between the estrogen-alone arm and the
21 estrogen-progestin arms, following one year of product use.
22 But with the elimination of that estrogen-alone arm, we had
23 to come up with a more appropriate primary efficacy
24 end-point. And so what we chose here was a point estimate
25 of hyperplasia risk, with the upper bound of a one-sided 95

1 percent confidence interval no more than 4 percent.

2 Next slide, please.

3 Safety monitoring in these trials--the Division
4 requires endometrial biopsies be performed at baseline,
5 annually, at the end of study or discontinuation; and
6 trans-vaginal ultrasound can be used as a surrogate, but
7 only if insufficient tissue is obtained on biopsy.
8 Follow-up mammography is, again, recommended for women
9 participating in these trials.

10 Other considerations that are covered in the older
11 version of your document that will also be covered in the
12 revised version include a request that sponsors assess the
13 effects of these drug products on lipids and lipoprotein
14 profiles, on carbohydrate metabolism, coagulation functions.
15 We've also asked that they obtain some specific
16 pharmacokinetic information, such as serum levels of drug
17 and all metabolites.

18 Next slide, please.

19 Okay. I put this slide up here because, again, I
20 think for clarification--let me talk to about why we're
21 suggesting deletion of this particular indication from this
22 document.

23 Drugs for this particular indication are not
24 reviewed in the Division of Reproductive and Urologic Drug
25 Products. They are reviewed in a separate division--that's

1 the Division of Metabolic and Endocrine Drug Products.
2 There is a separate guidance document published by that
3 particular division--it's dated April of 1994--that deals
4 only with osteoporosis prevention. And so if you'd like
5 specific information on design of those trials, you can
6 contact this division and they will provide you with a copy
7 of that guidance document.

8 But, very briefly, I just wanted to let you know
9 that the requirements for a trial in that particular
10 guidance document is for a single, 24-month,
11 placebo-controlled, dose-ranging trial, with calcium
12 supplementation.

13 Next slide, please.

14 Okay. That's the end of the older stuff. And
15 what I wanted to do was end with a slide that lets you know
16 that there are several other guidance documents that are in
17 the process of being created, or are under revision. And I
18 wanted to briefly let you know what those are.

19 The first is drug development guidance document
20 for female sexual dysfunction--and I can tell you that this
21 has personally occupied a great deal of my time over the
22 last year. I think there's a tremendous amount of interest
23 on the part of the public, as well as the sponsor community,
24 in this particular indication. There is also a guidance
25 document on--a labeling guidance document for combined oral

1 contraceptives, and there is a drug-development guidance
2 document for vaginal contraceptive products that we are
3 working on.

4 Next slide, please.

5 Okay. That's the end of my presentation.

6 And--I'll stop here and allow you to ask me or Dr. Rarick
7 any questions that you may have. And then we'll pose some
8 to you as a Committee.

9 CHAIRMAN AZZIZ: Thank you very much, Dr. Allen.

10 Just to remind the Committee members, if you want
11 to speak, just press the button. If it doesn't come red,
12 then you go into a waiting line thing and your light turns
13 green. Please identify yourself by name.

14 Dr. Allen, we have some questions for you.

15 Anybody have a question for Dr. Allen, for
16 starters?

17 Well, let me go ahead and begin.

18 It's a lot of work that you put into this. This
19 is a--it certainly does need to be updated. However,
20 there's some issues that we don't need to discuss
21 immediately, but I'd like to just make sure that we clarify
22 them before we get into our panel discussion.

23 What was the rationale, again, for deleting
24 "abnormal bleeding due to hormonal imbalance," from the
25 indications?

1 DR. RARICK: Oh, I've got it. Sorry, Ricardo.

2 Did you want to answer that? Oh.

3 You'll notice that the third question posed to you
4 for this afternoon involves a discussion of removing or
5 discussing what could be done to support three of the
6 indications. At the time of writing the drug development
7 guidance document we were specifically concerned with
8 the--quote--"abnormal uterine bleeding due to hormonal
9 imbalance"--end quote--indication. So, for example, we
10 actually haven't seen studies on abnormal bleeding due to
11 hormonal imbalance that allowed that in the label. It's a
12 very old label from 1976 or so that was put out through the
13 Federal Register, that has remained on the estrogen
14 products. Because of the vagary of its definition, unless
15 this Committee can tell us that that's very clear, and that
16 there are specific studies that we could ask for to be able
17 to achieve that indication, we were thinking that it might
18 be time to remove it. But we're open to all of your ideas
19 about either leaving it alone, because it's existed for so
20 long; how to do studies to support it; or what the potential
21 impact of removing it might be if you feel there's a huge
22 impact.

23 Does that answer that question?

24 CHAIRMAN AZZIZ: Another question on ERT
25 trials--I'm sorry, VMS indication inclusion criteria: this

1 "FSH greater than 4, the estradiol less than 20"--I think
2 that you might find some discussion today on that, just
3 because the assays are so significantly different. And we
4 will probably bring that up. I'm not sure that that's
5 necessarily a question.

6 And then, "sweating," "perspiration," both the
7 same thing--the same term. Yeah? Okay. I'm just--wanted
8 to make sure that there wasn't something that we were
9 missing on that.

10 And did you say that under VMS indication's
11 primary efficacy end-points you were going to require
12 objective measures?

13 DR. ALLEN: I said that basically, objective
14 measures such as thermography can be used as primary
15 end-points, or they can also be used as supportive
16 information.

17 DR. FALK: I have a few questions.

18 It may seem like a minor question, but in the use
19 of the endometrial biopsy, there are different techniques
20 for doing an endometrial biopsy which vary significantly in
21 their sensitivity. Could you take a more specific stance on
22 what technique should be used?

23 DR. RARICK: No, we don't have a specific stand.
24 We do give advice on making sure there's standardization of
25 reading by the pathologists. But we don't necessarily make

1 a requirement for the type of pipelle, or novacurette, or
2 whatever. Usually a sponsor proposes the type they'd like
3 to use, provides whatever information they have about the
4 sensitivity and specificity of that.

5 If the Committee has a recommendation about
6 needing a particular type of biopsy, that will be an
7 interesting discussion.

8 DR. FALK: I have another, unrelated, question on
9 the absolute contraindication for the use of estrogen in
10 pregnancy. Broken record.

11 Specifically, on the endometrial cancer--the boxed
12 warning on endometrial cancer, it says--the last
13 line--"There is no evidence that the use of natural
14 estrogens results in a different endometrial risk profile
15 than synthetic estrogens at equivalent estrogen doses
16 --"--which is a reasonable statement. For pregnancy,
17 however, there is no similar statement equating the data of
18 natural estrogens, estradiol, estrone, estriol--comparing
19 that risk with that of the synthetics--still be derivatives,
20 namely diethyl stilbestrol. Is there any data that would
21 warrant the absolute contradiction of the use of those
22 natural estrogens in pregnancy?

23 And specifically I ask this--again, back to
24 ART--is that there are certain aspects of ART, namely frozen
25 embryo transfer or donor eggs, where we do support the

1 endometrium with estradiol.

2 DR. RARICK: Yes, that's very interesting. Of
3 course, when writing this class labeling guidance for the
4 post-menopausal indications, it's not in our usually
5 thinking to try to think of all the possible off-label uses,
6 but it's a good--it's a very good point.

7 And, no, I don't think that we have any data to
8 throw in here about the natural versus unnatural or
9 synthetic estrogens, although we do have a lot of data on
10 many of the synthetic estrogens, where there aren't known
11 adverse outcomes, in terms of, for example, hormonal
12 contraceptives, are labeled specifically that they're not
13 indicated in a pregnant woman, but that if used
14 inadvertently, there's no specific birth defects or
15 teratogenicity imposed by that use.

16 So if you--what you might be suggesting is--again,
17 in the pregnancy section of this label, they're not
18 indicated, but you're saying that they often are used off
19 the label, and you would like a statement discussing: if
20 used, blah, blah, instead of just the DES info?

21 DR. FALK: Yes, you--I mean, you've just made a
22 very strong absolute statement here, and I think that
23 statement should be softened for the use of the
24 non-synthetic, natural estrogen.

25 DR. RARICK: Or even synthetic, I would--I mean,

1 you wanted to talk about non-synthetic, because that's what
2 you're using off-label. But in terms of data--again, we
3 were moving this from a boxed warning to a precaution, and
4 so we didn't think about softening it up even further, but
5 it's a good idea.

6 DR. FALK: I said "synthetic," meaning
7 the--stilbene derivatives, but this--now, there may be
8 different data on the ethinyl estradiol, as well, that's
9 just not out. But, certainly, there's no data that's even
10 conceivable--no pun intended--on the estradiol or estrone.

11 CHAIRMAN AZZIZ: Any further comments or questions
12 simply for Dr. Allen regarding this. We'll certainly get
13 into the Committee discussion in a minute, but--okay.

14 I'd like to not have a break. We've just had
15 lunch and we have a lot of work to do, so we'll just take
16 individual breaks, I guess.

17 Let's go ahead and go to the open public hearing.
18 We have a total of what looks like seven presentations, but
19 actually five letters. We're only going to read one of
20 those letters. They're all similar, and so we're just going
21 to go ahead and read one letter. Are you going to mention
22 all the different--

23 MS. PETERSON: Yes, I can do that.

24 Actually, we--all the letters--I have four
25 letters, and they all contain a common theme, and that theme

1 being the concern with the removal of the osteoporosis
2 discussion from the patient package insert.

3 So--anyway, we've decided just to read one. And
4 actually we've heard from the National Osteoporosis
5 Foundation, from Dr. Charles Hammond, a private practitioner
6 at Duke University Medical Center, and from Dr. William
7 Andrews--again, a private physician in practice; past
8 president of the American College of Obstetricians and
9 Gynecologists.

10 And what I'm going to read is the statement from
11 the American Society for Reproductive Medicine.

12 "It has come to our attention that the Advisory
13 Committee for Reproductive Health Drugs will be discussing a
14 draft of a new labeling guidance for on-contraceptive
15 estrogen drugs at its next meeting. The American Society
16 for Reproductive Medicine, whose membership includes more
17 than 9,000 professionals dedicated to reproductive health
18 has some concerns with the draft guidance as it has been
19 presented. Specifically, the new guidance deletes all
20 mention of osteoporosis from the information for the patient
21 section. The deletion of this text removes important
22 information regarding of the use of non-contraceptive
23 estrogen. For years clinicians have utilized the
24 information on the importance of estrogens on bone to
25 counsel their patients on the prevention and treatment of

1 osteoporosis. This opportunity may be compromised if the
2 suggested changes to the labeling guidelines are approved.
3 We find it particularly confusing that the indication
4 remains in the information for physicians section.
5 Describing the benefits and potential side effects of any
6 medication are an important part of counseling patients.
7 Having one set of benefits described to them by their
8 physician, and a different set in the literature that
9 accompanies the drug will have a deleterious impact on the
10 doctor-patient relationship."

11 "The use of estrogen replacement therapy has
12 become an important part of the practice of many of our
13 members. We urge you to retain the information regarding
14 the prevention and treatment of osteoporosis in the patient
15 labeling in your guidance."

16 "Thank you for your consideration of our views,
17 and we look forward to working with you.

18 CHAIRMAN AZZIZ: Thank you, Jayne.

19 We have three speakers today. Please--I ask you
20 again to limit yourself to five minutes so that everybody
21 can have an opportunity of speaking.

22 We have Amy Allina of the National Women's Health
23 Network.

24 MS. ALLINA: Is it on now? Yes. Okay.

25 My name is Amy Allina. I'm the Program and Policy

1 Director at the National Women's Health Network.

2 As most of the Committee members know, the Network
3 is a non-profit, science-based consumer advocacy
4 organization. We don't accept financial support from
5 pharmaceutical or medical device companies. We're supported
6 by a national membership of 12,000 individuals and 300
7 organizations.

8 In January of this year the Network submitted
9 comments to the FDA staff on its proposed changes to the
10 labeling guidance for non-contraceptive estrogen drug
11 products, and after the reviewing the newest revision of the
12 draft guidance, we didn't see most of our comments
13 reflected, so we thought we would come today and put them
14 out for the Committee members. And if you find that you
15 agree with any of our comments, we hope you'll raise them in
16 your discussion and advise the Agency on addressing them.

17 As we did in our January comments, I'd like to
18 begin by expressing our support for some of the additions to
19 the labeling guidance. In particular, we're pleased to see
20 the new language in the warning section about venous
21 thromboembolism. There are a few changes that we're
22 concerned about--or changes that weren't made that we'd like
23 to see made.

24 In both the physician and the patient labeling of
25 the draft guidance, the language addressing the risk of

1 breast cancer associated with estrogen replacement therapy
2 doesn't adequately or accurately describe the most recent
3 data available. A 1997 summary of every study of estrogen
4 replacement therapy and breast cancer published in The
5 Lancet found that using ERT for five or more years increased
6 a woman's risk of breast cancer by about 35 percent. This
7 finding was based on the experience of over 52,000 women in
8 21 countries. The Network believes that both the physician
9 and the patient labeling should reflect this data.

10 We recognize that there are conflicting studies,
11 and that the question won't be completely settled until the
12 results of a long-term randomized trial are in, but in the
13 meantime we believe that it's important to share what it
14 known. We've provided the staff with some recommended
15 language which we would be happy to share with Committee
16 members if that would be helpful.

17 We also recommend that the labeling should be
18 revised to add a warning to physicians and patients that
19 mammography has been found to be less effective as a
20 screening tool in women who are taking estrogen therapy.
21 Because it increases breast density it makes mammograms
22 harder to read, leading to less reliable results. The
23 patient labeling should include this information, and it
24 should caution women taking estrogen that they should be
25 especially attentive to any changes in their breasts,

1 particularly changes that take place in only one breast.

2 There are a number of studies which support this
3 position, and I have them listed in my written statement,
4 but I won't go over them right now.

5 We share the concerns expressed in the letter that
6 was written about the need for information about
7 osteoporosis in the patient labeling, but I understand from
8 Dr. Allen's statement that will be taken care of.

9 The draft guidance also doesn't have language
10 which has been on the label about when estrogens are
11 ineffective. This section explains that there is not
12 evidence that estrogen is effective for treating depression
13 or for keeping skin soft. And we recommend keeping the
14 language on the patient label. We recognize there are small
15 and inconclusive studies on estrogen's effect on mood and
16 skin, but we don't think their results are sufficient to
17 warrant omission of the warning. And we also recommend that
18 Alzheimer's disease should be mentioned in this section,
19 since it's another unproven claim that's made for estrogens.
20 Those of you on the Committee who are clinicians know that
21 women often have misconceptions about estrogen's ability to
22 affect these conditions, and we're asking you to address
23 those--help address those misconceptions by including
24 information in the label for patients.

25 Finally, we recommend retaining some language

1 about uterine fibroids in the precautions section of the
2 physician label. Fibroids are common in women, especially
3 as they approach the age of menopause, and it's important
4 for doctors to know that hormone therapy may increase
5 fibroid size.

6 Thank you for the opportunity to share the
7 Network's comments on the draft, and if you have any
8 questions I would be happy to try to answer them.

9 CHAIRMAN AZZIZ: Thank you very much
10 Dr. Robert Lindsay--if he's in the audience.

11 [No audible response.]

12 Next speaker is Dr. Margaret Weber. Is she in the
13 audience? Assistant Vice President, Global Medical Affairs,
14 and Associate U.S. Medical Director for Wyeth-Ayerst
15 Pharmaceuticals.

16 DR. WEBER: Good afternoon.

17 I'm Dr. Margaret Weber, and I'm here on behalf of
18 Wyeth-Ayerst Pharmaceuticals. Wyeth-Ayerst is a leading
19 pharmaceutical company with a major research facility--the
20 Women's Health Research Institute--which is devoted
21 exclusively to women's health. Accordingly, our comments
22 today are focused on two issues: first, post-menopausal
23 osteoporosis, a significant public health issues; and,
24 second, the importance of retaining additional information
25 about this disease in the labeling guidance for

1 non-contraceptive estrogens. Originally I was also going to
2 address a third issue, which was the patient indication for
3 osteoporosis, but Dr. Allen made it clear that that was
4 inadvertent. So you just saved me ten pages of my speech
5 [laughs.]

6 ^{3/4}. Let me just start with the first of a few facts
7 that demonstrate the public health impact of post-menopausal
8 osteoporosis. Today women can expect to live another 30
9 years after the menopause. Estrogen loss at menopause can
10 lead to rapid and significant bone loss. In fact, a woman
11 can lose up to 20 percent of her bone mass in the first five
12 to seven years of menopause. Given the millions of
13 baby-boomers entering the mid-life, the prevention of
14 post-menopausal osteoporosis, and its associated fractures,
15 is extremely important in reducing health care costs and
16 elder disability.

17 Given the public health consequences of
18 osteoporosis, physicians must be able to adequately counsel
19 their patients, the benefits of estrogens, particular for
20 the prevention and management of post-menopausal
21 osteoporosis. Wyeth believes that the current estrogen
22 labeling provides information that is very relevant to the
23 use of estrogens for this disease. Therefore, it is
24 important to note that the FDA draft guidance to be
25 discussed here today has deleted a section that we believe

1 is critical to estrogen labeling. Recognizing that the
2 labeling can be an important counseling tool, for providers
3 and patients, we would like to bring this section to the
4 attention of this committee.

5 In the current physician labeling, the indications
6 section contains information about risk factors for
7 osteoporosis, such as race, family history, small body
8 build, cigarette smoking, lack of exercise, and nutrition.
9 It also discusses other factors that are relevant to the
10 prevention of osteoporosis, such as weight-bearing exercise
11 and adequate calcium intake. And, finally, it also presents
12 a summary of epidemiological data--and I quote--"Case
13 controlled studies have shown an approximately 60 percent
14 reduction in hip and wrist fractures in women whose estrogen
15 replacement was begun within a few years after menopause."

16 The 1999 draft guidance has deleted this important
17 information about the risks and management of osteoporosis,
18 but this section is critical to the prescribing of
19 non-contraceptive estrogens, and that's because it gives
20 health care providers accurate and relevant information
21 about post-menopausal osteoporosis. This is information
22 that they can use when counseling their patients.
23 Therefore, this information--this section--should remain in
24 the labeling.

25 Thank you for the opportunity to bring this to

1 your attention.

2 CHAIRMAN AZZIZ: Thank you very much.

3 Anybody else in the public would like to comment
4 on this?

5 [No audible response.]

6 CHAIRMAN AZZIZ: If not, let us proceed with
7 Committee discussion. In questions--I will read each of the
8 questions individually and proceed down.

9 Before I do, does any of the Committee members
10 have any general comments or questions for staff, before we
11 undertake this?

12 [No audible response.]

13 CHAIRMAN AZZIZ: Very well. The first question
14 is: does the Committee recommend study of hormone
15 replacement therapy--HRT--in menopausal women with less than
16 moderate to severe, or less frequent than seven to eight per
17 day, or 60 per week, vasomotor symptoms.

18 So this is in regards to the definition of
19 vasomotor symptoms.

20 DR. HAMMOND: I guess I have a question. Are we
21 saying--is this a new indication? Is that what we're
22 asking, as a new indication?

23 DR. RARICK: Oh--sorry.

24 Current labeling describes that it's approved, and
25 the indication is obtained is moderate to severe vasomotor

1 symptoms, and we've defined them as described.

2 We have much interest, from both industry and
3 consumers, of what to say about less than this, and is there
4 room for either broadening this particular indication, or a
5 separate indication for less moderate to severe, or less per
6 day.

7 Currently, sponsors are required to find women
8 that actually do have--have to wash out from previous
9 therapy, have at least 60 moderate to severe vasomotor
10 symptoms per week. And there is--we need your discussion
11 about whether there's room for the indication in less
12 moderate to severe, and if there is, is the one clinical
13 trial appropriate? Is there--do you have any comments on
14 the clinically significant differences between groups that
15 you'd like to see.

16 One of the reasons we have it written this way is
17 because we're very familiar with this data. We're very
18 familiar with the kinds of effectiveness end-points we look
19 out when people start with the baseline in here.

20 If we are to broaden our indication, we need your
21 comment as to--if somebody has five a week, then what is the
22 clinical relevance of therapy? Does it have to be zero,
23 etcetera, etcetera.

24 Just want to hear your thoughts--or do you want to
25 stick with the original 1972 version of estrogen labeling,

1 which said this is what we know it works for, if given in
2 the right dose.

3 DR. LERNER: I can only speak clinically
4 anecdotally, but that seems particularly stringent to me,
5 and maybe it's just my sort of liberal, professional New
6 York clientele but I, you know, don't know that people are
7 going to tolerate that as a definition.

8 DR. HAMMOND: Well, having done objective testing,
9 if you don't have that many--oh, Dr. Hammond--if you don't
10 have that type of objective testing, then it's very
11 hard--that frequency of hot flashes, you can't pick them up
12 in a study. Because if you monitor a patient for six hours,
13 which is normally what you do, if she doesn't have that many
14 hot flashes, you won't see a difference with the treatment.
15 Because if they're only having a hot flash every hour--they
16 usually have them about every 90 minutes when it's severe.
17 But if they're not have the severe hot flash pattern, and
18 you might monitor them for six hours and not pick a single
19 hot flash at baseline, so therefore you couldn't demonstrate
20 an effective medication. You could only do this with a
21 diary.

22 CHAIRMAN AZZIZ: My comment--I mean, this is,
23 again, about this definition. It's purely a definition
24 here. And the question is, should sponsors be required to
25 find these women, wash them out, not treat them, and then

1 treat them?

2 And my impression--and, again, we'd like to get
3 the Committee's--my impression is that, yes, it is
4 stringent. This is not what is clinically useful to us, but
5 on the other hand, because of the significant placebo effect
6 of these medications, there's a 50 percent reduction in
7 vasomotor flushing with placebo alone. So that I think you
8 do need to have fairly clear-cut affected women for these
9 type of studies. I don't think you can get around that very
10 well.

11 But anybody, again, disagree with this? I mean,
12 this is the recommendation. Any disagreement from the
13 Committee? Does the Committee feel that perhaps these are
14 overly stringent and we should, for clinical trials,
15 recommend lesser stringent criteria?

16 DR. RARICK: I'm sorry, I have the mike now. I'm
17 not on the Committee.

18 But just for your further discussion, one question
19 is--okay, we would agree that the easiest way to show
20 effectiveness of your estrogen product is to find these more
21 severe women, have a placebo-controlled trial; you have to
22 deal with the 40 to 50 percent placebo effect, and you can
23 still show a difference.

24 Our question is: if a sponsor came to us and said,
25 "Well, we really want to do something less severe or less

1 often," and as long as the onus is on the sponsor to find a
2 clinically relevant difference between their placebo group
3 and their drug-treated group, does this Committee think that
4 is a reasonable design that would change the indication for
5 that product?

6 DR. FALK: I would think that this is overly
7 stringent, considering the fact that the criteria used is
8 not the most objective. So that I think if you--if one
9 wanted to be more--if one wanted to maintain stringency in
10 objective signs or symptoms--or signs, really--then I would
11 go more by the laboratory data and allow more leeway in the
12 clinical--in the subjective data.

13 DR. HAMMOND: Well, I guess I would have a
14 question--are we really talking about, perhaps, a new
15 indication around the peri-menopause. I'm wondering--yes.
16 Because it seems to me there are only two groups of people
17 that have these mild hot flashes. They're either women who
18 are in the peri-menopause, or they're women who are well
19 past the climacteric period. And so I'm wondering if that's
20 what we're talking about.

21 DR. RARICK: Certainly, you notice we left that
22 word out of any of these questions, but thank you for
23 bringing it up.

24 That's something for the Committee also to let us
25 know. We, as a division, have tried to manage the question

1 of the less than menopausal women as simply that, but simply
2 still having the same symptoms that a menopausal woman might
3 experience.

4 If you feel that peri-menopause itself is a
5 specific indication, we need to hear that discussion. We
6 had crafted the questions--and I think the last, or the next
7 to the last, is specifically your question: what if someone
8 doesn't meet the criteria for menopause? Is that a
9 different indication? Or, if they're not meeting the
10 criteria for menopause but they have vasomotor symptoms, are
11 we simply saying vasomotor symptoms--whether they're
12 post-menopausal or peri-menopausal, or what. I don't know.

13 But that is--the intent of the question is: do we
14 broaden vasomotor symptoms? Do we make "mild" a different
15 indication? Do we make peri-menopause a different
16 indication? And, if so, there's a lot of clinical design
17 impacts that that would have.

18 CHAIRMAN AZZIZ: Yes, I think we'll talk about
19 peri-menopause in a minute, because I think it's a bigger
20 subject.

21 But according to this question, anybody have
22 further comment? I mean, it sounds like if the burden of
23 proof is going to be on the sponsor, then certainly there
24 are clinically affected women who have less hot flushes who
25 are in need of care. I mean, you're right. I think that if

1 we're stringent, that simply will favor an observed
2 difference, but if you have enough patients, perhaps you
3 will be able to observe a difference.

4 I think I would probably feel freer relaxing it,
5 in spite of my earlier comments.

6 Anybody else's thoughts?

7 DR. LERNER: I agree.

8 DR. RARICK: Does the Committee believe that if
9 something was approved for moderate to severe that it would
10 automatically treat mild?

11 CHAIRMAN AZZIZ: Probably yes.

12 DR. RARICK: So, for example, to expand the
13 indication, for a company that comes in without choosing
14 women with this severe, and so gets just vasomotor
15 symptoms--a sponsor who had had to do the more severe would
16 automatically get the mild?

17 CHAIRMAN AZZIZ: I would think so. I mean, the
18 reverse is certainly not true. I mean, there's a number of
19 drugs that can treat mild symptoms in women, but that
20 certainly are not effective in the truly menopausal woman.
21 But I think the reverse is probably true. If they treat
22 effectively women in full-blown menopause with this kind of
23 symptomatology, I don't see--I don't think any of us would
24 have any wonder about milder symptoms, for sure.

25 Perhaps it is now time to bring up the

1 peri-menopause. Certainly, we've touched and danced around
2 that subject for a long time. The FDA has no real--that I'm
3 aware of--no drug that has targeted peri-menopause and, in
4 fact, it doesn't exist, really, in this realm unless we
5 think so.

6 So I think it is truly one of the most severely
7 under-treated, under-recognized disorders of women today.
8 And having said that, though, I'd like to have other
9 Committee's comments on whether drugs should be formulated
10 for the treatment of peri-menopausal women.

11 DR. LERNER: Jodi Lerner.

12 I assume that the old indication would be abnormal
13 uterine bleeding, sort of, that doesn't need strict criteria
14 for menopause, probably tries to encompass some of the
15 anabulatory peri-menopausal women in that realm, and I
16 assume that that's sort of what it's trying to fit in.

17 So I think even by eliminating that one
18 indication, you may then lose whatever sort of small
19 peri-menopausal inclusion you would have had, per se.

20 CHAIRMAN AZZIZ: But if you're getting the gist of
21 the--the recommendations--I mean, certainly, as I said
22 before, if they have approval with severely affected
23 patients, that probably would allow patients who are less
24 affected to be--but if somebody wants to study lesser
25 affected patients to have a lesser affected peri-menopausal

1 indication, I think that that would also be viewed favorably
2 by the Committee. I think we would like to see that.

3 DR. RARICK: Maybe you could just go to question
4 4, then? And--I think that's what you're answering: is that
5 you think there is a population that requires therapy,
6 called peri-menopause.

7 I would like the Committee to comment on trial
8 design, so that, for example, would you be suggesting that
9 the doses would be different for these symptoms in the
10 peri-menopause? And would they change over time as women
11 became menopausal? If you're doing a three-month study, are
12 you then damning yourself, because you're no longer
13 peri-menopausal at the end of the three months, etcetera?
14 Help us with these questions.

15 DR. FALK: Richard Falk.

16 The problem--the reason we're wrestling with these
17 problems is we have--we're dealing with some archaic
18 terminology here. And we're trying to answer archaic
19 terminology with modern, up-to-date terminology.

20 So, for instance, we're talking about a variety of
21 hypo-estrogenic states--or a continuum of--progressive to a
22 point of hypoestrogenism, and we use the word "hormone" or
23 "estrogen replacement therapy." The implication of
24 "replacement therapy" is that you replace what's deficient.
25 And so, theoretically, at least, in an ideal model, you

1 would use less estrogen for a person who's less deficient,
2 more estrogen for a person who's more deficient. In
3 practice purposes--in the practical sense, it doesn't always
4 work out that way because we are treating the often
5 subjective symptoms of hypoestrogenism that may or may not
6 be related directly to hypoestrogenism. And that's why we
7 see the high placebo success rate with some of these things.
8 Yes, a woman is hypo-estrogenic, yes, she has hot
9 flushes--true, true, and maybe related.

10 So that I think--if it's at all possible--I'm not
11 foolish enough to say let's do away with that terminology
12 of menopause and peri-menopause, but I do think--well, I do
13 think we ought to talk about physiologic hypoestrogenism and
14 its symptoms, and then that makes it a little easier to
15 address this.

16 So it doesn't make any difference if you're having
17 a few hot flushes or a lot of hot flushes. If you're
18 symptomatic, and you're hypo-estrogenic, then you would be a
19 candidate for this--you could be categorized as--in this
20 grouping.

21 CHAIRMAN AZZIZ: I think that in regards to the
22 trial--number 4 obviously deals with both the definition and
23 trial, and you've just suggested trials. There's a real
24 problem, and that is that to diagnose menopause, according
25 to this criteria, and--which is a true criteria--you depend

1 on an assay for FSH, and you depend on an assay for
2 estradiol. And if you were to say you want to diagnose
3 peri-menopausal women--which obviously is what we need to
4 do--then we'd have to change the FSH or estradiol criteria.

5 The real problem is--those of us who run endocrine
6 labs--the estrogen--estradiol--assay is lousy. It is a
7 worthless device--no, actually, it's--it belongs to somebody
8 else--but it's a worthless kit in the vast majority of the
9 cases. It doesn't measure what you want it to measure.

10 So asking for estradiol levels of less than 20
11 picograms per ml is foolhardy because, in fact, most
12 menopausal women will have assays that will run anywhere
13 between 50 and below 50 picograms per ml and below. So I
14 don't think estradiol should be used as part of this
15 criteria. I mean, certainly, Richard will--you may, agree
16 or not. I mean, certainly better assays are already--from
17 the maturation index; things of this more primitive nature.

18 FSH levels--I don't think today we're using the
19 old cutoff of 40 mIU per ml. I mean, 35, or even 30 is
20 considered menopausal in most cites. And certainly, you can
21 put--you can select a group of peri-menopausal women whose
22 FSH levels fluctuate between 20 and 30, and then you get
23 into the 30 and above, and that becomes, sort of, true
24 menopause. But, as Richard said, it is a continuum. So
25 we're grappling with it.

1 The FSH assay will be much more accurate than the
2 estradiol assay, though--in general.

3 DR. FALK: I totally agree with that. In fact, I
4 would almost substitute for the estradiol, LH, and do an FSH
5 And LH assay, which would probably give you a better index
6 of the ovarian function than an estradiol. I don't know
7 that the assay is lousy. It may be a fluctuate--too
8 fluctuating a system to pick it up with one spot level.

9 DR. DATTEL: This is --Bonnie Dattel.

10 This is a little out of my bailiwick, but as an
11 objective observer, it seems to me that you're kind of
12 limiting yourself with the definitions, and then you have to
13 include something separate from the peri-menopause. And if
14 you were more global in your definition of the patient
15 population, it would include this whole spectrum of women,
16 without really locking yourself into this definition--just
17 as an objective--

18 CHAIRMAN AZZIZ: I'm sorry, could you clarify that
19 again? I missed.

20 DR. RARICK: Well, let me see if I've captured it.

21 I think what Dr. Dattel is suggesting is that we
22 get away from the "archaic"--as Dr. Falk would call it--and
23 not give names to these things, or try to define them with
24 any particular FSH or LH level. But, then again, I want to
25 hear the Committee's comments--then can we simply use

1 symptoms, and not necessarily require a label of
2 "peri-menopause" or "menopause?"

3 And, then again, if you're going to that, tell us
4 what we should do about contraception and the
5 peri-menopause.

6 DR. HAMMOND: Mary Hammond.

7 Going along with that, I think you're right about
8 the FSH. I think most of us use that in our practice. And
9 if there's a borderline elevation or a significant elevation
10 in FSH and people are symptomatic.

11 But, again, so many of the symptoms that our
12 patients have in the peri-menopause are not as simple as
13 your indications. I mean, there's not necessarily vulvar
14 atrophy, and there's not severe hot flashes. There's this
15 general feeling of malaise. And so I'm concerned about both
16 the diagnosis and the end-point that you would use for that
17 indication.

18 DR. FALK: I don't think--I agree exactly with
19 Mary, but I don't think you can go just by symptoms. I
20 think that would be a major mistake. But I think with the
21 laboratory back-up, with the criteria--and, again, I would
22 call this "functional" or--"functional hypoestrogenism," or
23 "gonadal hypo-function,"--

24 [Laughter.]

25 DR. FALK: --without--with symptomatology. And so

1 I think you have to use both of them--much like
2 you--polycystic ovary would be anovulation, with or without
3 hirsutism.

4 CHAIRMAN AZZIZ: You know, Richard has forgotten
5 what he's taught me--but it's "hypergonadotropic
6 hypogonadism" is really what we're talking about
7 here--huh--and that's truly what it is. We have--and that's
8 why this whole menopause--this is the same thing as the
9 younger individual whose ovarian--you know, who's castrated
10 or so on--simply hypo--but we can't use that word in patient
11 labeling and you can't word in practitioner.

12 So, unfortunately, I think we're going to be stuck
13 with these "peri-menopause," "menopause" terms. But I think
14 in there we should clearly indicate that estrogens are
15 indicated for the treatment of symptomatic hypergonadotropic
16 hypogonadism. And that's truly what we're treating.

17 In regards to the remark on LH, I do think that
18 the LH assay will simply confirm that it is a generalized
19 excess of gonadotropins. There are cases where you can have
20 an isolated FSH elevation--you know, you get them at the
21 mid-cycle or something of that nature. But, in general, if
22 you get LH and FSH that are elevated--not used clinically,
23 but for trials--then that would be more confirmatory of
24 menopause than, say, estradiol levels and so on.

25 DR. RARICK: I was going to ask you both to

1 comment, because Dr. Falk's been commenting on
2 hypoestrogenism, but doesn't want us to use estrogen
3 levels--suggesting an LH level as a cutoff. Did you give us
4 a number--a definition?

5 DR. FALK: No, I can't right now give you an
6 absolute number. The problem with all of these
7 assays--LH--is that you have pulsatile levels, and so I
8 think that--you know, we now that there may be higher levels
9 in a large population, but in an individual it might not be
10 significant. So--for instance, if you got it just
11 pre-ovulatory, you can have a very high LH level that would
12 not indicate ovarian failure.

13 But I just think--my point was to use multiple
14 tests to confirm, along with the symptoms. And I think if
15 you have a woman who complains of hot flushes, and is
16 amenorrheic, and she has an elevated FSH, and she has an
17 elevated LH, then I think it's safe to put your money on a
18 diagnosis of ovarian failure.

19 DR. HARRIS: I just had a quick question--and
20 maybe I missed it because of jet lag.

21 The implication of the question is that without a
22 clinical trial, this would be an off-label use of the--of
23 estrogen?

24 DR. RARICK: Currently, the labeled indication is
25 "moderate to severe vasomotor symptoms associated with the

1 menopause." Depending on how deeply you look into that
2 definition--yes, anything else would be off-label use.

3 Let me get back, also, to Dr. Hammond's
4 comment--and the Committee might want to elaborate--is the
5 peri-menopause--are you thinking health-related quality of
6 life sort of claims? Or are you thinking of different
7 claims outside of the usual estrogen claims?

8 DR. HAMMOND: I wasn't so much asking you for that
9 as an indication, but explaining that, for most patients
10 that present, it is a quality of life issue that they
11 present with, rather than the more specific.

12 CHAIRMAN AZZIZ: You know, in regard to the
13 peri-menopause, I don't think that you necessarily need to
14 go to other indications. I mean, clearly, if a sponsor
15 wants to get an indication for improvement of quality of
16 life, or improvement in Alzheimer's, or whatever it is, they
17 will need a separate targeted trial.

18 But, certainly, from a point of view of general
19 recommendations, estrogens are going to be useful for this
20 hypergonadotropic hypogonadism, whether we want to call it
21 the "transition of peri-menopause," or "in-menopause," or
22 "menopausal," or whatever term we want--and the problem is
23 those are all wrong terms. That's the real--I think as
24 Richard had said. So, I do think so.

25 But, in regards to trial design, we've talked

1 about patient selection. I think you probably need to say
2 generally FSH levels of over 30 or 35--generally--because,
3 you see, the sponsors of trials really should be able to
4 present their own controls. It's no different than any
5 other laboratory that's doing this. They should say, "In
6 our lab, menopausal women, according to our survey of 100
7 menopausal women--"--or whatever it is--"--this is the
8 level." I mean, it happens with estrogen, it happens with
9 testosterone.

10 So I don't think that it would be healthy for the
11 Division to state a single, absolute level, because then
12 you're going to be forcing a lot of significant problems
13 from a laboratory point of view.

14 As far as design, I don't think--I mean, I don't
15 have any other significant recommendation, other than saying
16 they should follow the general designs. My question to you
17 is, though: if the Division feels that peri-menopause is now
18 part of this menopausal status, and drugs that were proven
19 effective in menopause may be proven now effective in
20 peri-menopause, is that going to be an automatic thing? I
21 don't think it's a terrible thing, but I think--this is my
22 question to you now, from an administrative point of view.

23 Are drugs that are now approved for
24 menopause--improvement of vasomotor symptoms in
25 menopause--would they then be automatically listed--have a

1 listed indication of peri-menopause?

2 DR. RARICK: Most likely not. A study would need
3 to be done to show that the types of vasomotor symptoms, for
4 example, that are in the less than menopausal status woman
5 are treated appropriately at the least--the lowest does
6 that's effective. So, for example, maybe it takes more
7 estrogens in the peri-menopause, maybe it takes less. I
8 don't know.

9 And also if the symptoms are different than our
10 usual criteria of seven to eight per day, we would want to
11 see that a drug did better than placebo in a woman who is
12 less effected.

13 So I think--what I'm hearing is you don't ind the
14 idea of the fact that--I mean, women are--we all know women
15 are being treated with less than seven to eight per day
16 vasomotor symptoms a day, but why can't the company go ahead
17 and do a study and show us that they are effective in that
18 population.

19 That's kind of what I'm hearing--and that you'd
20 like us to be more general in our definitions and allow some
21 room.

22 DR. TRUSSELL: Why would a company want to do
23 that? They can already sell their product to the same group
24 without having to undergo the expense?

25 CHAIRMAN AZZIZ: Well, I mean, we all know acne is

1 treated by birth control pills, but a company who doesn't
2 need to be called, that a small study with birth control
3 pills and acne, and is now using it as a major marketing
4 tool, and they're dominating the market because of that.
5 There's a lot of women with peri-menopause. And if you get
6 something that you can actually market on tabloids--that's
7 going to be much more effective.

8 So I think that that's really going to be their
9 concern. But, certainly, we would like for them to market
10 things that are indicated. I'm not sure about "like" as the
11 right word, but--

12 DR. LERNER: I think the hardest thing, then,
13 would be the definition--and maybe we're digressing a little
14 bit, but we're already having a tough enough time finding a
15 true definition, or strict definition for menopause. I
16 think once you open it up to peri-menopause, it's--you have
17 900 different variables, of varying length of time, various
18 laboratory assays. I don't know that you can ever
19 standardize that in any reasonable way.

20 CHAIRMAN AZZIZ: Just a--to move the
21 conversation--to move our discussion on--anybody else have
22 comments on number 4--question number 4?

23 Again to summarize--I think, Lisa, you summarized
24 it well. We don't feel so comfortable with a very strict
25 definition of menopause, at least the way it's stated. We

1 certainly do think that peri-menopause should be included
2 and is a group that is worthy of study. And, again, there
3 are ways to diagnose these women, by either lesser FSH
4 levels, lesser symptomatology.

5 Any other comments to number 4?

6 DR. RARICK: Can I ask one follow-up question?
7 I'm sorry, it's not one of the questions, but since the
8 discussion is leading this way--does the Committee have any
9 comments about treating the peri-menopausal woman with
10 combined oral contraceptives, or oral contraceptives for
11 contraceptive use, and also treating their vasomotor
12 symptoms, versus trials where you're treating vasomotor
13 symptoms, but not giving contraceptive coverage.

14 Is there any considerations there about the
15 hormones used?

16 I don't know if you heard that question, Ricardo.

17 The question is: peri-menopausal women are--could
18 potentially still be in need of contraception. Is there a
19 need for contraceptive hormones to be studied in vasomotor
20 symptoms in the peri-menopause?

21 DR. FALK: I think from a practical point of view
22 that's done an awful lot now, for several reasons. Number
23 one, that population of people may well need contraception;
24 b) that population also is more desirous of having a monthly
25 menstrual flow than, perhaps, an older population is.

1 So, all in all, they feel
2 more--quote-unquote--"normal" on a combination type of
3 medication which does give them all of those advantages.

4 CHAIRMAN AZZIZ: I mean, I just came back from
5 ACOG, discussing various guidelines. And certainly the
6 feeling is that for the older peri-menopausal, the mature
7 woman, oral contraceptives, in the absence of--if I can't
8 say "mature women" are in the audience--is--oral
9 contraceptives are actually the primary mode of therapy,
10 rather than estrogen or hormonal replacement. So, in fact,
11 the answer is yes.

12 But I was under the impression--correct me if I'm
13 wrong--that studies have already been done with the use of
14 so-called "low dose" oral contraceptives in peri-menopausal
15 women, or at least in older women.

16 Am I wrong?

17 DR. RARICK: Well, certainly, the contraceptive
18 effect is believed to exist for a woman who's over 40, but
19 the actual trials are done, usually, up to about age 40.
20 But there's no reason to believe the contraceptive effect
21 goes away.

22 My question for this committee is: is a trial
23 design in peri-menopausal women--is it more appropriate to
24 look at ERT for vasomotor symptoms, or contraceptive hormone
25 levels for vasomotor symptoms.

1 CHAIRMAN AZZIZ: Certainly I would look at--I
2 don't know if it's more appropriate, either one. I mean, I
3 think the populations are different. The peri-menopausal
4 woman would tend to ovulate occasionally, and sort of mess
5 things up with the HRT. So, in general, it's better to use
6 low-dose oral contraceptives. But many of them are not
7 candidates for it. I mean they have medical disorders by
8 that age that preclude that.

9 So, I think--I mean, both require study, because
10 the populations are used--and the off-label use is currently
11 tremendous. So, in a sense, you're not going to stem the
12 barrier--the tide, you're simply going to provide
13 information, obviously.

14 Any other comments on this question?

15 Before we continue, I've been told that Dr. Robert
16 Lindsay, one of our speakers, just arrived. He's had
17 airplane delays.

18 Let's go ahead and open that--open the public
19 hearing so we can hear his comments.

20 Dr. Lindsay, you have five minutes, as you're
21 aware. Thank you.

22 DR. LINDSAY: Thank you very much, Mr. Chairman.
23 My name is Bob Lindsay, from New York--Columbia University.
24 And I'm professor of medicine, and Past President of the
25 National Osteoporosis Foundation.

1 I appreciate the chance just to address a couple
2 of issues related to estrogen's effects in post-menopausal
3 women, and particularly its effects on osteoporosis.

4 One of the issues that has come up fairly recently
5 has been the suggestion that in studies not designed to
6 evaluate, as a prime outcome, the effects of estrogen on
7 osteoporosis has been the finding that fractures were equal
8 number in placebo group and the estrogen group.
9 Pre-referral clinical fractures are very difficult to find
10 as an end-point for a variety of reasons. Firstly, they're
11 not particularly common. And, secondarily, even in studies
12 designed specifically to look at that outcome, the effect is
13 comparatively modest. These data are from the well-known
14 Merck studies looking at alendronate, demonstrating the
15 very modest effect of alendronate on peripheral fractures
16 in the so-called two study--a study of some 4,000
17 individuals followed over a four-year period. The note a
18 modest effect, and a very borderline statistical
19 significance of that effect, when one looks at peripheral
20 fractures.

21 Now, the effects of estrogen are well known.
22 We've known for a very long time--in fact, since Fuller
23 Albright in the 1940s--that estrogens actually reduce bone
24 turnover, prevent bone loss, and consequently are as--bone
25 active agents for as long as they are given. And these are

1 all data from our group, demonstrating the prolonged effects
2 of estrogen in post-menopausal women.

3 Now, those data have--it has been argued, do not
4 related--because they measure peripheral bone, don't relate
5 to bone at important sites of fracture, namely the spine and
6 the hip. But those data also showed positive effects on
7 estrogen at the spine--that is often forgotten--and also the
8 same effects at the hip, albeit in a cross-sectional manner,
9 because the techniques for those measurements were not
10 available when the study was originally designed.

11 However, the findings in the spine and the hip,
12 and those data, are identical to the findings in the PEPI
13 studies, and--which are perhaps the best known of the
14 studies in looking at the effects of estrogen and HRT on
15 spine and hip--and are comparable to all of the other
16 prospective controlled clinical trials in which bone density
17 has been looked at as an outcome.

18 Now, it's often argued from that, that we have
19 bone density data, but we have comparatively little clinical
20 trial data for estrogen's effect on fractures. However,
21 when one looks at the clinical trial data for fractures,
22 there are, in fact, two clinical trials that have looked at
23 fractures prospectively: our own data that looked at 10
24 years' worth of data and demonstrated a reduction of
25 vertebral fractures; and the Lufkin data, which are shown on

1 this slide--which, if I were closer to the screen, I could
2 probably read which one it is--demonstrated a significant
3 reduction in only one-year therapy with estrogen.

4 Now, I've been a little naughty in this slide, and
5 I admit to that, because on this slide I've demonstrated the
6 effects of a variety of agents on vertebral fractures on the
7 top, and on bone density on the bottom. And I want to point
8 out that despite the diversity of effects of bone density,
9 there is a remarkably similar outcome in terms of vertebral
10 fracture. And for those agents on which we have data on
11 femoral neck fractures, the same is evident--that bone
12 density changes that occur account for only some 25 percent
13 of the reduction in vertebral fractures that one sees.

14 Consequently, the idea that one would not have, in
15 estrogen labeling, effects on osteoporosis, which affects,
16 as you know, this huge number of post-menopausal women in
17 particular, and would not be able--that they would not be
18 able to learn the benefits of the prevention of bone loss,
19 and the reduction in bone turnover, and presumed fracture
20 outcome from that, I believe would be detrimental for the
21 health of the post-menopausal women.

22 I, once again, would like to thank Mr. Chairman
23 and the Committee for their indulgence, and the chance to
24 present those data. Thank you.

25 CHAIRMAN AZZIZ: Thank you very much. We will be

1 discussing that whole issue in a second.

2 Let us continue with our questions.

3 Number 2: Please provide comment and
4 recommendation concerning endometrial safety monitoring in
5 vasomotor studies--vasomotor symptom studies; and baseline
6 and end-of-study endometrial biopsies needed in three-month
7 ERT trials?

8 DR. DATTEL: Well, I have an opinion as a woman.
9 I think that's ridiculous. Three months is really--I mean,
10 correct me if I'm wrong--three months is a rather short
11 period of time to require a woman to undergo two endometrial
12 biopsies, and it probably doesn't provide the information
13 that you're looking for in a three-month period.

14 DR. LERNER: I concur. I can't imagine that
15 you'll find any sort of change.

16 DR. FALK: But I do think that endometrial safety
17 monitoring could include ultrasound, which is certainly not
18 very painful, and would at least give you an idea if
19 something should be followed up on.

20 DR. HARRIS: I guess the real question is what is
21 a reasonable time, based on the natural history of unopposed
22 estrogen exposure on the endometrium. And though the
23 statement suggests that endometrial cancer is the lesion, is
24 that data well supported? Is it endometrial cancer, or is
25 it atypical adenominous hyperplasia in unopposed estrogen?

1 CHAIRMAN AZZIZ: I think the--there's two
2 questions here, and that is really whether you need to do
3 beginning and end studies of endometrial biopsies, and
4 whether that should be applied to a three-month study, I
5 mean. So the questions are different.

6 Clearly, I think all of us would agree that if
7 it's a three-month trial, the beginning and an end is
8 totally unnecessary, painful, and it's just not valuable,
9 because we know that in three months you're not going to
10 develop either endometrial atypical hyperplasia or cancer or
11 anything else. A baseline I think is always valuable in any
12 study, just for the--obviously, from a--the sponsor should
13 do that from a legal point of view, just so that they don't
14 develop--they discover that cancer, but we should do it
15 from a health point of view.

16 But the question is--then the second question is,
17 if we have a 12-month study, should we do an endometrial
18 biopsy. We need to give some sense of recommendation to the
19 FDA as to when we think an end-of-study biopsy should be
20 done. I mean, recently we had a product approved for the
21 treatment of osteoporosis who had absolutely no systematic
22 view of before and after and, of course, only in a small
23 trial. So that was the opposite problem. So that was also
24 a problem.

25 So at some point we need to give the FDA some

1 sense of when that needs to be done. I certainly think
2 three months is unnecessary if they only do it for three
3 months. I would say certainly, if it is 12 or more months,
4 they should have an endometrial biopsy at the end of the
5 study.

6 But I don't know about six to 12 months--I mean,
7 what--three to 12 months? I have no clue. I think we'll
8 hear from other--

9 DR. FALK: I think that it's only prudent to
10 follow the endometrium by at least sonography. And as far
11 as the three-month versus 12-month, if I'm not mistaken--I'm
12 trying to think of the original studies on endometrial
13 cancer--they were every use estrogen, is that not correct?

14 DR. RARICK: You're absolutely correct. For
15 cancer, these are epidemiologic results that show that
16 unopposed estrogen is related to an increased risk of
17 endometrial cancer. In a one-year trial you can certainly,
18 though, elicit lots of endometrial hyperplasia, which is why
19 we've designed the hyperplasia-prevention trials at the
20 one-year mark--and sometimes they're done for longer. But,
21 again, anything that's a year or longer, we already have
22 designs in place, or recommendations in place, for biopsies.

23 And, again we are not advocating estrogen-alone
24 for a one-year period in a woman with a uterus at this
25 point.

1 DR. LERNER: Well, then why are the safety trials
2 only for three months, then?

3 DR. RARICK: This is not a safety trial; these are
4 vasomotor symptom studies. We think that--the usual
5 end-points we've used in vasomotor symptom studies has been
6 that a three-month trial is adequate. And, in fact, those
7 labels read to use them for three to six months for
8 vasomotor symptoms only. We don't have trials for vasomotor
9 symptoms that go on.

10 CHAIRMAN AZZIZ: Just for the information of the
11 panel--I mean we're looking at different indications. If
12 somebody wants to come for an indication of vasomotor
13 symptoms, it's only three months that they're required to.
14 In that case, endometrial biopsy at the end is superfluous.
15 If they want to do osteoporosis, then we're talking about
16 trials that are 12, 24 months.

17 Are there any trials--are there any indications
18 for which a treatment of three to 12 months would be
19 sufficient. Because if there is, then we need to discuss
20 it. If there's not, then we just need to sort of move on.

21 DR. RARICK: If a sponsor proposed something in
22 between, we would have to work with them individually, in a
23 case-by-case sort of scenario.

24 Just to add to the picture--I hear Dr. Falk
25 mentioning looking at the endometrium at some level in a

1 three-month study. If everybody receives a
2 progestin-challenge test at the end, do they still need to
3 have endometrial monitoring?

4 DR. FALK: I think for a study subject, the answer
5 is yes. I don't know that progestational challenge test
6 would wipe out the endometrium--atypical endometrium
7 completely.

8 DR. DATTEL: I'm sorry, I just--maybe you can
9 answer this--I thought these were not unopposed estrogens;
10 this is not advocating unopposed estrogens.

11 DR. RARICK: No, in vasomotor symptom studies a
12 woman can be enrolled with a uterus, and have unopposed
13 estrogen for three months. That's where we are advocating
14 at least two weeks of a progestin challenge at the end.

15 I wouldn't mind your comment on whether you'd want
16 your vaginal ultrasound before or after the progestin
17 challenge.

18 DR. LERNER: I'm the local ultrasound pro here,
19 and it would clearly have to be after the withdrawal, I
20 believe--yes.

21 CHAIRMAN AZZIZ: AGain, we're only concentrating
22 on vasomotor symptom studies.

23 I am confused. Do we need to have ultrasound
24 measurements? I don't agree. I mean--I'm sorry. Did you
25 say, Richard, that in a three-month study we still needed to

1 do ultrasound? To do what?

2 DR. FALK: We're talking about a study. So I
3 think this is different than a doc out in private practice
4 deciding to give a person three months of estrogen to see
5 what happens. For the purposes of a study where you're
6 gathering data, I think for a relatively non-invasive, not
7 painful, not terribly expensive test, it would be a
8 reasonable thing to monitor for one of the known
9 complications--albeit rare--at this stage of hormone
10 replacement therapy.

11 CHAIRMAN AZZIZ: I have to disagree. I mean, I
12 think that--I'm aware of the study situation, but the
13 problem is this is potentially going to lead to more
14 intervention than less intervention. I mean, I don't want
15 to have the patients on the study undergoing fractional D&Cs
16 or biopsies, or whatever it is, because they had a thickened
17 endometrium, and a sonographer which happened to be a
18 technician hired by somebody else on the outside and not an
19 expert, doesn't know how to read these.

20 I mean, we need to make recommendations not
21 because we just want more data on a study, but because it's
22 useful or not. I think that in a three-month study, you may
23 end up having more problems, because of poorly read
24 sonography than less problem. Now, that's just my opinion,
25 so I'd certainly like everybody else's opinion, as well.

1 DR. LERNER: Well, I don't know that anybody--the
2 ultrasound experts have any particular cutoff that we've
3 found to be helpful in that regard. So even if you used 5
4 mm, or 3 mm, or 8 mm--whatever you use, it's such
5 variability that it's really difficult to come up with a
6 number.

7 CHAIRMAN AZZIZ: Scary--that's all. But that sort
8 of speaks to the same point, I guess. Thank you.

9 DR. LERNER: But I guess the other thing I wanted
10 to say is just--you know, playing Devil's advocate here, I
11 mean how many of our patients are going to be on the three
12 months unopposed estrogen, get vasomotor relief, and then
13 are not going to get on some sort of long-term therapy with
14 some sort of different regimen. I don't know how much
15 clinical applicability, you know, this will be, sort of in
16 an isolated situation, to be used in this regard.

17 DR. RARICK: Yes, I would just point out that
18 three-month studies of vasomotor symptoms are a pretty easy
19 route for an indication for an estrogen product. To add a
20 progestin for women with a uterus, you do have to do a
21 one-year, hyperplasia-prevention trial to show that you've
22 chosen the right dose of your progestin.

23 So we do have many sponsors that are very
24 interested in originally coming in with a vasomotor study.
25 Now, they can do their trials on women without a

1 uterus--that's always an option--but there aren't that many
2 of those in the U.S. any longer to choose from that are, you
3 know, hitting the menopause with moderate to severe
4 vasomotor symptoms, etcetera.

5 But, I mean, your point is well taken that this is
6 not real-world; that women, oftentimes, with a uterus, don't
7 come to their clinician and get three months of estrogens
8 alone, and then get treated. But in the clinical trial
9 setting, to prove that the estrogen itself works, and that
10 you've chosen the right dose of the estrogen, these are the
11 kinds of trial designs that are more straightforward.

12 DR. LERNER: Then do we have the ability, or is
13 there some sort of precedent, to change that? I mean, why
14 is it three months, and does it need to always be that way?

15 DR. RARICK: You are well-equipped here to tell us
16 that you think it could be done in two weeks, or four weeks,
17 or that we should consider alternative designs that you
18 might propose.

19 CHAIRMAN AZZIZ: I just want to caution the
20 Committee, though, that--you know, vasomotor studies are
21 relatively difficult to do. And so we can't ask for a
22 six-month vasomotor study. It is true that if they get
23 approved for vasomotor symptoms, they then are less--they're
24 subject to less constriction, or less study demands than
25 somebody who goes for endometrial hyperplasia prevention.

1 But I'm not sure that asking them to do a
2 nine-month study, or a 24-month study, with and without
3 biopsies, and so on, is a reasonable thing. I mean, that's
4 the other issue. So--

5 DR. LERNER: No, I was actually thinking about
6 making it longer, but I'm just new, and I'm naive, and you
7 know, I am just sort of thinking of my own, you know,
8 clinical applicability. And so--you know, I don't know how
9 many patients, you know, are just going to fall into that
10 three-month vasomotor symptoms, unopposed estrogen, and then
11 what are you going to do with them from there?

12 DR. HAMMOND: I think that this is just a standard
13 bio-assay. I mean, you're just trying to show efficacy, and
14 that's all.

15 CHAIRMAN AZZIZ: Any further comments on number
16 2--question number 2?

17 I think you've gotten most of our impression as to
18 the use of biopsies in a three-month study. We're all a
19 little bit concerned about a sponsor who comes in and says,
20 "All I want is vasomotor symptom relief. I do a three-month
21 study," and we have no clue what the long-term impact of the
22 drug is. And I'm not sure we can address it right now. But
23 we are concerned about that. I mean, the Committee is
24 concerned--all of us are.

25 I mean, supposing we get drug--new drug

1 X--estrogen. Bizarre--different kind of estrogen--and I
2 come in and I say, "I'm just going to use it for treating
3 vasomotor flushings. Give me three months." I treat 100
4 women for three months, and I get this approval on a drug
5 that will be used for the next 12 years on the same
6 patients. I want to know what's going to happen. We're
7 concerned.

8 But I'm not sure that this is the forum to address
9 that, necessarily. But I'm just--is there anybody that's
10 not concerned on the Committee?

11 DR. RARICK: And we hear you--and I think we then
12 get into a discussion of new molecular entities, where we
13 would all agree that the safety database is much different
14 than a three-month trial. And there are--the International
15 Conference of Harmonization Standards for amount of exposure
16 that we would expect in at least 100 women for a year, for
17 example, versus run of the mill estrogens that we've been
18 seeing for the last 30 years.

19 So we appreciate that reminder, that you're
20 thinking if there really was something novel and new, this
21 wouldn't be adequate anyway--and we hear you.

22 CHAIRMAN AZZIZ: Just to remind the audience that
23 every so often, if anybody in the public has a comment,
24 please certainly either raise your hand, stand to the side,
25 speak to one of the staff or something of that nature.

1 We'll be happy to accommodate you.

2 Let's move on to question number 3. The Division
3 is considering either deleting or requiring clinical trials
4 to support the inclusion in labeling of three indications
5 that previously were listed for estrogen drug products as
6 class labeling. These indications are--quote--"Abnormal
7 uterine bleeding due to hormonal imbalance"--close quote;
8 quote--"Hypoestrogenism due to hypogonadism, castration, or
9 primary ovarian failure"--close quote; and--quote--"Vulvar
10 and vaginal atrophy"--close quote.

11 Please comment on this proposal. If you recommend
12 that clinical trials are needed, please provide
13 recommendations for possible study end-point measurements
14 for each indication.

15 Now, before we go into the discussion, I have a
16 question for the staff. In the end, we've gotten letters,
17 and presentations about osteoporosis. And I don't see the
18 question on osteoporosis, so I'll include it in here on
19 deletions and so on.

20 As I understand it, it was an error not to include
21 osteoporosis under patient labeling; that was remiss, and it
22 will be in bold type, and so on and so forth. The second
23 osteoporosis deletion is that a large amount of data has
24 been deleted from the physician labeling. Now it just
25 simply says, "osteoporosis," and it doesn't go on to give

1 you all the other things.

2 I would like to put my two cents in that, and I'd
3 like to stay on that subject until we clarify it, because
4 that's really what the main concern of the presenters and so
5 on and so forth has been; and that is that probably more
6 information on osteoporosis, or at least recommendations,
7 should be included--perhaps not the page-and-a-half that was
8 in the '90 and '92, but certainly statements as to calcium
9 intake; statement as to prevalence of osteoporosis; and
10 statements as to silent disease--these kinds of things,
11 because patients do read that. And, in fact, it's probably
12 thing, other than vasomotor flushing, that we can actually
13 use for patients to take something when they're not super
14 symptomatic.

15 So I do think that my opinion would be to include
16 more information on osteoporosis, both in the physician
17 labeling and the patient labeling; perhaps not as much, on
18 the other hand, as the page-and-a-half that you had before,
19 because it was sort of--it was unbalanced. But I think
20 you've gone too far the other way. But that's my opinion in
21 that regard.

22 I'd like to hear Committee comments on that
23 osteoporosis section first, before we get into anything
24 else.

25 MS. SCOTT: Julia Scott. I concur.

1 CHAIRMAN AZZIZ: Very Well.

2 And does anybody else have a comment? No? I just
3 don't--you know, I just don't--Lisa looks back at her
4 residency and says I'm driving people. I hope not.

5 But, anyway--let's--moving on to other--to this
6 labeling issue and indications. What is the feeling of the
7 Committee in regards to these three indications? Should
8 they be put back into the labeling? If so, should we ask
9 for clinical trials, and so on and so forth?

10 DR. RARICK: Can I suggest maybe starting with
11 what I'm hoping will be the easier discussion?

12 We've put all three here, but we have different
13 levels of what we're hoping for. For example, "c) vulvar
14 and vaginal atrophy," which has existed in class labeling
15 for a long time. If you think it's still an appropriate
16 end-point--if that's your conclusion--I'm going to clarify
17 the question for that one. What end points would you want
18 to see? What is it--cytological changes that are
19 estrogen-related? Or symptomatic relief? Or a combination?
20 Or do you just think the indication's not necessary at all?

21 It scares me to remove it completely, because
22 there are products that are specifically, only for vaginal
23 and vulvar atrophy. But we lumped it here because it has
24 the same idea--if you think it still remains, what are the
25 end-points to use?

1 DR. FALK: I think symptomatic relief would be
2 just perfectly reasonable for that. I don't think people
3 treat vulvar or vaginal atrophy in the absence of clinical
4 symptoms. So I think--you know histological, cytological
5 study need be necessary.

6 CHAIRMAN AZZIZ: I have to disagree with
7 Richard--today. In fact, many of these products are
8 vaginal, and some of them are oral. There's a placebo
9 effect. I still think you need to demonstrate some
10 cytologic change; a maturation index is a fairly appropriate
11 way of doing it. So I disagree that symptoms alone, in such
12 a subjective area is not sufficient.

13 DR. DATTEL: The only other comments--there are
14 pediatric indications for the use of these, that don't
15 have--will have to do with atrophy of a different sort. And
16 that--I don't know if that's included in this, or
17 consideration; and also other non-menopausal uses for
18 vaginal estrogen preparations--for example, post obstetric
19 trauma, to prevent adhesions; things like that, where you
20 would not use--

21 DR. HARRIS: Yes, it seems that there's an
22 inherent conflict. You have a definition that's fairly
23 stringent, describing menopause, and then under "b" and "c"
24 you have--at least under "c," the physical manifestations of
25 menopause, and "b" sort of a different way of describing

1 menopause, and then asking if menopause, or menopausal
2 findings are a valid indication for the treatment of
3 menopause--unless I'm looking at this wrong.

4 So, it would seem to me that if you say that the
5 agents are indicated in symptomatic menopausal women,
6 vasomotor symptoms are part of that, but ~~certainly~~ physical
7 changes--vulvar and vaginal atrophy, and secondary problems
8 with urination and/or sexual function would be an important
9 part of rectifying the issues of menopause. And, again,
10 hypoestrogenism--sort of a generic, overall statement about
11 what menopause is--or a different way of expressing it.

12 DR. GREENE: Ricardo, I don't--Mike Greene--I
13 don't understand why you're concerned about a maturation
14 index. I appreciate the placebo effect, but if these are
15 placebo-controlled trials, and you demonstrate efficacy over
16 and above placebo, I see no problem with that.

17 And I would agree--and I would even broaden,
18 specifically "c" to include non-specific urinary tract
19 symptoms, which are well-known to respond--the distal
20 urethra is estrogen responsive.

21 DR. FALK: I would agree with that--both--to the
22 two comments. And I would also just point out that you
23 demand any kind of objective hormonal confirmation for hot
24 flushes--relief of hot flushes. So that I think that this
25 would be consistent with that.

1 CHAIRMAN AZZIZ: Perhaps that is what bothers me.
2 I mean, when we talked about vasomotor symptoms, I did think
3 that we needed to have some hot flushing, and the same thing
4 here, because this is a mechanism-related; I mean, what is
5 the mechanism? Again, it should be proliferation of the
6 epithelium.

7 So perhaps I am simply bothered by the fact that
8 none of this requires any kind of objective data, both
9 vasomotor symptoms, which I asked earlier, and epithelial
10 changes. And I--my suggestion--of course it's a
11 disagreement--is that there be some objective measurement.

12 But I have to agree with Dr. Harris that you can't
13 treat menopause and not treat vulvar and vaginal atrophy. I
14 mean, this is not--not correct.

15 DR. RARICK: But you can treat vulvar and vaginal
16 atrophy and not treat menopause. You can use local
17 therapies, or doses that are effective for vulvar and
18 vaginal atrophy that may not be effective for other
19 indications in the menopause. So you're right on the
20 converse.

21 We would always believe that if you got systemic
22 levels of estrogens that were appropriate for vasomotor
23 symptoms, you would--quote--"automatically" be treating
24 vulvar and vaginal atrophy. That's why it was a class
25 indication.

1 There is actually quite a bit of data about
2 patients who are relieved for their vasomotor symptoms, and
3 are not relieved at the vagina and vulvar level--and vice
4 versa. There are lots of therapies that are only for local
5 therapy, and don't expect to get indications for system--you
6 know, for more--you know, vasomotor systems, or other
7 systemic sorts of manifestations.

8 We think--we have believed that they are separate.
9 Currently our policies have been in the vulvar and vaginal
10 arena to do both of your recommendations--both symptomatic
11 relief, and some cytological confirmation. But we wanted to
12 hear the discussion. And I can hear some push for--if you
13 treat the symptoms, if there's a placebo effect, you just
14 have to show that you're better than the placebo--that
15 should do it. Ricardo wants to see some other evidence.

16 DR. GREENE: Ricardo, what you're objecting to as
17 not being objective I would say is not laboratory, but could
18 still be objective. You know, a woman can keep a diary, or
19 whatever. That's still objective, even if it's not
20 laboratory.

21 CHAIRMAN AZZIZ: Just for a point of clarification
22 to Lisa--I mean, when we're talking about these indications
23 being deleted, it's deleted from the general category, but
24 they still remain as a possible indication for a
25 manufacturer or a sponsor to come in and say--see, this is

1 what we're, I guess--why we're having a little bit of round
2 discussion.

3 I think our feeling--at least with "e" and we'll
4 go--with "c" we'll go according to the others--is that you
5 should retain vulvar and vaginal atrophy. Now, whether you
6 give that automatically as an indication to anybody who
7 treats menopause, I agree with you that today we know that
8 one doesn't treat the other and vice versa, and that if they
9 are going to go for that indication as well, they should
10 have a study, or a piece of data suggesting that.

11 But on the other hand, that is an indication that
12 should be retained; that's pursuable. I think that's what
13 we're--all of us are agreeing.

14 DR. FALK: I would just like to jump in, and at
15 the risk of being pedantic, say that you don't treat
16 menopause. You can't treat menopause. The only way to
17 treat menopause is to make a woman ovulate again. And it's
18 not going to happen--at least not so soon.

19 So you treat the symptoms of menopause. And
20 that's why I get back to my original definition of
21 "symptomatic hypoestrogenism," and therefore it's perfectly
22 reasonable to treat these things with various methods.

23 DR. RARICK: Okay, let me understand. So far I'm
24 hearing that you would agree that vulvar and vaginal
25 atrophy, for example, is a definite indication. It's not

1 necessarily given automatically to an estrogen product that
2 gets the vasomotor indication, for example; that a second
3 trial would be necessary, or some subset of the first trial,
4 to show something about the vulva and vagina, whether it be
5 symptomatic or a combination of symptoms and cytology.

6 Again, just historically--just so you know--all
7 three of these were often granted in the sort of automatic
8 style if something was shown to be an estrogen for vasomotor
9 symptoms. So we're simply trying to clarify with you. We
10 have been treating vulvar and vaginal atrophy the way you've
11 described, which is to ask for evidence in--for a sponsor to
12 show us, from either their vasomotor symptom trial or a
13 second trial.

14 The other two, then--we'll be curious as to how
15 you would propose. And, again, you're right--if you want
16 to, you could say, "We don't want them to be automatically
17 given, but we think they could be obtained." Or you can say
18 they should be automatically given, or "We don't know how
19 you would obtain them."

20 DR. LERNER: Yes--I think it should not be
21 automatically given, but if you want to specifically have
22 that recommendation, then you need to do the studies to
23 support that for each of whatever the areas that you're
24 talking about.

25 CHAIRMAN AZZIZ: I'd like to hear--so we can get a

1 sense of the Committee and we can move on--does anybody
2 disagree, on the Committee--disagree with our recommendation
3 that this indication shouldn't be automatically given to an
4 estrogen product, just because it--quote--"treats
5 menopause?"

6 DR. HARRIS: Yes, I do disagree. You know, I
7 think we're partitioning a disorder, and asking the
8 manufacturers to show that for each target tissue there is
9 an effect; show that there's an effect on the vasculature,
10 show there's an effective vaginal and vulvar mucosa, show
11 there's an effect on another target tissue. And I think
12 that's really splitting hairs.

13 If we agree that the issue is really estrogen
14 deficiency, and that there are target tissues that are
15 adversely affected by estrogen deficiency--there may be a
16 matter of degree, and there may be some statement of that.
17 But, you know, in the absence of some prohibitive risk of,
18 say, vulvar or vaginal therapy, or general hypoestrogenism,
19 I don't know why we would need to do that. I don't think we
20 do that with any other disorder when we agree that a
21 specific intervention treats a specific disorder.

22 DR. FALK: I also disagree with that for the same
23 reasons.

24 DR. LERNER: But I think that I agreed because I
25 think that to try and clump all the estrogens--the topical,

1 vaginal estrogens and the oral estrogens are two very
2 different categories, and to try and clump them all in the
3 same breath, you know, may not do anybody any good.

4 CHAIRMAN AZZIZ: Umm--

5 DR. LERNER: That's why I disagree with the
6 disagreeing.

7 CHAIRMAN AZZIZ: The only problem is that there
8 are products out there that are designed only for
9 vasomotor--for vulvar atrophy, and are not designed
10 for--quote--"menopause," and there are products that are
11 designed for vasomotor symptoms that don't treat the vulvar
12 atrophy.

13 So, although I agree with you they should treat,
14 but they don't, and that's unfortunately the reality of the
15 marketplace.

16 Any other comments about this so we can move on?
17 Okay.

18 Did you get enough information on that point? Do
19 you need more clarification, Lisa? Okay.

20 Let's move on to b: hypoestrogenism due to
21 hypogonadism, castration, or primary ovarian failure.

22 Comments on that, please.

23 DR. RARICK: Can I jump in, because I know that
24 Dr. Harris and others have mentioned that this is just
25 another definition of menopause. If we look back

1 historically at this category--who knows what they meant
2 when they wrote it--but it appeared to relate to patients
3 with Turner's syndrome; other sorts of unusual situations of
4 lack of ovarian function.

5 And, again, I don't know that there's a great
6 amount of data about the appropriate doses for particular
7 unusual syndromes. This was not, in its original entity in
8 the '70s, as just another definition of menopause. It
9 really was a different category.

10 And I didn't know if--this language may not fit
11 anymore? Or if it does, tell us how.

12 DR. DATTEL: Bonnie Dattel.

13 It gets back to the earlier discussion we had
14 about being too narrow in our criteria, because, as we've
15 been talking about it, there are a variety of different
16 indications. All of them have to do with low estrogen,
17 whether you're a pre-pubertal child and adhesions, and
18 you've got low estrogen in your vagina, or whether you're
19 post-menopausal, or whether you have a congenital
20 abnormality.

21 So I think it gets back to that original thing of
22 too narrowly defining your trial.

23 CHAIRMAN AZZIZ: You may be splitting hairs.
24 Again, in this statement, you have three things, really.
25 You have hypogonadism, which is totally one type of

1 disorder, it may be due to hyperthalamic amenorrhea, it may
2 be do anorexia nervosa. Castration, of course--most people
3 really wouldn't even need to put that there anymore. We
4 know that a castrated person is menopausal. I mean--so that
5 probably doesn't need to be used anymore. Primary ovarian
6 failure_{is} is menopause; it's primary menopause--early
7 menopause--whatever you use. And certainly that word
8 "primary ovarian failure," or "premature menopause" should
9 be somewhere in the definition of menopause. I mean, there
10 are number of causes for menopause: surgical castration,
11 natural menopause, primary ovarian failure, or what we call
12 "early menopause." I mean, there's a large number of causes
13 of menopause. So I don't think you need to do that.

14 So the only one that really, I think, needs to be
15 dealt with is this so-called hypogonadism; the individual
16 who is--has anorexia nervosa, or simply has delayed puberty
17 secondary to a hypothalamic pituitary dysfunction, who then
18 requires--and that I don't think is--should be an
19 indication, but I don't think it should be--I'm not sure how
20 to do that. I mean--but that is totally separate. That's a
21 separate, if you would, disease.

22 A comment from the Committee?

23 DR. FALK: I don't know if that was a
24 typographical error or what. Hypogonadism, per se, just
25 means, in the case of females, the ovary is

1 under-functioning. And it does not imply hypothalamic
2 suppression. What Ricardo is referring to his
3 hypogonadotropism, which includes--hypogonadism is the same
4 as any other kind of ovarian dysfunction or failure.

5 Primary ovarian failure is not the same as
6 menopause--again, to get back to that definition. Menopause
7 is the cessation of menses--after the last menstrual period.
8 And primary ovarian failure, they never has menses. So I
9 mean, again--it's a definitional point of view.

10 So I would--if this hypogonadism refers to
11 hypogonadotropism--hypogonadotropic hypo--amenorrhea, then I
12 would agree with Ricardo that it shouldn't be included in
13 that category.

14 DR. RARICK: Yes, I'll just clarify again that
15 we're dealing with language that has existed from 20-some
16 years, and we're trying--we would like to remove it. But we
17 can't remove it just like that, since this was all done
18 during a process in the '70s to make these terms real, and
19 put them in class-labeling for 20-some years. And so--we
20 hear you. And we're happy to remove some of the terms that
21 are no longer appropriate. Sponsors that have these
22 indications that we now ask them to remove may want to
23 push--that, well, maybe they do have information on
24 hypogonadism--tropism--and maybe they will get a special
25 indication for a specific category in that larger term that

1 used be a sort of umbrella, as far as we can tell.

2 Does that help?

3 DR. HAMMOND: Well, if the question is, as I
4 understand it, that we're just looking at clinical trials
5 now, and we're saying that if someone has an indication for
6 vasomotor symptoms, are we saying therefore that they would
7 be adequate replacement for hypoestrogenism, or do we have
8 to have a separate classification.

9 And I guess my question would be for this category
10 of hypoestrogenism--I don't even--we'd have to have an
11 end-point again. And is that vasomotor symptoms? Is that
12 vaginal atrophy? Either way, it may fall into one of these
13 two categories. So I think perhaps if we have these two
14 categories, we would cover this.

15 But for terminology, this is hypoestrogenism,
16 which includes all these categories.

17 CHAIRMAN AZZIZ: Yes, I think the problem is a
18 definition one. I mean, if you look at the broad category:
19 estrogens to treat hypoestrogenism, and hypoestrogenism can
20 be--occurs in these women because of hypogonadism--their
21 ovary doesn't produce. Now, you can have hypogonadism due
22 to ovarian failure, which would be hypergonadotropic, or you
23 can have it due to hypothalamic pituitary failure, which is
24 hypogonadotropic. But, unfortunately, estrogens will treat
25 the symptoms in both. But that's why the ovarian failure,