

1 adequate information on the pharmacology and the toxicology
2 that served as a basis, what the sponsor used to say that
3 the study was reasonable and safe to conduct.

4 It says you have a toxicology of appropriate
5 duration and scope to support the planned clinical trial and
6 it says you should have pharmacology data and drug-
7 disposition information if known. That is very general
8 guidance in terms of the regulatory standard.

9 [Slide.]

10 About 1994, it was brought to the attention of the
11 senior management within FDA, within CDER and CBER, that
12 initial phase I studies in the United States were not being
13 conducted because of a perceived additional impediment in
14 the U.S. to the datasets that were needed and the way that
15 the information should be packaged and presented.

16 To address this, the agency actually clarified the
17 intent of the IND regulations. It talked about things,
18 like, for example, that phase I protocols are really
19 investigational outlines and not detailed protocols with
20 every possible endpoint included but only detailed to the
21 point that they addressed the safety elements, that the
22 necessary chemistry information is relatively limited, and I
23 think that Eric will maybe talk about this in a moment, and
24 that pharmacology and distribution data should be
25 summarized, so we are not talking about extensive

1 information there, and the absence of this was not
2 necessarily cause for a clinical hold, and that the non-
3 clinical safety database really be an integrated toxicology
4 summary with full tabulations of the datasets that were used
5 to draw those summaries on the protocol and, importantly,
6 non-QA's reports would be considered acceptable pending QA
7 audit within 120 days.

8 [Slide.]

9 The reason for this is it was stated that it took-
10 -just the non-QA's portion alone, would save industry one to
11 four months of time in terms of clinical development, early
12 development, that they could get this human data very
13 quickly by having this non-QA'd report be available.

14 The fact that we were only asking for summary
15 information, not for complete final reports, would be--not
16 summary information; I'm sorry. I take that back--summaries
17 of the toxicology, not complete final reports of the
18 toxicology. We do require the line listings. I need to be
19 clear about that. This, together, would actually allow
20 industry to enter into those human studies early on and
21 actually get clinical data to feed back into the development
22 program.

23 I have talked to some industry representatives
24 about this as part of one of our initiatives to try to
25 understand what some of the issues are that industry has

1 with the regulatory agency in terms of communication.

2 Although it is only an informal survey, most, in fact, think
3 that this allowing non-QA'd reports is useful, although I
4 have to say that, four years after the fact, some companies
5 have said, "Well, we haven't done it yet but we are about to
6 begin doing it."

7 So it has taken four years from this change to
8 actually be put into the standard processes of some
9 companies. But there are some questions that one could ask
10 about this. First of all, has it, in fact, fostered
11 testing, early testing, in the U.S. and has it been useful
12 to the pharmaceutical companies in a more formal way.

13 I guess one would still have to ask, to the extent
14 that it is answerable, what are the issues that, in fact,
15 limit early testing in the United States, what are some of
16 the barriers and, in fact, what are the deciding factors
17 that could be addressed. I think that this is a data
18 question, not a toxicology study-design question. But I
19 think it is an important question if we are going to
20 understand how to facilitate drug development.

21 [Slide.]

22 So, to conclude, I would just like to say that
23 there are clearly areas of non-clinical and clinical
24 research that could inform the agency and industry on
25 guidance and other types of decisions, that it can be the

1 types we talked about today earlier, the very specific
2 methods. They could be talking about general changes in
3 toxicology study design, trying to collect data to support
4 those.

5 In either case, both the identification, the focus
6 areas, the approaches that one needs to take and the types
7 of research that will answer these questions are really
8 going to necessitate a broad cooperative effort. I think
9 this is a starting point for that effort.

10 Thank you.

11 DR. DOULL: I think we can go ahead and finish up
12 the presentations if that is agreeable.

13 Dr. Sheinin?

14 **Quality Issues**

15 DR. SHEININ: Good afternoon.

16 [Slide.]

17 As Joe indicated, what I am going to talk about
18 today will focus on CMC or chemistry, manufacturing and
19 controls issues. I call it CMC issues for screening INDs.
20 It is really immaterial from the chemistry standpoint
21 whether we consider this a screening IND, early introduction
22 into man, phase I or however we want to term it.

23 It is the information that would be needed in the
24 CMC section of the application before a drug is introduced
25 into man for the first time. I am going to focus almost

1 entirely on that phase I guidance that Joe mentioned because
2 it very clearly spells out what type of information is
3 needed for chemistry.

4 Again, as Joe showed, there have been very few
5 screening INDs over the years, but if you do want to do a
6 screening IND and however many compounds you are going to
7 look at, we would need the same kind of information for each
8 one because each one is judged on its own merits; is it safe
9 to give this product to humans. That is the whole driving
10 force of everything that the chemists are looking for.

11 [Slide.]

12 Again, this is the title of the guidance. It was
13 issued in November of 1995 and you had that from what Joe
14 was speaking of.

15 [Slide.]

16 It says in the introduction to this guidance that
17 any drug that has not been authorized for marketing, has not
18 been approved in the U.S. for marketing as a prescription or
19 over-the-counter, if it requires an NDA, if it is going to
20 be introduced into man, it requires an IND or an
21 investigational new drug application.

22 It also talks about in the regulations there are
23 certain exemptions, certain criteria, certain types of
24 studies that do not need an IND. But we are going to focus
25 on ones that do require an IND and these would be products

1 that somebody would eventually be interested in marketing.

2 [Slide.]

3 From the chemistry standpoint, the amount of
4 information that is needed, and everything that we are
5 looking at focusses on the identity, strength, quality,
6 purity and potency of that drug and how do those
7 characteristics of the drug, from the CMC perspective,
8 impact or reflect on the safety and effectiveness of that
9 product.

10 The amount of data that we would need, or that an
11 applicant or sponsor would want to put into their
12 application, is going to vary based on the phase, so these
13 early studies, generally, are done in phase I but as the
14 drug-development process is streamlined, the clear
15 distinction that we used to have between phase I, phase II
16 and phase III is kind of disappearing and, many times, they
17 are running together.

18 But, still, we are talking about the early
19 introduction into man so that the amount of data that is
20 going to be needed in that type of study is going to be
21 different than what type of information would be needed in
22 the chemistry section if you are going into your well-
23 controlled and adequate trials that are going to form the
24 basis for whether or not that product is safe and
25 effectiveness and allows the sponsor to determine if they

1 want to go on to an NDA.

2 So the chemistry information is evolving while the
3 IND is going on, as you are going through the various phases
4 and the various types of trials that are being performed in
5 man. Everything should be finalized at some point during
6 what used to be considered phase III but we will call it
7 during these "pivotal trials," although we are not really
8 talking about pivotal trials anymore.

9 It is also going to depend on the dosage form. A
10 tablet dosage form is going to require different type of
11 information and generally less information to support the
12 safety aspects than a sterile injectable because there are
13 different considerations, different concerns, that come up
14 when you are injecting a product into a person as opposed to
15 taking it orally.

16 It is also going to depend on how much information
17 is available. Sometimes, sponsors may have a lot of
18 information available from the CMC perspective and sometimes
19 they may not. At least one interpretation of our
20 regulations implies that if you have information available,
21 it should be submitted to the agency for evaluation.

22 The emphasis on everything the chemist and the
23 microbiologist are looking at focusses on the safety aspect;
24 are there any reasons that are apparent in the data that we
25 are looking at that would make us come to the conclusion

1 that it is not safe at this point to go forward with the
2 trial.

3 [Slide.]
4 The guidance actually spells out several areas or
5 points that could lead to a recommendation of a clinical
6 hold. I don't know if you have talked about what a clinical
7 hold is. The agency had 30 days from the time you submit an
8 IND to make a determination whether or not it is safe to go
9 forward with that study.

10 If you don't hear from us within 30 days, then you
11 assume it is safe to go forward. If you will hear from us,
12 what it is called is, we would tell you that we are putting
13 this IND on clinical hold which means you can't introduce
14 that product into man until you clear up the problems that
15 we have uncovered.

16 So these are the areas that might lead us to make
17 a determination that it is not safe to go forward. If the
18 product is made with components that are not given to us, if
19 we have no idea what is in this product or if the products
20 are impure and, based on consultation with the toxicologist,
21 we have a concern about a possible toxicity of these
22 materials, of what make them impure.

23 If there is a product that has components, and
24 generally, this would be the active ingredient but it could
25 be the inactive components as well, if their chemical

1 structures are not known to us. So a sponsor needs to have
2 at least some basic chemistry information about what is in
3 this product that is going to be given to man or, if based
4 on the chemical structure and, again, consultation with the
5 toxicologist, is this a structure that is likely to have a
6 toxic effect on man. That might be a reason to say don't go
7 forward with that study at this point in time.

8 If there is evidence that the product is not going
9 to be chemically stable throughout the intended study; if it
10 is a one-month study, you want to have some assurance that
11 the product is stable for at least a month. If it is a
12 longer-term study, if it is a six-month study in man, we
13 want to have some assurance that the product will be stable
14 in man for six months.

15 Some of this information can be generated during
16 the study so it is not always necessary, if it is--I
17 wouldn't call six months a long-term study but if it is,
18 say, a six-month study and a sponsor comes in with one month
19 worth of data, that material would stay on stability and
20 would be studied while that clinical trial is going on so
21 that the data could be generated concurrently with the
22 experiment. But we do need to have some assurance that it
23 is chemically stable.

24 If there is data that shows whether it is unstable
25 or whether there are impurities that are introduced during

1 the synthesis or the manufacturer of that product and we
2 have some knowledge about the structure of those impurities
3 and it might be indicative that it could be a potential
4 health hazard. Again, this would be in consultation with
5 the toxicologist. That would be a reason to recommend a
6 clinical hold.

7 Or if there is not enough information for us to
8 assess whether or not there might be a problem from the
9 safety aspect. And, for biotech-type products, if there is
10 a poorly characterized master cell bank or working cell
11 bank.

12 One area that the guidance does not mention which
13 could come into play is, again, for sterile products. If we
14 have some concern about the sterility assurance of that
15 material and how it is being made, it might be a reason for
16 us to recommend not going forward with that study until the
17 problem is cleared up.

18 [Slide.]

19 The other thing it talks about that, I think, is
20 critical to what Joe was just speaking of; the sponsor
21 should have some data that relate the drug product that is
22 proposed for use in these trials to the material that was
23 studied in animals.

24 If it is the same material, that's great.
25 Generally, there may be some differences and those

1 differences have to be explained and there needs to be
2 something that relates that material.

3 [Slide.]

4 As far as the specific type of information that
5 would be needed, it talks about the IND in general and the
6 drug substance or the active ingredient and then the drug
7 product. So, are there any signals in the information that
8 is available to us of potential human risk? If there is any
9 information like this, the sponsor should include that
10 information in the IND and discuss it and also propose what
11 they are going to do to monitor those risks, to try and
12 minimize those risks, or, if they have some reason to
13 believe that even through there are signals of potential human
14 risk, it is really not pertinent to the study that they are
15 going to do. They can discuss that in the IND as well.

16 One of the things that Joe talked about was that
17 pre-IND meeting where you have an opportunity to come in and
18 discuss with us what your plans are for these early studies.
19 This type of information, if it is known at that time, could
20 be discussed with the review team and it would be a way of
21 possibly avoiding problems down the road when the IND does
22 come in, again, any differences between the proposed
23 clinical material and the material that was used in the
24 animal trials.

25 From the safety aspect, do the differences in any

1 way affect the potential safety of going forward with that
2 study. So I come back again and again and again to the
3 safety aspects.

4 If any of you have had experience in the past with
5 submitting INDs to us, even a phase I IND quite often had
6 that much or that much chemistry information. Our reviewers
7 used to do a very in-depth review of that and look at all
8 aspects of the chemistry, manufacturing and controls
9 portion.

10 We have gotten away from that with the issuance of
11 that guidance in 1995 so we are really focussing just on the
12 safety now.

13 [Slide.]

14 Now, again, this is minimum type of information
15 that should be included in the IND. We need to know
16 something about the drug substance and if it is not a new
17 molecular entity, if there is a USP monograph for it, quite
18 often the information that would be needed would be just to
19 reference that it is a USP material and that might suffice,
20 or at least would go a long way toward satisfying the needs
21 of the chemistry reviewers.

22 If it is one of the inactive ingredients,
23 generally there will be an NF monograph for that. If
24 somebody wanted to do an IND and they wanted to use an
25 inactive ingredient that has never been used in humans

1 before, that is essentially the same as introducing a new
2 molecular entity active ingredient into humans. If it is
3 something that we don't know anything about, we are going to
4 have some concern about it and we would expect to see the
5 type of information that I am talking about for the drug
6 substance for that inactive ingredient, also.

7 [Slide.]

8 A couple of places I am underlining "brief." A
9 brief description of the physical, chemical and biological
10 characteristics of that material just so that we know that
11 it has been characterized and that the structure of that
12 material is what you think it is. This would be like
13 structure elucidation information.

14 The name and address of the manufacturer; you
15 might say why is that a safety concern. It is expected that
16 any material that is given to humans in the U.S. is
17 manufactured under good manufacturing practices. This goes
18 for even a phase I IND.

19 Generally, we will not inspect any of the
20 facilities that are involved in INDs but if it is being made
21 by somebody who we don't know anything about or if it is a
22 manufacturer that we have on record, we know that we have
23 had problems from the GMP standpoint with that manufacturer,
24 we might send an investigator out and do an inspection.
25 So that is why we need to know the name and address of the

1 manufacturer, and a very brief description of the
2 manufacturing process. This could be a detailed flow
3 diagram, just going through the various steps. It doesn't
4 have to get into tremendous detail about amounts or time or
5 anything like that.

6 If it is a biotech product, quite often, the
7 reviewers would like to have at least some more detailed
8 information about the process that is being used to
9 manufacture that material. The same thing if it is a drug
10 substance that was extracted from either human or from
11 animal sources.

12 [Slide.]

13 A brief description of the analytical procedures
14 that will be used to monitor the identity, strength,
15 quality, purity and potency of that material and some
16 proposed acceptance criteria. This doesn't have to go into
17 great detail. It may suffice to say, for the assay of the
18 drug substance, we are going to do an HPLC and we expect the
19 material to be between 95 and 105 percent pure, something
20 like that.

21 There should be a copy of a certification of
22 analysis or, if there is more than one drug substance, if it
23 is a combination product, certificates of analysis for each
24 of the active ingredients. Quite often, the drug substances
25 are being purchased from somebody else, from another

1 company. If you are the sponsor of the IND, you should be
2 getting a certificate of analysis from your supplier.

3 We have been asked many times in the past, is it
4 necessary to validate these analytical procedures in an IND.
5 What the guidance talks about is that it is not ordinarily
6 needed to have validation data and establish specifications
7 or acceptance criteria in the IND except for some of these
8 well characterized biotech-type products, but you should
9 have at least some validation of those procedures because
10 you want to know--if I think this is a pure material, is it
11 really pure.

12 What if your method is such that 10 percent of an
13 impurity doesn't show up and you go ahead and you do the
14 clinical trial or this initial trial, and you don't know
15 that 10 percent impurity is there. And, later on, you get a
16 more pure material so it is down to 1 percent or a half
17 percent or a tenth percent and it was that impurity that
18 caused the activity.

19 So you need to have some confidence in what you
20 are measuring. It is not a full validation as is
21 recommended in the ICH guidances but at least some
22 confidence that that method is going to do what you think it
23 is going to do.

24 [Slide.]

25 A brief description of what you are going to do to

1 demonstrate the stability and what analytical procedures
2 will be used to monitor those characteristics that are
3 indicative of the stability of the drug substance. What the
4 guidance suggests is it could be presented in a table for
5 each of the drug substances or each of the batches that you
6 have used and it specifically says that detailed data in a
7 stability protocol are not needed at phase I.

8 So that really doesn't impact the safety as long
9 as we have some idea of what you are doing.

10 [Slide.]

11 For the drug product, there should be a list of
12 all of the components that are used to manufacture that drug
13 product including any reasonable alternatives for inactive.
14 So you might want to use mag stearate. You might want to
15 use something else. That should be explained in the IND.

16 It is sufficient just to say what the quality is,
17 is it National Formulary or NF grade. Is it American
18 Chemical Society grade? Is it something else? There should
19 be a quantitative composition listing how much of each
20 component is used to manufacture that drug product.

21 That includes materials that show up in the drug
22 product and materials that are used during manufacturing
23 that are removed before you have your final drug product.
24 And, again, the name and address of the manufacturer of the
25 drug product for the same reasons that I discussed earlier.

1 [Slide.]

2 Just like with the drug substance, again, a brief
3 description of the manufacturing process. This could be a
4 diagrammatic presentation, a flow diagram. It doesn't have
5 to be anything very elaborate but just so that we have some
6 sense of how the product is being made.

7 Now, if it is a sterile product, then we would
8 want to have some detailed information on how that material
9 is being sterilized so that we have assurance that we would
10 not be introducing a product that might cause infection or
11 might have bacterial endotoxins in it.

12 So we do have a lot more concern with a sterile
13 product than with, again, for example, a solid oral dosage
14 form. And a brief description of the stability studies,
15 just as we had for the drug substance. This would be for
16 the drug product, again showing that the drug product is
17 stable, at least for the life of the intended study.

18 [Slide.]

19 A brief description of the analytical procedures.
20 A very similar discussion in the guidance as was held for
21 the drug substance. I won't belabor that but, again, it is
22 that at least a minimum amount of validation should have
23 been performed. It does say for the biotech-derived
24 products that data should be available, which means is
25 should be available at the sponsor site. It doesn't

1 necessarily have to be submitted to the agency.

2 [Slide.]

3 If you are using a placebo in your study, then
4 there should be some brief information on the composition,
5 the manufacturing process, the analytical procedures used to
6 control the placebo quality as well.

7 Not a safety concern, but there should be a copy
8 of all labels and labeling and any IND that is being used
9 should have on its label "Caution; new drug. Limited by
10 Federal or United States Law to investigational use." If it
11 is not on there, it is certainly not a reason to hold up the
12 study but it is discussed in the regulations.

13 And last but not least, there should be, in most
14 cases, a request for a categorical exclusion from the
15 portion of our regulations that say you have to have an
16 environmental assessment. I don't know what I can say about
17 that.

18 About two years ago, our regulations were revised
19 and, for an NDA, almost every NDA can now claim a
20 categorical exclusion as well. INDs have always been able
21 to.

22 [Slide.]

23 I guess just for completeness, I should mention
24 guidance that we are working on. CMC guidance for phase II
25 and phase III INDs. It is a continuation of what was in

1 that phase I guidance. We published a draft in February of
2 this year for public comment and we are now in the process
3 of evaluating those comments and revising the guidance
4 which, hopefully, will be out in the first quarter of 2000.

5 [Slide.]

6 Again, even in phase II and phase III, the focus
7 from the CMC aspect is on safety. We talk about any new
8 safety information and data, safety updates, would come into
9 the IND as an information amendment. So if there is
10 something that has been changed or new information that is
11 determined--and this applies during phase I as well--if you
12 determine information that could impact on the safety, it
13 should be submitted to us immediately as an amendment to the
14 IND.

15 If there are changes that are made that don't
16 affect the safety, then it comes in in the annual update.
17 Every IND is expected to have an annual report filed for it.

18 [Slide.]

19 Finally, things that might be changed that could
20 affect safety include these. It is not necessarily limited
21 to those. Number one, change in the method of
22 sterilization. If you are going from a terminal
23 sterilization process to an aseptic fill process, we would
24 have a lot of concern about that and we would certainly want
25 to have information that showed that you are still able to

1 maintain the sterility of that material.

2 If there is a change in the container closure
3 system that could affect the product quality, that should be
4 submitted to us immediately. Changes in synthesis that
5 result in a different impurity profile. Again, as different
6 impurities are introduced, this would require consultation
7 with our toxicologists and could lead to a recommendation
8 that the IND be put on clinical hold.

9 We do have the authority to put an IND on hold at
10 any point during the IND studies. It could be phase I,
11 phase II, phase III. It really doesn't matter. If we have
12 a safety concern or something has arisen, data have been
13 submitted to us that lead us to believe that there might be
14 a safety concern, we could recommend a hold at any time.
15 And changing from a synthetic process to a biological
16 sources for the drug substance.

17 Those are the considerations that affect the
18 potential safety of a study that is being performed, whether
19 it is phase I or whatever, from the chemistry, manufacturing
20 and controls aspect.

21 Thank you.

22 DR. DOULL: Thank you, Dr. Sheinin.

23 Why don't we take a ten-minute break and then we
24 will come back and do the general discussion of biomarkers
25 and the remaining aspects.

1 [Break.]

2 **Subcommittee Discussion**

3 DR. DOULL: We are at the point, now, where we are
4 ready to come to the general discussion by the subcommittee.
5 There are many of you in the audience, I know, who have
6 great interest and knowledge and wisdom in some of these
7 areas. Dr. MacGregor and I were talking and we think that
8 it would be nice to utilize that if at all feasible.

9 We also have some questions which were sent to the
10 subcommittee previously which we probably also could go
11 through. Why don't we, at this point, take a few minutes
12 and let Dr. MacGregor kind of outline a plan of attack for
13 our discussion.

14 DR. MacGREGOR: I guess maybe I could raise some
15 issues and maybe reiterate where we started in the beginning
16 and what I hope we might see by the end of our discussion.
17 Let me first start by saying that the agenda, itself, and
18 the focused topics that we discussed in depth were
19 essentially chosen for two principle reasons.

20 One is that all of the areas are, in fact, areas
21 where we, in the Center for Drugs, have already committed
22 some level of resources to pursuing the areas because we
23 think they are important to our programs and to the future.
24 I guess the first question is are there areas that are
25 overlooked or are there other priority areas that we would

1 be considering and are those topics that were chosen really
2 the highest priority areas that we should be discussing in
3 the context of this committee.

4 And then, within the context of the things that
5 were discussed, I think, throughout the course of the day,
6 we have heard the comment that a lot of things that we would
7 call maybe "gee whiz" science with tremendous potential but
8 lots of questions were presented, and, in addition, some of
9 the speakers presented some very specific recommendations.

10 So, hopefully, we can come to grips, before the
11 end of the day, within these areas that we did discuss
12 today, what are the priorities and what are the specific
13 things that, within the context of our vision for this
14 subcommittee, should we try to pursue and, in particular,
15 can we come to consensus on some issues that we see as, a
16 priori, so important that we want to move them ahead through
17 the mechanisms that we have discussed by bringing together
18 an expert group to pursue then.

19 Then, if we did get to that stage, we might even
20 want to set aside a little bit of time to talk about the
21 process that was presented in the beginning, what has
22 already been discussed as a possible process for bringing
23 together the appropriate experts. There is not a lot of
24 point in discussing that if we don't agree that we want to
25 do that. But if we do agree that we want to do that, I

1 think it might be important to just discuss the process on
2 how we are going about that to be sure everybody is in
3 agreement that we are doing that in an appropriate way.

4 So I guess those are really my general comments
5 and, as far as the best way to approach the specific
6 recommendations and priority areas, I will leave that up to
7 the chair.

8 DR. DOULL: In regard to people from the audience
9 who want to make comments, it is very important, Kimberly
10 reminds me, that you come to the microphone and that you
11 give your name so that we get it on the record, and give
12 your affiliation, so that way we comply with all the rules.

13 Let me start by defining some areas. We started
14 out this afternoon with a discussion of the imaging, the PET
15 scan area, and the MRI and MRM, I guess, areas. I would
16 propose, if the subcommittee agrees, that we kind of group
17 that as an area if we are going to make recommendations
18 since there is some overlap between those different imaging
19 procedures and talk about that as an area, if we make
20 recommendations for that.

21 Then, in the early afternoon, we focused primarily
22 in the biomarkers area. We had two speakers who talked
23 about that and I would suggest that we also focus on that as
24 a potential area in which we might wish to make some
25 recommendations.

1 What is the wish of the subcommittee? Shall we
2 start out with biomarkers or imaging, do you think?
3 Biomarkers? One of the things that was mentioned in the
4 biomarkers area when Dr. Morgan was talking, he reviewed
5 what is going on with the ILSI proposal. I guess I didn't
6 ask Dr. Robinson if there is--do you have anything that you
7 would like to add in regard to the ILSI project?

8 DR. ROBINSON: Not specifically. I think that
9 Gwyn covered the goals and objectives of that project quite
10 well, but just, I guess, to make the point that this is an
11 opportunity for collaboration with FDA and, particularly,
12 CDER and the scientists there and the research arm of FDA
13 and that we really do welcome input into process as we
14 develop our project and, hopefully, direct experimental
15 collaboration as we get our program up and running.

16 DR. DOULL: That is Dr. Denise Robinson from ILSI.
17 One of the things that I noted in the presentation was that
18 they divided biomarkers into early biomarkers and then
19 talked about the different kinds of biomarkers. All of
20 those were dynamic biomarkers.

21 There was no mention in there of kinetic
22 biomarkers. I would have thought that the ability to
23 actually measure the drugs in fluids and so on, as a kinetic
24 marker, would be a useful marker. I just kind of wondered
25 why that wasn't included. Isn't that something that we need

1 to touch on?

2 DR. SISTARE: I totally agree that it is something
3 we need to touch on. I view that as a biomarker of exposure
4 and my focus was biomarkers of effect. But I did make a
5 point several times, and I think it is really critical that
6 as we investigate these biomarkers of effect, the biomarkers
7 of response, they have to be done in the context of exposure
8 and it has to be linked to exposure. I guess I didn't make
9 that point strongly enough but I totally agree, exposure to
10 both parent and metabolites.

11 DR. DOULL: I also liked Dr. Morgan, the
12 presentation about the dose response and the fact that the
13 low-level effects are the--we tend to talk about
14 pharmacokinetics and toxicokinetics as if they are two
15 different things, in a sense. Really, they are all part of
16 the same dose response.

17 When you are down in the pharmacologic range, you
18 tend to be in the lower dose-response ranges as opposed to
19 the toxic where you get up to see those effects. So I think
20 it is artificial for us to make that kind of distinction.
21 It is more useful to talk, as you did, about effect,
22 totally, effect at different levels.

23 In fact, there is probably no real difference
24 between toxicity and pharmacologic manifestations and that
25 they are both effects, just different kinds.

1 I guess in terms of the biomarkers--does the
2 committee have questions about biomarkers or shall we talk
3 about the questions?

4 DR. CAVAGNARO: I just had one comment as we,
5 again, talk about each of these various areas whether or not
6 we will make a distinction, generally applicable versus
7 specifically applicable, screening versus mechanistic, so we
8 can better understand what we are talking about.

9 For example, some of the biomarkers, focus on, as
10 we try to implement them or include them in various toxicity
11 study designs, whether or not some make more sense to
12 include across compounds initially for screening, if you
13 will, and then those that we might reserve for more
14 mechanistic down studies. I don't know. David is shaking
15 is head, so maybe he understands what I am speaking to--just
16 so that we don't lump everything together as--it is the same
17 point I made before.

18 Some of these technologies are driven based upon a
19 question that we want to ask and you don't ask that of every
20 product class. We want to make sure we use these
21 judiciously where they make sense to use them and, in those
22 cases, we have a better opportunity, I think, for them to be
23 used and to be implemented, more readily implemented.

24 So that is just a general comment to, I think,
25 both the imaging technologies as well as the biomarkers.

1 Do you want to say anything, David?

2 DR. ESSAYEN: No; only that I agree with your
3 conceptualization here and for focussing the use of the
4 various markers.

5 DR. DOULL: When Dr. DeGeorge gave his
6 presentation, talked about the tox screen, went through the
7 outline, two species and all of that and so on--the question
8 would be, I think, how one would incorporate biomarkers into
9 that scheme; where would they go and how would one use that
10 information in interpreting where you are at with that
11 screen and so on.

12 I think the is a difficult area and, as you say,
13 Joy, needs to be tailored to case by case, so you would put
14 in there whatever really was most helpful for that
15 particular case.

16 Let's look at some of these questions, then.

17 DR. MacGREGOR: Could I make a comment on
18 biomarkers?

19 DR. DOULL: I'm sorry; Jim.

20 DR. MacGREGOR: I am wondering if we might want to
21 address Dr. Sistare's recommendations directly. I think he
22 did make an effort to make some specific proposals. Just
23 going back to my presentation, I think that the comments
24 that Joy and David Essayen just made about deciding whether
25 you want to focus on a class of response related to a

1 therapeutic group of agents that is of interest or a general
2 set of biomarkers that is damage-specific.

3 They are different questions and we need to come
4 to grips with whatever path we might want to take or focus
5 on. I think, Frank--maybe he can correct me here--but my
6 understanding of what I think he put out as proposals was
7 that we might want to think about a general approach where
8 we use proteomics to look at tissue-specific damage by using
9 2D gel electrophoresis, for example, in a consortium type of
10 approach to see could we find protein biomarkers that looked
11 like they would be useful for specific types of tissue
12 damage. So that would be one kind of proposal.

13 Another was focused on the particular problem of
14 vasculitis and should we focus there and look for vasculitis
15 biomarkers for reasons that he presented why that would be
16 useful to do. The other was photocarcinogenicity and the
17 other was a very specific validation of troponins. Frank
18 said troponin T but maybe that could even be generalized
19 because there is a little work going on with troponin I and
20 other subclasses, but troponins as an established--to really
21 cement in their use as a routine biomarker for cardiac
22 damage in nonclinical studies.

23 So, again, he made the argument why it is close
24 but not quite at that stage. So there are some very
25 specific recommendations there.

1 I guess to add my own comment, I would say it
2 follows on the logic that the ILSI consortium is going to
3 look at two or three types of toxicity and try to take a
4 genomics approach to looking at genomic responses to
5 hepatotoxicity, genetic damage, and they may or may not end
6 up including nephrotoxicity.

7 So, because they were doing that, the proteomic
8 approach would be complementary, number one, and, number
9 two, if successful, would give us biomarkers that would be
10 usable in readily assessable tissue compartments and,
11 therefore, have the potential to be used in a variety of
12 different settings.

13 Do you want to add to that? Did I get it right?

14 DR. DOULL: Hopefully, the recommendation that one
15 would make would be most helpful to doing exactly what you
16 want. Whether or not we can make specific recommendations,
17 Jim, for a specific area requires careful consideration.

18 I guess the thing is the subcommittee has the
19 option of recommending that here is a field that has
20 progressed to the point where a group looking at it
21 carefully could probably figure out things to do that would
22 be helpful to the agency in doing the preclinical and tox
23 testing and so on.

24 Then, if we agreed that we were at that stage, we
25 could still recommend that we would go ahead and do that and

1 then that group would go ahead and, hopefully, develop some
2 kind of recommendations and guidelines and so on that would
3 be helpful to do that.

4 So I guess the first question is are we at the
5 stage, with biomarkers, where, in fact, it would be useful
6 for the subcommittee to consider that to be an area that we
7 would focus on and take the next step which, I guess, would
8 be appointing some kind of committee or something.

9 DR. CAVAGNARO: I guess my comments are, you have
10 to start somewhere. I think today we have seen that there
11 is a sufficient database to at least start.

12 I guess the question that I had was ILSI has an
13 initiative and then this would be a separate initiative. Is
14 there a way to have some baseline standard. Joe talked
15 about the two-week rat model, et cetera, as the two- to
16 four-week. That helps in the facilitation of early clinical
17 development as the model where you would now ask the
18 question about troponin.

19 So you would always have some reference point. It
20 is a two-week study and, in that study, you would measure
21 traditional markers, the standard, if you will, and then
22 build on that as a framework so when you are assessing
23 troponin or some of these--because what you would like to do
24 is bridge all these studies, again, bridge the studies that,
25 if these are the studies for--biomark troponin and the ones

1 that you had suggested and that Frank had suggested.

2 Somehow, you would like to correlate that
3 database, once that is assembled, to the ILSI database which
4 is just measuring a different endpoint biomarker. So, if
5 you agree on the backbone of the study--do you understand
6 what I am saying?

7 If ILSI does things in single-dose studies and
8 somebody does things in three-month studies versus six-month
9 studies versus one-month studies, then we are always going
10 to question the relevance of duration. So, if we could
11 establish--could you envision a standard treatment or
12 duration or species where you could, now, ask these
13 questions and then be able to leverage the data.

14 DR. DOULL: Maybe what we need to do for that,
15 Joy, would be to have a link--if we were to form a
16 committee, for example, would could have somebody from the
17 ILSI committee talking to that group or a part of it to
18 insure that we did cover it.

19 There was also mention of the European effort
20 which--I don't know how we would encompass that, but,
21 clearly, we don't want to go off in all directions so we
22 need to do whatever is facilitating.

23 DR. DEAN: John, it seems to me that the starting
24 point might be where are there information gaps or
25 biomarkers needed in terms of toxicities that we are not

1 predicting well from the animal or that we are seeing in the
2 animal that we are not predicting well for man is a place to
3 start because you can either start with a list of biomarkers
4 and you will get everyone's favorite biomarker, or you could
5 start with a list of what are the problems we run into the
6 clinic that we don't predict well from preclinical testing.

7 Then what are the most likely biomarkers that
8 would predict those effects. That might be a way to
9 conceptualize this without making a long list of biomarkers
10 to go validate or evaluate.

11 DR. MacGREGOR: I would agree but I would
12 reiterate a point that Gwyn Morgan made that I think is a
13 very important one, and that is that a lot of these things
14 that happen in clinic that we don't predict very well from
15 the laboratory models may, in fact, not be the fault of the
16 laboratory models but individual variation in the human
17 population.

18 We could get into trouble if we pick those and
19 then try to go into an animal model to answer the question.
20 So we need to be careful about that, I think.

21 DR. ESSAYEN: I would echo a couple of the
22 comments that have already been made. I think as we go
23 forward with something like this, the couple of other things
24 that we are going to be need to cognizant of are achieving
25 of samples so that, given a particular protocol and

1 standardization of assay today, should a different database
2 be necessary to be acquired, we have the proper samples
3 stored in order to recreate databases using evolving
4 technology, standardization of assays that we use today to
5 create the present databases and, as best as possible, to
6 make them consistent with the ILSI initiative or other
7 parallel initiatives to make the data comparable.

8 And then the last thing we are going to need to be
9 cognizant of, as we would set up a committee to look at
10 these things, is the possibility that, in looking for
11 biomarkers, we may actually identify potential therapeutic
12 targets and that will raise issues of intellectual property
13 which will need to be dealt with within the committee.

14 I think it will be important to have NIH
15 representation on that committee because a lot of the issues
16 related to acquisition of intellectual property have already
17 been worked out by the NIH and other initiatives that they
18 have participated in that are analogous to this one.

19 DR. REYNOLDS: I think one focus we could look at
20 in the area of biomarkers, and I heard someone quote, I
21 think it was Gwyn, whether biomarkers would become a badge
22 of honor or a stigma that becomes associated with a class of
23 compounds or other treatments.

24 I think, with biomarkers, we have the ability to
25 generate an awful lot of information a lot of which may not

1 have any relevance whatsoever to, really, the questions that
2 we are asking. So I think it is important that we focus on
3 what do we do with that information that we can generate
4 that it not really relevant to the questions that we are
5 asking, especially as it pertains to reporting requirements
6 and pursuit of other things that may be indicated for
7 toxicity.

8 So I think whereas we rely upon the traditional
9 OECD type of toxicology studies, the in vivo studies, are we
10 talking about layering upon those studies, then, additional
11 biomarker endpoints or are we going to talk about the
12 ability to do biomarkers in lieu of some of these additional
13 studies because I can see us causing ourselves a lot of work
14 here that may not mean very much.

15 I think, also, one of the things we should focus
16 on in terms of the, shall we see, nonspecific biomarkers
17 that we might generate or even the specific ones, that what
18 do we do when we uncover ability to measure things like QT
19 interval prolongation? Does that mean that every time we
20 see this response, that we have to have some clinical
21 outcome that will validate the relevance or lack thereof of
22 this--there is not a general answer, but I think we have to
23 be cognizant of those escalating non-value-added types of
24 things that we can do.

25 DR. DOULL: Who was it that said, "Tox deaths are

1 like old generals; they don't fade away. They just keep on
2 doing whatever they do."

3 Let me go through these questions that were
4 submitted to us. Maybe they will stimulate some thoughts in
5 regard to this. What is the current state of science on the
6 predictive value of biomarkers for use in assessing risk on
7 NMEs? Is there a correlation between changes in the value
8 of biomarkers and untoward outcomes in cells, tissues,
9 organs that can be used in both preclinical and clinical
10 studies; that is, is there a consistent pattern with the
11 biomarkers.

12 Third; what questions need to be addressed in
13 order to use biomarkers in risk assessment? We have heard a
14 number of comments about risk assessment today.

15 Fourth, what recommendations about the use of
16 biomarker technology should sponsors and the agency consider
17 in their deliberations of risk assessment for MMEs?

18 I think what we are talking about is, perhaps, to
19 get a group together to ask the question that you are
20 saying. Is there a value of overlaying, say, the standard
21 tox procedures that we now do with some additional tests
22 that have to do with biomarkers and are there ones that
23 would be general enough that we would, in fact, recommend
24 them fairly uniformly or are they case-by-case
25 recommendations which would fit the kind of adverse effects

1 that one would see.

2 Presumably, a group that would say, "Hey, we are
3 just not at that stage yet. Biomarkers is a developing
4 technology and we need to have this kind of information
5 before we really are ready to make that recommendation."
6 Or, "Here are a list of biomarkers which generally are
7 informative and predictive and could be included as a part
8 of a general tox screen that would be useful and informative
9 and predictive and, hopefully, would help in risk
10 assessment, whatever that is."

11 DR. MacGREGOR: Just to add one further, I think,
12 requirement. I guess, in my mind, this point has been made
13 a couple of different times in several different ways, but
14 that it is important to define fairly specifically the
15 biomarker problem and issue that we might address, that the
16 questions just posed are very general ones and, for example,
17 if you were going to constitute an expert group to address
18 the three or four issues that I just named, say, proteomic
19 approaches to tissue damage or vasculitis or
20 photocarcinogenicity, those would be very different expert
21 groups.

22 So you have to decide, I think, which areas you
23 want to pursue if you are going to pursue it via an expert
24 group so that you get the appropriate groups of experts. If
25 you get people who are too general, which I would say, for

1 the most part, are those of us around the table who, I
2 think, have a perspective of the field but are not really
3 experts in how we would solve the vasculitis problem, for
4 example, that we won't really get to the specific level that
5 we need to make real progress.

6 DR. DOULL: I agree.

7 DR. DeGEORGE: I just want to comment that I think
8 it is important that you address whether you are going to go
9 after issues that have already been identified--and I tried
10 to make that point in my talk and I don't think I did a very
11 good job--issues where there are areas where we already
12 there are problems; for example, the vasculitis.

13 Clearly, it has been said to be a normal pathology
14 of the dog through it is an indicator of potential toxicity
15 in humans that we can't readily monitor. And there is a
16 broad spectrum on that. Answering those kinds of questions,
17 are there ways to identify--are there distinctions, in fact,
18 maybe both are correct, maybe both positions are correct,
19 but are there ways we can distinguish that through
20 biomarkers, for example, that this is a dog pathology and
21 not relevant to humans, and this is a pathology in the
22 animal that may translate to humans.

23 Clearly, that would be important to have an answer
24 to. One can talk about general toxicology screens and
25 layering and all of that. I think, initially, it is either

1 going to have to be layering or stand alone by itself and
2 not use it in any regulatory setting until there is such
3 confidence built up.

4 On the other hand, once you get confidence in it,
5 either by incorporating it somehow or by having a large
6 stand-alone database, then, perhaps, we don't need the other
7 markers anymore. We may have the same problems with these
8 markers as we have with the current ones but, hopefully, in
9 the choice of the markers, that won't occur.

10 The other thing is the tools, and this is the
11 other point. If you just want to say, okay, we have a tool
12 that we can now use, let's try to find a way to use it, I
13 think that is something you have to think about if that is
14 really what this group can help. I really think it would be
15 good to try to focus on very specific questions where
16 everyone feels there is an interest to be served by getting
17 a better answer than we currently get from our models and
18 testing programs.

19 If you do that, it may be that, in some cases, it
20 is used only for drugs that cause, potentially cause,
21 cardiac toxicity, that you would always want that included.
22 After you do that, maybe you generally say, well, maybe we
23 can replace our current methods to look at this as a general
24 toxicologic effect. But I think you need to focus on those
25 areas where we know we have problems today to get everybody

1 interested in trying to solve the problem.

2 DR. DOULL: Let me just refer to a little history.
3 When Bruce Ames came along with his Ames test, a lot of us
4 who were doing two-year oncogenicity studies thought, hey;
5 that is great. That is going to save us an awful lot of
6 money, a lot of rats, and what have you. So there was a lot
7 enthusiasm for the Ames test as a biomarker for cancer early
8 on.

9 It took us a while to realize that there were
10 problems with that biomarker, it didn't always give us the
11 right answers and we ended up doing not only the two-year
12 oncogenicity study but the Ames test and a whole lot of
13 other gene-tox studies, a battery of gene-tox studies.

14 We need to have as much wisdom as possible going
15 in to that to do exactly what you are saying. There is a
16 group which is discussing right now, for example, the use of
17 adducts as an indicator for carcinogenicity. There are a
18 lot of questions about whether we should use those adducts
19 and which adducts should be used and what do they mean, and
20 so on.

21 Jim Swinberg, for example, gave a talk recently.
22 I think the jury is still out. We really can't tell which
23 adducts are the most predictive and which would be most
24 useful and whether they should just be added onto the
25 current protocol or not.

1 I suspect we are probably going to have that
2 problem with a lot of those things that we would like to
3 add, whether it is the test for vasculitis and so on, as to
4 how predictive they really are and how well they move us
5 along and how one can utilize that information without
6 getting boxed in by some kind of requirement.

7 I don't know whether we could get a committee that
8 would have the skills and the wisdom to do that or not.
9 That is a tough job.

10 DR. DEAN: I want to kind of echo what Joe is
11 saying because I think we are saying the same thing. I
12 think it is going to be more important to focus first on
13 what are the toxicities where there are the gaps in our
14 predictivity.

15 If you read the charge on 12 for the way this was
16 framed, on page 12--it says, "to examine new biomarkers for
17 improved predictivity of nonclinical studies and at
18 providing a better interface between nonclinical and
19 clinical studies." And then Frank has done a very nice job
20 of outlining some of the toxicities, hepatotoxicity,
21 cardiotoxicity, et cetera.

22 I think we would have to first get a group to
23 agree on what those toxicities are then convene the working
24 groups because if you bring the working groups together
25 without defining the toxicity, then we are going to go off

1 in 100 different directions chasing everyone's favorite
2 biomarker as opposed to focusing in.

3 The beauty of the agency's involvement is because
4 there is always a gap in what industry knows and what the
5 agency knows, I think, because they see everyone's compounds
6 and they see everything that everybody submitted. The
7 problem that individual companies have is going out and
8 looking at a new biomarker, or putting it in, assessing it
9 now knowing what the agency, one, is going to think about it
10 or not knowing whether it has any validity.

11 So this way, by working together with the academic
12 people who have new biomarkers, I think you have the best of
13 all of the worlds. You have the agency which has a history
14 of knowing what biomarkers may be relevant to start with and
15 you have experts who have those methods.

16 But I think we ought to focus on maybe just a
17 couple of toxicities that we would like to go out and try to
18 evaluate biomarkers for.

19 DR. DOULL: But you are also suggesting, Jack,
20 that maybe we need a group to figure out what are the ones
21 we really ought to focus on rather than just deciding, say,
22 de novo, at this time.

23 DR. DEAN: Unless we think we could hear from
24 people in the agency who have pretty much framed this. If
25 Frank has the correct list, then maybe that is the starting

1 point. Maybe we should just focus on the one slide where he
2 named four different toxicities where he thought we lacked
3 enough information.

4 Is that the appropriate list or do we need to get
5 another group of experts to go off and frame the list?

6 DR. CAVAGNARO: I think the list is probably
7 derived from some careful review of data. I think that is a
8 reason why it was presented. I would add that, perhaps,
9 there might be one area to get bio more involved in and,
10 perhaps, one of the major issues that faces many of the new
11 biological is the whole concern about drug-induced
12 immunosuppression, et cetera, et cetera.

13 So if we could add something that might be more
14 relevant to bio, and maybe David can add to that. But I
15 think that I would submit that these markers were proposed
16 based upon review of internal data that the agency has and
17 that it represents a good place to start.

18 DR. DOULL: When you were talking about that group
19 of four, Frank, I wrote down there neurotox because I was
20 thinking about we really do need some biomarkers there.
21 Whether any of those things are far enough along--it used to
22 be when we were talking about what do we really need in tox
23 to evaluate what we are seeing in the clinic. One of the
24 things we really need is CNS depressant kinds of things.

25 We have no way of finding out if a rat has a

1 headache, for example, and yet we see a lot of headaches
2 when we are testing drugs.

3 Let me give this as a proposal. What I hear the
4 committee saying is we need to first explore what we have in
5 the way of areas where biomarkers could be helpful if
6 incorporated or made a part of the kind of the tox screens
7 that we have, as a first step, to kind of identify where we
8 might focus and then, second, to figure out how we might
9 move ahead and get the knowledge together that would
10 facilitate what we are going.

11 Everybody wants to talk.

12 DR. MacGREGOR: Actually, I didn't want to talk
13 but I was going to suggest that we call on the audience to
14 comment on Joy's supposition because we have, within CDER, a
15 research subcommittee of the Pharm-Tox Coordinating
16 Committee which is the committee that deals with these
17 toxicology problem cases. Joe DeGeorge and Frank Sistare
18 are co-chairs of that committee.

19 So I think they could comment on the degree to
20 which these issues are appropriate choices.

21 DR. DeGEORGE: I first of all want to comment that
22 I think part of the last the Frank brought up, actually, is
23 a focus of FDA-CDER research activities and not necessarily
24 a prioritization of general interest. It is who is there
25 and who can do what that helps drive some of that list.

1 I think there is another area, another forum that
2 has actually brought up some of the toxicology questions
3 that are unanswered, or unanswered with our current
4 standards. I would, again, mention the ILSI project which
5 is looking at how well animal toxicology studies identify
6 human toxicities. The lists would not necessarily
7 correlate.

8 You picked up a good one, neurotox, which is one
9 of the ones that is not well defined by the animal models
10 that we currently use because animals can't tell you if they
11 have a headache or nausea, necessarily.

12 Another one that was important was immunotoxicity.
13 It was almost missed 90 percent of the time by our animal
14 toxicology studies. That is one, actually, I think the bio
15 people would be very interested in because of the impact and
16 because of the fact that it is missed until very late in
17 clinical development when you have already spent
18 \$300 million developing a drug.

19 So I think there are some other areas. I think
20 Frank's list--these are things that we are doing because,
21 one, we have the resources to investigate these. They are
22 also areas of importance to us. I would go along with the
23 vasculitis which may have an immune component as one of the
24 effects there.

25 But I am not so sure everything on that list is a

1 driving force based on a need as much as a need and ability.
2 So I would not make the assumption that that list is the
3 agency's specific need.

4 DR. DOULL: We are not buying this as the final
5 list. We are saying that this is a moving target. We are
6 going to add details and details.

7 DR. DEAN: John, can I just ask a question of Joe
8 before he leaves?

9 DR. DOULL: Oh, sure.

10 DR. DEAN: The specific issue relative to the ILSI
11 project on productivity, that was systemic allergy, Joe,
12 inability to predict systemic allergy?

13 DR. DeGEORGE: I believe we don't have enough data
14 on the cutaneous to actually make a distinction but,
15 clearly, we know that how well we can predict systemic-based
16 hypersensitivity responses and other immune toxicities is
17 not--our animal models are not terribly good at identifying
18 those which we detect late. We may have ruled out a bunch
19 of them but they can still cost an awful lot of money and
20 resources when detected in marketing.

21 DR. ESSAYEN: There are a number of other groups
22 who are chipping away at the immunotox issue. One of them I
23 would mention is the Immune Tolerance Network which is being
24 funded in large part by the NIAID which is going to be
25 assembling a very large database for immunomodulatory

1 signalling molecules. That is going to be a seven-year
2 project which was initiated this past October.

3 I am actually one of the representatives to that
4 so I can keep the subcommittee up to date on the progress
5 there.

6 The other type of toxicity, per se, and I have to
7 put toxicity in quotes for this one, that the committee may
8 wish to entertain as a possible focus area would be tissue
9 remodeling in fibrosis and markers of that.

10 DR. DOULL: Those are both good suggestions.

11 DR. SISTARE: The only other comment I wanted to
12 add was, to some extent, Joe is correct, that we are
13 focusing on things that we can do within our research group
14 so part of a reflection of those four things are initiatives
15 that we are undertaking.

16 But we are undertaking those because they have
17 been identified as priorities of the agency. So there is
18 that component. The second one is the focus was on
19 biomarkers of response. The focus was on biomarkers of
20 response. The neurotox, I think, could be better addressed
21 using one of the imaging modalities. You heard David Lester
22 from our group who is focused on neurotoxicity.

23 So, yes, that is a biomarker but no really what we
24 are calling as an accessible protein-based biomarker of
25 molecule-based biomarker approach. It falls in the category

1 of what Jim referred to as maybe an upregulated or
2 downregulated membrane protein which is accessible using,
3 like, a PET probe or using an MRM imaging modality.

4 So I agree with you, neurotox is a high priority.
5 It is one that we are focussed on. It wasn't in my talk
6 because I don't view that as something that can be used as
7 an accessible tissue thing to go across species.

8 The other thing is there was a careful elucidation
9 of biomarkers versus alternative model systems. We are
10 interested in being better able to predict immunotoxicity or
11 hypersensitivity. And there is some discussion, some effort
12 is under way, to look at alternative model systems that
13 might be better animal model systems to what is currently
14 being used to predict hypersensitivity reactions because, I
15 agree, that the ILSI effort showed that that was a weak area
16 for animal-to-man kind of predictivity.

17 Whether or not a biomarker approach in the clinic
18 is the way to address that, I don't know. I think we need
19 to refine the animal model, maybe look at alternative
20 endpoints within animal models, but we want to define it in
21 the animal before we go into the clinic.

22 My focus is on areas where we can do the
23 experience in animal and in man and draw interspecies
24 extrapolation and paradigms. So when we pick the areas that
25 we want to focus on, I think we have to keep these kinds of

1 things in mind. What modality do we want to approach these
2 things with and is it best answered using an alternative
3 model or is it better answered using the biomarker approach?

4 But I totally agree. Those four things I put up
5 there are examples that were based on my experience from my
6 vantage point. We are doing those because we think they are
7 important; that's true. There may be other ones and I do
8 invite the committee to bring anything to the table that
9 they think might be more important.

10 DR. DOULL: Two things. First, we are thinking
11 very broadly of biomarkers so we would include PET scan,
12 other things in there as biomarkers, because they would
13 serve that function. And the other thing, I think, is
14 exactly what you said. These are things that you have
15 identified where you need some information. Certainly, one
16 could expand that list significantly and add other things.

17 But the question, hopefully, that you would first
18 ask is would it be helpful and is there enough ground work
19 done that we could move ahead in this area by putting
20 together some kind of a list and then exploring how these
21 things might be used in a predictive sort of fashion.

22 DR. SISTARE: I agree. Establish the need first.
23 Where is the biggest need? Where are the biggest questions
24 that need to be answered. But one thing that you brought up
25 earlier, too. You referred to the Ames assay as a biomarker

1 but I don't view that--that is an in vitro assay that is
2 being used to predict something that is going to happen
3 later.

4 Another thing was the DNA adduct. I view that as
5 a sort of biomarker of exposure. One could argue that it
6 does reflect an effect as well. But the other point I tried
7 to make is if we are going to look at biomarkers, if we look
8 at very early biomarkers, there is a lot of complex biology
9 to sort through to tell you whether that early biomarker is
10 really linked to the later event.

11 But if we choose biomarkers that are a little more
12 proximate to the toxic event, I think our chances of success
13 are greater; like, for example, a troponin leakage. It is
14 not the same as a gad 153 gene expression induction as
15 predicting cancer this far down the line. That could be
16 reflecting endoplasmic reticulum stress or DNA damage or
17 some general toxicity.

18 We don't know what that means but a troponin
19 leakage makes us think. Is it coming from the heart? How
20 did it come out? Is it an active secretory process or was
21 there tissue damage there. There are not too many things
22 you have to sort through. That is why I think we have to be
23 careful in terms of where we focus our attention in
24 biomarkers.

25 Clearly, from the industry perspective, they want

1 early biomarkers of effect to be able to make good decisions
2 on what drugs to continue down the pipeline. Clearly, that
3 is a very important thing to do and to be able to sort out
4 those patterns is going to be extremely beneficial.

5 From where we are sitting, I think FDA kind of
6 enters the realm when it gets into the animal. And then
7 from the animal, it gets into the clinic. So, from my
8 perspective, I will speak personally here--from my
9 perspective, I think it is much more beneficial from our
10 perspective to look at things that are more proximate to the
11 toxicity that is going to be seen in the animal and in the
12 clinic.

13 I think that is going to have more impact on human
14 health. We have to do our job. Our job it to make sure
15 that these things are safe in the clinic and when they get
16 wider exposure. Industry has a much bigger job to do. They
17 have got to sort through a bazillion compounds and pick the
18 right one and then make sure it is safe, just like we do.
19 So they have got a bigger focus.

20 DR. DOULL: I think the committee that is
21 selecting the biomarkers also needs to give some thought to
22 the lexicon, to defining the things. I agree. DNA adduct
23 is not the same as a biomarker--well, I was thinking about
24 metallothioneine. Is metallothioneine a biomarker or is it
25 a cause of cadmium toxicity.

1 There are some fuzzy lines there that really need
2 to be talked about in order to define these issues. You
3 talked about apoptosis, for example, apoptosis as a
4 biomarker or apoptosis as a cause of disease. It is a
5 difficult area.

6 I think I hear some consensus which is that we
7 think this needs to be explored, and the way to explore it
8 is to initially put together a group which would give some
9 thought to defining what a biomarker is and how this might
10 be used and looking at some of the potential biomarkers that
11 might be included in this to decide whether, then, we could
12 go ahead with a more full-scale effort which would be to get
13 experts in those different areas to advise us.

14 This committee is not defining the trees. We are
15 defining the forest, hopefully, and, therefore, we don't
16 have to get down to the nitty gritty. The expert group
17 would probably have to get down to the nitty gritty. Our
18 chore is to report to the Pharmaceutical Sciences Panel the
19 forest, not the trees, as I understand it.

20 DR. MacGREGOR: I think, and Kimberly can keep me
21 on the right track here as far as what these subcommittees
22 can do, but my understanding is that we do need to report
23 back periodically to the full committee and get their
24 endorsement on the tracks that we are taking but that, in
25 fact, because we do hold fully public open meetings, we can,

1 in fact, proceed ahead to form groups and perform activities
2 on our own without going back to the committee every time.

3 So if we did have consensus that we should pursue
4 a particular area, we could begin to do that and then report
5 back to the committee periodically.

6 DR. REYNOLDS: Maybe I will just kind of surmise
7 what I viewed as maybe where the committee is at and what
8 our activities should be. I think there is consensus that
9 we need to drill down and focus on specific areas where we
10 can model biomarkers and show the utility.

11 I like what Dr. Sistare said; we need to find
12 biomarkers that are in close proximity to the toxicity and
13 what we can see in the clinics, but I think we need to spend
14 some time as a committee focusing on what are those specific
15 projects or pilots we should do.

16 I heard two areas where we can maybe seek advice.
17 One is in the ILSI activity in looking at the predictivity.
18 I think that database, there is a lot of controversy on what
19 the database means, but I think one of the things that it
20 can point out to us, or I think what Jack means, is where
21 are the gaps, where are the areas of clinical toxicities
22 that we are not doing well with the existing models of
23 predicting.

24 So I think there are some important learnings and
25 potential topics there, but I think also what was said about

1 the FDA's database and perspective on what the gaps are I
2 think is very important. So I would suggest that this
3 committee partner with the right members of FDA to look at
4 whatever the knowledge gaps are but also maybe talk to
5 several of the people from ILSI on what that survey at least
6 pointed out and maybe tee up a number of specific projects
7 that we can focus on.

8 I am not sure, then, in terms of process whether
9 the committee would make a decision or whether it would come
10 back to a forum like this to actually decide on what those
11 specific projects are. But at least I think we could begin
12 to focus, then, on what are the important high-value types
13 of projects that we could work on.

14 So I guess that is where I have heard the
15 consensus on where this should go. I would just put that on
16 the table as a proposal.

17 DR. DOULL: I think that sums it up pretty well.
18 That is what I am hearing. Are you all hearing that? I
19 think we can make the point that, in the best of all worlds,
20 biomarker will certainly facilitate prediction. And if they
21 do that well, then I think that is where we want--how to use
22 biomarkers, is that they help us make better predictions
23 from animals to man.

24 They also will help us define the doses, the time
25 of exposure, all these sorts of things. Biomarkers have the

1 potential to do a lot of good things in those areas and we
2 ought to keep that in mind.

3 I think, then, in terms of the biomarker thing, we
4 are fairly agreed that we would move forward in a general
5 sort of way to define the area and to then define the next
6 step which might be the formation of an expert group.

7 DR. MacGREGOR: I think it would be well at this
8 point to define how specific we can be in terms of the focus
9 of this biomarker group. For example, I think we need to be
10 explicit whether we are talking about just safety or
11 efficacy, whether we want to focus the group on biomarkers,
12 molecular markers, that could be used in both animals and,
13 ultimately, in the clinic or whether we want to focus more
14 on the discovery end.

15 I would say, for example, that the ILSI genomics
16 effort is focussing more toward the discovery end using
17 genomics technology. We are going to be faced with the
18 problem, when we go out to solicit experts, we are going to
19 have to face up to those questions.

20 Do you want people that know something about
21 vasculitis? Do you want people that know something about
22 proteomics? You can't have everybody if you are going to
23 have a workable committee. So I think, in my perspective,
24 before we leave this, we should try to be as defined as we
25 can by about the focus.

1 I guess I think we are talking about safety
2 biomarkers with the flexibility to be used in animal models
3 and, potentially, in clinic. But we want to be sure we are
4 in agreement.

5 DR. DOULL: I am not sure we are at the place
6 where we can make that selection. I think what we are
7 saying is we are going to rely on this small group to
8 massage--you have these four; the troponin test, the skin
9 photocarcinogenicity, the vasculitis predictor and the
10 hepatotoxicity.

11 We have added a couple of other potential ones
12 that you might consider. I think what we are saying to you
13 is talk to the OC group, talk to the European group, talk to
14 the immuno one that Dave mentioned and find out from a list,
15 hopefully, a relatively small list of potential biomarkers
16 which ones could profitably be explored in a way that would
17 facilitate what the agency is really trying to do with
18 biomarkers.

19 And, then, at that stage, if you want, you can
20 come back and, perhaps, the committee can give you some help
21 in terms of recommending people and so on that might be
22 helpful in this point.

23 The committee agrees with that? Let's move on,
24 then, to the other issue which is the imaging issue. I
25 think it was clear from what all four of our speakers said

1 is that we have a situation where science is really moving
2 ahead at a galloping rate. It is incredible how much has
3 happened in a relatively short time.

4 The difficulty is that, somehow the technology,
5 all the things that are going on in terms of drug
6 development, don't seem to have kept up with all that. We
7 have that high throughput screen, for example, which is
8 turning out all kinds of potential candidates.

9 We have no real quick way to--we can't do the
10 conventional toxic, acute, subchronic and two-year study on
11 all of those agents. We need the ability to somehow
12 facilitate that kind of testing in a way that helps us deal
13 with those kinds of problems; databases, predicting
14 structures that have activity, and so on.

15 Certainly, the imaging thing, I think, offers
16 potential for dealing with some of those things and it is a
17 powerful kind of technique. But my feeling was that it is
18 really not at the stage where you can bring it into your own
19 laboratory, in a sense, and add it to what you are doing in
20 a very profitable way.

21 It is a highly tailored situation. If I were
22 going to bring PET scan, for example, in to do my rats, I
23 would have to spend a year at Duke or wherever figuring out
24 how to do all that stuff. It is a very complex technique, a
25 very expensive technique, and one that I think we need to

1 figure out how to move toward getting it into the main
2 stream of toxicology.

3 But I didn't hear any easy answer to do that in
4 the presentation this morning. How about the committee?
5 Gloria, you are an imaging person. Did you hear how we can
6 do this?

7 DR. ANDERSON: I am not sure that is a major
8 problem. I think the technology is going to continue to
9 grow and, at some point, we have to catch up. The question
10 I would have is how much do we know about what I might call
11 the safety of these noninvasive imaging technologies. When
12 I say "safety," I am talking about the--if I call it NMR,
13 forgive me, people, because that is what I have been calling
14 it for thirty years--you are talking about putting a human
15 or you are talking about putting cells in a magnetic field.

16 I didn't understand the engineer's and physicist's
17 units that he used, but I don't particularly like to go in
18 the room where my 200 megahertz FTNMR is. I am not sure
19 that we even know what the long-term effects of those kinds
20 of things are. So the question I am asking, I guess, is how
21 much do we know and before we go down that road, should not
22 we try to begin to collect some data, not only on the MR but
23 the PET as well because they are daily talking about
24 radioactivity and do we do more harm than good especially
25 when you come to the human trials.

1 I am not against, it, now, because I think we have
2 to catch up with it. But I think we probably need to know
3 more about the effect of these technologies, particularly on
4 human beings.

5 DR. DOULL: I think it was Dr. Frank, wasn't he
6 the one who showed us the slide that says, "Here are some of
7 the potential disadvantages of the procedure." One of
8 those, of course, was the radioactivity.

9 DR. ANDERSON: I don't remember but you have got,
10 basically, in PET, as I understand it, to use radioactive
11 labeling. That, to me, is a concern if we haven't really
12 studied any effects, any long-term effects of that.

13 I don't recall whether or not that was there, but
14 I do think that if, in fact, these represent techniques that
15 could get us to where we want to be more quickly, that
16 certainly they should be looked at. It may very well be
17 that when you are talking about the development of drugs,
18 you may really be talking about something else, like you may
19 be talking about F19 instead of F18 that is used in PET.

20 F19 is used in a different way, but it can do some
21 things.

22 DR. DOULL: The issue, then, is what can we do
23 about the imaging thing. Should we deal with PET scan
24 separate from the nonimaging or deal with those together?
25 Let me read you the question for that. Oh; they have got

1 them together. Imaging technology; are the current
2 preclinical guidances available for demonstrating or
3 assessing the potential risk of noninvasive technology
4 suitable for the first time utilization in humans." That is
5 what you were saying, Dr. Anderson, part of that concern.

6 "Can the risk of using these technologies in
7 combination with new medical entities be adequately
8 predicted?" I guess that is more for the PET scan than for
9 the NMR. "If not, what questions must be addressed or
10 studied, or what studies need to be conducted to demonstrate
11 the safety and utility of these technologies?

12 "Are new imaging technologies adequately developed
13 to reliably assess cellular tissue and/or organ
14 perturbation? What biological level of integration can
15 imaging technologies detect changes, molecular, subcellular,
16 cellular, tissue or organ?" We heard some pretty good
17 description of all of that.

18 "What studies need to be done, or what data should
19 be provided to the FDA, to determine the predictive value or
20 validation of imaging or noninvasive technology? What are
21 the opportunities for utilization of imaging technologies
22 across species to support the safety and efficacy of drugs
23 in development?"

24 That was the same issue, Dr. Morgan, that you were
25 really talking about as you move across dose response in a

1 species, how well can you make those kinds of steps. It
2 would be the same thing for imaging.

3 "What recommendations about the use of imaging
4 technology should sponsors and the agency consider in their
5 deliberation of risk assessment for new medical entities?"
6 Those are the questions that were sent out to the
7 subcommittee to consider and I think they focus on a number
8 of the issues that remain with imaging technology.

9 The question is how best should we proceed with
10 that area. The problem is if we don't do anything at all,
11 that area is going like a house afire and it is going to be
12 down the road and it is going to leave us in the dust.

13 At least, we probably need some kind of mechanism
14 whereby we can keep track of what is going on in it.

15 DR. DEAN: John, can I put a stake in the ground
16 as an absolute novice in this area. But it seems, from what
17 we have heard today, that the nuclear magnetic microscope
18 would be a very interesting kind of tool because you could
19 look at the whole organ. You then could come back, as we
20 saw in the presentation--you can look at that and the
21 comparison with the histopathology or the various stains.

22 You get a three-dimensional picture as opposed to
23 three sections or five sections, normally. That would seem
24 to be something that might be more easily validated. One,
25 it would take fewer animals. Two, you would get tremendous

1 resolution from what we have seen today--maybe not validated
2 isn't correct, but at least evaluated against standard
3 pathology and see if there is an advantage over this method
4 versus standard pathology, in those lesions that are hard
5 the characterize, or even very early in the process of the
6 lesion being formed and the pathogenesis equation.

7 That, to me, makes more sense than to look at
8 whole-animal imaging at this point in time when the machines
9 are so scarce and you have got to put an issue in the
10 machine for eight hours or so.

11 What I heard today is you could lay out several
12 organs and image five organs simultaneously with some
13 machines you have got today.

14 DR. MacGREGOR: Just to clarify, my understanding
15 was that David made the proposal that, in fact, while his
16 proposal was there could be an in vivo component and a
17 tissue in vitro component, but that tissue in vitro
18 component might be based on preserved tissues from previous
19 studies. It might not take animals or pathologic
20 characterization but maybe go out and find lesions that have
21 already been characterized and then assess the capability of
22 the technology to see those characterized lesions.

23 I would say that would be one thing to think
24 about, is that worth doing with all the caveats about the
25 cost and the expense and so on that I would like to have

1 feedback on. That is one of the things we have previously
2 discussed a year ago, possibly initiating something, and it
3 has kind of been in abeyance.

4 DR. ESSAYEN: We are talking in somewhat general
5 terms here about what we would do with these imaging
6 modalities. I think one of the initial things that probably
7 should be focused on is getting the clinicians together with
8 imagers and trying to figure out what the data gaps are,
9 what specific areas to pursue that are technically feasible,
10 and decide on the focus areas, similar to what we are
11 talking about with biomarkers, similar to what Frank has
12 alluded to, push the front on focussed areas and then hope
13 the rest of the front pulls along with it.

14 I am very concerned in all of these endeavors
15 about the dilution effect if we try to do too much too
16 quickly.

17 DR. DOULL: When you talk to clinicians who use
18 PET scan for diagnosis of different kinds of tumors and so
19 on, one of clear powers of that technique is what Jack
20 mentioned, the ability to visualize that tumor in site and
21 to turn it around and to manipulate it so you can figure out
22 exactly how best to treat it or to remove it or whatever.

23 That is an incredibly powerful tool and it is one
24 that the clinicians have been using for some time and are
25 very comfortable with and are very familiar with. That is a

1 concept which we haven't incorporated at all into what we
2 are doing and which could give us a whole avenue of
3 investigation which we do not have.

4 But there are all the other problems that go with
5 that. The question is would that particular imaging
6 capability add that much to what we now do that it would be
7 unique and special and would justify the effort it would
8 take and the expense it would take. That is a tough
9 question.

10 DR. REYNOLDS: I think to kind of build on what
11 David teed up and what you said, I think that if we look at
12 imaging technologies, they have been used in terms of
13 focussing on disease states and response to disease states,
14 diagnostic kinds of things.

15 I think that maybe what this committee can do in
16 its wisdom as well as ability to gather information from
17 broad-based groups is maybe to help us focus on models or
18 applications of these technologies where there are knowledge
19 gaps.

20 I think one of the things we heard was in the area
21 of neuropathology. I think there is a lot there that we
22 might be able to do with some of these here. So I think,
23 maybe, as a proposal for the initial activity of the
24 committee, and I would just echo what David said. I really
25 think that if we can focus on areas of where we can show

1 benefit and show the utility of this, I think we have done a
2 real service.

3 So I think that the committee maybe could spend
4 some time, as we have proposed with biomarkers, looking at
5 the collective wisdom of us and our networks but also what
6 FDA and other groups like NIH know about the knowledge gaps
7 and maybe come back here and propose specific examples of
8 where these new technologies may have utility would be of
9 benefit.

10 DR. ESSAYEN: What I am hearing from the imaging
11 people is that actually we may be taking the word "imaging"
12 a little bit too literally. I think the power of this
13 technique is not just using FDG to be able to look at a
14 tumor but to actually come up with other agents in order to
15 do functional analysis of tissues.

16 I think that is really where I would be looking to
17 direct a lot of the interest. I agree, just another way of
18 looking at a specimen of cancer in three dimensions is
19 probably not a cost-effective use of resources but to
20 develop very specific disease-process-focused functional
21 correlates using this kind of technology particularly in
22 tissues or loci that are not otherwise accessible through
23 other efforts and biomarkers may be the niche for this type
24 of work.

25 DR. DOULL: Clearly, that is a possibility. They

1 talked about using the technique, for example, to do
2 precisely that sort of thing. In that material that you
3 sent to us, there is the use of it in knockout mice, for
4 example, to determine whether you are upgrading or
5 downgrading in those particular cases.

6 Just to look at metabolism, for example, is
7 something you simply cannot do with any other kind of
8 radioisotope use in the drug. So that is all powerful and
9 could add significantly to what it is we are now doing.

10 DR. SISTARE: I just want to point out that there
11 are at least a couple of instances where I think these new
12 technologies can be very important. Actually, I was saying
13 at lunch, about five or six years ago, we actually had a
14 question addressed to look at kinetics because there was
15 this whole argument about animals showing neurotoxicity and
16 the question about what was the accumulation in the animal
17 brain versus what was the accumulation of the target site in
18 humans and actually going out and collecting the data.

19 The data was collected PET imaging in humans,
20 anyway. So there is a case of taking an animal toxicity and
21 a risk assessment and trying to find out, do we have a risk
22 or not, in a very difficult area to detect to humans.

23 But, more importantly, from the general
24 perspective, I can think of several cases for
25 pharmaceuticals that went even to advisory committees on FDA

1 where they had neurotoxicity. I remember one case was
2 intermyelonic edema which would seem to be a very useful
3 technique, perhaps, with MRN to see can you measure it in
4 the animal with that technique, and, if you can measure it
5 in the animal, does it occur in humans.

6 This advisory committee met several times to try
7 to sort out how to actually assessment that potential
8 toxicity in humans for this very important therapeutic
9 class. I think, in the end, it was evoked potentials which
10 no one ever knew if that was actually even something that
11 would monitor the toxicity.

12 So I think there are some areas of neurotox where
13 there are clearly cases where one could use these techniques
14 focused but for particular kinds of effects. Does it
15 happen, and can we detect in the animal, a toxicity which we
16 know we would not want to have happening in a great extent
17 in the human and can we do early testing and what is the
18 sensitivity in the animal model versus the long-term toxic
19 effects that we identify only after histopathology.

20 So I think that there are some real uses that
21 could be pursued in focussing on those areas where there is
22 potentially big benefit to understanding whether that drug
23 is doing that in humans or not.

24 DR. DOULL: You are saying case-by-case? I
25 certainly agree with that.

1 There are a couple of things which the agency can
2 do uniquely. One this is that the agency has the ability to
3 facilitate communication in this area. We were talking at
4 lunch about the fact that, in the SOT meetings, we have
5 never had, as far as I know, a symposium or a discussion of
6 the new techniques, these imaging techniques.

7 Clearly, they have great potential application in
8 toxicology. As far as we, Jack and I, at least, can
9 determine, nobody is talking about it. They ought to be
10 because this is the toxicology of tomorrow. It is probably
11 as important as molecular biology. At least we need to be
12 aware of it.

13 The agency has the ability, by doing a joint
14 effort and collaborating with various groups and so on, to
15 facilitate communication about this technique as a means of
16 exploring, enhancing prediction, exploring adverse effects
17 and so on. That is one thing which they clearly could do
18 and I would suggest that maybe the subcommittee would
19 encourage the agency, somehow, to look into this and to
20 figure out how they might be able to do it.

21 The other thing that the agency can do is uniquely
22 provide collaboration between industry and academia and NIH
23 and ATSDR and whoever to facilitate an exchange of
24 information and to keep up to date, so to speak, on what is
25 going on in the various places.

1 I think what was presented here was, for many of
2 us, a revelation of how much has gone on in this area and
3 how powerful it is and how interesting it is and how
4 exciting it is. I think would could begin to do that for
5 the scientific community and that would be an immense help
6 to facilitating the implementation of these techniques into
7 the scientific community.

8 The question is whether we should explore beyond
9 that. I think Jack's recommendation is that we could find a
10 very focused area which would be to use the imaging
11 techniques together with pathology, for example, as a
12 validation of that kind of procedure to provide us with
13 information which you really can't get in any other way.

14 It is expensive and it is complex but, in many
15 cases, that is the only way you can get that exact kind of
16 information. There is no alternative as far as we know.

17 DR. ANDERSON: As I understand it, one of the
18 things that we are interested in doing is having a better
19 success rate when we get to clinical trials. If that is, in
20 fact, the case, it seems to me like it would be helpful to
21 know if these imaging devices have been used in any
22 instances prior to clinical trials.

23 I don't know if that information is available, but
24 that would be helpful to me because that, apparently, would
25 establish a link between what we are interested in and what

1 it appears that most of the people who are involved in this
2 type of research are interested in.

3 I would like to know. I looked through but I
4 didn't find it. I didn't find all the answers I wanted. I
5 think there are some projections in there but the question
6 is are there actual cases or examples where people have
7 shown that this kind of link can exist or can be made to
8 exist because that would directly correlate with the
9 objectives of this committee.

10 DR. DOULL: That is a good point and we could
11 certainly, if we asked the agency to explore these areas,
12 they could certainly look to see--look for instances where
13 there is a specific benefit in terms of drug development.

14 Actually, that is both areas that are covered in
15 the questions. Did you charge the subcommittee with
16 additional tasks, Jim?

17 DR. MacGREGOR: I am not sure I understand. There
18 is still one area we haven't addressed.

19 DR. DOULL: Tell us about that.

20 DR. MacGREGOR: The efficient entry to trials
21 issue. Do you want to go on to that?

22 DR. DOULL: Let me give you the three questions
23 that have to do with this early clinical development. "The
24 preclinical underpinnings and approach to conducting early
25 human clinical trials has not changed substantially in the

1 past few years." That is like saying we are still doing tox
2 the same way we did it when Arnold Lehmann first made is
3 recommendation, which is true. I guess it is the same thing
4 for clinical testing.

5 The question is, "Are there alternative or
6 emerging approaches that would facilitate the conduct of
7 early clinical trials that the FDA has proposed or
8 accepted?" You have talked about some of those, Jack.

9 "What would be the scientific and regulatory
10 issues for studies and data requirements that could be used
11 in designing preclinical programs to support these
12 alternative approaches to early clinical development?" That
13 is what Joe talked about, and Frank.

14 "What are the factors used by global
15 pharmaceutical companies in determining where or in what
16 country the initial human clinical trials will be
17 conducted?" I thought you said they are not being tested
18 here so they must be being done in Europe, or something.
19 But I didn't hear an answer to that question.

20 Did you give us one, Joe?

21 DR. DeGEORGE: Actually, I think industry has to
22 answer that question, but four years ago, or in 1994, there
23 was this notion that most phase I studies were going to be
24 moving from the United States to Europe. That is when we
25 started having this discussion about, because we think we

1 can contribute even in phase I from the regulatory review
2 process in the U.S.

3 That is, in fact, why we first made that change
4 about the IND format and conduct which talked about making
5 sure it is clear what chemistry data was needed and it
6 wasn't an excessive burden and what toxicology data was
7 needed and that that wasn't an excessive burden in allowing
8 the non-QA'd reports to see if, in fact, that would be
9 helpful in keeping the studies in an area where we could
10 actually contribute to them.

11 I don't think we know the answer back on that
12 although I did point out that even though that document has
13 been around since 1995, some companies have not availed
14 themselves of one of the more beneficial aspects of that
15 until very recently.

16 But that is a data question that only the industry
17 can tell us where they are doing their drug development and
18 why they are doing it in various places.

19 DR. DOULL: Actually, I was pretty impressed when
20 you gave these requirements for the single human dose study.
21 That sounded to me like a pretty good tox database for a
22 compound. I think I would be pretty comfortable with that.
23 If we had all that data and I had to go take it to a
24 patient, that would sure reassure me.

25 Also the chemistry data, I thought, with that kind

1 of a data background, I would find that very reassuring.

2 DR. CAVAGNARO: I was hoping to have the
3 opportunity, now, since I am still unclear about a screening
4 IND and, despite your efforts, Joe. The reason that this is
5 so difficult during ICH discussions and why N3 doesn't have
6 a standard, what you need for phase I trials, is because
7 there is no clear definition of phase I trials.

8 I would like to go backwards from that. Normal
9 volunteer studies in some countries are not considered
10 phase I trials. Clinical pharmacology studies to assess
11 bioavailability which is discussed as an obvious rationale
12 to move forward in the screening IND and that is selecting
13 based upon bioavailability weren't defined as phase I
14 trials.

15 So it was difficult for a global approach for
16 understanding what is needed to support single introduction,
17 much less the screening IND. So I guess the question I have
18 for you is, for these proposals where there are 18 INDs, and
19 three INDs, and they were proposed and accepted, et cetera,
20 what was the clinical study? Was it in normal volunteers?
21 Was it just a bioavailability study?

22 Was it really an MTD study? And then, after
23 having understood that, what is the screen, a animal study?
24 Is it a single high dose for five different compounds? Do
25 you understand the dose response of toxicity for the five

1 different compounds that you are not introducing into the
2 clinic?

3 DR. DeGEORGE: The answer to the last question is
4 it is the same for all the compounds, much as Eric said.
5 You don't have to make very large molecular structure
6 changes to actually significantly change the toxicology. We
7 all know that and so, clearly, knowing that, the standard
8 tox package on each one of them is part of it. It is an
9 administrative process, that I talked about previously, that
10 allows a single clinical protocol, whatever that protocol
11 is, to be evaluated and to bring all that data in.

12 It is minimal but it is still substantial enough I
13 think to assure the safety of everyone being exposed. The
14 screening IND is actually, again, more than one and less
15 than some large number of compounds that are closely related
16 being put into a clinical setting to collect data.

17 The specifics for, I think, most of those cases
18 may have been bioavailability sorts of studies although I
19 think in at least some of those cases, they were the
20 standard phase-I single-dose kind of study to make a
21 decision as to whether or not to go forward.

22 Some of them we were looking for--were not single-
23 dose and, in fact, looking for some efficacy biomarker that
24 they thought that they had a handle on and they were trying
25 to assess that in looking across products.

1 DR. CAVAGNARO: All in normal volunteers?

2 DR. DeGEORGE: I can't say for certain if they
3 were or not. I don't know if they were all normal
4 volunteers. I think they probably were since that is the
5 usual study design outside of very limited indications.

6 DR. DOULL: Are you asking, Joy, about that animal
7 screening, the adequacy of that?

8 DR. CAVAGNARO: That is the single-dose to support
9 single-dose studies. It was the screening IND which the
10 explanation has been a little bit kind of--I am unclear of
11 what the phase--to me, the most important is the
12 efficiencies that one gains in the clinical setting. So,
13 the number of patients involved now to answer the question,
14 et cetera, and do we realize any efficiency short of the
15 efficiencies in, I guess, the time involved in making the
16 various materials.

17 DR. DeGEORGE: As I said, I think that is a little
18 bit in the eye of the beholder. Different people want
19 different kinds of information before they commit to taking
20 products forward into one-month toxicology studies in two
21 species to go into a full exploratory phase I study or even
22 a phase II study.

23 So I think that there are differences depending on
24 the specific question that the company is trying to ask. A
25 screening IND is a regular IND, as it complies--we only have

1 one IND structure in the FDA--it is a regular IND that has
2 multiple chemical compounds and multiple rounds of
3 administration or multiple formulations of a particular
4 chemical entity.

5 Any of those things could be considered screening
6 but, as it is exercised in that list, it is different
7 compounds closely related trying to make a selection based
8 on some parameter of interest to the company, that this is
9 the one we would like now to do a development plan on.

10 You can call it phase I. I guess maybe I
11 shouldn't call it phase I because they don't have phase I in
12 some countries in Europe. It is initial studies in humans.

13 DR. REYNOLDS: May part of what I didn't tee up or
14 clarify so well is--I think we talk about surrogates. We
15 talk about imaging technologies. I think these are all ways
16 in which we hope to be able to go into humans, in many
17 cases, and answer a very specific question which may
18 determine whether a chemical entity is appropriate to take
19 into development of not.

20 I guess what I heard on the one hand is that FDA,
21 in terms of the preclinical toxicology as well as the
22 chemistry, is quite flexible. I guess, having been in the
23 real world and with two different companies, unfortunately,
24 when you go back to your companies in the pharmaceutical
25 industry, at least it is not that clear.

1 I think that people think that we need to have
2 fully compliant GMP drug substance, we have to have fully
3 GOP toxicology studies and we have to have two-week, one-
4 month kinds of studies to help us go into humans with a
5 single dose, a low dose or several chemicals to try to
6 answer this question.

7 I am afraid, if that is the message that we send
8 away by not clarifying what one doesn't have to do, I think
9 there are going to be a lot of questions out there that new
10 technology could have answered, but we are not going to be
11 able to facilitate people getting to those answers.

12 I still don't know if I made that clear. I think
13 we need to define--and one of the stated objectives of the
14 committee was maybe not so much determining policy but
15 communicating what one can do to adapt or apply some of
16 these technologies.

17 I just think there is a lot that we can do to
18 underpin the ability to go into humans with minimal effort
19 up front to get an answer using the new technologies.

20 DR. DOULL: When Dr. DeGeorge was talking about
21 this, I was comparing this to the ICH harmonized tripartite
22 guidelines which, I gather, is now all pretty consistent
23 with what you were talking about. It seemed to me that that
24 all fit pretty well and that maybe the problem is we are not
25 communicating that very well, where we are at, in a sense.

1 It is more complex because you are complying with
2 the OECD or the European recommendations, of course, but
3 that all seems to be pretty consistent.

4 DR. DeGEORGE: I think that, in terms of multiple-
5 dose study designs to support what actually, in the ICH
6 guidance, says you need two to four weeks of tox in two
7 species to support single doses in humans is a generality.
8 FDA has taken the position that we think we can, provided
9 the single-dose study design is adequate.

10 I tried to lay out what we consider adequate--go
11 in based on single-dose study designs in animals. So there
12 is the distinction. I also stated that I am informed by
13 some European regulators that they are allowing single-dose
14 studies in humans based on single-dose data also, and that
15 there may be a revisiting of their view which was largely
16 carried forth in the M3 document saying it has got to be
17 two-week and four-week studies

18 DR. REYNOLDS: Let us stay on that chemical that
19 has a seventeen-step syntheses, that you need to generate a
20 kilogram of material to do the studies that are required
21 under M3 or other guidelines. In fact, the answer that you
22 want is this material going to cause an elevation of
23 troponin in patients because--or some other endpoint.

24 What we are saying is we have the M3 guidelines
25 and we have other guidelines, and so we need to do that.

1 And so we have to synthesize a lot of material. The CMC
2 folks will say, "Well, this materials have to meet certain
3 specifications and certain kinds of things."

4 It becomes very complex back in the world of the
5 pharmaceutical business how we take this first step to
6 simply dose people with a single-dose of this material to
7 measure a very precise biomarker. So I am not sure that we
8 are going to be able to facilitate the assessment of these
9 endpoints in people--by facilitation, I mean being able to
10 find paths to do human studies having less up-front
11 investments.

12 So I guess in the context of the facility
13 guidelines to support entry into the human clinical trials,
14 I think if we could just step back and say, what is the
15 basis of those scientific regulations, I think it is that we
16 need to characterize late limiting or potential organ
17 toxicities of drugs. Do we need two-week studies to do
18 that? Are there predefined protocols that we need to do
19 that? Or could there be some general statement that there
20 needs to be a scientifically credible way in which we have
21 demonstrated rate limiting or target organ toxicities, the
22 dose responsiveness of that, and that can be done using non-
23 GOP, can be done using whatever to find a very efficient
24 route to do these assessments.

25 So, again, just to repeat myself for clarity, I

1 think that in the committee, we have talked and kind of
2 given the impression that it is easier to go forward and do
3 these, but I can speak from experience that when we take
4 that back to our individual companies and projects and
5 project team levels, they don't understand what we are
6 saying and so they default to having met everything from the
7 regulatory guidelines and have all the i's dotted and t's
8 crossed.

9 I think there are much more efficient and
10 effective ways we can get to those decisions.

11 DR. DOULL: I guess my question is precisely how
12 could the subcommittee facilitate making that whole
13 procedure work better. What could we do that would really
14 move that significantly forward.

15 DR. REYNOLDS: I think to focus maybe with expert
16 groups on what are the scientific principles that we need to
17 address in terms of the preclinical studies around safety,
18 what are the issues around the CMC components of that, where
19 are areas that we can maybe defer from or vary from
20 guidelines to help people do these assessments earlier.

21 I think Eric's presentation around the guidance
22 document, that has been around for a number of years. I
23 still think there are both divisions and sponsors who see
24 that as essentially having a fully GMP and GMP-compliant
25 package to go into even early human clinical studies.

1 So I think we could provide some clarity around
2 what are the scientific underpinnings of the CMC package,
3 what are the things that need to be in there to demonstrate
4 safety of the drug substance or drug product, what are the
5 issues around toxicity and toxicology that need to be
6 addressed without being prescriptive and without looking to
7 the guidelines, because I think there are general principles
8 or high-level concepts.

9 DR. DeGEORGE: Could I get some clarification from
10 Jack? Are you trying to say that the companies turn to the
11 N3 document and say, you have to do two-week to four-week
12 studies or are you saying that the Federal Register
13 document, which talks about single-dose acute studies, is an
14 issue in terms of what you need to do, other than the GMP
15 issue.

16 DR. REYNOLDS: I think there is a real lack of
17 clarity of what can be done--not what you need to do but
18 what can be done--because I think that many companies defer
19 to doing the maximum because they don't want to do this work
20 and then have an IND not be allowed to go forward.

21 So I think some discussion around what are the
22 concepts that need to be addressed to support single-dose or
23 low-dose or screening kinds of things, so not so much the
24 guidelines as much as what are the scientific concepts
25 there.

1 DR. SHEININ: I think, speaking from the chemistry
2 aspect, we would certainly welcome any input this
3 subcommittee could come up with. I really feel that the
4 amount of information that the guidance talks about that I
5 presented today is a minimal amount of information.
6 Scientifically, I guess I have a hard time trying to justify
7 saying a company could have less than this amount of
8 information and give it to a human being.

9 I would say to you, would you volunteer for one of
10 these studies if you knew that the company had not done a
11 minimal amount of work to even know what it is that they are
12 going to put inside your body. I think, yeah, we want to
13 try to encourage drug development, but if you could come up,
14 as a committee or subcommittee, or have a group working with
15 you that could scientifically justify coming in with less
16 information, we would certainly listen to you and we could
17 also encourage, as I indicated and Joe indicated--talk to us
18 at your pre-IND meeting.

19 What are your plans? What kind of information are
20 you going to have and is it possible that we could live with
21 less information. But our primary objective in asking for
22 this is to protect the volunteer or the patient or the
23 healthy volunteer, whoever is going to be given that
24 material for the first time.

25 We would be remiss in our duties if we let

1 somebody do a trial like that and there were serious adverse
2 reactions because there was a problem with that material
3 that we didn't know about because of a lack of information
4 that, if we would have had adequate information, we would
5 have said, "Wait a minute; let's step back and not do this
6 right now."

7 DR. REYNOLDS: Eric, I would just respond, I
8 wasn't meaning to imply how little can we do. It is what is
9 the appropriate thing should we do. I think that one thing
10 that we need to be mindful of is that facilitating early
11 entry into clinical trials to me implies we want to be able
12 to go into humans single-dose, low-dose, with a minimal
13 amount of material leveraging what we can learn from
14 technologies.

15 That is all I mean to say. Are there ways in
16 which we can facilitate that? I don't mean to imply that we
17 should lower our standards or require less than what is
18 absolutely essential to fully determine the potential safety
19 of these materials.

20 But I guess somewhat of a hypothetical is that, to
21 do a low-dose, single-dose, human study, would that mean
22 that the material absolutely has to be synthesized in a GMP
23 pilot plant and would have to be fully GMP compliant in
24 terms of records and documentation? I think it is that,
25 maybe, lack of clarity of what is essential for these early

1 materials but maybe where the committee can do an important
2 function to try to establish some clarity on where there is
3 room for flexibility there.

4 DR. SHEININ: I think that would be more than
5 welcome. As I had said earlier, it is expected that
6 anything that is given to humans has been made under GMP
7 conditions, but it is really a compliance issue. For the
8 most part, we do not go out and inspect these facilities
9 unless we have a reason to suspect that there is a problem.

10 Again, that is something, I think, that we would
11 welcome any input that you have and if there are any ways to
12 expedite the process without compromising safety, we are
13 certainly willing to listen to that.

14 DR. MacGREGOR: I guess here I am wondering if we
15 are beginning to diverge a little bit from our mandate of
16 identifying the science-based issues that would should be
17 addressing and kind of getting more toward interpretation of
18 existing policy.

19 I guess I am still, myself, not quite clear what
20 is the specific recommendation for a science-based approach
21 to a specific question. I am not sure I see that formulated
22 in this general context.

23 Now, Joe, in his presentation, provided some very
24 specific recommendations on how we might do a survey to
25 gather information on the change that allowed non-INDs to be

1 initiated before the QA was completed and, also, the issue
2 of what are the issues that are related to trials going out
3 of the country.

4 So those are two very specific things that were
5 presented. The other issues I still don't see. I think we
6 ought to either try to come to grips with the science-based
7 issue or to just go back to the specific questions of what
8 exactly--should we pursue the specific recommendations.

9 DR. DEAN: In listening to this, now, for several
10 minutes, it strikes me that--and, Jim, you can correct me if
11 I am wrong--it strikes me if this is outside of the brief of
12 the committee, that the brief of the committee is not to
13 modify guidance and regulations or interpret guidance and
14 regulations but to look at novel technologies and how they
15 can be applied because what I heard from the two
16 presentations, it is very clear what you can do and what you
17 can't do, at least my interpretation, and maybe I am wrong.

18 So the issue that I think you are describing,
19 Jack, is how to sell this back home, I think is what I heard
20 you say. Maybe there is a lack of understanding in the
21 pharmaceutical industry among member companies or in PhRMA
22 on what you can do and what you can't do. Maybe that is an
23 education issue.

24 I still even think that, interpreting guidelines
25 and guidance is outside the brief of this committee, as far

1 as I am concerned, or at least that is my interpretation. I
2 am asking--let me ask that as a question.

3 DR. REYNOLDS: I certainly agree with what Jim has
4 stated. I think that what we heard today, and I think has
5 been of value, is that it is probably hard to define the
6 precise scientific underpinnings of what is needed but that,
7 both in terms of the pharm-tox area as well as the chemistry
8 area, that there is considerable flexibility in what is
9 appropriate to underpin these early studies.

10 I think it has been stated, but I think, also, we
11 know that, in fact, there is this case-by-case approach and
12 one can, within a specific project that the sponsor has,
13 create the scientific underpinnings for what is being
14 proposed. So I think being the one who, I guess, has
15 thought that this was a good area for us to discuss in terms
16 of facilitating early development, I agree that, probably at
17 this point, hearing what we have heard, probably outside the
18 remit of this committee and that we are not going to be able
19 to do much about discussion interpreting the guidelines.

20 So I think that is entirely correct that we have
21 probably done what we can there around the guidelines.

22 DR. DOULL: There are two issues. One is the
23 communication issue. Is the information fully communicated
24 and well communicated so everybody understands it. I guess
25 that is something that could be done if it really needed to

1 be done although I am not sure this subcommittee is exactly
2 the one to do it.

3 The other issue, of course, is the scientific
4 basis. What is the scientific basis for animal requirements
5 in order to take that drug to a patient, for example. Those
6 are scientific issues and those are complex, difficult
7 scientific issues. I hear us saying that, at the moment, at
8 least, if we get into that whole area, then we have to deal
9 with everything, what they do in Europe and is the procedure
10 for the food in the agency the same kind of regulation that
11 it is for drugs, for example, the food-safety group. There
12 are a lot of other issues and I am not sure that that is
13 something the subcommittee wants to take a hold of.

14 DR. ESSAYEN: I am not sure that type of analysis
15 of regulatory issues would necessarily be in the purview of
16 this committee and I think our time and energies and efforts
17 are probably going to be best spent looking at the evolving
18 technologies, both related to biomarkers and imaging. I
19 think we should really focus there. That would be my vote.

20 DR. CAVAGNARO: I think some of what was presented
21 by Jerry Collins today in terms of identifying the target
22 there, the bridge, the PK/PD, that is a better, I think,
23 focus for this initiative, this early clinical development,
24 because that is the in vitro correlate, the functional--
25 that, to me, was the most useful advancement of this

1 facilitation of early clinical trials.

2 The guidelines are set. Whether or not people
3 choose--there is not much that the agency can do in terms of
4 setting--to be more flexible in terms of this approach. The
5 guidelines are out there. ICH is out there. Short of
6 having to go through that and being a member of that working
7 group, that isn't something that you want to reinvent.

8 But if we can take this early facilitation and
9 couple it with some of Jerry Collins' presentation, then, I
10 think, that brings in the science and that makes this more
11 exciting because, Eric presented--this is a 1995 guideline.
12 This is slides from a 1995 guidance document. Joe's
13 presentation was from guidelines that were out there for
14 four years.

15 So the fact that sponsors are not meeting the
16 guidance or choosing to--is not a scientific issue.

17 DR. DOULL: I think whether it would facilitate
18 communication, I don't know whether the subcommittee could
19 enhance that in any way or not, but I think what Joy is
20 saying is let's leave sleeping dogs lie rather than revise
21 the whole tox approach.

22 I liked what Joe said about if you think it is
23 safe, try a little something. That is an agency perspective
24 that goes clear back to Arnold Lehmann when he used to say,
25 "If you really think it is safe, take it," or at least that

1 was the rumor that is what he said.

2 DR. REYNOLDS: One quick comment. I think one of
3 the objectives of this meeting was to look at the at least
4 three potential topics for addressing by the committee. I
5 guess I hear that we would probably want to focus on two and
6 the third, in terms of facilitation, at least in terms of
7 redefining and reinterpreting guidelines outside of the
8 remit of this.

9 Are there other things that the committee has
10 thought about or were potential topics that maybe we ought
11 to just quickly tee up for the next meeting or think about?
12 Were there other topics that we wanted to maybe look at,
13 Jim?

14 DR. MacGREGOR: There may be, but if you are
15 addressing that to me, let me just sidestep that one and say
16 that I think I did hear some recommendations. I think we
17 might want to resummairize the areas where we had consensus
18 and then maybe, at that point, address whether there are
19 other issues that we want to tee up for subsequent meetings.

20 Do you want to do that? Should I try to do that?
21 I think that I heard a consensus that we should formulate a
22 broader expert group in the biomarkers area to help us focus
23 there rather than trying to do that job ourselves.

24 I had a question, I guess, about the mechanism by
25 which we might do that, whether we are going to do that,

1 whether we are going to go the full route that I talked
2 about before. I don't know if I should inject a personal
3 opinion at this point, but clearly we had that consensus,
4 that we should move ahead, that we should assemble a broader
5 group of people on biomarkers and then try to have them
6 focus on the areas for sure where we would pursue this
7 formal constitution of specific expert groups via the
8 mechanism that I outlined early, I think.

9 I think I heard, but I am not 100 percent sure,
10 that we should do a similar thing in the imaging area. I
11 think it was a recommendation that David made that we should
12 pull together people both from the imaging technology area
13 and the clinical application area and try to identify
14 knowledge gaps in areas where these technologies ought to be
15 being applied in the nonclinical area that is our purview.

16 I think I would add to that, I didn't hear it in
17 the discussion, that we would need to have the appropriate
18 nonclinical people as well to do that, to have an
19 appropriately constituted group.

20 There was a recommendation about facilitating
21 communication about the imaging technologies. Again, I
22 might ask the committee members to clarify for me this but,
23 I think I heard two different recommendations. In this
24 discussion, I heard that there would be a value to the
25 scientific community to communicating some of these things

1 out to professional groups like SOT.

2 During the earlier discussion, there was the issue
3 of internal communication about application and development
4 of these. I am fuzzy on these. I am not sure exactly how
5 that part would be done. We didn't discuss it just now so I
6 don't know if that is a recommendation.

7 So I guess I see two specific recommendations;
8 that is, to get broader groups of people together to peruse
9 these two general topic areas, the biomarkers and the
10 noninvasive technologies and to come back for the next
11 meeting with some more specific recommendations on specific
12 expert groups to pursue specific things that would come out
13 of this broader group.

14 I guess I have a question for Kimberly. Can we do
15 that off-line? Can we get experts off-line to make
16 recommendations to us at our next meeting?

17 MS. TOPPER: Yes; you may, as long as you don't
18 use SGEs in the process of doing it.

19 DR. ANDERSON: May I ask a question? The groups
20 that you get together, would they have the benefit of the
21 document that we had which lays out the objectives of this
22 committee so that they will understand what we are
23 interested in achieving?

24 DR. MacGREGOR: Sure. Absolutely. So then, just
25 to restate what I am thinking is that we can do a little bit

1 of off-line homework and come back to our next formal
2 meeting with some specific recommendations on how to pursue
3 specific expert groups in these two topic areas; is that
4 fair?

5 DR. DOULL: Let me just add, Jim. I teach
6 pharmacologists. I teach toxicologists. And I know both of
7 those groups are not nearly as knowledgeable--in fact, their
8 level of knowledge about imaging and so on is abysmal
9 compared to what their need for that kind of knowledge down
10 the road will be, clearly, from what we heard.

11 Those are the groups that I am saying, if that is
12 the disciplines that are lagging behind, we need to begin to
13 figure out ways in which we can help them catch up. I think
14 it has got to be through professional societies, through
15 students, whatever.

16 But I think we need to try and figure out how we
17 can do a catchup program for those people. They need it.

18 DR. CAVAGNARO: Now I will add my personal
19 comments. Being an original member of the CDDI committee
20 and on the steering committee, it has been a long hoe. We
21 have been the group that has broken away and has actually
22 accomplished something.

23 When you say search for another committee to look
24 at something, I guess, I have a little pause because I think
25 that much has gone into the proposals that were on the

1 table. I just feel like they were--it is always when you
2 don't want to kind of deal with something, you just start
3 another committee.

4 I don't know if we have voted on it and it is
5 passed, or whatever, but there are a number of initiatives
6 going on. ILSI, we mentioned. And, again, whether or not
7 Frank just has the resources and this is his particular
8 biases, I really think that each of the areas are at least
9 important in some aspect.

10 How generalizable, I don't know, but I would like
11 to propose that we, as a committee, work with the ILSI or
12 other stakeholders that have some information base. We
13 could look at these particular endpoints as a prototype and
14 set up criteria and then decide that maybe one drops out
15 and, in the meantime, we will have thought about--something
16 else might have come up and we can identify experts.

17 It is precisely what you mentioned in terms of
18 understanding drug development. We don't only need the
19 experts who understand the nitty-gritty about the technology
20 but, unless they are in a room and understand drug
21 development, we are never going to be able to talk.

22 And you are right. They not only have to have
23 this book but, if they read this and they are not used to
24 drug development, I am not sure what reading--what are they
25 talking about. It is almost like they need a tutorial about

1 leveraging discovery research into development and then to
2 provide their expertise.

3 So I don't know if we made a formal proposal, but
4 I just think that we have come a long way and it scares me
5 to think that now we are going to set up another committee
6 who now has to be educated in terms of what our intent is.

7 I don't know how--maybe we can be pretty wrong. I
8 don't know. But I think we would be fairly close to at
9 least something useful to put on the table for, again,
10 discussion but I think people in this room, the experts on
11 this committee as well as the ILSI group and other groups
12 have thought about this a long time.

13 I think to put at least a stab together and then
14 have it massaged or matured or something like that. I don't
15 know what to say. But the thought of leaving here after
16 this work has gone on and all this research and to think
17 that, Frank, you are not going to go troponins or anything
18 but, now, people think you should do nephrotoxicity and
19 neurotoxicity--now we are taking a piece of what is
20 important and that is the FDA research.

21 That is my personal comment.

22 DR. DOULL: I hear Jim saying that what we need is
23 to kind to massage what we got today. I am a little
24 hesitant to take the recommendations which we just got today
25 and move them into action until we have had a chance to

1 think about them a little bit.

2 That is what I understood Jim to say is that we
3 will look at these--we have a bunch of proposals, as a
4 matter of fact, recommendations and so on. Hopefully, we
5 would coalesce those in some way that would bring together
6 what you are saying. I am sympathetic to what you are
7 saying.

8 DR. DEAN: To frame the recommendation of Joy a
9 little bit further, because I think she is kind of where I
10 am at, is that maybe we could have a teleconference in the
11 near future of the members of this committee, some of the
12 people who have presented today. It would be nice to know
13 what ILSI considers the gap in the ILSI study. We know that
14 paper is now in draft and some of us have it, what Joe
15 thinks the gaps are, what Frank thinks the gaps are.

16 There may be others. And then, with a
17 teleconference, we could narrow this down to two or three
18 that we might be able to approach. Then, before the next
19 meeting of this committee, you could actually get the
20 working groups--you could get some names for working groups
21 and decide where to go.

22 If not, we might miss a whole cycle of getting
23 anything done. The ILSI project has already started going
24 in one direction and I hate to see us--two meetings, now; is
25 that correct, Gwyn? So we could lose another six months.

1 Maybe we can shorten it with a teleconference.

2 DR. DOULL: That sounds fine. Can we do that,
3 Jim, go ahead and put together the recommendations that come
4 out of the meeting today, massage them somewhat and then, in
5 a teleconference, talk about those and which ones we would
6 come down on, so to speak.

7 DR. MacGREGOR: That is absolutely fine with me.
8 Are we permitted to do that?

9 MS. TOPPER: If you hold a teleconference,
10 everyone in the world who might have any interest in it has
11 to be asked to participate because you have more than two
12 SGEs with this committee. You can work with a couple that
13 are expert in this area, a couple that are expert in that
14 area, any of the industry people because they are not SGEs.

15 But if you make decisions that are going to affect
16 this process and this subcommittee, it has to be done in
17 public if there are more than two SGE's participating. So
18 you need to make sure that we don't break any of the FACA
19 rules. I will make sure you don't.

20 DR. MacGREGOR: Let me just say that I purposely
21 have held back a little bit in voicing my opinion on where I
22 think this committee should go at this point because I had a
23 fairly major role in bringing forward the focus areas that
24 are presented. But I would say that I basically do agree
25 with Joy's comments that we have been working on this for

1 quite a while and there are a few specific things that could
2 move forward.

3 It would be nice to move forward with things we
4 all felt were pretty strong consensus items although it is
5 important to be on the right track. So I wouldn't want to
6 push the committee past where the committee feels
7 comfortable.

8 So I guess the mechanism, maybe in rethinking
9 this, I am wondering if we shouldn't try to move to another
10 fairly rapidly scheduled public meeting of this group having
11 solicited the input that was discussed from the internal FDA
12 groups and ILSI and so on that could be considered then, and
13 then maybe we could move forward without having to go to a
14 broader group.


15 DR. DOULL: That sounds like a motion. That is a
16 motion for a consensus. That would meet your concerns, Joy.
17 I think that is the plan. You will let us know when all
18 this is--Kimberly will let us know when all this takes
19 place.

20 Any other items from the subcommittee? I thank
21 you all for coming and you are hereby adjourned.

22 [Whereupon, at 5:26 p.m., the committee was
23 adjourned.]

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in dark ink and is positioned above a horizontal line.

ALICE TOIGO

INDEX

\$

\$16 billion 80:8
\$300 million 244:18

0

0.02 62:5
0.15 112:7
0.4 62:6; 172:3

1

1 86:6; 95:1, 1; 102:15, 15;
105:15; 115:25; 135:2;
136:6; 173:16; 186:15;
215:16
1,000 108:23; 176:21
1-gram 97:8
1.5 98:2; 112:8, 10
1/10th 186:24
1/2 115:25, 25
1/2-hour 70:25
10 46:18; 81:24; 89:15;
94:25; 95:2, 4, 4, 4;
102:14; 103:11; 109:24;
114:13; 172:4; 215:12, 15
10-24 126:22, 23
10-5 102:12
10-fold 86:8; 128:22
100 66:6; 100:9; 101:6,
23; 103:17; 105:7, 7;
107:11; 108:24; 110:11;
139:15; 241:1; 289:9
100,000 101:2
100-kilogram 97:8
105 214:19
11 29:17; 37:16; 89:13;
99:1
11C 64:1
11C-raclopride 54:24;
63:7, 15
12 194:3; 240:15
12-it 240:16
12-hour 113:17
120 202:7
128 105:21
12:00 135:3
12A-30 5:7
14 191:21
15 89:15; 97:16; 102:14;
106:4; 111:21; 112:3, 13,
15; 113:10; 114:10;
118:10; 194:2
15-centimeter 97:25
150 100:9
150-gram 100:19
153 249:14
16 81:23
16-slice 107:6
162 89:13

17 81:23
18 5:2; 29:17; 96:22;
117:12; 193:23; 194:2;
272:18
180 54:2
1900 19:16
1900's 158:2
1940s 19:14, 17
1950 19:21
1969 145:16
1974 121:17
1982 98:10
1983 98:13; 121:21
1987 95:15
1993 41:20; 100:18
1994 201:10; 270:22
1995 116:9; 205:13;
212:11; 271:13; 287:11,
12
1:00 135:4; 136:2

2

2 70:25; 97:24; 102:15,
16; 109:14; 115:25; 171:5;
173:16
2.5 102:12
20 31:24; 32:18; 37:6;
78:18; 111:21, 24, 25;
112:3, 15; 113:10; 117:12;
174:1
20-minute 37:8
200 257:18
2000 219:4
208(b) 5:2
20th 71:7
21st 71:7
22 193:21
24 100:5; 174:11
24th 23:20
25 43:5, 9; 54:11, 14;
110:19; 136:18, 19
250-gram 96:10
260 193:17
28 186:16, 17
2D 152:1; 159:5; 228:9

3

3 103:23; 106:19; 151:13;
170:9
3-D 127:17
3-dimensional 51:17;
86:23; 105:20; 106:12, 21
30 98:19, 20; 113:16;
128:21; 156:15; 208:7, 10
30-centimeter 97:24
30-gram 55:19
300 101:24; 104:16;
106:8; 116:2, 2, 2, 2
344 105:9
35 156:15

35-millimeter 86:2
36 44:1; 55:5
38 193:17
3D 94:4; 121:22

4

4 51:18; 55:4; 70:8;
103:23; 106:20; 112:9, 10;
113:19; 115:7, 12, 23, 24;
151:13
40 55:11; 109:21, 21;
113:16; 117:21; 142:11,
16
400 104:16; 105:7; 106:8
45 86:5
45-micron 86:13

5

5 103:11; 113:19; 170:7,
12; 172:3; 186:15
5-millimeter 109:23
50 19:23; 43:13; 101:5;
109:14; 110:12; 117:15
50-fold 111:24
50-millisecond 107:10
500 101:25
50X 111:25
511 54:2
512 105:21, 21
5:26 296:22
5a-dihydrotestosterones
65:6
5HD2 63:1
5HT1A 74:11
5X 113:22

6

6 170:14
6-hydroxydopamine
57:10
60 117:15

7

7 55:10
7.1 97:24
747 150:23

8

80 43:7
82 95:16
89 97:25

9

9.4 97:25
90 244:13
95 116:10; 214:19
96 116:10

A

abandoned 151:7
abeyance 262:3
ability 12:21; 32:14; 35:9;
46:20; 81:6; 113:5;
115:11; 125:3, 14; 149:5;
181:18; 224:22; 233:24;
234:12, 18; 245:1; 256:11;
262:20; 263:16; 267:2, 13;
276:18
able 8:13; 15:18; 22:9;
52:16; 67:22; 70:9; 75:25;
77:8, 12; 96:14; 98:9;
100:1, 8; 103:14, 16, 17;
104:16; 111:12; 114:3;
116:20; 121:9; 123:8, 8;
150:14; 152:13, 20;
159:20; 160:19; 161:24;
162:20; 163:12; 165:5, 12;
166:16; 167:15; 168:9, 21;
175:21; 179:12; 185:3;
197:24; 200:2; 218:20;
219:25; 231:13; 247:10;
250:1, 3; 263:22; 264:13;
267:20; 275:16; 276:11;
278:8, 9; 282:11; 285:18;
292:21; 294:18
about-something
292:15
about-well 115:24
above 173:21
abreast 8:15; 9:10
absence 33:11; 35:9;
202:1
absolute 260:16
absolutely 68:15;
282:18, 22; 290:24; 295:7
abundant 83:23
abuse 56:19
abysmal 291:8
academia 26:7; 71:10;
79:3; 124:13; 144:18;
182:1; 267:22
academic 22:6; 28:9, 14;
47:11; 78:24; 95:20;
241:11
academicians 71:5;
74:9, 20; 75:17
accelerated 9:8
accept 164:14; 196:8
acceptable 72:6; 138:8;
178:23; 202:6
acceptance 214:16;
215:7
accepted 187:12; 194:3,
21; 195:4; 270:8; 272:19

accepting 194:6
Access 6:13; 120:8;
127:13; 131:1; 166:15
accessible 18:10, 13;
34:21; 112:24; 141:11, 11,
13, 19, 19; 166:14, 20;
246:24; 247:2, 7; 264:22
accommodate 79:12
accomplish 100:8
accomplished 291:22
accordance 5:2
according 108:3
accordingly 15:4
account 38:14; 161:4;
165:24
accumulation 78:9;
265:16, 17
accuracy 154:4
accurate 64:20; 132:18
accurately 60:2; 68:23
accustomed 68:22
achievable 116:3;
153:19
achieve 67:15; 158:24;
181:10
achieved 51:16; 73:22;
141:5; 156:12
achieving 232:24;
290:23
acids 171:6
Ackerman 63:14
acknowledge 28:12;
128:14
ACPS 23:20
acquire 103:5, 18; 106:5;
110:20
acquired 103:3; 233:2
acquiring 113:24
acquisition 90:21, 24;
101:23; 103:7; 106:4;
130:25; 233:16
ACRIN 122:20
across 13:13; 103:22;
133:21, 24; 141:11, 21;
143:11; 144:25; 148:21,
21, 21; 149:3; 153:17;
167:23; 168:9; 169:4;
171:20; 172:15; 193:11;
197:14; 226:12; 247:7;
259:22, 25; 273:25
action 33:7; 53:10, 13,
19; 54:19; 56:10; 59:16,
17, 20, 25; 60:1; 61:11;
67:2; 71:20; 77:8; 78:12;
91:13; 154:8; 165:12;
293:25
activation 88:11

41:25; 42:10, 20; 43:1, 2, 8, 15, 16; 44:11, 14; 57:18; 58:2; 59:7; 62:15, 16; 67:4; 74:21; 160:3; 165:4; 173:2; 215:18; 252:17; 256:14; 263:23
acts 63:12
actual 20:13; 24:1; 52:17; 55:8; 269:6
actually 8:8, 13; 10:25; 13:6; 21:6; 23:8, 11; 24:1; 28:2; 33:3, 19; 34:2; 35:14; 39:8; 41:10; 42:5, 8; 44:8; 52:2, 11; 53:24; 57:17; 60:13; 61:11; 69:7, 8; 70:13; 77:11; 82:1; 83:24; 91:5; 95:14; 100:17; 104:15; 116:12; 117:11; 120:19; 121:7; 130:16; 136:18; 138:12; 150:21; 152:4; 174:16; 185:25; 186:2, 23; 188:19; 189:9, 16; 190:16; 192:16; 194:16; 196:15, 20; 197:13, 19, 22; 198:22; 199:11; 200:23; 201:16; 202:19, 21; 203:8; 208:4; 224:23; 233:11; 243:12, 22; 244:2, 14; 245:14; 246:3; 253:10; 264:11, 14; 265:12, 13, 18; 266:7, 10; 269:14; 270:21; 271:10, 19; 273:6, 14; 277:5; 291:21; 294:19
acute 90:8; 91:9; 174:19; 192:22, 24, 25; 193:3, 6, 16; 194:15; 200:15; 256:10; 280:13
acutely 57:17
adapt 276:15
add 79:7; 97:13; 224:7; 229:1, 13; 236:11; 240:3; 242:8, 13, 14; 245:6; 246:12; 248:16; 256:19; 263:6; 265:9; 289:16; 291:5, 18
added 67:9; 72:23; 133:2; 239:24; 255:11
adding 114:8
addition 8:11; 37:21; 38:11; 222:8
additional 69:4; 72:19; 121:9, 9; 201:13; 234:10, 12; 235:21; 269:16
address 5:15; 7:13; 10:2; 11:11; 12:19; 19:24; 20:20; 29:8; 70:21; 93:23; 113:1; 115:13, 14; 117:4; 120:18; 145:8; 149:13; 169:15; 182:22; 197:3; 198:13; 199:4; 201:16; 213:14, 25; 216:24; 227:21; 236:15, 17; 237:8; 247:18; 279:17; 288:18
addressed 71:14, 18; 76:10; 159:17; 201:21; 203:17; 235:12; 246:20; 259:9; 265:14; 269:18; 280:6, 22

addresses 4:12
addressing 7:4; 9:19, 22; 13:18; 156:14; 283:17; 288:4, 15
adduct 249:4; 250:22
adducts 137:6; 239:17, 18, 19, 23
adequacy 274:7
adequate 110:21; 128:21; 201:1; 206:23; 277:9; 282:4
adequate-go 277:10
adequately 60:5; 105:17; 259:7, 12
adjourn 135:1
adjourned 296:21, 23
administer 56:25; 60:18; 63:9; 65:24
administered 34:16; 52:17; 54:13; 58:9; 62:1; 63:7, 15, 18; 66:2; 174:8
administering 62:10, 12; 174:9
administration 54:6; 57:2, 3, 12; 58:15, 17, 19; 63:11; 186:5; 191:2; 275:3
administrative 273:9
adoption 168:18
ads 40:16
advance 8:14; 74:5; 131:13, 15; 144:7; 156:22
advanced 9:4; 186:19
advancement 177:17, 18; 286:25
advances 9:1
advancing 23:4; 189:15
advantage 52:3; 129:3; 136:9; 179:4; 180:10, 13; 261:3
advantages 51:9; 77:17; 125:2; 128:15
advent 49:25; 64:3
adverse 82:25; 84:17; 90:17; 125:5; 163:19; 176:20; 188:6; 194:24; 235:25; 267:16; 282:1
advice 7:19; 8:2, 12; 252:16
advise 251:13
adviser 155:4
Advisory 4:4; 6:10, 16; 7:5, 22, 23, 24; 23:14, 19; 31:6; 265:25; 266:6
advocate 129:4
affect 154:22; 188:17; 212:1; 219:16, 20; 220:3, 17; 295:15
affected 143:3
affecting 138:4, 4, 23; 170:2, 3
affiliation 223:12
afford 179:24
afire 260:11
afraid 131:15; 276:7

afternoon 134:21; 136:1; 204:15; 223:14, 21
afterwards-it 85:24
again 16:21; 22:21; 26:6; 27:1; 34:22; 40:9; 46:24; 48:19; 57:8; 61:22; 68:17; 72:25; 84:16; 87:7; 88:16; 91:15, 17; 96:8; 109:13; 124:4; 126:6, 25; 127:10, 18; 132:25; 134:25; 136:4; 142:23; 145:7; 146:8, 14; 153:21; 178:4; 180:24; 184:8; 186:13; 188:4, 10; 193:20; 194:19; 205:4, 12; 209:4; 210:4, 13; 211:22; 212:2, 2, 2, 14; 216:24; 217:2, 13, 16, 21; 219:6; 220:5; 226:5; 228:23; 230:24; 244:4; 273:14; 278:25; 283:10; 289:21; 292:6; 293:9
against 72:23; 258:1; 261:2
agencies 27:6; 124:14; 157:10
agency 14:19; 20:25; 21:15; 39:19; 182:1; 194:4; 199:12; 201:16; 203:1, 24; 207:21; 208:7; 218:1; 229:22; 235:16; 241:5, 9, 13, 24; 242:16; 246:17; 255:17; 260:4; 267:1, 2, 13, 19, 21; 269:11; 286:10; 287:3, 23
agency's 5:6; 241:3; 245:3
agenda 4:6, 16; 5:9; 156:6, 19; 221:17
agent 55:21; 56:8; 77:24; 115:1; 133:3; 137:9
agents 20:15, 19; 42:12; 121:1, 1; 194:17; 228:1; 256:11; 264:14
ago 35:22; 54:11; 81:23; 89:3; 92:25; 100:14; 104:12; 111:9; 113:11; 114:10, 10, 13; 146:14; 156:1; 174:1; 185:17; 218:18; 262:2; 265:13; 270:22
agonist 174:1
agree 4:6; 74:20; 166:4; 183:6, 7; 198:21; 222:24, 25; 225:2, 9; 227:2; 231:5; 232:11; 237:6; 240:23; 247:4, 15; 248:4, 22; 250:22; 264:17; 266:25; 285:3, 16; 295:24
agreeable 143:20; 204:12
agreed 71:3; 129:23; 188:25; 189:1; 229:24; 254:4
agreement 182:23; 184:12; 189:3; 196:15; 223:3; 255:4
agrees 223:16; 255:23
ahead 78:17; 85:13, 19;

87:25; 92:19; 134:1, 3, 7, 18; 136:3, 11; 177:14; 179:2; 204:11; 215:13; 222:16; 229:25; 230:1; 243:9; 248:19; 251:12; 252:1; 256:2; 289:4; 295:3
aid 160:18; 161:17; 169:14; 173:14
ain't 48:20
air 99:5
airway 100:1, 1; 106:10
airways 101:24; 104:5, 6, 7, 9, 14, 21
AI 85:23
albeit 171:1
aligned 162:6
alive 99:24
alkaline 19:21
Allan 80:1; 92:23
allergy 245:11, 12
allow 15:2; 17:23; 18:2; 19:6; 73:4; 77:6; 122:2; 188:20; 202:19
allowed 138:21; 187:14, 20; 192:10; 195:4; 280:20; 283:25
allowing 107:22; 190:4; 203:3; 271:7; 277:13
allows 52:1; 84:13; 144:9; 181:15; 206:25; 273:10
alluded 104:1; 105:21; 108:15; 127:1; 174:3; 262:12
alludes 117:7
almost 43:7; 46:3; 60:25; 94:11; 95:14; 96:22; 110:25; 115:24; 179:23; 194:22; 204:25; 218:19; 244:13; 292:25
alone 149:18, 18; 202:10; 238:1
along 62:19; 99:16, 18; 109:6; 114:12; 134:16; 239:3; 240:5; 244:22; 262:13
along-it 242:21
alpha 107:16, 17
ALT 10:9; 19:21
alter 114:24
alternative 33:25; 197:21; 247:9, 12, 19; 248:2; 268:16; 270:5, 12
alternatives 216:13
Although 30:23; 31:23; 36:8; 42:22; 45:18; 64:17; 74:9; 128:13; 166:17; 169:8; 193:21; 194:24; 203:2, 3; 207:7; 271:12; 273:18; 286:1; 296:4
alveoli 104:23; 105:11
always 34:9; 39:3, 13, 19; 40:13, 16; 71:25; 103:6; 106:5; 132:16; 139:14; 142:19; 148:16; 162:6; 165:15; 167:5; 170:16;

176:12; 209:16; 218:20; 230:19; 231:9; 238:21; 239:10; 241:4; 292:1
amendment 219:9, 13
American 122:18, 20; 216:17
Ames 124:18, 18; 239:3, 3, 7, 12; 248:25
among 21:1; 53:1; 129:10; 284:21
amount 49:12; 57:17; 72:5; 73:15; 119:18; 206:3, 10, 19; 217:22; 281:4, 5, 7, 11; 282:13
amounts 191:18; 214:4
amphetamine 63:9, 18, 22
amphetamines 63:3
amplification 111:17, 18, 22, 23
amplified 111:15
amplify 114:25; 115:22
amplitude 101:11
amusing 111:19
amygdala 87:24
analogies 14:11; 137:24
analogous 233:18
analogously 15:25
analogy 131:18
analyses 90:25; 134:7
analysis 81:9; 82:8; 83:13; 84:12; 85:14; 89:14; 90:6; 91:3, 6; 119:11; 214:22, 23; 215:2, 264:15; 286:14
analytical 92:14; 214:13; 215:4; 216:1; 217:19; 218:5
analyze 81:9; 125:25; 134:4
analyzed 91:16
analyzing 83:21; 86:1
anatomy 50:17
and/or 259:13
ANDERSON 6:18, 18; 257:7; 258:9; 259:5; 268:17; 290:19
androgen 65:12, 14
androgens 65:4
anesthetized 101:15
angst 139:5, 6; 148:1; 153:11
animal 10:21; 17:17, 20, 22; 55:19; 83:11, 14; 86:15; 88:16; 91:9, 10; 92:13; 95:11, 21; 96:1; 99:8, 21, 23; 100:4; 101:10, 12, 12, 13, 15; 103:19, 22; 105:3; 106:3, 19, 25, 25; 108:20; 116:6, 21, 24; 117:12; 119:17; 120:4; 123:25; 132:18, 20, 21, 25; 133:5; 143:6, 19; 145:7; 161:2; 162:14, 22; 163:8; 169:5; 171:4, 6;