

FOOD ADVISORY COMMITTEE MEETING

PUBLIC AGENDA

300 Army Navy Drive
Arlington, Virginia
February 11, 1998

3189 '98 APR -3 P2:11

BETA

(202) 638-2400

*A Full Service Reporting Company
... There is No Substitute for Quality*
1-800-522-BETA

(703) 684-BETA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P A R T I C I P A N T S

DR. EDWARD N. BRANDT, Chairman

DR. RHONA APPLEBAUM

DR. E. WAYNE ASKEW

DR. STEPHEN H. BENEDICT

DR. BRUCE M. CHASSY

DR. KATHERINE L. CLANCY

DR. FERGUS M. CLYDESDALE

DR. OWEN R. FENNEMA

DR. NAOMI K. FUKAGAWA

DR. SUSAN K. HARLANDER

DR. ROBERT W. KATZ

DR. LYNN A. LARSEN

MR. JOSEPH A. LEVITT

DR. DONNA R. RICHARDSON

DR. PATRICIA RODIER

DR. MARY Y. WANG

		3
1	AGENDA:	PAGE
2	Convene, Introductions, Administrative	4
3	The Food Safety Initiative and Produce &	21
4	Food Safety Initiative:	
5	FDA Legal Authority on Records	48
6	The FDA Modernization Act of 1997, Impact	72
7	on FDA Foods Program:	
8	Introduction of CFSAN Director	94
9	White House Commission Report Overview	109
10	Staff Perspective on WHC Report	150
11	Current State of Consumer Research	179
12	Post-Market Surveillance for Dietary	197
13	Supplements	
14	Post-Market Surveillance Experiences:	
15	Vaccine Adverse Effect Reporting System	203
16	MedWatch System	223
17	Poison Control Centers	248
18	CFSAN'S Post-Market Surveillance Systems	264
19	Open Public Hearing:	
20	National Food Processors Association	301
21	Council for Responsible Nutrition	308
22	Good Manufacturing Practices Proposal	315
	Records in Areas Covered by HACCP	366

1 P R O C E E D I N G S

2 (8:30 a.m.)

3 CONVENE, INTRODUCTIONS, ADMINISTRATIVE

4 DR. BRANDT: We are going to go
5 ahead and start so we can stay on schedule.
6 We have quite a bit of material to cover. We
7 will go around the table and let everybody
8 introduce themselves, starting down here
9 with?

10 DR. FUKAGAWA: Naomi Fukagawa from
11 the University of Vermont.

12 DR. BRANDT: Raise your hands when
13 you are getting ready to talk.

14 DR. RODIER: Patty Rodier from the
15 University of Rochester.

16 DR. HARLANDER: Susan Harlander
17 from The Pillsbury Company.

18 DR. BRANDT: Ed Brandt from the
19 University of Oklahoma.

20 DR. LARSEN: Lynn Larsen, FDA.

21 DR. WANG: Mary Wang, California
22 Department of Health Services.

1 DR. ASKEW: Wayne Askew, University
2 of Utah.

3 DR. RICHARDSON: Donna Richardson,
4 Howard University, Women's Health Initiative.

5 DR. BENEDICT: Steve Benedict,
6 University of Kansas.

7 DR. CLYDESDALE: Fergus Clydesdale,
8 University of Massachusetts at Amherst.

9 DR. APPLEBAUM: Rhona Applebaum,
10 National Food Processors Association.

11 DR. CHASSY: Bruce Chassy,
12 University of Illinois.

13 DR. BRANDT: Welcome. We haven't
14 met in a while. It's good to see all of you
15 again. We have a lot of stuff to cover
16 today.

17 First, we turn to Dr. Larsen for
18 administrative announcements and other stuff.

19 DR. LARSEN: The first thing I need
20 to go through is the conflict of interest
21 clearance. We asked all the committee
22 members to advise us of any potential

1 conflicts of interest with respect to the
2 entire dietary supplement industry. We have
3 two members. Dr. Askew has a \$10,750
4 contract with a dietary supplement firm, and
5 those funds are used to support research for
6 one graduate student. Dr. Clydesdale has
7 served as a consultant to a dietary
8 supplement firm, for which he was paid
9 \$6,400, including travel reimbursements.

10 Both of these gentlemen have been
11 granted waivers to participate in the
12 meeting. I have already had Dr. Clydesdale
13 sign his waiver. Sometime this morning, Dr.
14 Askew, if you would see me so we can have you
15 sign your waiver as well.

16 We have at least three invited
17 speakers from outside of the committee, who
18 will also need to sign guest speaker forms.
19 I don't think any of them are here right now,
20 but I'll contact them during the day.

21 The next announcement is about the
22 open public hearing session. The Federal

1 Register announced that open public hearings
2 would be from 4:00 to 5:00, both today and
3 tomorrow. We always put that in there, but
4 we always end up having to change it. The
5 times have been changed. This afternoon, the
6 open public hearing session will begin
7 approximately at 3:00 or 3:30, depending on
8 how fast we move through the day.

9 We have three speakers signed up to
10 participate in that session, but one of them
11 just asked me this morning if he could speak
12 tomorrow instead, and that second session,
13 then, tomorrow will begin about 9:30 or
14 10:00, again depending upon how fast we get
15 through the early part of the morning.

16 Currently, there are no other folks
17 registered to speak at the open public
18 hearing.

19 At the table for the committee
20 members, you have another stack of materials.
21 I'll quickly go through everything you should
22 have there.

1 You should have an updated agenda,
2 but as I'll tell you in a moment, that's
3 already been changed again.

4 You should have hard copies of
5 slides for presentations by Drs. Miles and
6 Obermeyer. This is not necessarily in the
7 same order as you have it on the table, but
8 it should be somewhere in that packet.

9 You should have information on the
10 MedWatch program and on our Food Safety
11 Initiative. In fact, there probably are two
12 packets of material on food safety.

13 You should find the minutes of last
14 September's meeting, and that includes the
15 report of the claims wording working group
16 that completed its work as of that meeting,
17 except for editorial changes.

18 There should be a set of materials
19 that has the charges for the committee
20 related to this meeting's issues.

21 There is a piece of paper that says
22 the suggested assignments for the new working

1 groups that will be related to this meeting.
2 We will get into a discussion of that
3 tomorrow. You will have an opportunity to
4 make switches if you want. Those are my
5 judgments as to where I think you would best
6 fit, but we can talk about that in the
7 discussion period tomorrow.

8 You will find a bio for our new
9 Center Director, Mr. Joe Levitt.

10 There is a paper by Mike Taylor
11 that he wrote for presentation at the Food,
12 Drug and Law Institute's meeting in December,
13 but he did not actually deliver it because he
14 became ill at that point. I think that paper
15 -- I've used that paper as part of the
16 materials and input to the incentives working
17 group's final report. That is the intent of
18 the use of that material.

19 There is a red report and some
20 inserts that have a press release and so
21 forth. The report is from the National
22 Health Council. It's a survey that they

1 commissioned on how and where Americans get
2 science and medical information. I thought
3 this might be useful to the merging science
4 working group and, perhaps, to one of this
5 meeting's working groups as well.

6 Those of you who are going to be
7 helping Dr. Harlander tomorrow and discussing
8 the merging science, the Keystone report in
9 the merging science working group, take a
10 look at that. The entire committee has it
11 because you will eventually have to deal with
12 that working group's report.

13 The incentives working group
14 members at the table also will have some
15 materials specific to your task tomorrow.
16 You got a draft report that was put together,
17 and I've gotten some comments back, and what
18 you have are the edited versions, so that you
19 have a place to start your discussions
20 tomorrow.

21 Dr. Harlander faxed to her working
22 group on merging science some materials for

1 their discussion tomorrow. I have taken the
2 liberty of starting a draft outline for your
3 report using that. I've handed a copy to
4 her, but before I give it out to the rest of
5 you, I wanted to see if she likes it, and
6 then you can use that for your discussion
7 tomorrow as well.

8 I want to try to quickly go through
9 what the agenda will be. The reason I'm
10 looking around is because one of our first
11 speakers this morning is supposed to be on,
12 and he asked to be moved up to be very first
13 because he has to go back and meet with the
14 Guatemalans on raspberries. I don't see him
15 yet. We will put him on when he gets here.

16 What you see on your agenda as the
17 first two items for this morning are update
18 briefings on the Food Safety Initiative and
19 on the food section of the FDA Modernization
20 Act of 1997. The background materials for
21 those are in Tab 3, which in your notebook
22 had a blank page, but as I mentioned, it's in

1 the pile in front of you, and Tab 4 for the
2 FDA Modernization Act.

3 Tomorrow during the committee's
4 discussion period, I want to make some
5 comments at that time about the FDA
6 Modernization Act and what impact it might
7 have on advisory committees *per se*.

8 This morning what we will hear is
9 simply the impact or the provisions that deal
10 with foods.

11 I'll come back to Mr. Reynolds'
12 presentation in a moment.

13 At about 10:00, we anticipate our
14 new Center Director will be here, and he will
15 be given an opportunity to provide a few
16 comments to you from his perspectives as he
17 comes on board with the Center. He's been
18 with FDA for some time. Also on the table
19 should be a copy of his bio. I think I
20 mentioned that.

21 The main focus of this meeting of
22 the full committee is to begin the process in

1 obtaining your assistance on some issues
2 stemming from the White House Commission on
3 Dietary Supplement Labels. From our FDA
4 advance notice of proposed rule making
5 published about a year ago on good
6 manufacturing practices for dietary
7 supplements, you will see that some of the
8 items on the agenda may touch a sensitive
9 nerve among some of you, and I'm sure among
10 some of the folks who are guests out in the
11 audience.

12 I wanted to assure all of you that
13 FDA has no preconceived notions about what
14 eventual direction the Agency will take on
15 these issues. We are trying to set the stage
16 for your task, however, and we felt it was
17 necessary to provide a context for those
18 issues with examples, taken largely from
19 outside the dietary supplement arena.

20 With that in mind, I was going to
21 say that our first speaker is Mr. Carl
22 Reynolds, but since he's not here yet, we

1 will continue on.

2 DR. BRANDT: That sets the stage
3 for what we are going to be doing today and
4 tomorrow. You will also note on the proposed
5 working group roster that it is divided into
6 members of the Class of '98 and the Class
7 of '99. Our alumni association is growing at
8 a rapid rate, and one of the issues we are
9 going to have to resolve one of these days is
10 what the annual dues are going to be for the
11 alumni association. I just thought I would
12 get you to start thinking about that.

13 I guess we are ready to go on. Any
14 questions or comments by anybody on the
15 committee?

16 Dr. Larsen?

17 DR. LARSEN: The Office of Special
18 Nutritionals asked me to quickly go through
19 this so we could set the stage for you, as I
20 said.

21 When Mr. Reynolds gets here, what
22 we have in mind is that he will talk to you a

1 bit about FDA's statutory authority for
2 records maintenance. FDA's GMP ANPR
3 incorporated a submission from the dietary
4 supplement industry, and records retention
5 was a topic addressed both by the industry
6 submission and by the ANPR. We felt that you
7 needed to lay the ground work by what our
8 current authority in the foods area is for
9 records.

10 Following the break, as I said, we
11 are taking that GMP one out of order because
12 of Mr. Reynolds' need to get back for a
13 meeting with the Guatemalans. Following the
14 break then, we will hear from Dr. Bob Moore,
15 who will provide an overview of the White
16 House Commission report, which is at Tab 5,
17 but clipped in the back of your briefing
18 book.

19 You may recall that Ken Fisher
20 talked to us back in September about the
21 draft Commission report. Bob will go into a
22 bit more detail. He will cover the final

1 report and then go into a bit more detail
2 about the two issues that we are going to ask
3 you to help us with.

4 Dr. Castro, a Senior Research
5 Fellow in the Department and working on the
6 White House Commission staff, will provide
7 some detailed perspectives from her end on
8 those two issues.

9 The agenda calls for a "to be
10 arranged" speaker to talk about consumer
11 research. We were unable to do that in time
12 for the meeting, to get somebody to speak.
13 We will use that agenda time instead for a
14 brief discussion amongst you and with Dr.
15 Levy and Dr. Brenda Derby from our consumer
16 study staff from FDA.

17 Some of you will recall that we had
18 discussed consumer research results with you
19 for the Keystone reports, and both of those
20 folks had talked to you about that. During
21 that discussion, we are going to want to hear
22 what your thoughts are at this point in time

1 on what additional expertise we need to pull
2 in to pursue and facilitate your assignment
3 on that issue.

4 After lunch, Drs. Goldman, Lewis
5 and Litovitz, whose affiliations are listed
6 in the agenda, will tackle the issue of
7 post-market surveillance. We also had Dr.
8 Ellenberg from our Center for Biologics
9 scheduled. She called me late yesterday
10 afternoon, had a family emergency, but she
11 did talk her Branch Chief, Dr. Marcel Salive,
12 into substituting for her, and he is fully
13 cognizant of all the materials that she would
14 have presented and will do a fine job on
15 that.

16 Again, remember that all of these
17 presentations only address what exists now,
18 largely for other purposes, and this is an
19 area of post-market surveillance. Some of
20 those existing systems do capture data on
21 dietary supplements, however.

22 I have already noted the open

1 public hearing time change. We have
2 scheduled at the end of the day, Dr. Miles
3 and Dr. Kvenberg of FDA to provide the
4 overview of GMPs. We are going out of order,
5 as I said, but we will get the overview at
6 the end of the day, even though we get the
7 statutory authority for records at the
8 beginning of the day.

9 Dr. Miles will cover the overview
10 and provide some perspective on the focus
11 issues on why FDA is seeking your assistance,
12 and Dr. Kvenberg will provide a view of
13 records from a HACCP perspective.

14 GMPs is what the issue is, and that
15 was the focus of the ANPR, but GMPs do also
16 form a foundation when HACCP is used in other
17 areas. Consequently, we felt it might be
18 useful for us to hear about this, even though
19 your focus is to be GMPs.

20 Tomorrow morning, we will begin
21 with a presentation on the Functional Foods
22 for Health program at the University of

1 Illinois, and that group's meeting last fall
2 on Research Incentives for Health Claims.
3 This ties into the afternoon discussions of
4 the incentives working group and their task
5 to present a report to FDA on what incentives
6 exist or where we should go with incentives
7 for health claims.

8 That presentation is being provided
9 to the full committee so that it will serve
10 as a topic of discussion both at the
11 afternoon session and when you get around to
12 discussing the report of the working group.

13 Dr. Obermeyer and Mr. Cichowicz
14 from FDA will close out the formal
15 presentations tomorrow with discussions about
16 chemical and microscopic testing for identity
17 of materials, but of course, the issue is how
18 do you identify materials under the GMPs for
19 dietary supplements.

20 There will be a second opportunity
21 for public comment. As I noted a minute ago,
22 one of our registered speakers has asked to

1 switch from this afternoon to tomorrow
2 morning.

3 That is the time scheduled for the
4 main committee meeting. There will be
5 discussion time after those presentations
6 tomorrow morning. We expect the committee
7 itself can adjourn by noon. After lunch, we
8 will begin the work of at least two working
9 groups, and I think Dr. Lewis wants the
10 significant scientific agreement working
11 group to come together for a bit as well.
12 The two working groups that we had originally
13 scheduled would be the incentives and merging
14 science working groups.

15 Does anybody have any questions
16 about where that's going to go? Some of the
17 questions maybe you want to hold off,
18 especially on the working groups for this
19 particular task that we are meeting for
20 today. That will be part of tomorrow
21 morning's discussion.

22 Is Carl Reynolds here?

1 If Carl Reynolds isn't, we will go
2 on with the schedule as it lists, and we will
3 put him in when he gets here.

4 DR. BRANDT: Our first speaker is
5 going to be Mr. Louis Carson, who is going to
6 talk about the Food Safety Initiative,
7 Produce & Import Food Safety Initiative, and
8 there you are.

9 FOOD SAFETY INITIATIVE

10 MR. CARSON: Good morning. My name
11 is Lou Carson. I'm with the Food Safety
12 Initiative staff at the Center for Food
13 Safety and Applied Nutrition. Today, I'd
14 like to also introduce my colleague, Dr. Wes
15 Long, who will speak to you a little bit
16 about a risk assessment in a moment. We were
17 awaiting Dr. Robert Buchanan also to speak
18 about research, but perhaps I'll do that
19 myself.

20 At your last meeting, I realized
21 that the Food Safety Initiative was also
22 presented to you, and I wanted to just give

1 you an update and not go over old ground.

2 In January, the President announced
3 the first Food Safety Initiative. Again, the
4 goal of that Food Safety Initiative is to
5 reduce food-borne illness to the greatest
6 extent possible.

7 In October, the President announced
8 a second Food Safety Initiative targeted to
9 produce. That second announcement was based
10 on an increased reporting in incidence of
11 food-borne illness associated with produce.
12 We in FY'98 are working on both initiatives
13 within the Food Safety Initiative staff. We
14 have just announced our budget for FY'99 and
15 are also building on that in the next years.

16 For the FY'98 budget, the President
17 submitted, and we received, \$24 million
18 within FDA, \$20 million for CFSAN, \$4 million
19 for the Center for Veterinary Medicine.

20 Within the Food Safety Initiative,
21 we have six major activities.

22 There is surveillance and

1 coordination, which are predominately carried
2 out by the Centers for Disease Control, in
3 coordination with Food Safety and Inspection
4 Service and the Food and Drug Administration.
5 These are seven sentinel sites around the
6 country, which gather and interview
7 physicians and health care providers on
8 epidemiological information, provide that to
9 the state/federal agencies so that we can
10 coordinate and know about food-borne
11 outbreaks earlier and then better to respond
12 to that.

13 In the FY'99 budget, we are asking
14 for an increase over and above the \$24
15 million that we received in FY'98 of \$101
16 million. That is for USDA and the Department
17 of Health and Human Services. Within Health
18 and Human Services, we would receive \$55
19 million: \$5 million for CDC to carry out the
20 surveillance network, and the balance, \$50
21 million, for the Center for Food Safety and
22 Center for Veterinary Medicine.

1 In addition to surveillance and
2 coordination, we have an expanded inspection
3 and compliance area. We are hiring
4 additional inspectors, and we are pursuing
5 within the produce initiative to establish
6 good agricultural practices for fresh or
7 minimally processed produce.

8 Some of you may have heard or been
9 in attendance at our grassroots meetings that
10 we carried out over a four week period in
11 November and December. We started with a
12 public meeting on November 17 and announced
13 our intention to put out this guidance, and
14 in six meetings around the country plus an
15 international meeting, we have shared our
16 working draft document, which is on the Web
17 Page, and we have received approximately 54
18 comments written to the dockets, as well as
19 numerous comments within the transcripts at
20 those grassroots meetings.

21 By and large, we are trying to
22 establish, based on the best science

1 available today, through the pathways that we
2 have described in that guidance, through
3 water, manure, food handling, transport and
4 trace backs, how we can advise farmers and
5 producers to reduce food-borne illness in the
6 production of fresh produce or minimally
7 processed foods.

8 This endeavor has been quite
9 challenging for us. We have worked closely
10 with USDA in reaching out to their
11 constituents the farmers, and we are still
12 striving to make this document as practical
13 and useful to that end user as possible.

14 Our time frame for producing the
15 guidance is that we will produce the next
16 draft in March. There will be a 60 or 90 day
17 comment period. We are looking for a final
18 proposal sometime in September/October.

19 We have engaged states' departments
20 of agriculture and of health to come into the
21 Food and Drug Administration, along with our
22 colleagues at the Department of Agriculture,

1 OSHA and EPA, to assist us in working on this
2 draft guidance.

3 It is a large undertaking. It
4 really does take the best expertise of all
5 the state, local and federal agencies for us
6 to come up with a practical guide in reducing
7 microbial risks.

8 We have also expended an awful lot
9 of attention to education. You will be
10 hearing from Dr. Levy and others later, but
11 we have a major campaign to try to educate
12 food handlers and consumers in the proper
13 handling techniques for food. Whatever we do
14 at the producer side, we must continue
15 through the farm to table distribution of
16 food delivery to the consumer, so that at
17 each and every stage, we have appropriate
18 measures and means to preserve the quality
19 and safety of food.

20 We have a number of education
21 activities at the retail and consumer level.
22 In October, we kicked off the fight back

1 campaign along with our colleagues at USDA,
2 both Secretary Glickman and Secretary
3 Shalala, along with Acting Commissioner
4 Freeman, kicked off this campaign, which is
5 targeted to consumers in how to properly
6 store and treat food in the home.

7 We recognize that many of the
8 food-borne illness outbreaks have occurred at
9 the retail and consumer level and we need to
10 address those.

11 In addition in education, we are
12 conducting a number of research activities to
13 find out how best to reach consumers and how
14 best to get this message across. We will be
15 increasing those efforts in FY'99.

16 Lastly, we are devoting a major
17 effort in the \$50 million towards research
18 and risk assessment, and I saw Bob Buchanan
19 did join us here, so he will be able to talk
20 to you directly about that.

21 Yesterday we met at the White House
22 with our constituent groups, the constituents

1 that impact EPA, USDA, FDA and CDC. We
2 kicked off the budget for FY'99. We are
3 seeking each one of their contributions, and
4 advice and support for our increased dollars
5 to move forward on the food safety
6 initiative.

7 What we have started here in FY'98,
8 we need additional dollars to carry out in
9 FY'99. For example, the produce initiative
10 which the President announced in October, we
11 had no funding in FY'98 to carry out. All of
12 the dollars, approximately \$25 million, are
13 contained in the FY'99 budget to carry this
14 out. Predominately, the budget will take
15 care of the research initiatives to better
16 understand pathogens and their role in the
17 environment, especially with fresh produce,
18 as well as in risk assessment, and what role
19 sampling/targeting of our resources will
20 play.

21 I've tried to give you just a broad
22 overview because this is a very big project.

1 We in the Food and Drug Administration are
2 not only working internally, but we have
3 tried to improve the overall food safety
4 network between USDA, CDC, EPA, state and
5 local governments.

6 The statement was made yesterday at
7 the White House, and I think it is very
8 accurate, we have tried to be a virtual food
9 Agency in FY'97 and '98, by collectively and
10 collaboratively working between all federal
11 agencies. We are trying to plan together so
12 that we do not duplicate efforts, but that
13 the sum of the parts is greater than what we
14 could do individually.

15 There is a great deal for us to do.
16 Most of the progress that we have made really
17 deals with science that is well established.
18 We have a lot to learn in new science, and
19 I'd like Bob Buchanan and Wes Long to talk to
20 you about the new science that we see, that
21 we need to have to give better guidance to
22 farmers, retailers, processors and consumers.

1 Let me turn it over to Bob first to
2 talk about research.

3 DR. BUCHANAN: You will forgive me
4 for not getting to the podium. I went one on
5 one with my car and a bus and lost. I'm
6 finding it difficult to stand for any period
7 of time.

8 I would like to express my
9 enjoyment at getting to see this committee
10 for the first time. For those of you who
11 don't know, I've been a long time employee of
12 the Department of Agriculture and just joined
13 the Food and Drug Administration about three
14 weeks ago. I'm the brand new kid on the
15 block.

16 I have been appointed as the lead
17 scientist for the Food Safety Initiative, and
18 what I would like to do is review the
19 research component of the food safety
20 initiative, to talk about what the areas are,
21 and then talk a little bit about where we are
22 going and hopefully do this all within five

1 minutes.

2 I do want to indicate that in the
3 Food Safety Initiative document, there were
4 really two research areas that were put
5 forth. One was a general bio research area,
6 and the second was risk assessment. Because
7 it was such a high priority, it was pulled
8 out and put there separately, and Wes will be
9 talking about that in a minute.

10 We have had a changing world in
11 terms of food safety, microbial food safety,
12 and we found that we were facing a lot of
13 problems that we hadn't dealt with before,
14 things like new pathogens emerging. We had
15 organisms that we had always thought were
16 pretty innocuous, all of a sudden acquiring
17 disease capabilities. We had a lot of
18 non-traditional uses of food showing up. We
19 had an expanded menu or availability of
20 commodities. We have made seasonality
21 disappear, so now we have a global market
22 bringing in fresh products all throughout the

1 year.

2 We also have a new paradigm in
3 terms of how we are dealing with food safety
4 issues, and that in turn has produced a lot
5 of need for additional information in how we
6 grow foods, how we process foods, how we
7 distribute them, et cetera.

8 What I would like to do is just
9 quickly go through the five areas that were
10 identified in the food safety initiative.

11 One was improve detection methods,
12 our ability not only to detect the organism,
13 but detect it in the very low levels that we
14 occasionally find in food. One of the things
15 that has happened over the last decade is
16 that we have had a series of pathogens where
17 one, two, maybe five viable cells have been
18 capable of producing disease. Things like E.
19 coli 0157 or cyclospora, which are extremely
20 infectious. We now have to deal with looking
21 for very low numbers of organisms that may
22 only occur sporadically in a product or

1 commodity.

2 These are examples of some of them
3 up here. We have had low level outbreaks of
4 cyclospora, hepatitis, salmonella. I think
5 the estimate in the Schwans' outbreak, that
6 there was maybe one viable cell per every ten
7 mills of ice cream, so it's a new world.

8 We also have to get a handle on
9 understanding resistance. We had two areas
10 identified in the Food Safety Initiative.
11 One is understand how resistance is
12 developed. Two, our preservation systems.
13 We have organisms that are now extremely acid
14 tolerant. We have organisms that seem to
15 have acquired additional heat capability.
16 Even if it's a couple of degrees, we need to
17 know this, because if our current
18 recommendations or guidance is wrong, we are
19 going to be under processing or over
20 processing, et cetera.

21 We also have the continuing need to
22 understand the development of drug

1 resistance. Antibiotic therapy and its use
2 throughout the medical community and also in
3 the agricultural community is an area that we
4 continue to look at, and we still need
5 additional research on this one, because the
6 questions get more and more complex.

7 We have an area where we have
8 surprisingly little research in order for us
9 to do risk assessments or to provide
10 guidance. This is in the handling,
11 distribution and storage of foods, after it
12 leaves the processing plant, or between the
13 farm and the processing plant or between the
14 processing plant and the home. There is an
15 incredibly complex distribution system, for
16 which there is very little scientific data
17 looking into what we should be controlling.
18 Again, thinking in terms of a HACCP type of
19 an approach.

20 Finally, preservation techniques.
21 This includes both preventing the organism
22 from getting on in the first place and also

1 for ways of removing it if it does. We have
2 now had a series of microbiological problems
3 associated with products that really can't be
4 treated by conventional types of food
5 preservation.

6 For example, you wouldn't want to
7 take a fresh, soft berry and run it through a
8 retort and still expect to have the fresh,
9 soft berry come out the other end.

10 We need to have different types of
11 technologies that can be used for these
12 foods. When we think about it, they are
13 ready to eat, but traditionally we have
14 thought of them as raw agricultural
15 commodities.

16 That was just a couple of examples.
17 As part of the Food Safety Initiative, one of
18 the things that was an underlying theme was
19 cooperation and coordination. In conjunction
20 with this, we have had extensive interactions
21 with our counterparts in a variety of
22 agencies, at USDA, with FDA, also CDC, EPA,

1 DOD, DOE. It's a whole alphabet soup of
2 agencies. What we have done for the first
3 time is really brought each other together,
4 said what is your inventory of research that
5 you are doing, how can we coordinate this
6 research. We are going to have an
7 opportunity to have a small increase in
8 resources. How can we best use this? What
9 we don't need is everybody chase the same
10 thing, and we wind up with ten projects all
11 on one area, and the other areas go wanting.

12 We have developed, and it's in
13 draft form right now, going through the
14 administrative process, a coordinated
15 interagency research plan. We expect this
16 will be finished for release in March 1998.

17 In the longer term, we are
18 participating in a coordination process in
19 terms of our research planning. This is
20 being handled out of the Office of Science
21 and Technology Policy. This again was
22 something that was recommended within the

1 original Food Safety Initiative. This
2 process started, and we are expecting -- the
3 deadline we have been given is the end of
4 April, early May, to get a finished document
5 outlining where we are going to be going,
6 starting in the year 2000 and on.

7 I think that's it. We have in our
8 own shop where we are in the process of
9 reviewing all of our microbiological research
10 to see where we are focused and if we are
11 meeting the needs, particularly not only the
12 Food Safety Initiative, but we have also
13 through the Produce and Import Food
14 Initiative, we are going back and fast
15 tracking or accelerating our research in
16 specific areas. We need to provide guidance
17 in the area of good agricultural practices
18 and what we need to provide in terms of good
19 manufacturing processes to the produce
20 industry in order to increase the level of
21 safety assurance we have in all those
22 commodities.

1 I'd be happy to answer any
2 questions, although I would like to turn it
3 over to Wes first to complete the other part.

4 DR. BRANDT: Please do.

5 DR. LONG: Good morning. My name
6 is Wes Long. I'm the designated lead for the
7 Food Safety Initiative for Risk Assessment.

8 The risk assessment under the Food
9 Safety Initiative is in two primary areas.
10 One is the establishment and development of
11 an interagency risk assessment consortium and
12 the second is FDA's research to develop and
13 validate both exposure assessment models and
14 dose response models.

15 The risk assessment consortium is
16 an alphabet soup, just as the group Bob has
17 described for research, that has been pulled
18 together to perform a similar function to the
19 research group, as well as to do some other
20 things.

21 The consortium has three primary
22 functions, to develop a scheme for setting

1 methodological research priorities, to serve
2 as a clearinghouse for information on data
3 and modeling methods, and to foster and
4 augment particularly critical research needs
5 of the member agencies.

6 The consortium has held two
7 meetings thus far. We are focusing primarily
8 on the clearinghouse aspect at this time.
9 The President's initiative describes two
10 goals under the clearinghouse. One is to
11 collect and catalog data, methodology and
12 models, and the other, which is very similar,
13 is to do a comprehensive review of
14 methodology and data.

15 I just want to explain some aspects
16 of the complexity of developing such a
17 clearinghouse. This slide shows what we are
18 calling phase one, risk of food being
19 contaminated. It goes from harvest or catch
20 of a commodity through all of the steps that
21 the commodity might go through in the process
22 of ending up on your table or in the process

1 of being consumed.

2 Each of these steps could be
3 considered a module for which you have data
4 inputs that are necessary for developing
5 predictive microbiological models and dose
6 response models, which I'll get to later.

7 To give you a little more specific
8 idea, this is taken from USDA's shell/egg
9 risk assessment. Here we are at the harvest
10 module, which is the beginning step. For
11 them, it was the egg layer module. There are
12 inputs such as host factors, what factors
13 about the flock make the flock more
14 susceptible to, in this case, I believe it's
15 SE, the virulent of the SE, whether it's high
16 or low, and then there's a number of
17 environmental factors that will contribute to
18 whether the pathogen enters into the stream
19 at this harvest step.

20 This information has to be modeled
21 in order to move onto a probable dose, that
22 then goes into the next step, which is

1 transportation, and goes into the next step,
2 which is processing.

3 It's the work of this group to
4 figure out what information is useful in a
5 clearinghouse and who would use that
6 information if we made it available, how
7 should we make it available. Also, with
8 respect to cataloging the data, do we use a
9 system similar to this and try to develop
10 generic models of the inputs that would be
11 necessary to set up a cataloging and
12 clearinghouse.

13 Because I only have five minutes
14 and I'm going to use a little bit more than
15 that, I'm going to move into FDA's risk
16 assessment research priorities. I think the
17 first thing I need to do is explain what we
18 are defining, in just our own definition, of
19 what risk assessment related research is as
20 opposed to research.

21 Of course, risk assessment research
22 is research, but risk assessment research, we

1 are saying, must contribute data to build or
2 utilize models, or it must improve modeling
3 tools. In considering what research we will
4 be doing with food safety initiative funds,
5 it's important that we do not duplicate the
6 research programs of other agencies, and the
7 consortium has gone through a process where
8 each member of the consortium has described
9 their risk assessment research.

10 Ideally, the research that's done
11 will be value added. A couple of examples of
12 that would be enhancing CDC's food net to
13 collect information that's useful for risk
14 assessors.

15 The research priorities must
16 include the priorities from the Food Safety
17 Initiative book. The Food Safety Initiative
18 book has several pages of research projects
19 that need to be developed. We must be ready
20 to use both intra and extramural resources to
21 accomplish these goals.

22 I'm going to start out talking

1 about dose response. The previous slide that
2 was a flow diagram was risk of the food
3 becoming contaminated, and actually several
4 steps on this slide are also a part of what I
5 will call exposure.

6 I want to focus right now on dose
7 response, two primary inputs into dose
8 response, epidemiological data and
9 information and what we called in this slide
10 microbiological toxicokinetics. It's not
11 necessarily the best term. This would
12 include animal models, human data, et cetera,
13 in vitro models.

14 Under dose response, the initiative
15 says that we are to develop data to describe
16 low dose infectivity. The concept here is to
17 develop models that will serve as surrogates
18 to human exposure. In order to get the most
19 bang for our buck, we are studying research
20 that will compliment ongoing clinical trials
21 that are being done by NIH and DOD for the
22 purpose of developing vaccines.

1 In those cases, they are feeding
2 extremely high doses of pathogenic
3 microorganisms, because their intention is to
4 make people sick. We might consider
5 complimenting those studies by adding a lower
6 dose group. We might consider delivering the
7 pathogen in a different food matrix to help
8 us plot more data points from that human
9 study that we can use to help extrapolate
10 that.

11 In addition, we want to look at
12 animal models that fit well with the human
13 data, and that will allow us to extrapolate
14 down to those low doses that we are concerned
15 with.

16 With respect to bio markers, with
17 the human work, it's possible to collect
18 additional samples that we can look for bio
19 markers of susceptibility as well as
20 infectivity.

21 Virulence factors. Virulence
22 factors are useful in many ways. One is in

1 developing methodology for detection of
2 microorganisms. Virulence factors are often
3 studied to determine the mechanism of action
4 of a microorganism. We believe that these
5 virulence factors can also play a role in
6 model development and can be used as a
7 predictive tool or data input into
8 determining the likelihood of illness from
9 exposure to a microorganism.

10 Enhancing epidemiological
11 investigations, and I'm talking about
12 outbreak epidemiological investigations. The
13 current epidemiological outbreak
14 investigations are not tailored to provide
15 data for risk assessors. Risk assessors need
16 at least four things from epidemiological
17 outbreak investigations.

18 One is more information on the
19 amount of food consumed by the individual who
20 became sick. The second is more follow-up to
21 determine whether a chronic sequela has
22 occurred, to try to get a handle on what the

1 rates are of those chronic sequela. The
2 third is collection of suspect food for
3 purposes of enumeration. If we can collect
4 the food samples and back calculate to the
5 level of microorganism that occurred at the
6 time the person consumed the food, we can get
7 a better handle on dose. What is the fourth
8 thing? I can't think of it right now.

9 In order to go along with this
10 enumeration, however, we really need to
11 develop sampling and statistical methods that
12 consider the occurrence and dispersion of
13 these pathogens in the food to make sure that
14 the data that we do collect is meaningful
15 with respect to determining infectious dose.

16 DR. BRANDT: We need to move along
17 pretty quick.

18 DR. LONG: Exposure. This is the
19 risk of food being contaminated slide that I
20 showed earlier. There are three primary
21 areas that are described in the initiative.
22 One is focused food consumption surveys that

1 target susceptible populations. The second
2 is quantifying effects of key processing and
3 preparation steps on pathogen levels. What
4 is the pathogen level before a process? What
5 is the pathogen level after that process?
6 This information is needed to fit into
7 models. This work is being done in a number
8 of places. Our focus should be minimally
9 processed or alternatively processed
10 products.

11 Finally, addressing the dynamics of
12 food-borne pathogens in agricultural
13 environments. This is of particular interest
14 to CDC, and they are pursuing research in
15 this area.

16 Last slide. Finally, modeling
17 methodology. There are two areas of
18 interest, developing criteria for selecting
19 or weighing of alternative models to take
20 empirical data and extrapolate to
21 quantitative assessments of risk, and
22 development of more user-friendly tools for

1 microbial risk assessors. A good example of
2 that is the ARS pathogen reduction software
3 that allows you to take pathogens and perform
4 a number of processes on them and see how the
5 pathogen load is affected.

6 That's it.

7 DR. BRANDT: Thank you very much.
8 You three gentlemen hang around a little bit,
9 because Mr. Reynolds has to leave, and we
10 need to hear from him. Will you be here for
11 a little while so we can ask questions?

12 Mr. Carl Reynolds, we are prepared
13 to hear about the FDA legal authority on
14 records.

15 FDA LEGAL AUTHORITY ON RECORDS

16 MR. REYNOLDS: Good morning, ladies
17 and gentlemen. Thank you for the opportunity
18 to share with you information regarding the
19 basic statute which FDA operates under to
20 address food issues.

21 The Food, Drug and Cosmetic Act is
22 a very simple, but yet complex document.

1 It's one that can cause a lot of frustration
2 as you understand and compare it with the
3 regulations that are promulgated there under.
4 The basic principle under which FDA operates
5 is the establishment inspection.

6 The statute allows an FDA employee
7 to enter any factory, warehouse or
8 establishment in which food is manufactured,
9 processed or held for introduction into
10 interstate commerce, or after introduction
11 into interstate commerce or any vehicle used
12 to transport food in interstate commerce.

13 Interstate commerce is mentioned
14 prominently in that particular section of the
15 Act. FDA modernization has tempered or
16 modified the rules that we will operate under
17 regarding interstate commerce, but not
18 significantly to modify those things that I
19 just mentioned.

20 That basic statutory authority also
21 allows us to inspect any factory, warehouse,
22 establishment, or vehicle and all pertinent

1 equipment, finished and unfinished materials,
2 containers and labeling therein.

3 Administrative procedures. Any FDA
4 employee for the purpose of making an
5 inspection must issue to the owner, operator
6 or agent in charge, a written notice of
7 intent to conduct such inspection. They must
8 display appropriate credentials that identify
9 them as an employee of the Food and Drug
10 Administration. Inspections must be
11 completed with promptness.

12 There are two additional provisions
13 relating to the inspection. One is if the
14 employee observes any conditions which may
15 lead to that product becoming adulterated
16 before leaving the establishment, he must
17 present to the owner, operator or agent in
18 charge a listing of such conditions. Also,
19 if any samples are collected during the
20 course of that inspection, the owner,
21 operator or agent in charge must receive a
22 written receipt from the FDA employee

1 outlining those samples that were collected.

2 That is the basic statutory
3 authority that we use to enter a plant.

4 Administratively, it is our position that a
5 firm is subject to inspection any time they
6 are open for business.

7 We are going to devote our interest
8 for the next few minutes regarding records
9 that we have access to under the statutes.
10 Section 412 of the Food, Drug and Cosmetics
11 Act pertains to infant formula. The statutes
12 allow an officer or employee making an
13 inspection for purposes of enforcing the
14 Infant Formula Act, he shall be permitted to
15 have access to and copy and verify any record
16 that is required to be maintained under
17 Section 412 of the Act.

18 Under Section 4(12) of the Act,
19 Infant Formula, again, these records include
20 all records required to demonstrate
21 compliance with good manufacturing practices
22 and quality control procedures. A firm must

1 retain results of all testing. Other types
2 of records that are required are certificates
3 or guarantees of analysis provided by raw
4 material suppliers, microbiological quality
5 and purity records of raw materials, records
6 showing that packaging materials adhere to
7 the food additive requirements, records for
8 all end process testing, all complaints and
9 related files pertaining to possible health
10 hazards, finished product testing to assure
11 that product contains required nutrient
12 levels, results of regularly scheduled
13 audits, regularly scheduled shelf life
14 testing, distribution records required to
15 conduct and monitor re-call activities, and
16 records maintained for audit testing to
17 ensure that the requirements are met.

18 Under Section 703 of the Act, it
19 talks about records available to FDA from
20 shipments in interstate commerce. The Act
21 requires that carriers engaged in interstate
22 commerce and persons receiving food in

1 interstate commerce or holding such articles
2 shall, upon the request of an officer or
3 employee, give access to those records and
4 copying of all records showing the movement
5 in interstate commerce of any food.

6 This applies to the shipper or
7 consignee of food products only. However,
8 while we are authorized access to interstate
9 records, there are no requirements that such
10 records be maintained.

11 There is a caveat in that
12 particular statute. It says that any record
13 provided under that section cannot be refused
14 if there is a written request provided for
15 such record. However, any record provided
16 under that provision, if there is a written
17 request for the record, shall not be used in
18 any prosecution of that individual.

19 There are rather comprehensive
20 record requirements relating to low acid
21 canned foods. Under the statute, any firm
22 that is producing a low acid canned food or

1 an acidified canned food must register with
2 the Food and Drug Administration and file
3 their scheduled processes with the Agency.
4 This must be done before they can start
5 shipping their product in interstate
6 commerce. This particular provision also
7 extends to foreign firms that are shipping
8 their product to the U.S.

9 FDA must be notified whenever there
10 is any change to that particular process. If
11 they change the can size, if they change the
12 retorting times and temperatures, if they
13 modify the retorting system and so on.

14 Records must be made available upon
15 a written request of the Agency, and we may
16 require, and they must be provided, if we ask
17 for data regarding the establishment of their
18 process.

19 They must maintain all records for
20 processing or deviations in processing,
21 container closing inspections and pH or other
22 records specified in the particular sections

1 for three years.

2 They must report to the Food and
3 Drug Administration incidents of spoilage or
4 process deviations which may indicate a
5 potential health hazard.

6 They must report instances where
7 production lots may be injurious to health
8 due to contamination with microorganisms.

9 Those are some of the more
10 prominent features of the particular Act.
11 However, FDA has two additional tools that we
12 may use to obtain information that we need.

13 One of those is an inspection
14 warrant, which we are willing to use if
15 information is refused to the Agency. There
16 are three primary questions that we ask
17 before we seek an inspection warrant.

18 The first one, is FDA entitled by
19 the statutes to that particular information?
20 Is there an official need for the Agency to
21 have that information? And the third, what
22 steps have we taken to obtain the information

1 that we need?

2 The second is the search warrant.
3 Search warrants are effective for us to
4 obtain evidence of a criminal conduct,
5 contraband or the fruits of a crime, property
6 that has been intended to be used in the
7 Commission of a crime and so on.

8 Whereas an inspection warrant is
9 used to obtain information that is refused
10 and to which we are entitled to under the
11 statutes, a search warrant need not be
12 executed only for that information that we
13 are entitled to under the statutes. Again,
14 the search warrant is used in criminal types
15 of activities.

16 You can see in this short
17 discussion the magnitude of record
18 requirements that is in the Food, Drug and
19 Cosmetics Act. It is again simple but
20 complex.

21 I would be happy later, I guess,
22 this morning to answer any questions that you

1 might have.

2 DR. BRANDT: We can go ahead and
3 take questions, because I understand you have
4 to go to a meeting on raspberries or
5 something.

6 MR. REYNOLDS: Yes, sir. Thank
7 you.

8 DR. BRANDT: Are there questions?
9 Thank you very much, sir. We
10 appreciate it, and good luck with your other
11 meeting.

12 Let's go ahead and see if there are
13 any questions about the Food Safety
14 Initiative presentations.

15 Yes, sir. Dr. Clydesdale?

16 DR. CLYDESDALE: Are resources
17 available to carry out the initiatives that
18 were proposed, adequate resources? And how
19 are they allocated between the two groups?

20 DR. BRANDT: That word "adequate"
21 is always a difficult one to respond to.

22 DR. CLYDESDALE: I thought I would

1 try it, though.

2 MR. CARSON: I tried to answer that
3 earlier, but perhaps I didn't. I believe we
4 have shared with you a backgrounder that
5 describes the dollars associated and how it's
6 distributed between agencies.

7 Your first question about adequacy
8 of the dollars and the scope of what we have
9 before us, I believe we feel that the funds
10 are adequate to get us to the next level, but
11 they are not sufficient to solve the entire
12 problem.

13 I think what Dr. Buchanan and
14 Dr. Long presented to you in the research and
15 risk assessment arenas are really multi-year
16 long range research projects, certainly three
17 to five years before we see real pay off in
18 better understanding of the processes as they
19 apply to foods.

20 Most of the methodology that we
21 have today are based on outbreaks and on
22 single point sources of problems, and what we

1 are trying to do now is a more comprehensive
2 approach to pathogens in the food supply.

3 As far as education and inspections
4 are concerned and as far as the adequacy of
5 dollars, certainly we would like more funds,
6 but I believe we can make a credible and very
7 straightforward positive impact in providing
8 a better public health to consumers with the
9 dollars that have been assigned to us here,
10 if we are successful in getting them in
11 FY'99.

12 We got \$24 million in the Food and
13 Drug Administration, \$20 for CFSAN and the
14 field organization and \$4 million for the
15 Center for Veterinary Medicine. We believe
16 we need additional dollars in 1999 to take on
17 those activities that we are simply just
18 initiating in FY'98. If we do not get the
19 funds in FY'99, then we will be severely
20 strapped for making that positive next step
21 forward.

22 DR. BRANDT: And OMB probably

1 thinks it's more than adequate.

2 Dr. Benedict?

3 DR. BENEDICT: First, it doesn't
4 sound adequate at all; it sounds decidedly
5 underfunding. I wonder if you could comment
6 on how you feel distribution, if there is
7 any, is between intramural and extramural
8 research on some of these issues.

9 MR. CARSON: I am going to probably
10 defer to Dr. Buchanan and Dr. Long. There is
11 a distribution, and we are working on that
12 now for FY'98.

13 MR. BUCHANAN: The distribution in
14 FY'98 for the research funds is approximately
15 70 percent internal and about 30 percent
16 going external. This is to get us up to
17 speed in terms of some of the short term
18 investments we need to make in order to be
19 able to handle the day by day activities and
20 build our infrastructure.

21 As we then project out over the
22 course of three years, that's our planning

1 cycle, that percentage increases in the
2 second and increases again in the third year,
3 assuming the projected increases that we will
4 be requesting are being supplied. Again,
5 it's going to be dependent on the
6 infrastructure. We need to do our day-by-day
7 business in terms of providing the research
8 that's needed within the Agency to make these
9 policy decisions, but we are also very much
10 interested in getting that type of research
11 that we can get out on the outside, get those
12 new ideas in, both in the form of probable
13 research grants and then collaborative
14 efforts.

15 Eventually the idea is to get about
16 a 50/50 split by the year 2000, that kind of
17 distribution.

18 DR. BRANDT: Dr. Clydesdale?

19 DR. CLYDESDALE: I'm sorry. I'm a
20 little slow. I'm going to have to come back
21 at this again. The material that was
22 presented this morning on the risk assessment

1 and research by Dr. Buchanan and by Dr. Long,
2 that material, what kind of time frame do you
3 hope to have that done in? I guess that's
4 what I don't understand. What was presented
5 this morning, what sort of time frame are we
6 looking at? Is that over the next ten years
7 or the next three years, the material that
8 was presented to us?

9 DR. BUCHANAN: This is based on a
10 three year planning cycle. We assume that
11 some of the broader research questions are
12 going to take three to five years to really
13 have an impact. However, there are a series
14 of short term advances that we are going to
15 be able to realize within a year. Some of
16 them have to do with improvements in
17 methodologies, which, now that we have gotten
18 additional funds, we have been able to
19 accelerate our activities in those.
20 Likewise, as we have moved into the produce
21 initiative, a lot of the advances there are
22 short term, in that we have to go through the

1 research to modify our current techniques and
2 availability in order to make them applicable
3 to produce, and some of that is going fairly
4 quickly.

5 DR. BRANDT: Dr. Applebaum?

6 DR. APPLEBAUM: Dr. Long, you
7 referred to a book, an FSI book. Was that an
8 euphemism, or is there truly a book available
9 that gives detailed information?

10 DR. LONG: I was referring to the
11 Food Safety Initiative, the President's
12 report.

13 DR. APPLEBAUM: Will there be a
14 book in terms of a true strategic plan that
15 identifies what's going to be planned and
16 what's going to be proposed in terms of
17 programs?

18 MR. CARSON: Let me try and answer
19 that. The answer is yes. We have that as
20 one of the major activities, strategic
21 planning. We are still in the process of
22 that, with all the collateral agencies to

1 come up with one strategic plan for food
2 safety. That is a long range strategic plan,
3 five to ten years. It will be completed
4 sometime this fiscal year, is our target, but
5 we do not have it yet.

6 I think to go back to
7 Dr. Clydesdale's question earlier and one of
8 the points Dr. Buchanan had on this slide,
9 the OSTP at the White House is convening all
10 the agencies to come up with a long range,
11 starting in FY 2000, research plan that will
12 coincide with our budget cycle. The whole
13 purpose behind that is to ensure that we will
14 get increased dollars for research both in
15 risk assessment and in microbial pathogen
16 research in the out years, so that this
17 initiative will not dwindle; it will
18 increase.

19 As you have pointed out, this is a
20 huge endeavor, and we do need additional
21 funds if we are going to be successful. I
22 think everyone recognizes that money needs to

1 be funneled into this endeavor, and we need
2 to make a very plausible and credible case as
3 to what we have done in FY'98 and FY'99 with
4 the dollars they have given us and what we
5 can do more if we were to get increased
6 funding.

7 DR. BRANDT: Other questions?

8 Dr. Rodier?

9 DR. RODIER: Can you tell me how
10 many investigators you have internally who
11 can be put on these problems? How many in
12 risk assessment and how many in pathogen
13 studies, and whether that's going to change
14 with the new research funding?

15 DR. LONG: I think we are both in
16 the process of bringing on some new senior
17 staff. I think we are all devoted towards
18 redirecting resources to this work. I think
19 we will be able to adequately do the things
20 that we can do in-house; I think we will be
21 able to accomplish them.

22 MR. CARSON: We are taking stock of

1 our current resources now, trying to find out
2 what is currently onboard at all the
3 agencies, what needs to be redirected and
4 what FTEs and dollars will be from current
5 operations versus the new dollars. The
6 research plan that Dr. Buchanan talked to you
7 about that should be out in March will give
8 us a better idea at that time as to what our
9 total resources are.

10 We can tell you what the new
11 increases will give you in FTEs and dollars,
12 but it doesn't tell you what our current
13 operating staff that we are going to be
14 re-focusing to these endeavors are, and
15 that's a process that we are still involved
16 in right now and trying to get there by
17 March.

18 DR. RODIER: I really am just
19 looking for a rough estimate, because I have
20 no idea how many experts on pathogens you
21 have working now.

22 DR. BUCHANAN: Currently, we have

1 approximately 30 senior microbiologists on
2 staff. That is at CFSAN itself. In
3 addition, we have access to microbiologists
4 in the field laboratories and also down at
5 our toxicology center in Arkansas. In
6 addition, we do have several formal
7 collaborations, our collaboration with the
8 research group out in Summit, Illinois, an
9 additional eight senior investigators, I
10 believe. Likewise, we have the CFSAN
11 activities that we are having collaborators
12 with.

13 I would say right now, a ball park
14 figure would be about 30 percent, and
15 approximately half of those are currently
16 working on some aspect of the Food Safety
17 Initiative, and that's likely to increase as
18 we weigh the priorities of other programs
19 that are taking place that we need to
20 maintain.

21 DR. BRANDT: Dr. Askew?

22 DR. ASKEW: For my own

1 understanding, let's say you are concerned
2 about the food-borne pathogens with
3 strawberries. At what point does
4 microbiological testing occur? At the
5 producer level, prior to going to market or
6 after a pooling of producers in the market,
7 at the plant, or what is envisioned there?

8 MR. CARSON: The whole thrust of
9 the Food Safety Initiative is to get away
10 from end-product testing and to try and
11 devote our attention to intervention and
12 prevention technologies.

13 The guidance that we are putting
14 out on produce is devoted again to water,
15 manure, food handling, transportation and
16 trace backs. We don't believe that we can be
17 effective in doing end-product testing
18 because of the volume and fast turn around in
19 commerce of fresh produce. We feel that the
20 most appropriate place, and that's where the
21 risk assessment and research dollars are
22 going to be focused, both within FDA, USDA

1 and EPA, is to focus in on production at the
2 farm and to make sure they have practices,
3 treatments, preventative techniques that they
4 can employ so that we can reduce microbial
5 contamination.

6 Today, traditionally, FDA would
7 test end products either at the border or in
8 commerce, because as Mr. Reynolds just
9 mentioned, our authority extends only to
10 those products in interstate commerce.

11 DR. BRANDT: Dr. Askew?

12 DR. ASKEW: Just a short follow-up.
13 Education and inspection surveillance are.
14 Of course, important, but we will probably
15 always fail in certain instances. To what
16 degree is food irradiation being considered
17 with the program?

18 MR. CARSON: As you know, FDA in
19 coordination with USDA has put out a rule on
20 irradiation and that may have been of a
21 previous Food Advisory Committee meeting, I'm
22 not sure, but we are looking at all sorts of

1 intervention technologies, ones that are
2 submitted by petition or ones that come up
3 with through in-house research.

4 There are a number of food additive
5 petitions that we are looking at now that
6 seem to lend themselves to some reduction of
7 pathogen load on a food product. Certainly
8 irradiation is one that we would have to look
9 at, but again, even if we were to find how
10 irradiation might be employed, it's the
11 market place that has to put it into place.

12 I think this question came up
13 yesterday to USDA about irradiation of meat
14 and poultry products. There is an
15 irradiation regulation out on poultry. It
16 has not found wide acceptance. Obviously, we
17 would have to work with industry to see how
18 best this could be done.

19 Any and all suitable intervention
20 strategies will be pursued. I think
21 Dr. Buchanan mentioned that earlier in his
22 slide on research that we are undertaking.

1 He may want to follow-up on that.

2 DR. BUCHANAN: Currently, there are
3 several approvals for irradiation. Our
4 primary thrust now in looking at irradiation
5 will be to see how adequate the current one
6 kilogray limit is in terms of getting rid of
7 the pathogens that would be susceptible to
8 that.

9 For example, Hepatitis A in
10 strawberries would not be a particularly good
11 application for irradiation due to the nature
12 of viruses. On the other hand, irradiation,
13 low dose irradiation and possibly as best we
14 can get from the experts at the Agricultural
15 Research Service, the one kilogray should be
16 more than sufficient to kill protozoan
17 parasites, such as cyclospora. This is an
18 application that is already approved.

19 One of the tasks that I'm giving
20 one of the people coming on board to serve a
21 detail with us is to go out and look at the
22 whole radiation database that we have and see

1 if there are additional modifications that we
2 should recommend to the irradiation profile
3 in terms of advice for either processors or
4 at the production end.

5 DR. BRANDT: Thank you all very
6 much. We appreciate your being with us and
7 thank you for the information. We will now
8 move onto Anne Depman, who is a Science
9 Policy Analyst, who will talk about the FDA
10 Modernization Act of 1997. You have an
11 outline of that in the stack of material that
12 was put at your place.

13 FDA MODERNIZATION ACT OF 1997

14 MS. DEPMAN: Good morning. My name
15 is Ann Depman. I am a Science Policy Analyst
16 with the Executive Operations Staff at CFSAN.
17 I am here to discuss the food provisions of
18 the FDA Modernization Act of 1997.

19 Before I go through the food
20 sections specifically, I would like to try to
21 give a little background to explain why this
22 bill was able to pass through Congress this

1 past session.

2 In July 1996, Dr. Diane Robertson
3 of the Executive Operations staff came to an
4 advisory committee session to discuss the
5 provisions of the FDA reform bills currently
6 pending at that time. I would like to pick
7 up at that point.

8 In 1996, the 104th Congress, FDA
9 reform bills were introduced in the Senate
10 and the House. The Senate bill had many
11 agency-wide provisions, food provisions, in
12 particular, including food contact substances
13 and health claims. Three bills were
14 introduced into the House, one dealing with
15 drugs, one, devices, one, foods. Many of the
16 food provisions included admissions
17 statement, national uniformity, health
18 claims, food content substances and a
19 Declaney clause fix.

20 The House bills were never marked
21 up. The Senate bills never came to the Floor
22 for a vote. This was, in particular, in the

1 Senate due to Democratic opposition, and it
2 was also an election year. They just ran out
3 of time for these bills.

4 The 105th Congress began in January
5 of 1997 with a very different spirit. There
6 was the knowledge that the very popular
7 Prescription Drug User Fees Act was going to
8 expire October 1, 1997. The sessions opened
9 with Senator Jeffords and Representative
10 Bliley making it clear that PDUFA, the
11 Prescription Drug User Fees Act, would not
12 move through Congress unless it were tied to
13 a general FDA reform bill. This told
14 everyone that they had to actually go through
15 with the process this particular past year.

16 Senator Jeffords introduced a bill
17 in June. This was favorably voted out of
18 committee. It addressed drug, device, food
19 and agency-wide issues. Some of the food
20 specific provisions included health claims
21 and nutrient content claims. There was a
22 lengthy Floor debate in the Senate in

1 September, including a two week filibuster by
2 Senator Kennedy.

3 In the House, just as in 1996,
4 three bills were introduced: One, drugs;
5 one, devices; one, foods. Representative
6 Whitfield introduced the foods bill on
7 September 11th. Eventually, the drug, device
8 and food bills were combined into one, H.R.
9 1411. Foods provisions in the House bill
10 encompassed a broader range than the Senate
11 bill. It included nutrient content claims,
12 disclosure of irradiation and the pending
13 irradiation petitions.

14 Both the Senate and the House bills
15 came up for votes on the Floor, and they were
16 both passed. Because the bills were
17 different, they went to a conference
18 committee. The conferees met for three weeks
19 to iron out the differences between the two
20 bills. Eventually, they came upon one
21 version of the bill that was acceptable to
22 everyone. This bill was forwarded to

1 President Clinton for signature, and he
2 signed it on November 21, 1997.

3 This is the enactment date of the
4 bill. However, the bill did not go into
5 effect completely at that time. There was a
6 three month delay period built into the bill,
7 so the effective date for the majority of the
8 sections of the bill is February 22, 1998.

9 PDUFA's provisions are an exception
10 to this rule. One went into effect
11 immediately that dealt with meat irradiation
12 petitions, and one will go into effect 18
13 months from the signing of the bill, as food
14 contact substances.

15 For the specific food provisions,
16 I'm going to be discussing sections and using
17 section numbers. On the outline provided,
18 there are some section numbers. Those are
19 sections of the FDA Modernization Act, not of
20 the Food, Drug and Cosmetics Act.

21 In particular, I want to start off
22 with Section 305. This is Section 305 of the

1 Modernization Act. It amended Section
2 403(r)(2)(B) of the Federal Food, Drug and
3 Cosmetics Act. Within each section of the
4 Modernization Act, it says what was amended,
5 if you'd like to follow along in your books.

6 First, nutritional claims. There
7 are some substantive aspects for nutritional
8 claims, beginning first with the referral
9 statement, section 305 of the Modernization
10 Act. Under the NLEA of 1990, a referral
11 statement is required where a food label
12 contains a claim regarding the level of a
13 nutrient, such as fat free. The label was
14 required to contain a statement, referring to
15 the nutrition facts statement.

16 I have an example to try to make
17 this a little clearer, and I can pass these
18 around. This says it's a fat free food.
19 Directly underneath it, it says, "See side
20 panel for nutrition information." This
21 refers the consumer to the side panel. This
22 is the requirement of the NLEA from 1990.

1 The NLEA also required disclosure
2 statements. This is where a food contains a
3 nutrient that increases the risk of a disease
4 or a health related condition that is diet
5 related. The disclosure statement must
6 identify the nutrient.

7 An example of this is sodium. On
8 this, there is a statement on this label that
9 says, "See side panel for information about
10 sodium and other nutrients." This is a
11 disclosure statement. Whereas this box has
12 two referral statements, in fact, the fat
13 free food and also about sodium.

14 Section 305 of the Modernization
15 Act eliminated a requirement for the referral
16 statement. However, the disclosure
17 statement, which is on this soup can, is
18 still a requirement. That's the explanation
19 for that section.

20 DR. CLYDESDALE: Could you do that
21 again just very quickly?

22 MS. DEPMAN: Sure, I'd be happy to.

1 Section 305 of the Modernization Act
2 eliminated the requirement for the referral
3 statement. This is the statement that all
4 claims regarding the level of a nutrient be
5 accompanied by this referral statement.
6 However, the disclosure statement, which is
7 now required when the claim on the level of a
8 nutrient is made and the Secretary determines
9 that the food contains a nutrient at a level
10 that increases the risk of a disease or
11 health related condition, this will bring
12 added attention to this disclosure statement,
13 to the sodium or possibly if there's
14 cholesterol in the product, this will bring
15 added attention to that.

16 The consumers who have very
17 wholeheartedly adopted the nutrition facts
18 panel no longer need to be told to refer to
19 the side panel for every item.

20 The next section, Section 303 of
21 the Modernization Act. The NLEA of 1990 also
22 established a pre-market review process for

1 health claims. Under this process, a person
2 may petition FDA for approval of a claim.
3 Section 303 creates the modernization
4 pre-market notification process for health
5 claims. This is based upon an authoritative
6 statement of certain scientific bodies of the
7 United States Government.

8 A notification may be made if a
9 scientific body of the federal government or
10 the National Academy of Sciences has
11 published an authoritative statement and the
12 statement is currently in effect regarding
13 the relationship between a nutrient and a
14 disease or health related condition.

15 The process for filing this
16 pre-market notification is a person submits
17 at least 120 days before marketing a notice
18 that contains the information which has been
19 specified in the statute, Section 303 of the
20 Modernization Act. This includes the exact
21 words of the claim, a copy of the statement
22 relied upon and a balanced representation of

1 relevant scientific literature.

2 The claim that is the subject of
3 the notification may not be made after this
4 120 day period until FDA issues a regulation
5 prohibiting or modifying that claim, or in an
6 enforcement action, the court finds the
7 requirements of the statute have not been
8 met.

9 Section 304 of the Modernization
10 Act created an identical pre-market
11 notification system for nutrient content
12 claims. The original NLEA created petition
13 system is still in effect. This was not
14 altered. This pre-market notification system
15 is simply an added mechanism to allow some
16 claims to get to the market faster.

17 There are some procedural aspects
18 of the nutritional claims' sections of this
19 Act.

20 Flexibility regarding claims,
21 Section 301. This provides an additional
22 procedural option for regulations for health

1 claims and nutrient content claims. FDA may
2 make a proposed rule effective upon
3 publication, pending comment and final rule.
4 This provides consumers with information
5 regarding nutrition and healthy dietary
6 practices, or it allows FDA to ban or modify
7 an authoritative determination claim that has
8 been made through this notification process.

9 Deadlines for Agency action on
10 health claim petitions were also established.
11 Section 302 created certain deadlines for
12 actions on health claim petitions. If FDA
13 fails to make a filing decision within 100
14 days, the petition is deemed to be denied,
15 unless the petitioner and FDA agree to an
16 extension.

17 Secondly, if FDA fails to issue a
18 proposed rule within 90 days of filing the
19 petition, the petition is deemed to be
20 denied, so there is a 100 day period for FDA
21 to decide to file. Once FDA files, there is
22 now a 90 day period for FDA to issue the

1 proposed rule.

2 The third deadline that was
3 established is if FDA fails to publish a
4 final rule within 540 days of receipt of the
5 petition, this goes back to day one now. FDA
6 is required to provide the relevant House and
7 Senate legislative committees reasons for
8 such a failure.

9 The next section of the Act that
10 I'd like to discuss is food contact
11 substances, Section 309. Food packaging
12 material, such as plastics, and paper and
13 components, such as adhesive sanitizing
14 coatings, are regulated as a food additive.
15 For this box of pudding, the food contact
16 substance is the package on the pudding mix.

17 Section 409 of the Federal Food,
18 Drug and Cosmetics Act, the existing Act that
19 was modified by this Modernization Act,
20 provides that food additives are subject to
21 FDA pre- market approval under the petition
22 process found in Section 409.

1 A food contact substance, this food
2 packaging material, though not without risk,
3 has very low exposure to food, so it presents
4 very little risk. Despite this, the
5 processing of these petitions for food
6 contact substances absorbs a large amount of
7 FDA food additive review resources.

8 FDA has engaged in a discussive
9 process with food contact substance industry
10 to develop a new system to regulate these
11 food contact substances. This new process is
12 found in Section 309 of the Modernization
13 Act.

14 A food contact substance under this
15 Act established a notification system for
16 food contact substances. This is similar to
17 the health claims and nutrient content claims
18 notification system.

19 These food contact substances are
20 still food additives, so they are still
21 subject to the same safety standard under
22 409, which is reasonable expectation to do no

1 harm except in carcinogens.

2 Under this new section, a
3 manufacturer notifies FDA 120 days before
4 marketing of the identity and intended use of
5 the substance and the manufacturer's
6 determination that the use is safe under 409.
7 The notification becomes effective and the
8 substance may be marketed 120 days after the
9 submission of the notification unless FDA
10 determines that based upon the data and the
11 information submitted, the use has not been
12 shown to be safe.

13 In this Modernization Act, there
14 was a very convoluted appropriations method
15 that was created for funding of this program.
16 It requires the President to make a yearly
17 budgetary request for the program to go into
18 effect. The first year for the program to go
19 into effect is FY'99. However, the FY'99
20 budget did not include the minimum \$1.5
21 million request. Therefore, the status of
22 the section is a bit unclear at the time.

1 This is one of the two food
2 sections that has a different enactment date
3 from February 22nd. This is a delayed
4 effective date of 18 months in order to
5 create the implementing regulations needed to
6 implement this program.

7 Now, some miscellaneous provisions.
8 Disclosure of irradiation, Section 306 of the
9 Modernization Act. Prior to the
10 Modernization Act, FDA regulations required
11 that any food that has been irradiated bear a
12 disclosure statement, "treated with
13 radiation" or "treated by irradiation." It
14 must display prominently and conspicuously a
15 logo reflecting the fact that the food has
16 been treated with radiation.

17 Under Section 306 of the
18 Modernization Act, the radiation disclosure
19 statement cannot be required to be any more
20 prominent than the declaration of
21 ingredients. The change that was created in
22 this section simply limited the size of the

1 disclosure statement. Before in the
2 regulations, there was no limit to the size.
3 Now it says it can be no larger.

4 Unfortunately, I don't have an
5 example to show you of a product. Most of
6 these products are in the Midwest, radiated
7 spices. I wasn't able to get one.

8 What this section means for the
9 logo is unclear. That was not addressed in
10 the section of the Modernization Act for the
11 size of the logo. In the regulation it
12 specified that it must be prominent and
13 conspicuous, and that was not addressed in
14 Section 306.

15 Another miscellaneous provision is
16 the meat irradiation petition. This is the
17 other food section that has a different
18 effective date. Under the definitional
19 section of the Federal Food, Drug and
20 Cosmetics Act, radiation is defined as a food
21 additive. When the Modernization Act passed,
22 a petition was pending before FDA requesting

1 approval of the use of radiation on red meat.

2 As you know, radiation had
3 previously been approved for certain uses on
4 ground spices, pork and poultry. Section 307
5 of the Modernization Act requires that the
6 Secretary make a final determination on the
7 meat irradiation petition within 60 days of
8 enactment, therefore, by January of 1998, or
9 to provide the appropriate legislative
10 committees of the House and the Senate an
11 explanation as to why the action was delayed.
12 The final rule approving meat irradiation was
13 published on December 3, 1997. This is well
14 within the 60 days.

15 Another miscellaneous provision is
16 glass and ceramic ware, Section 308. Heavy
17 metals, such as lead and cadmium, are often
18 used in enamel paints. Such metals, if
19 consumed in large enough quantities, may be
20 toxic. When metals are used on food
21 packaging or food serving materials in the
22 lip and rim area of a glass or a mug, such

1 metals are subject to regulation as food
2 additives. However, a food additive cannot
3 be approved for use unless it has been shown
4 to be safe.

5 Section 308 of the Modernization
6 Act restricts certain possible regulatory
7 activities of FDA regarding lead and cadmium
8 enamels. The first section of 308 imposes an
9 one year delay on the implementation of any
10 future ban of lead and cadmium based enamels
11 in the lip and rim area of glass and ceramic
12 wares. The second section, which is referred
13 to as the shot glass exemption, prohibits any
14 ban as an unapproved food additive, the use
15 of lead and cadmium based enamels, on small
16 glassware prior to January 1, 2003, and
17 imposes certain restrictions on any ban
18 imposed thereafter.

19 The final section I would like to
20 discuss is Section 413, which is the study of
21 mercury compounds on drugs and foods. Under
22 this section, FDA must compile a list and

1 provide a quantitative and qualitative
2 analysis of drugs and foods that contain
3 potentially introduced mercury compounds.

4 FDA, subject to appropriations,
5 shall conduct a study on the effect on humans
6 of the use of mercury in drugs and dietary
7 supplements also.

8 Thank you. I'd be very happy to
9 answer any more questions.

10 DR. BRANDT: We have time for a
11 couple of questions, if anybody has one.
12 Dr. Harlander?

13 DR. HARLANDER: What are the
14 practical implications of the health claims
15 and nutrient content claims provisions? How
16 do you see that playing out?

17 MS. DEPMAN: It gives people an
18 opportunity to have a shortened review
19 period. When there is an existing
20 authoritative statement by one of these
21 government bodies, they can use that
22 statement. It's support that has been

1 specified by the Act, and in 120 days, mark
2 it their claim, as opposed to going through
3 the full petition process, because the
4 scientific research has already been
5 reviewed. It is out there; it is an
6 authoritative statement. It has undergone
7 the review process.

8 DR. HARLANDER: It doesn't
9 necessarily meet the significant scientific
10 agreement standard?

11 MS. DEPMAN: Yes, it does.

12 DR. HARLANDER: It does meet the
13 significant scientific agreement standards?

14 MS. DEPMAN: Yes.

15 DR. HARLANDER: Thank you.

16 DR. BRANDT: Dr. Clydesdale.

17 DR. CLYDESDALE: After the 120
18 days, if someone says puts that on their
19 label, can that be recalled?

20 MS. DEPMAN: Yes, sir. It can.
21 FDA is allowed to issue a regulation
22 modifying or removing the claim from the

1 market.

2 DR. CLYDESDALE: At any time?

3 MS. DEPMAN: After, yes.

4 DR. CLYDESDALE: It's never really
5 approved?

6 MS. DEPMAN: They can use it. It
7 can go out in the market 120 days, unless FDA
8 prevents them from using it before the 120
9 days, but then afterwards, it has to be
10 pulled off the market.

11 DR. CLYDESDALE: My mind says when
12 something is approved, it means it's on and
13 can stay on, I guess. That's not a legal
14 definition. That's a Clydesdale definition.

15 MS. DEPMAN: The notification
16 process is a bit of no news is good news.
17 Once the 120 days is up, we still have the
18 opportunity to give some bad news later, but
19 it's to withdraw, instead of to prevent from
20 going out into the market.

21 DR. CLYDESDALE: That would
22 generate a recall of those labels then?

1 MS. DEPMAN: I'm not sure about a
2 recall. I don't know the details of that.

3 DR. CLYDESDALE: At least a recall
4 to change the labels.

5 MS. DEPMAN: It would definitely
6 cause a change in the labels.

7 DR. BRANDT: Dr. Larsen has
8 something to add to that.

9 DR. LARSEN: I would suggest that
10 if you want to discuss some details about
11 this, whatever details we can discuss, that
12 we hold off until tomorrow and try to put
13 Chris Lewis on the spot. It's the Office of
14 Special Nutritionals and the Office of Food
15 Labeling that are trying to deal with the
16 practicalities of this provision of the
17 Modernization Act.

18 DR. CLYDESDALE: I had the
19 privilege of hearing Chris present something
20 like this about a week ago and that's what
21 triggered the question.

22 DR. LARSEN: We are putting her on

1 notice now.

2 DR. BRANDT: Let's take a 10 minute
3 break. We are running way behind. A
4 10 minute break, that means by my clock
5 getting back here at 10:20.

6 (Recess)

7 DR. BRANDT: Ladies and gentlemen,
8 if everybody will come to order, please, we
9 are ready to start again.

10 It is my pleasure now to introduce
11 Mr. Joe Levitt who is the new Director for
12 the Center for Food Safety and Applied
13 Nutrition. You have an 1 page summary of his
14 resume, telling you about him. I think it's
15 fair to say he's a long term employee of the
16 FDA, 20 years is a long time. We would now
17 like to hear from him. Please.

18 INTRODUCTION OF CSAN DIRECTOR

19 MR. LEVITT: Thank you very much.
20 I feel comfortable sitting right here and not
21 at a podium, if that is all right. I'm happy
22 to be here. I have just been in the job

1 right now less than 2 weeks. With your
2 permission, I'd like to try to both help you
3 catch up on your schedule and try to cover
4 three main points.

5 Number one, I'd like to just
6 introduce myself a little bit, tell you a
7 little bit about my background, things that
8 aren't necessarily on your one pager.

9 Number two, I want to really
10 reinforce the importance of this committee,
11 and this kind of process, and the significant
12 help that you give us as we do our job.

13 And finally, I wanted to just tell
14 you a little about the priorities, at least
15 as I see them, in the very near term.

16 Number one, you will see I say
17 three things, and then I'll go right down
18 them. I'm not that difficult.

19 Number one, Dr. Brandt is right.
20 I've been around FDA long enough to remember
21 very well when you were Assistant Secretary
22 for Health, and Mark Novich would trot down,

1 and meet with you regularly, and really
2 valued the leadership that you provided.
3 When I saw that you were chair of this
4 committee, I said, what could be better? I
5 know just in looking at the CVs and the
6 background of everybody around here, we have
7 just an enormous breadth of expertise.

8 In terms of myself, I have really
9 been fortunate, worked on a lot of different
10 parts of FDA, in general counsel And, in the
11 Commissioner's office, in medical devices. I
12 think what is significant or valuable for my
13 current job is that I have had really just a
14 wonderful opportunity at FDA to work at all
15 levels. I've been functionally a division
16 director, an office director, a deputy center
17 director, a deputy commissioner. I worked in
18 a lot of different areas. I've also worked
19 pretty extensively in the foods area in some
20 ways, especially in food labeling. I was
21 very involved in the launching of the initial
22 food labeling initiative and really got to

1 know a lot of people in the foods program
2 through that way.

3 Notwithstanding that, it is clear
4 that there is an enormous amount to learn,
5 there is enormous breadth and array. I think
6 probably one of the most significant things
7 that I bring is a background that also has a
8 broad array and is willing to try to take a
9 step back, and see the big picture, and try
10 to make things fit within a coherent hole to
11 the extent that makes sense.

12 I am enormously both gratified, and
13 I must say, somewhat humbled by the
14 invitation and the opportunity to be the
15 director of the Center, but there is a lot of
16 good work to be done, a lot of important work
17 to be done.

18 That's a little bit of who I am,
19 where I come from.

20 The second point I want to make is
21 again to really reinforce the value of this
22 committee. Back when I was in the

1 Commissioner's office and even a little
2 before that or around that, when Dr. Brandt
3 was downtown, there was no Food Advisory
4 Committee. We always both wondered why and
5 hoped there would be, and sure enough, one
6 has been established and, indeed, a fine one
7 at that.

8 I've seen some of the work and have
9 already been told of some of the good work
10 you have helped us on, things like folic
11 acid, things like BST, things like Ephedra,
12 important significant issues.

13 What I will be trying to stress,
14 and I think it fits exactly within the
15 framework of this kind of committee, is
16 really four general principles.

17 Number one is we need to stay
18 focused on our mission. As even the name of
19 our Center says, Food Safety, Applied
20 Nutrition, which I'll paraphrase as disease
21 prevention, these are the things we really
22 have to be focused on. It's easy in a world

1 of so many different activities going on to
2 stray a little, but we have to really keep
3 focused on what our mission and what our
4 goals are and be sure they are health and
5 safety related.

6 Second, we need to base our
7 decisions on sound science. You won't ever
8 hear anybody from the FDA who doesn't say
9 that, but I want to say very candidly, I know
10 I'm not a scientist, and that, if anything,
11 makes the importance and the value of good
12 *bona fide* scientific advice even more
13 important. I know I need to surround myself
14 with good scientists, to be a good listener,
15 and to rely on expert judgment. I will try
16 to know what I know, and know what I don't
17 know, and rely on others to really lead the
18 way. We need scientific bases for our
19 decision making if it is going to have
20 credibility.

21 Third is openness. No matter where
22 I've worked, FDA is the one. You're a black

1 box. We don't understand you. We can't
2 penetrate you. That's true. I can say from
3 working inside it's hard also; so for people
4 outside, I know it is astronomically or
5 exponentially harder to do that.

6 I will try to bring openness to the
7 process, both openness internally to the
8 staff, which I can tell you, I've got in a
9 resounding salute and happiness towards. And
10 this committee and this kind of process is
11 one of the ways that we will try to bring
12 openness to the proceedings.

13 I think it is very important that
14 we have broad input, that people understand
15 what's going on, that we hear from the
16 scientific community, from the consumer
17 community, from the industry community, so
18 all that can be brought together and try to
19 make coherent and rational policy.

20 Finally, efficiency. We have a lot
21 to do. We don't have a lot of time to sit
22 around not getting things done. I'm not sure

1 people would normally associate a public
2 advisory committee with efficiency. We
3 usually think of that as an internal thing,
4 but I'm a big believer that the more
5 important issues that are brought open, out
6 front, in advance, the more that is going to
7 help our long term efficiency. There is
8 nothing that is more counter productive than
9 to keep everything inside under cover, spring
10 it on everyone, have it blow up in your face,
11 and then you are spending a lot of time back
12 tracking.

13 When you go back to focus on our
14 mission, basing on sound science, and open
15 process, and an efficient process, and all
16 the ways you know you can help us with that,
17 we will be looking forward to it. We will
18 need your advice on new products, on emerging
19 science, on implementation of new programs,
20 and you are clearly an advisory committee
21 I've been very pleased to see that doesn't
22 just meet four times a year. You are a

1 working group; you are engaged; you are hands
2 on, and I just think that's terrific, and
3 it's delighted to be able to come to a job
4 that has a system that is working so well.

5 Finally, priorities. Early on, as
6 I said, this is probably about my tenth day
7 or so, so you will forgive me if everything
8 is not lined up, but it is very clear our
9 highest priority is the President's Food
10 Safety Initiative.

11 In my 20 years at the FDA, I have
12 to tell you, I cannot think of another time
13 when the President of the United States is
14 out there saying go out there, go forth, do
15 good work, protect the consumer; we need to
16 enhance the safety of our products. It is an
17 invigorating experience for those of us in
18 FDA.

19 You heard some of the presentations
20 this morning. There is a lot going on. I
21 have been, I'll say, honestly amazed at the
22 amount that has happened so quickly, even

1 with FDA. When I've gone over to the Medical
2 Device Center, I worry very much about what's
3 happening over in Foods. Just the initial
4 briefings I've got on what is happening in a
5 public education campaign to fight back on
6 public meetings to deal with a produce
7 initiative, on a whole series of
8 implementation of seafood HACCP, and
9 extending it to juice, and on and on down the
10 line.

11 I will say it's a little chaotic
12 still. There is a lot going on, but it is
13 also a lot happening. I had the opportunity
14 yesterday to be down at the Old Executive
15 Office Building for a meeting with the head
16 of the FDA, Mike Friedman, the Undersecretary
17 for Food Safety at Agriculture, Kathy
18 Ridecki, and others, that had a meeting to
19 present the '99 budget where the President is
20 asking for an additional \$100 million for
21 food safety. Think about it. For FDA at
22 least, \$100 million for food safety is really

1 a significant, significant statement that the
2 Administration says we need to do better on
3 food safety.

4 It is significant for us, and we
5 are going to be putting all our force and
6 effort behind that.

7 Beyond that, in my first couple of
8 months, I'm going to spend a lot of time just
9 being oriented, notwithstanding having been
10 here 20 years as I said. There's a lot going
11 on that I don't know about, a lot of people.
12 There are some old acquaintances and
13 friendships reinforcing, but a lot of new
14 people I need to meet, understand, and get to
15 know, both within the Center, within other
16 parts of government. It's clear that the
17 food safety program is a government wide
18 program, USDA, EPA, CDC, and others.

19 We are going to work hard to forge
20 those together, but I'm going to spend a lot
21 of time just going around meeting with
22 people, meeting with consumer groups, meeting

1 with industry groups, meeting with people in
2 the Center, just to try to get a sense of
3 what this is.

4 Finally, I'm going to spend a fair
5 amount of time on recruitment. I'll be
6 asking you for advice and suggestions. We
7 have two significant positions right in the
8 Center Director's office that are open. One
9 is the director of the new Food Safety
10 Initiative. We will be looking for somebody
11 with strong scientific credentials,
12 preferably a background in infectious
13 diseases, preferably a medical background if
14 we can find the right person for the job.
15 Again, the focus is on food safety. We need
16 to bring the best leadership, the best
17 science to that.

18 If you have suggestions of people,
19 please forward them to me, to Lynn Larsen.
20 Let me know if you want to talk to me about
21 some ideas. Please call me directly. I'll
22 look forward to doing that.

1 We also have a deputy director for
2 what's called the deputy director for
3 programs, which really is, again, a
4 significant position that has responsibility
5 over food additives, over food labeling, over
6 a whole host of programs within the Center.

7 What that also means, I have to
8 tell you, since you see the slate is not
9 full, is that people who are there are
10 working double time, triple time, quadruple
11 time.

12 Janice Oliver, who is also a deputy
13 center director and is functionally now the
14 deputy center director until I really get
15 going, is just doing a fabulous job.

16 Bob Blake, who is the policy
17 director, again, long, long experience in
18 FDA. I'm very fortunate to have them at my
19 side teaching me, showing me not only the
20 ropes, but literally running the Center in
21 this time.

22 My goal, I would say a year from

1 now, is to have a full team in place, a clear
2 set of priorities. We will be having our own
3 internal priority setting process, and we
4 will be sharing that with you and getting
5 your advice along the way.

6 With that, I think the way I would
7 best summarize, and when I met with the
8 senior staff the first day, I said, you know
9 again, you look at, sometimes programs go in
10 cycles; sometimes you feel you are on the up;
11 sometimes you feel you are on maintenance;
12 sometimes you worry you are slipping a little
13 bit. This is a program on the rise. This is
14 a program with a bright future. This is a
15 program where there are a lot of needs and a
16 lot of work to be done.

17 As I said, I look out in the
18 Center, and all I see is opportunity. It's a
19 great time to be in the foods business. I'm
20 thrilled that you are all here to help, and
21 to teach, and to lead, and help me learn.

22 With that, let me thank you. Thank

1 you for your attention and mostly thank you
2 for your hard, and continued work, and
3 support.

4 DR. BRANDT: Are there any
5 questions? We have him at our mercy right
6 now. We will give you another week to sort
7 of find out what's going on; then we will
8 quiz you.

9 We are glad you are here. We
10 appreciate your being here. We hope you will
11 attend some of our meetings, if not all of
12 them.

13 I guess I have the most seniority
14 of anybody, since I've been here since the
15 beginning. I think somehow or other I have
16 tenure as chairman of this committee. I
17 don't know. Some strange thing happened.

18 MR. LEVITT: We could raise the
19 notion of a lifetime appointment.

20 DR. BRANDT: Yes. We have had some
21 interesting meetings, I must say, pickets,
22 the old bit at times. Welcome. Feel free to

1 call on us any time we can help as
2 individuals. I'll volunteer all their
3 individual efforts, as well as my own.

4 Let's go then to the White House
5 Commission report, Dr. Robert Moore.

6 MR. LEVITT: With your permission,
7 I'll stay and listen a little bit.

8 DR. BRANDT: Please. We hope you
9 will stay. Dr. Moore?

10 WHITE HOUSE COMMISSION REPORT OVERVIEW

11 DR. MOORE: Thank you for the
12 opportunity. I have slides. While they are
13 getting those started up, the introduction
14 ones probably aren't too relevant.

15 In 1994, Congress passed and the
16 President signed the Dietary Supplement
17 Health and Education Act of 1994, hereafter
18 referred to as DSHEA. Among other reasons,
19 it was the intent of Congress to amend the
20 framework used by FDA to regulate
21 supplements, primarily to promote the
22 availability of information that consumers

1 could use to make informed decisions about
2 the use of these types of products to promote
3 and maintain their health.

4 Among the other changes brought
5 forth by the amendments, two related directly
6 to this idea of increasing the amount of
7 information available to consumers. First,
8 it provided an exception for dietary
9 supplements that enabled them to make certain
10 claims on the label and, in their labeling
11 that prior to that time, would have subjected
12 the product to regulation under the drug
13 provisions of the Act. Generically, these
14 types of claims are commonly referred to as
15 structure function claims.

16 The amendments also provided an
17 exception that would enable certain types of
18 published materials to be used in the
19 promotion of a supplement and not be
20 considered labeling. This is relevant, in
21 that labeling can be used to establish the
22 intended use of an article under the Act and,

1 perhaps, subject it to regulation under the
2 drug provisions.

3 Therefore, it appears that the
4 primary intent of the Act was to provide
5 certain types of health related claims that
6 didn't go as far as health claims, or
7 disease, or drug type claims to be used for
8 dietary supplements.

9 However, in establishing this new
10 statutory framework for claims in dietary
11 supplements, Congress also recognized that
12 much was still not understood about the type
13 of information that would be most useful,
14 enabling consumers to make informed
15 decisions, both about the type of information
16 that would enable them to decide what types
17 of supplements may be useful for their
18 particular circumstances or lifestyle, but
19 also what information would be useful in
20 enabling them to avoid products that might
21 also be inappropriate given their individual
22 circumstances.

1 Thus, DSHEA contained a provision
2 to establish a Presidential Commission on
3 dietary supplement labels, herein the
4 Commission. It's mandate was to study and
5 develop recommendations to the Secretary for
6 the regulation of label claims and statements
7 on dietary supplements, the use of literature
8 in connection with the sale of supplements,
9 and procedures for the evaluation of such
10 claims.

11 In developing these
12 recommendations, the Commission mandate was
13 to consider how best to provide truthful
14 scientifically substantiated and not
15 misleading information to consumers that
16 would enable them to make informed decisions
17 on the use, benefits, and limitations of the
18 use of various supplements.

19 The Commission was enpaneled in
20 February 1996 and released its final report
21 on November 24, 1997. The report provides
22 guidance and recommendations over a broad

1 range of subjects, including safety, the type
2 and presentation of information on the label
3 and in the labeling, the treatment of health
4 claims under the Nutrition Labeling and
5 Education Act, the scope of the so-called
6 structure function claim, and the
7 substantiation necessary for them, and the
8 treatment of the so-called third party
9 literature used in association with the sale
10 of the products.

11 It also separately treated the
12 issue of botanical supplements under DSHEA,
13 and how a separate framework may also be
14 appropriate for them under the drug
15 provisions of the Act.

16 Guidance in two areas of the report
17 address areas directly related to the mandate
18 of the Commission, that is, what information
19 do consumers need? And how do they use it to
20 make purchase decisions? And second, how to
21 assure that consumers have information as to
22 what products may not be best for them.

1 It recommended that FDA work with
2 outside entities to address these needs. It
3 is these two areas that the Agency is asking
4 the committee today to consider.

5 First, how can FDA work with
6 industry and other interested parties in
7 identifying and sharing information on
8 emerging safety problems in the market place,
9 and then work to rapidly resolve them to the
10 consumers' benefit.

11 And second, how do we develop and
12 execute studies to gain a better
13 understanding of what type of information is
14 most helpful to consumers in making purchase
15 decisions and adjusting the Agency's
16 regulatory framework towards dietary
17 supplements to accommodate such findings.

18 Today, I'd first like to summarize
19 the overall findings contained.

20 The Commission addressed three
21 broad areas of information labeling,
22 nutrition information and format, health

1 claims under the Nutrition Labeling and
2 Education Act of 1990, and the scope of
3 structure and function claims, and I'll touch
4 on each one of these briefly.

5 On September 23rd, the Agency
6 published final regulations that would
7 implement the nutrition labeling and nutrient
8 content claim provisions of DSHEA. These
9 regulations become effective in March of
10 1999. In essence. DSHEA provided for
11 slightly different presentation of
12 information on the labels. For example,
13 within the facts box, foods can only list
14 nutrients that have a daily value established
15 by the Agency. They cannot list the source
16 of the material that provides that nutrient.

17 DSHEA amends that and, for all
18 practical purposes, allows nutrients to
19 appear in the supplement facts panel that
20 don't have a DV established by the Agency,
21 and they are allowed to identify the specific
22 ingredient in the product that provides that

1 nutrient.

2 The Commission, in general, did not
3 offer specific guidance regarding labeling
4 and supported the Agency's rulemaking
5 incorporating these requirements into the
6 regulations, and except for a few technical
7 areas that will have to be revisited, the
8 regulations as published will go into effect
9 in approximately 18 months and become the
10 mandatory labeling for supplements.

11 Under NLEA, it was provided that
12 manufacturers could make certain claims
13 referred to as health claims about the
14 relationship between a substance and a
15 disease and labeling, if there was
16 significant scientific agreement that the
17 claim was scientifically valid, and the
18 Agency had authorized the use of that claim
19 prior to its being incorporated into the
20 labeling.

21 The Agency published implementing
22 regulations which treated conventional foods

1 and dietary supplements the same, even though
2 the statute provided FDA the flexibility in
3 treating health claims on these two
4 categories of food products differently.

5 The Agency concluded that because
6 of these claims and their relationship to
7 associations between substances and serious
8 chronic disease processes for which diet is
9 but one possible factor, that the standard
10 should be the same as to create a level
11 playing field, and promote dietary changes,
12 and minimize consumer confusion, if the
13 Agency had established different standards
14 and processes for the two types of food
15 categories.

16 The Agency also believed at that
17 time that the significant scientific
18 agreement standard that was based on the
19 totality of the publicly available
20 information was appropriate for both foods
21 and supplements.

22 The Commission report generally

1 agrees that this approach was sound, and that
2 sound public policy in the interest of
3 consumers had been best and continue to be
4 best served by adhering to a rigorous
5 scientific standard for the validity of
6 health claims.

7 As recently discussed, the FDA
8 Modernization Act of 1997 will result in a
9 somewhat different framework for the
10 authorization and use of health claims, but
11 that is beyond the scope of our discussions
12 today, and the Agency will address those
13 issues in some future rulemaking.

14 The Commission did express,
15 however, some concern about the process that
16 FDA has used in the past to review health
17 claim petitions and felt that it could be
18 improved to include more input from experts
19 and bodies outside the Agency which could
20 serve to broaden the expertise in evaluating
21 the given set of evidence.

22 As we heard earlier, that issue in

1 part will be addressed by the issue of
2 authoritative statements from government
3 bodies in the future.

4 DSHEA also added Section 403(r)(6)
5 to the Act, and what this did was provide for
6 dietary supplements to make certain types of
7 claims, which the amendments term statements
8 of nutritional support, but if you will, in
9 street language, have been referred to as
10 structure function claims in their labeling
11 under a set of prescribed conditions.

12 First, it had to be acclaimed about
13 either a classic nutrient deficiency disease,
14 or a claim about the effect of a substance on
15 a structure or function of the body, or the
16 mechanism by which the substance affected the
17 structure or function of the body, or a claim
18 about general well being. Such claims are
19 permitted without prior authorization by FDA,
20 provided they meet other requirements in that
21 section.

22 First, that the manufacturer have

1 substantiation that the claim is truthful and
2 not misleading. That the claim contain a
3 disclaimer that is stipulated in the statute
4 and that the firm makes notification,
5 notifies the Agency that they are using the
6 claim within 30 days of making the claim.

7 However, what constitutes such a
8 claim and where the line between an
9 acceptable and unacceptable claim, if you
10 will, is not clearly delineated in the
11 statute.

12 The Commission considered this
13 issue in some detail and felt that such
14 information should provide useful
15 scientifically valid information, which I'll
16 touch on in a minute.

17 Second, they felt that such claims
18 should not suggest disease prevention or
19 treatment. Under the Act, claims about
20 treating, preventing, mitigating, diagnosing,
21 curing disease, cause the product to be
22 subject to regulation under the drug

1 provisions of the Act.

2 Thus, the question of when a
3 structure function claim crosses an imaginary
4 line to become a disease claim is important
5 with respect to information that can be
6 placed on the label and in the labeling of
7 dietary supplements.

8 The Commission felt that claims
9 could include mention of organs, tissues, et
10 cetera, and not be disease or drug claims
11 within the meaning of the statute. However,
12 they noted that such claims clearly must be
13 within the ability of consumers to evaluate
14 the claim in the context of any underlying
15 implied relationship to some type of disease
16 or abnormality.

17 In general, they concluded that
18 claims such as restoring normal or correcting
19 abnormal could be interpreted as to be
20 implied disease claims and subsequently may
21 cause a product to be subject to regulation
22 under the drug provisions of the Act.

1 The Commission also agreed with the
2 concept that structure function claims are
3 distinct from health claims and drug claims,
4 and that they should not state or imply
5 linkage between the supplement or its
6 ingredients and the prevention and treatment
7 of disease, including explicit use of the
8 words, treat, cure, prevent, mitigate, in the
9 absence of any other linkage to a disease or
10 abnormality.

11 The Commission also provided
12 guidance on the type of information necessary
13 to substantiate a structure function claim.
14 It recommended that a person establish files,
15 but it did not address the issue of whether
16 such information should be available to
17 consumers desiring to know the basis of a
18 claim being made by a manufacturer or other
19 responsible party.

20 The Commission believed that such
21 evidence should include experimental or
22 clinical data or findings of authoritative

1 bodies. It felt such information should be
2 balanced, that it should be evaluated by an
3 individual qualified by training and
4 experience, but it also concluded that
5 historical use could be cited as evidence for
6 a statement, although it noted that the
7 product must correspond to the composition of
8 the historical product, and that such claims
9 must be in their words carefully qualified to
10 prevent misleading consumers.

11 However, it should be noted that
12 there was considerable discussion between the
13 commissioners, and it is reflected in the
14 report as to what would constitute the
15 appropriate balance between scientific and
16 historical data for the purposes of
17 substantiating a structure function claim.

18 The Commission also stated in their
19 report that the safety of the product was a
20 key element that should be included in the
21 substantiation of any product claim, but it
22 did not elaborate on the appropriate balance

1 between -- again using the ordinary
2 meaning -- the risk and benefit in
3 considering safety in relationship to any
4 purported benefits of the product.

5 DSHEA also included a provision
6 under Section 403(b) that provides for the
7 use of published literature in the sale of
8 dietary supplements. Literature used
9 directly in the sale of a product is
10 generally considered labeling under the Food,
11 Drug and Cosmetics Act. Such information can
12 be used to establish the intended use of the
13 product for purposes of determining whether
14 it is subject to regulation as a food or
15 under the drug provisions of the Act.

16 DSHEA provided an exemption from
17 being considered to be labeling certain
18 publications used in connection with the sale
19 of a dietary supplement. These provisions
20 apply to specifically a publication,
21 including an article, a chapter in a book or
22 an official abstract of a peer-reviewed

1 scientific publication that appears in an
2 article and was prepared by the author or the
3 editors of the publication, and which is
4 represented in its entirety.

5 Generally, this seems to mean that
6 literature in its native form, native,
7 undoctored or unaltered form, may be used,
8 but that summaries and similar types of
9 literature preparations can't be without
10 risking being considered as labeling within
11 the meaning of the law.

12 The statement of agreement that
13 accompanied the Act seems to bear this out in
14 that it states that this provision doesn't
15 apply to summaries of a publication, other
16 than an official abstract of a peer-reviewed
17 scientific publication.

18 The exemption thus can be claimed
19 if it meets five pre-conditions established
20 in the statute. One, that it is not false or
21 misleading. Second, that it doesn't promote
22 a specific brand or manufacturer. Third,

1 that it is displayed or presented such that a
2 balanced view of the available scientific
3 information is there, which has generally
4 been interpreted to mean that it prevents
5 both the positive and the negative, not that
6 it has to include all of it, just a
7 representative piece. Fourth, that it is
8 physically separate from the supplements, if
9 it's displayed in a retail environment, and
10 lastly, does not have appended to it any
11 information, which in the ordinary sense of
12 the meaning of the word would mean doesn't
13 have a company logo or call-me-to-buy-it type
14 information, that it is strictly a neutral
15 document.

16 However, it left a number of issues
17 unanswered and unresolved. The Commission
18 report, while pointing out that these factors
19 remain unresolved, did not offer any bright
20 line definitions that would serve to clarify
21 what constitutes a publication, and going to
22 the third one, where the use of third party

1 literature, that is, documents that would
2 summarize the scientific evidence that would
3 be prepared by someone other than the
4 manufacturer or the scientist and then made
5 available to consumers, what constitutes a
6 balanced presentation as far as the quantity
7 of the positive and negative material and the
8 spin put on it thereof, whether or not this
9 information can be provided to consumers
10 without subjecting the manufacturer to the
11 possibility that if references of some of the
12 information in there is related to diseases,
13 would then represent it being used against
14 them as representing it for other than food
15 use within the meaning of the drug
16 definitions, and then finally, whether or not
17 promotion of a product, whether a
18 manufacturer who prepares this information
19 and includes it with his materials, whether
20 that is with the product or physically
21 separate.

22 This is important, not so much only

1 in the retail environment, but in mail order
2 and catalog sales type stuff, where proximity
3 isn't physical as much as it's spatial on the
4 piece of paper.

5 Moving from claims, botanicals
6 formed a large part of the discussion of the
7 Commission, but will constitute the smallest
8 of my comments here. Most of the issues are
9 outside the area of responsibility of the
10 Center for Foods.

11 Botanicals were noted to be a
12 particularly complex issue. The Commission
13 generally felt there should be a provision
14 within FDA's regulatory approach to
15 botanicals to at least enable many of these
16 products to be marketed as either a food or
17 as an over the counter drug product.

18 In part, this reflects their view
19 and, I think, the common perception of most,
20 that many of these products derive their
21 consumer interest because of their use in
22 traditional medicine systems.

1 The Commission recommended that FDA
2 consider alternative regulatory frameworks to
3 accomplish this guidance, that botanically
4 derived ingredients have an opportunity to be
5 marketed as either OTC drugs or as dietary
6 supplements, and included in their guidance,
7 the possible use of disclaimers to advise
8 consumers that these were not supplements,
9 that perhaps their evidence of substantiation
10 was less than for traditional OTC drugs or an
11 approval mechanism outside of the OTC
12 monograph system.

13 In moving towards this, the
14 Commission felt that a study of alternative
15 approaches used elsewhere in the world to
16 regulate such products may be helpful to the
17 Agency in moving towards consideration of
18 these recommendations.

19 As I said, the issue of how to
20 treat drugs under the OTC monograph and under
21 the drug provisions of the Act would be the
22 responsibility of FDA's Center for Drug

1 Evaluation and Research, and thus, I won't
2 attempt to comment on what their approach may
3 or may not be.

4 Finally, before I move onto some of
5 the other more germane subjects for today,
6 the issue of research on dietary supplements
7 was addressed. DSHEA also established an
8 Office of Dietary Supplements in the National
9 Institutes of Health, and they have the
10 primary charge of coordinating federal
11 efforts of research into the health and
12 therapeutic benefits of dietary supplements
13 and then offering advice to the Secretary,
14 and the Commissioner, and other pertinent
15 persons within the department on how best to
16 apply this information and knowledge.

17 However, the Commission also
18 addressed that there were a number of
19 barriers that applied to the generation of
20 supplements, that it may be that the Agency
21 might be able to consider, as far as
22 promoting better and more research in this

1 area, both by the government and by the
2 regulated industry.

3 First, it noted that economies of
4 scale were significant, that many firms --
5 this is an industry that to a degree is
6 dominated by relatively small businesses.
7 These are not the Merck's and Proctor and
8 Gamble's of the world. Thus, individual
9 firms may lack the resources to conduct the
10 types of prospective control clinical studies
11 necessary to provide a level of
12 substantiation, either to support OTC use or
13 to provide a level of scientific
14 substantiation as it is commonly understood
15 by many.

16 Thus, it was felt there may be
17 opportunities for the government and the
18 industry working as a group to come up with a
19 different mechanism for joint funding or
20 cooperative funding of research programs that
21 would both substantiate claims and be useful
22 in advising consumers of the appropriateness

1 for use of these products.

2 The nature of the research is also
3 an issue. Many of the claims for supplements
4 as noted are based on their use in
5 traditional medicine. Many of the outcomes
6 for both ethical and practical reasons are
7 difficult to subject to a perspective placebo
8 control type of study paradigm, and thus,
9 there was some discussion in the report that
10 the government could facilitate developing
11 some guidance and some types of cooperative
12 projects that would obviate the need for
13 these types of controlled intervention
14 studies and disease states, particularly in
15 developing guidance and practical
16 applications, in deriving some types of
17 information from perspective or case control
18 type studies, like epidemiologic studies and
19 the like.

20 Finally, one of the issues raised
21 was the development of government initiatives
22 to stimulate research. One of the options or

1 suggestions was that the Agency consider a
2 mechanism that would enable, if a certain
3 degree of substantiation was met, that a firm
4 would have the option of, for example, not
5 including the disclaimer required under the
6 statute as part of its claim.

7 It is unlikely that FDA on its own
8 could choose to ignore a statutory provision
9 of the Act, and as the Commission points out,
10 in certain instances, a legislative fix is
11 what would be needed, and perhaps both the
12 Agency and other public health agencies
13 within the government as well as the
14 industry, it might prove useful to explore
15 certain types of incentive mechanisms that
16 would both encourage the conduct of basic
17 research studies to substantiate claims in
18 the private sector and explore both
19 regulatory and legislative approaches that
20 would both stimulate and codify those.

21 The major portion of the early part
22 of the report, and part of it which bears

1 directly on today's committee deliberations
2 are safety issues related to the use of
3 dietary supplements in the market place.

4 The Commission stated in their
5 report that they considered it axiomatic,
6 that marketed supplements should be safe, and
7 in its report it separated out four general
8 issues related to this:

9 First, responsibility both of
10 industry, government, and consumers in
11 addressing this issue; surveillance for
12 emerging problems; actions by the government
13 against unsafe products; and appropriate use
14 of warning statements and directions for use
15 on the labels and in the labeling.

16 The Commission felt that
17 manufacturers bear the primary responsibility
18 for marketing safe products and in lieu of
19 this, it should be noted that one of the
20 provisions of DSHEA was a removal from
21 coverage under the pre-market approval
22 provisions of the food additive regulations

1 for dietary supplements.

2 The burden under DSHEA is on the
3 manufacturer to assess the evidence that they
4 have, to make it as the basis for a
5 conclusion that a product is safe.

6 However, the report is largely
7 silent on the Commission's interpretation of
8 the term "safe," and what it meant it to
9 mean. The report mentions that the
10 government also is obligated to have in place
11 adequate GMP regulations and other mechanisms
12 to alert the public to safety problems and to
13 initiate re-calls.

14 In line with this, in February of
15 1996, the Agency published an advanced notice
16 of proposed rulemaking dealing with good
17 manufacturing practices for dietary
18 supplements. The second part of the
19 Commission's deliberation after this will be
20 considering a couple of the issues raised in
21 that GMP rulemaking that was initiated at the
22 industry's behest after they came in with a

1 proposed GMP framework for consideration by
2 the Agency.

3 It should also be noted that FDA
4 has no mandatory re-call authority over foods
5 and thus, a limited enforcement tool with
6 respect to re-calls is court action to seize
7 a product or to encourage voluntary re-call
8 by a firm who may have a product with safety
9 problems.

10 Finally, the Agency does have
11 mechanisms to disseminate information to the
12 public when safety problems or dangers arise
13 in the market place.

14 The Commission encouraged and
15 reminded the Agency that it was its
16 responsibility to take prompt action against
17 unsafe products. Under the Act, FDA has the
18 authority to remove unsafe products from the
19 market place, primarily through its legal
20 authority to initiate seizure proceedings,
21 but in the case of dietary supplements, the
22 burden is on FDA to prove that the existence

1 of significant or unreasonable risks exist
2 under the labeled conditions of use or under
3 ordinary conditions of use for a dietary
4 supplement before it can argue that it's an
5 adulterated product.

6 This is a resource intensive
7 undertaking, particularly if it involves
8 multi-ingredient products or novel
9 ingredients for which there is not a lot of
10 medical or scientific information available,
11 and there is little practical knowledge of
12 the ingredients used in humans or, at least,
13 under the conditions of use that it is being
14 entered into the market place.

15 The Commission recognized these
16 issues, the relative paucity of information
17 on certain ingredients, a lack of analytical
18 methods, and in many instances, the
19 difficulty in doing trace backs with dietary
20 supplements and separating out effects in
21 multi-ingredient products, that resource
22 constraints may exist to limit the ability of

1 the Agency to develop the evidence necessary
2 to take action against certain unsafe
3 products, but the Commission also stated that
4 the industry must accept its share of the
5 responsibility for ensuring the safety of the
6 supplements before they enter the market
7 place, and when unexpected actions may appear
8 in the market place, that they take the
9 necessary steps under their ability to recall
10 products, to remove unsafe products or
11 products for which there may be questions of
12 safety.

13 Under DSHEA, safety is linked to
14 dosage and directions for use, even if higher
15 doses may be harmful. The Commission
16 concluded that consumers should be provided
17 clear and adequate dosage recommendations.
18 Moreover, they felt that a warning should be
19 utilized when the need for a warning is
20 indicated for the safe use of a product.

21 For example, if a product may be
22 subject to abuse or there is risk of adverse

1 effects, dosages in excess of the recommended
2 usage on the label, or if there were certain
3 subpopulations that may be particularly at
4 risk or have a narrower tolerance, the
5 Commission suggested that if manufacturers
6 were not adequately providing warning about
7 potential hazards, FDA should use its
8 authority to require a warning statement.

9 While the Agency has in the past
10 and continues to consider the use of warning
11 statements on products, for products that
12 don't meet a standard for which a ban would
13 be an appropriate remedy, it must be
14 remembered that the process that must be used
15 by the Agency, notice and comment rulemaking,
16 is to a degree not a process that lends
17 itself to rapid resolutions of eminent hazard
18 type concerns, and while certainly the Agency
19 has the authority and will continue to use it
20 with regard to warning statements, this is an
21 area that the Commission recognized that both
22 industry and the Agency can work together to

1 probably find a better approach than simply
2 relying on reactions to emerging market place
3 problems and resolving them through notice
4 and comment rulemaking.

5 The Commission urged FDA, industry,
6 the scientific community and consumer groups
7 to work together to improve post-market
8 surveillance systems, including reporting
9 systems to ensure rapid identification and
10 correction of emerging safety problems.

11 The Commission recognized that
12 current systems are passive in nature, that
13 there is no authority of FDA to mandate the
14 reporting of adverse events or safety
15 problems to the Agency. It also noted that a
16 number of systems exist independently, poison
17 control systems, the Agency's MedWatch and
18 its food adverse event reporting system, the
19 USP has a system for products to meet its
20 requirements. That coverage is limited and,
21 in some cases, only for certain uses of a
22 given product.

1 The Commission noted that all of
2 these systems have inherent weaknesses. They
3 are voluntary, and thus, they are likely to
4 under report emerging safety problems in the
5 market place. The reports need critical
6 review, which may not always be timely and
7 may not always get reported. The quality is
8 uneven both through the various systems and
9 depending on the originating source. Some
10 may originate with consumers. Some may
11 originate secondhand. Some may originate out
12 of emergency rooms and have a fairly detailed
13 record.

14 Finally, time lags may exist
15 largely because the reports are coming into
16 many sites collecting the information, into a
17 Center database, to identify emerging
18 problems. Nonetheless, the Commission
19 recognized that these types of systems can
20 provide wide coverage and are relatively cost
21 effective.

22 The Commission felt that the

1 post-market surveillance could be improved,
2 however, and it urged FDA, industry and
3 others, including the medical community and
4 consumer groups to work together to improve
5 the systems, including information collection
6 and to ensure that safety problems that arise
7 are identified and corrected promptly.

8 Thus, part of what the Agency and
9 the Center is asking the committee to
10 consider today are the broad issues related
11 to the Commission's guidance with regard to
12 post- market surveillance systems.

13 First, the Commission noted that
14 post-market monitoring is more than adverse
15 events. It includes impurities,
16 contaminants, safety, and although unsaid
17 explicitly, product quality. As noted,
18 reporting of adverse events, consumer
19 complaints, product problems, is not
20 mandatory under the Food, Drug and Cosmetics
21 Act.

22 Moreover, the Commission repeatedly

1 stated that industry as well as government
2 has an obligation to ensure the safety of
3 marketed products with problems, which
4 presumably includes the identification of
5 emerging safety issues. Thus, to assist
6 industry and the Agency in meeting its
7 responsibilities, one of the things that we
8 are asking the committee to consider today is
9 to identify the medical, the toxicologic, and
10 the communications principles and guidance
11 that can assist both us and them in
12 establishing a system that is more
13 comprehensive than the current --
14 "hodgepodge" is the wrong word, but the
15 current spread out system among many players
16 to collect, evaluate and report potential
17 safety problems, such that interventions can
18 be undertaken.

19 The second charge in the general
20 sense is that the Commission emphasized the
21 obligations the government has to identify
22 safety threats and communicate them to the

1 public and to the regulated industry in case
2 they have not identified them independently.

3 The Agency accepts such
4 responsibility seriously and is asking the
5 committee to consider mechanisms that would
6 enhance the Agency's ability to share its
7 post-market surveillance information with
8 consumers, industry and others to provide a
9 more real time and a more comprehensive
10 treatment of the information that the Agency
11 has in its databases.

12 The Commission also addressed a
13 number of issues related to the provision of
14 information to consumers and other users of
15 supplements, namely health care
16 professionals, but the Commission recognized
17 that there is little research on what
18 information they use, where they get their
19 information, and how they apply it to their
20 purchase decisions.

21 The evaluation of consumer
22 information needs relating to the use of

1 supplements is important, but it is an area
2 where a paucity of information exists. The
3 Commission raised several questions that are
4 critical in developing policy or regulations
5 on what specific information or format would
6 be most effective in getting information to
7 consumers.

8 How do consumers view current label
9 information? And is it adequate to meet
10 their needs? And does it vary among groups,
11 perhaps older adults versus younger persons
12 who are looking for different types of
13 information or perceive certain claims in a
14 different light, depending on their own
15 circumstances?

16 We also don't know how non-label
17 information is used. For example, do
18 consumers rely on books and other media to
19 make purchase decisions relative to a primary
20 reliance on labels and labeling? Or do they
21 rely on advertising in commercials or other
22 types of information?

1 Finally, what is the extent of
2 understanding and use of currently allowed
3 label statements? Do consumers distinguish
4 between health claims and structure function
5 claims and the underlying basis that goes
6 along with each one? Do they understand the
7 difference between the substantiation and
8 evidentiary standards underlying the
9 different kinds of claims, or are all claims
10 perceived in the same light and thus the
11 current framework doesn't really meet the
12 statutory goals of providing information that
13 consumers can use to make informed decisions?

14 The Commission also addressed the
15 guidance provided by some professionals and
16 organizations on the use of supplements. The
17 Commission argued that such advice maybe
18 colored or biased by negative pre-conceived
19 notions and attitudes on the parts of the
20 person providing the advice or information,
21 and that consumers may be better served if
22 more and better information were available to

1 professionals to interpret and apply into
2 their various practices.

3 The Commission urged professionals
4 to become more knowledgeable, but recognized
5 that limitations on the availability of
6 information for them in relationship to their
7 specific specialties exist, and thus it isn't
8 at all clear what types of information and
9 where they would get it would enable health
10 professionals to better advise whoever their
11 clients may be on the use of supplements.

12 The Commission recognized a lead
13 role for industry in this effort. It
14 encouraged industry to develop summaries of
15 evidence and make them available to
16 consumers. It also recommended that publicly
17 available databases could be developed alone
18 or in cooperation with the government and
19 other interested parties that would enable
20 consumers and professionals access to the
21 latest information that they could use to
22 then make their purchase decisions.

1 It also urged industry to consider
2 establishment of an expert panel to provide
3 scientific review and guidance regarding the
4 safety benefits and appropriate labeling of
5 dietary supplements.

6 Thus, the Commission recognized
7 that little is known about consumer use of
8 information and how various types of
9 information are used in their decision
10 making, but the Commission report recognizes
11 that a public/private partnership will likely
12 be needed to best undertake how to best
13 understand how consumers use various
14 information in their purchasing decisions and
15 then to implement public and private
16 practices to develop and convey that
17 information.

18 Thus, FDA is asking the committee
19 to consider what current research information
20 is available on consumer use and
21 understanding of currently available label
22 information. What type of data is currently

1 available on consumer use of nutrition
2 information in making purchase decisions and
3 what type of information is likely to assist
4 consumers most in making decisions about
5 using dietary supplements? What gaps, if
6 any, exist in data on how consumers use and
7 understand the various claims presently in
8 the marketplace, i.e., drug claims, health
9 claims and structure function claims? And
10 finally, do consumers understand and are they
11 able to differentiate between the meanings
12 and intended uses conveyed by these different
13 types of claims that are authorized by the
14 statute?

15 That's the end of my comments. I
16 can address any questions.

17 DR. BRANDT: Are you going to be
18 able to stay around for a little bit?

19 DR. MOORE: Yes, I can stay as long
20 as you need me.

21 DR. BRANDT: I think what we will
22 do is to get Dr. Castro, Dr. Elizabeth

1 Castro, to give her presentation, and then we
2 will put both of you up there on the griddle.

3 DR. MOORE: Okay.

4 PERSPECTIVE ON WHITE HOUSE COMMISSION REPORT

5 DR. CASTRO: Good morning. My name
6 is Elizabeth Castro. I'm with the Office of
7 Disease Prevention and Health Promotion. I
8 had the privilege to serve as senior research
9 fellow for the Commission on Dietary
10 Supplement Labels. I am standing in for the
11 Executive Director, Dr. Kenneth Fisher, who
12 sends his greetings and wishes he could be
13 here with you this morning, but I am very
14 happy to do so.

15 I see there is one of the former
16 members, a scientist, Dr. Annette Dickinson
17 in the audience, and I would like to greet
18 her and acknowledge her.

19 It's hard to follow Bob Moore. He
20 gave a very in depth analysis of the
21 Commission, its report. What I would like to
22 do is give you some of the highlights of the

1 Commission findings and then probably go into
2 perhaps a little more detail of several
3 issues that I was told were very important to
4 you this morning.

5 I should reference this by saying
6 that the executive director and the chair,
7 the former chair of the Commission, put forth
8 the idea that the Commission's report, and I
9 believe you all have a copy of it, would
10 stand on its own, and that interpretations,
11 reading between the lines, et cetera would be
12 unnecessary. Most of my comments are going
13 to be very straightforward ones, and perhaps
14 your questions can help bring out some other
15 ideas that you would like to know about.

16 As I said, Bob did a very
17 outstanding job of summarizing, but these are
18 highlights that I guess should be presented,
19 particularly knowing what was on the
20 Commission's mind in devising this. First of
21 all, safety was the primary concern, we will
22 come back to that in a moment, in terms of

1 public health. The approval process was
2 deemed should be similar for conventional
3 foods and for dietary supplements.

4 The Commission proposed guidelines
5 for statements of nutritional support. They
6 reaffirmed that botanical products should
7 continue to be marketed as dietary
8 supplements. They came out as determining
9 that balanced and misleading summaries of the
10 evidence substantiating statements of
11 nutritional support and product safety should
12 be available to the public, and that the
13 Agency should establish a review panel for
14 over the counter claims for botanical
15 products when manufacturers wish to make
16 claims of preventive or therapeutic uses.

17 Finally, two ideas came forward
18 under the framework of research that the
19 Office of Dietary Supplements should be the
20 focal point for health research and that
21 Congress should fund at the level authorized
22 by DSHEA, the office and the activities of

1 dietary supplements.

2 The critical nature of the points
3 that are raised here were arrived at in a
4 very long process, and it is the perspective
5 of the staff that the Commission did an
6 outstanding job of taking its charge from
7 DSHEA, addressing many very difficult issues
8 that are very complicated, and reaching a
9 consensus and providing some input and
10 outcomes for in depth analysis, of which you
11 are involved now. They advanced for us the
12 whole environment of the learning curve for
13 dietary supplements.

14 Now, what I'd like to do just very
15 briefly is give you some insight on the issue
16 of consumer research and post-marketing
17 surveillance, which I know you are tasked
18 with straight away.

19 The Commission looked at consumer
20 research on two different times. First of
21 all, from the standpoint of use and
22 demographics, who uses what, how much, what

1 are the product descriptions, et cetera.

2 Secondly, consumer information needs and
3 assessment of consumer understanding.

4 Under that first one of use, the
5 Commission recognized that information on the
6 research into vitamins and minerals is very
7 well documented. The staff assembled for the
8 Commission data from the NHANES studies, the
9 1980 FDA vitamin/mineral supplement intake
10 survey, the national health interview surveys
11 in HIS cancer risk factors supplement,
12 unpublished studies from FDA, market studies
13 from industry, all of these being viewed as
14 being very critical to the overall thrust of
15 understanding consumer use and describing the
16 consumer themselves.

17 Also, as requested by the
18 Commission, the staff met with a staff of the
19 National Center for Health Statistics and
20 discussed features in previous NHANES studies
21 and future NHANES studies, giving credence to
22 the fact that the Commission felt very

1 strongly that these sources of information
2 were extremely critical, should be continued,
3 and should be supported.

4 Another idea arose that I think is
5 worth noting. I said that vitamin and
6 mineral research was very well established
7 and should be continued in their view. It
8 was also thought that research beyond
9 vitamins and mineral supplementation is very
10 important and worthy of critical research, so
11 that research on consumer use of botanicals
12 and non-nutritive substances should be
13 considered or should be supported strongly
14 because there is a very large gap in
15 comparison with the more traditional research
16 on vitamins and minerals.

17 In summarizing this, the Commission
18 felt a strong continuing need for assessment
19 of data on who, how much, what types, and
20 also beyond vitamins and minerals.

21 Also, I think it would be fair to
22 say that there was an interest in defining

1 methodologies and improving the precision of
2 the description of products that would be
3 very important for the consumer, given that a
4 large percentage of consumers use dietary
5 supplements, and it would be impacting on
6 their total nutrient intake, which is often a
7 very critical part of many research areas
8 separate from dietary supplements.

9 A second type of consumer research
10 that the Commission put its attention to was
11 assessing consumer understanding and consumer
12 information needs. It was brought out in the
13 report, if one can make the analogy between
14 foods, other than dietary supplements, and
15 dietary supplements and claims on each one,
16 that for foods other than dietary
17 supplements, it was difficult for consumers
18 to distinguish between nutrient content
19 claims and health claims, and the reference
20 was given in the report.

21 If that fundamental difference is
22 difficult for consumers, then what else is

1 difficult and how can this be assessed? In
2 fact, there are some professionals in the
3 field who have difficulty in determining the
4 difference between nutrient content and
5 health claims. The same would be true with
6 statements of nutritional support.

7 Many questions arise. What we are
8 trying to do for the consumer is very
9 laudable and very important. Is it useful
10 for them and how will they use the
11 information.

12 This guidance was given by the
13 Commission. The Commission urges that
14 dietary supplement labeling be evaluated in
15 additional consumer research to determine
16 whether consumers actually want and can
17 utilize the information provided by existing
18 FDA regulations, by the regulations of DSHEA,
19 and in the recommendations of the Commission.

20 The Commission recognized that
21 consumer understanding of statements, of
22 nutritional support and health claims, as

1 well as consumer perceptions of dietary
2 supplements use, based on literature at the
3 point of sale, are important aspects of the
4 use of information and really do require
5 additional and continual assessment, because
6 there will be a dynamic system in which we
7 are working. It will be changing, so
8 continual assessment should be there as well.

9 There was a corollary to this
10 research that the Commission raised, which is
11 that research is needed on the attitudes of
12 health and nutrition professionals towards
13 supplements and the extent to which these
14 attitudes are sufficiently informed and
15 specific.

16 The Commission felt the reason this
17 is important is because these health
18 professionals, and they encompass many
19 fields, are advising consumers, providing
20 information to consumers, so they themselves
21 need to be evaluated, their level of
22 knowledge assessed, and how can information

1 be more readily available to professionals in
2 order to help consumers is another area of
3 study.

4 An idea that was perhaps lightly
5 touched on by the Commission's report, but
6 given, nonetheless, some significance, was
7 what might be behavioral correlates of
8 dietary supplement use. What could be
9 developed into studies, such as lifestyle
10 studies and dietary use, should be continued
11 and encouraged.

12 Another idea that was raised and is
13 in the report is that the Agency should be
14 encouraged to take the initiative and any
15 instances that are feasible in cooperating
16 with the Office of Dietary Supplements and
17 use that focal point for the Agency's vantage
18 point.

19 I'm going to leave consumer
20 research for a moment, and maybe we will come
21 back to it if you have questions. I just
22 would like to say a word or two about

1 post-marketing surveillance. This was, of
2 course, addressed in the realm of safety,
3 which was the number one priority of the
4 Commission. The Commission recognized the
5 importance of voluntary systems as Bob
6 listed, and there are many of them.

7 These passive systems are very
8 necessary components for public safety, but
9 the weaknesses inherent in them are pointed
10 out in the report, and it was hoped that
11 there will be some mechanism or mechanisms by
12 which these disparate groups, with a common
13 interest, can be linked and can be perhaps
14 coordinated and to share their information.
15 Details were not given. Specifics were not
16 given, and I think in deference to the
17 experts in these different areas and in these
18 different systems. However, the need was
19 acutely recognized.

20 The guidance that the Commission
21 gave, I won't repeat because Bob gave that to
22 you, urging the sharing of information and

1 the coordination of post-marketing
2 surveillance.

3 With that, I will conclude. If you
4 have further questions, I'd be happy to
5 address them.

6 DR. BRANDT: Thank you very much,
7 Dr. Castro. If you and Dr. Moore could come
8 down here and sit by each other and share
9 that one mike now, we will open it up to
10 questions of either Dr. Castro or Dr. Moore.

11

12 QUESTION AND ANSWER SESSION

13 DR. BRANDT: Dr. Harlander?

14 DR. HARLANDER: I'm wondering if
15 the Commission discussed what data would be
16 required for establishing dosage instructions
17 for consumers. That seems like it would be a
18 difficult area to deal with in terms of,
19 particularly, claims that would relate to
20 general well being. I guess I'm particularly
21 interested in botanicals, not so much where
22 we have a nutrient deficiency disease or we

1 have end points and bio markers and things.

2 DR. CASTRO: Specific dosages, no,
3 weren't discussed at any length. There was a
4 linkage of dose and dose information to
5 perhaps the extent and the level of
6 substantiation, so in other words,
7 substantiation of a statement would be
8 relevant only to a dose that was intended
9 before a dose that was given on packages.
10 That linkage was made. Other than that, I
11 don't recall more specifics were given.

12 Safety, of course. The dosage with
13 respect to safety is always linked, and there
14 was a discussion that they would want to
15 always have a linkage of the two, safety as
16 opposed to the level of dosage.

17 DR. BRANDT: You are talking about
18 the dose that the manufacturer recommends in
19 the package?

20 DR. CASTRO: Both, that for
21 certain, because that's the information given
22 to the consumer, and that the statement

1 should support that.

2 DR. BRANDT: Her question was how
3 is that established, does that have any
4 meaning?

5 DR. CASTRO: Established by
6 extensive research I think is how the
7 Commission -- research from various sources
8 so that it is balanced.

9 DR. BRANDT: Dr. Benedict?

10 DR. BENEDICT: I have just a couple
11 of questions. The first one is, as I read
12 the Commission report, it could be I
13 overlooked the way it was phrased, but it
14 seems to me that most of the definitions of
15 "consumer" is someone who is interested in
16 reading labels and who is interested in
17 paying attention to this sort of thing.

18 I'm wondering, first of all, did
19 the Commission discuss at all the
20 non-informed consumer in addressing things
21 that they might need to learn, people from
22 lower socio-economic backgrounds?

1 DR. CASTRO: I would say that
2 wasn't a general point of discussion,
3 literacy levels for information, et cetera.
4 I believe that might have been given or
5 deferred to by those that would be expert in
6 trying to reach difficult populations. There
7 really wasn't a substantive discussion about
8 that.

9 DR. BENEDICT: The second question
10 is, as I read this, there are several
11 references to flexibility that the consumers
12 would like to have on labeling, to the
13 mandate for making sure that information on
14 dietary supplements becomes available to
15 everyone.

16 I'm wondering -- I realize this is
17 a hot issue -- I'm wondering if there are
18 some brief thoughts you could render on
19 emerging science as it relates to things that
20 don't quite reach significant scientific
21 agreement. It seems this Commission calls
22 for a way to address that without actually

1 providing a way to address it.

2 DR. CASTRO: It's a thorny issue,
3 the extent of substantiation of different
4 types of use. One idea that kept running
5 through was that the level of evidence
6 required for a certain statement is linked to
7 that statement. It's driven by the type of
8 statement that is made. Recognizing there
9 are different levels of statements should be
10 a trigger that there should be different
11 levels and rigor of the criteria. Other than
12 that, I don't have any more specific insights
13 to offer.

14 DR. BRANDT: Dr. Clydesdale?

15 DR. CLYDESDALE: Thank you.

16 Everyone applauds the Commission on the
17 movement towards more science and more rigor.
18 I guess my question is, if one is going to
19 consider post-market surveillance, I think
20 the thing that has to come before that is
21 assurances of GMPs. It's very difficult to
22 do a post-market surveillance on a product if

1 you are not certain what's in it. It's very
2 difficult to do post-market surveillance on a
3 product if the active component is not
4 regulated at least at a certain level.

5 I guess I find it had to talk about
6 post-market surveillance unless I'm assured
7 that there will be good GMPs.

8 DR. MOORE: I'd love to give you
9 that assurance, but I'm not sure it's
10 possible. Certainly, the intent is to move
11 forward with GMPs, but it's not clear how
12 much authority the Agency has. We don't have
13 the legal authority to prescribe formulations
14 for products. Ultimately, it will rest on
15 each manufacturer to decide, if you will,
16 what quality he wants, and if he wants
17 1 percent of an active ingredient or
18 90 percent, that ultimately will be up to
19 him, because we don't have under the Act the
20 authority to prescribe composition or
21 formulations. The authority we have to do
22 that for conventional foods comes out of the

1 food additive regulations, which dietary
2 supplements are exempted from.

3 DR. CLYDESDALE: I'm sorry. Do you
4 have the authority to say that if they have
5 1 percent or 90 percent, they have to at
6 least label that, and GMPs have to assure
7 that at least that 1 percent or 90 percent is
8 present?

9 DR. MOORE: Certainly. That comes
10 under the general mis-branding provisions.
11 If they make a label statement, then the
12 product has to conform to whatever statement
13 that is. Where the potential problems lies,
14 I think, is going back to where you are
15 coming from. If someone wants to sell extra
16 active herb X and chooses not to put the
17 percentage of whatever active ingredient or
18 whatever you want to call it, the inherent
19 material, in there, that label statement is
20 true, and he doesn't necessarily have to put
21 it there.

22 There is not a mechanism for us to

1 say if you are selling a ginseng extract you
2 have to disclose the goings-on sides. That
3 only becomes required within the rubric of
4 whether there are other claims on there that
5 would make the disclosure of that material
6 fact.

7 DR. CLYDESDALE: May I give you an
8 example? When we had the Ephedra hearings,
9 product lines that FDA analyzed varied from 0
10 to 600 milligrams of the active component of
11 the same product, and I guess my question is,
12 you can't do any meaningful post-market
13 surveillance if there is a variance of 0 to
14 600 milligrams of the active component in a
15 product.

16 Will the FDA have the authority to
17 enforce that so that kind of thing stops?

18 DR. MOORE: I think where there are
19 safety issues, there is probably a mechanism
20 that can be done. You can set tolerances,
21 and the example is what was proposed in the
22 Ephedra proposal, that in an instance where

1 the concentration of some type of substance
2 may present a risk to the consuming public,
3 then you can probably establish limits or
4 tolerances if that's necessary to ensure that
5 the product doesn't pose a significant or
6 unreasonable risk, but there is no mechanism
7 under the statute that we can simply say you
8 need to disclose all of the -- I'm struggling
9 with the word because it doesn't exist -- the
10 quality indicators of whatever your botanical
11 or your substance is.

12 It's really an issue of whatever
13 the manufacturer elects to put on the label
14 as the quality he wants to meet, then that's
15 all he's required to disclose. Unless there
16 is a safety issue, we don't have the
17 authority to require more.

18 That's terribly unfortunate; isn't
19 it? The post-market surveillance would have
20 to be used to find out if there is a safety
21 issue.

22 DR. BRANDT: Dr. Wang?

1 DR. WANG: I have two questions.
2 One is, there are four post-market
3 surveillance systems presented in that
4 document here. How is this information made
5 available to consumers? Are they published
6 somewhere so consumers can follow, and also
7 is there an attempt to coordinate these four
8 systems combined so that you have some type
9 of alert system?

10 DR. MOORE: Those specific points
11 are going to be covered in one of the later
12 talks, and it may be better to leave it until
13 then.

14 DR. BRANDT: Other questions?
15 Dr. Rodier?

16 DR. RODIER: This is about the new
17 Office of Dietary Supplements. NIH already
18 has intramural and extramural programs
19 related to nutrition. I'm trying to envision
20 what the role of this new office would be. I
21 understand that it's going to be the
22 authority on supplements, if funding is

1 provided. How is that going to be related to
2 the work that's gone on for many years at
3 NIH?

4 DR. MOORE: I don't know, because I
5 don't work at NIH. I was looking around to
6 see if Bernadette Marriott was here. The
7 flippant answer I can give is just what the
8 statute envisioned is that there would be one
9 federal office that would serve as a
10 coordinator for the federal government's
11 research into dietary supplements across both
12 agencies and across the discipline lines with
13 NIH.

14 DR. RODIER: Is the distinction
15 that it is only going to deal with products
16 as opposed to human health issues?

17 DR. MOORE: I think it is going to
18 deal with substances as they relate to human
19 health that may be marketed as supplements.
20 Again, we are not over there, and their
21 strategic plan isn't done, so I don't think
22 anyone knows exactly where they are going.

1 Its statutory mandate is simply to coordinate
2 and encourage sound research on the use of
3 supplements and health, regardless of how
4 they might get commercialized later.

5 I say that, because if you are
6 doing research on a botanical as a cancer
7 cure, then obviously when that gets
8 commercialized, if it's a supplement, it's
9 not going to be commercialized as a cancer
10 cure because of the claims.

11 What their mission is, that's
12 really beyond FDA, because they are not part
13 of FDA. What they do is to a degree none of
14 our business, and we have no say on it.

15 DR. RODIER: I don't mean to be
16 flip either, but it sounds as though it's
17 going to be an office of profitable nutrition
18 as opposed to an office of scientific
19 nutrition.

20 DR. MOORE: That I don't know.
21 Like I say, it's not part of FDA. That was
22 assigned to someone else.

1 DR. BRANDT: Dr. Harlander?

2 DR. HARLANDER: Elizabeth, I want
3 to see if I understood you correctly, that
4 there would probably be different kinds of
5 statements, different language of statements
6 that would reflect the different levels of
7 substantiation of claims. I have a vested
8 interest in this, because I'm working with
9 the emerging science working group for this
10 group. We have struggled with how to come up
11 with a kind of language that would be
12 understandable to consumers, that they would
13 know this is something that's based on
14 emerging science versus something that there
15 was significant scientific agreement on.

16 Did I understand you correctly,
17 that you actually have come up with language
18 that's understandable to consumers, that
19 claims are based on emerging science versus
20 something that's been --

21 DR. CASTRO: No, I didn't mean to
22 imply that it was already a set type of level

1 language. Actually, what you just said is
2 the reverse of what I tried to imply, that
3 manufacturers have the flexibility of making
4 different types of claims, and the types of
5 claims in an ideal world would be linked to
6 the level of substantiation, whatever those
7 levels are going to be defined as.

8 Whether the product is going to be
9 marketed as a dietary supplement with this
10 type of claim, nutritional support, or if
11 it's going to try and move up to the level of
12 over the counter product and make this type
13 of claim, then it moves into another level of
14 substantiation needs.

15 DR. BRANDT: Other questions?
16 Dr. Clydesdale?

17 DR. CLYDESDALE: I'm sorry, I just
18 have to get back to what we were discussing.
19 I understand this is DSHEA. It's not you.
20 If one is making a structure function claim
21 for efficacy, and then one is also making a
22 claim for safety, again, just so I

1 understand, there's no requirement to have
2 assurances that the bioactive component that
3 the structure function claim is based on is
4 present at a certain level, nor any
5 assurances that's there always at that level
6 for safety issues. Is that correct?

7 DR. MOORE: Yes, no, and maybe, all
8 at once. I think if there was general
9 scientific agreement, and again, no legal
10 meaning attached to it, that a certain -- for
11 instance, the effects of garlic on blood
12 lipids. If there is general agreement that
13 it's due to a specific substance, and there
14 is the market place, and it contains none of
15 that substance but still makes the claim,
16 then one could argue it is mis-branded, and
17 it's an illegal product, and we could take
18 action.

19 The burden would be on us to prove
20 that was false, the claim was false or
21 misleading because it was missing that
22 "active" ingredient.

1 Certainly, at the present time for
2 many products for which claims are made and
3 the underlying physiologic or pharmacologic
4 mechanism isn't known, it's very possible
5 that there could be a substance out there
6 with no ability to effect whatever the claim
7 is, and no one is going to know.

8 There's no requirement under the
9 Act. What it says is they have to have
10 substantiation to support the claim. It
11 doesn't say they have to have clinical
12 evidence that their product necessarily does
13 it. Certainly, there is an implicit
14 understatement, I think, in the statute that
15 if they are making a claim, there's a basis
16 to support that claim, but they can rely on
17 literature. They don't necessarily have to
18 rely on individual clinical trials of their
19 product.

20 DR. CLYDESDALE: One could, with
21 the garlic example, have a structure function
22 claim based on garlic, and they could have a

1 3 percent extract or a 90 percent extract,
2 and that wouldn't have to be stated, even
3 though we didn't know the single bioactive
4 component, there could be in one case a
5 3 percent extract of garlic or a 90 percent
6 extract of garlic.

7 DR. MOORE: It's not a simple
8 answer. This is the one part of the final
9 labeling regulations that is probably up in
10 the air. Supposedly, extracts have to
11 identify -- there has to be some identifying
12 nature of what it is, 3 percent or what. We
13 have run into a buzz saw that the reg isn't
14 worded real well, and we are going to have to
15 re-visit the issue.

16 All they would have to do is it
17 would have to be a 3 percent garlic extract,
18 whatever that means. They don't necessarily
19 have to say 3 percent garlic extract
20 containing X parts per million or X
21 milligrams of substance A.

22 DR. CLYDESDALE: Thank you.

1 DR. BRANDT: One last question.

2 Dr. Benedict?

3 DR. BENEDICT: Just to follow that,
4 and I think I already know the answer, but it
5 would be interesting to hear your comments,
6 and that is, it's one thing, of course, to
7 say there's a certain content, and you have
8 already commented on that, but I never see
9 anything about bioavailability as well. At
10 what level does the authority extend to allow
11 someone to ask about bioavailability?

12 DR. MOORE: What the law requires
13 is that it be there. Whether it's available
14 is a moot point, except if they make a claim
15 about its availability, or if they claim to
16 meet a compendia standard that has an
17 availability requirement buried within it.
18 Right now under our statute, all that's
19 required is that it be there.

20 DR. BRANDT: Thank you all very
21 much. We will watch this with great
22 interest, I can assure you, as things

1 develop. We have discussed this in the past.
2 We still are left with a lot of questions
3 about how to determine effective dose; how do
4 you determine an end point; how do you
5 determine what concentrations are necessary,
6 bioavailable, all those kinds of issues, none
7 of which seem to be answered at the moment.
8 Thank you.

9 We are going to look at consumers
10 for a moment. Ms. Richardson, we expect you
11 to chip in on this one; if you will. We have
12 with us Dr. Brenda Derby and Dr. Alan Levy,
13 who do not have prepared remarks, I am told,
14 but want to lead us into a discussion. Come
15 on, folks.

16 CURRENT STATE OF CONSUMER RESEARCH

17 DR. LEVY: I was told I should lead
18 a discussion on the current state of consumer
19 research with respect to the dietary
20 supplements. I actually can endorse a lot of
21 what Elizabeth talked about, that we really
22 don't know very much about certain things,

1 particularly the things that are probably
2 most relevant to the issues raised by DSHEA.

3 Most of the research that has been
4 done related to supplements to this point
5 consist of asking people in various kinds of
6 surveys what their usage of dietary
7 supplements is. We describe their behavior,
8 and then we can classify them in terms of how
9 much they use or whether they use at all, and
10 then we can identify the distinctive
11 characteristics of those people who use and
12 how much they use in terms of their
13 demographic characteristics, attitudinal
14 characteristics, and to some extent,
15 motivation.

16 From this research, I think we have
17 a picture of dietary supplement users as
18 information seekers, people who tend to
19 inform themselves about the health effects of
20 supplements. That's their motivation for
21 using and buying supplements, that they want
22 to achieve these health effects that they

1 expect these products to provide.

2 We understand that it's an uniquely
3 information driven kind of consumption
4 behavior. There is no experiential
5 characteristics associated with supplements,
6 they don't taste good, they don't give you a
7 lot of pleasure, other than the fact that
8 they provide these health effects.

9 What we don't have for dietary
10 supplements is the kind of information that
11 has been collected in the last 8 or 9 years
12 with respect to food labeling, which was
13 inspired by the NLEA, where we actually show
14 people labels and product labels, not just
15 ask them whether they like this or whether
16 they buy the product, but we actually try to
17 measure aspects of how people can use the
18 information on the label, to what extent it
19 facilitates the purposes they have for label
20 information, nutrition information on foods,
21 and that has to do with to the extent they
22 use it for identifying whether the product is

1 high or low in specific nutrients, how it
2 fits in their diet, or how it should be used
3 in a balanced diet. People have different
4 purposes for this information.

5 This is the kind of stuff that we
6 don't have for dietary supplements in
7 general, because we haven't actually looked
8 at what information people use on the label
9 and how they use it. We haven't really
10 defined the different purposes that people
11 have. We haven't really defined what the
12 different types of information are available
13 versus those understood by consumers and how
14 they are used.

15 This kind of information was not
16 generally available about food labeling 8 or
17 9 years ago, and it was only under the
18 impetus of the NLEA which focused attention
19 on certain issues and raised issues that made
20 it possible to come up with focused questions
21 that could inspire consumer research that we
22 actually generated this kind of information.

1 Apparently, I think what stage we
2 are at with respect to supplements is sort of
3 like we were 8 or 9 years ago with respect to
4 food labeling. We have something very
5 similar to NLEA, a mandate to think about
6 these things, a lot of uncertainties about
7 how to proceed, and these uncertainties are
8 going to raise fairly specific and focused
9 consumer questions, consumer behavior
10 questions, and that's going to allow us to
11 design research to answer those kinds of
12 questions.

13 My understanding is your mandate is
14 to help us in that task and tell us what
15 kinds of focused questions we need to address
16 to deal with the issues that are being raised
17 by DSHEA.

18 DR. BRANDT: You said these are
19 information seeking people. Where do they
20 get the information?

21 DR. LEVY: They tend to get it from
22 reading.

1 DR. BRANDT: Reading what?

2 DR. LEVY: Health magazines, books,
3 material that represents the state of the
4 science to them. That's what they tend to
5 do. They tend to be actually somewhat
6 distrustful of traditional sources of
7 information, doctors. They actually have --

8 DR. BRANDT: Science.

9 DR. LEVY: They read those books
10 that represent what the state of the science
11 is and claims about the efficacy of various
12 ingredients.

13 DR. BRANDT: Dr. Harlander?

14 DR. HARLANDER: I think the
15 information you have generated on health
16 claims was extremely enlightening to all of
17 us that heard it here for the FDA Advisory
18 Committee. I think despite the fact that FDA
19 has regulated food labels, consumers thought
20 the food industry regulated the front panel
21 of those, despite all of the education we
22 have had around food labeling and all the

1 discussion we have had about health claims,
2 and structure function claims, and nutrient
3 content claims to learn that consumers don't
4 really distinguish a difference between them
5 and do not understand that FDA regulates
6 those.

7 My hope is that will guide some of
8 the research that we do on supplements as
9 well. I think going into that research, I
10 probably wouldn't have predicted what came
11 out of it. Consumers, we really do need to
12 rely on what consumers' perceptions and
13 understandings are when we come up with
14 language that might mean something to us, but
15 mean something totally different.

16 I think the work that you both have
17 done will help us in designing research going
18 forward, and I hope we will look to that.

19 DR. LEVY: The one thing I would
20 say though, I think it is very important
21 research, and the unexpected findings from
22 the things we have done is really a testament

1 to how important the research is.

2 One of the things I would say
3 though, is I'd be very cautious about
4 generalizing from the findings in food labels
5 to dietary supplements. It's true that a lot
6 of the things that we have said about the
7 food labeling, the important difference
8 between the front and the back panel, the
9 problems in trying to assert authority in
10 your statements and trying to say this is
11 really authorized, and the difficulty of
12 doing that, that may well generalize to
13 dietary supplements, but I would not assume
14 that without research.

15 DR. BRANDT: Ms. Richardson? She
16 is here representing consumers, so fire away.

17 MS. RICHARDSON: You indicated
18 there is not a lot of trust for traditional
19 sources of information. Was there any
20 explanation as to why that trust was lacking?

21 DR. LEVY: Not directly. The
22 supplement users tend to be active

1 information seekers about the health effects
2 and the product characteristics of
3 supplements. They tend to get that
4 information from the available sources. They
5 are somewhat distrustful of traditional
6 medicine.

7 There is an element of alternative
8 treatment aspects in dietary supplements.
9 You have to be a little careful. Half of the
10 population uses supplements. It's actually
11 very, very common behavior. Only about a
12 third of those people are really heavy,
13 serious kinds of users. They have distinctly
14 different characteristics, more of this
15 information driven, information seeking
16 people actually read things.

17 MS. RICHARDSON: Of this 50 percent
18 that are using, did you elicit from them an
19 understanding about what is a supplement and
20 what they expected? This is a discussion we
21 have had before.

22 DR. LEVY: No, as I was trying to

1 say, most of what we know now comes from
2 these interviews where we don't actually ask
3 them directly about supplements. We ask them
4 what supplements do you use? We get use
5 characteristics, how much, if at all, do you
6 use them. We don't actually show them a
7 supplement and say, what do you think of
8 this? We haven't actually done very much
9 qualitative research where we just sit down
10 and talk to supplement users and ask them
11 some of these questions, which I think would
12 be very enlightening.

13 One of the things I think we found
14 in the food labeling thing is it turns out it
15 is very useful, particularly when you start
16 getting into some of the issues about how to
17 represent science in an authoritative way.
18 You need to talk to people face to face and
19 actually just have conversations with them
20 about it and see what they say.

21 People's failure to make
22 distinctions about health claims and nutrient

1 content claims and their great reluctance to
2 think of foods as drugs comes just from these
3 conversations. You can easily pick up these
4 things just by talking to them. That is one
5 of the great gaps in what's currently
6 available about supplements. Perhaps the
7 industry has some proprietary information
8 about this, but I'm not aware in the public
9 literature any qualitative stuff on dietary
10 supplement users, how they use information,
11 how they factor in all this other information
12 they have, how that relates to how they use
13 what's actually on the product label. It's a
14 key issue.

15 MS. RICHARDSON: With the people
16 that you interviewed, was their understanding
17 of a supplement the same as our understanding
18 of what a supplement is? Did you ask them at
19 the beginning if they used supplements, what
20 do they understand that supplement to be?

21 DR. LEVY: We defined for them what
22 a supplement was, a vitamin/mineral

1 supplement. We actually asked them about
2 herbal supplements and herbal teas. We asked
3 them specifically about things that contain
4 amino acid. In order to elicit a response,
5 we have to describe what we are talking
6 about, and we did not really try to get to
7 their understanding of what they think
8 supplements are and what the limits are.

9 DR. BRANDT: Dr. Wang?

10 DR. WANG: I have a suggestion when
11 you talk about the source of information,
12 probably the consideration of the cultural
13 background. I have friends that they treat
14 ethnic herbal products as ethnic medication
15 tied. They won't take that, but then their
16 understanding of supplement is kind of
17 confusing. They may get information from the
18 health food stores or from friends.

19 Another area is to target the
20 elderly population. There appears there is a
21 network there to educate, or somehow the
22 information reaches the elderly population.

1 My interpretation of their distrust of
2 traditional science, or whatever, is their
3 lack of knowledge of science. It's very
4 difficult to explain to them what we perceive
5 as science and what they perceive as
6 beneficial to their health.

7 Another thing you might want to
8 consider is cost. It's amazing that anything
9 that's a benefit to their health, the cost
10 consideration. Some complain it costs then
11 \$2 or \$3 for a certain product, and yet they
12 can get it cheaper, or some of them would
13 prefer to buy more expensive for knowledge of
14 what they are getting.

15 DR. BRANDT: Dr. Clydesdale?

16 DR. CLYDESDALE: If you were asking
17 for suggestions as to what to ask, I would be
18 very interested in finding -- I don't know
19 how you would do this -- if there was a way
20 to ask consumers if something appeared on the
21 label assuring them of purity, or content, or
22 availability, or efficacy, if something was

1 on the label voluntarily, would that make
2 them buy one product over another.

3 I think we have an industry that in
4 some places people are trying to do
5 scientific things, and others are not within
6 that industry, because on the label it isn't
7 mandated that certain things be on the label.
8 If we could find some consumer triggers that
9 would give people some motivation to put
10 things on the label, knowing that consumers
11 would choose that product over another
12 product, I think that would be very helpful
13 to get around a law that doesn't mandate
14 science, but would maybe have more
15 responsibility on the producers of the
16 product.

17 DR. BRANDT: Dr. Fennema.

18 DR. FENNEMA: Thank you. If
19 information on emerging scientific issues is
20 allowed to be placed on labels, what is your
21 feeling, based on the way you have described
22 the users of dietary supplements, wouldn't

1 they be unusually susceptible to accept these
2 kinds of statements as facts, more so than
3 the public in general?

4 DR. LEVY: That's an interesting
5 question. That's quite possible. In our
6 most recent research related to this, we had
7 information use characteristics with respect
8 to food labeling, and we looked at the
9 characteristics of dietary supplement users
10 in terms of how much they relied on front
11 label claims, but with respect to foods, not
12 dietary supplements.

13 One of the things we found was that
14 dietary supplement users were more likely to
15 rely on front panel claims of food. That
16 would be certainly something to explore for
17 dietary supplements, and in general, I think
18 these people want good information and they
19 are susceptible to good information. If we
20 could give them clear signals of good
21 information, I think that would actually have
22 some impact.

1 DR. FENNEMA: You were also
2 suggesting that they don't have much
3 confidence in traditional sources of good
4 information.

5 DR. LEVY: Right.

6 DR. FENNEMA: This worries me a
7 little about putting information that is
8 emerging science which they may very well
9 regard as good information, since it is not a
10 traditional source, so to speak, as factual.

11 DR. BRANDT: One last question or
12 comment. Dr. Chassy?

13 DR. CHASSY: Have you collected
14 data on that, I guess, any more narrowly
15 dividing dietary supplements into categories?
16 We sort of have a catch all phrase here that
17 we are using. I have a suspicion that there
18 are differences in behaviors between people
19 that take vitamin pills and people that take
20 botanicals. If you are not doing that, I
21 think we ought to be doing that before we
22 generalize.

1 DR. LEVY: We make a distinction
2 between the multi-vitamin type products and
3 the specialized products, which tend to only
4 have one or two nutrients or minerals between
5 botanicals, herbs, herbal products, and amino
6 acid products. Those are the four that we
7 have discriminated in our work, and there are
8 probably many more distinctions that you
9 probably would want to make.

10 There are clear differences in the
11 types of people that are going to use -- the
12 patterns of use depend on the type of
13 product. People who use herbals or the amino
14 acid products tend to be heavy users, or
15 heavy users are people that are defined as
16 using three or more products.

17 People who are light users only use
18 one or two products. They almost always use
19 at least one multi-vitamin product. The
20 heavy user is someone who uses a
21 multi-vitamin product, maybe at least one,
22 and then multiple specialized products. Both

1 herbal and amino acid people tend to be, even
2 more likely to be, heavy users. You have
3 that kind of understanding, and you could
4 probably develop that more.

5 DR. BRANDT: For those of you that
6 have suggestions to make for them as they do
7 more consumer research, get it to them.
8 Dr. Larsen will be happy to transmit them if
9 you get them to him, so that we can perhaps
10 get the kind of information we need.

11 We are going to talk about it some
12 more this afternoon, but all this talk about
13 food and diet and everything, it's probably
14 time that we see whether or not we can
15 metabolize.

16 We will reassemble at 1:20. It is
17 now 12:20.

18 (Whereupon, at 12:20 p.m., a
19 luncheon recess was taken.)
20
21
22

1 session is focusing on dietary supplements,
2 and it's focusing on the White House
3 Commission report on dietary supplement
4 labeling, and in a little while, it will
5 focus on the notion of GMPs.

6 There are a lot of issues that are
7 brought up by both of these documents. The
8 reason for picking the three or four that we
9 have developed into charges for the committee
10 I think goes back to the theme that in all of
11 the cases, the charges in some way or another
12 look at the idea of collaboration.

13 The White House Commission report
14 founded the theme of collaboration, and in
15 many ways, when we looked at the response to
16 the GMPs, we could see the need for
17 collaboration, collaboration with industry,
18 collaboration with scientific bodies, public
19 health communities.

20 As we are going through all of this
21 background material for you, and there will
22 be more background material on post-marketing

1 surveillance, I think it's important to keep
2 the idea that the charges for the Food
3 Advisory Committee deal with this idea of
4 collaboration, and if there were a theme to
5 sound, I think that would be it.

6 Backing up then to this idea of
7 collaboration, if we look at the White House
8 Commission report relative to post-market
9 surveillance, it does say work together to
10 voluntarily improve passive post-marketing
11 surveillance systems to ensure that safety
12 problems are identified and corrected. That
13 "work together" refers to the industry, to
14 scientific communities, to public health
15 communities and FDA, seen as a collaborative
16 group.

17 Just as a background, what you are
18 about to get in the next hour or so is a
19 flavor for post-marketing surveillance across
20 a lot of different issues and a lot of
21 different regulatory frameworks in the sense
22 of at least a couple.

1 The notion of post-market
2 surveillance is not only what a lot of people
3 want to talk about, which is adverse event
4 reporting, but it's also product monitoring.
5 We need to raise consciousness in your mind
6 for both of those.

7 In the case of the adverse events
8 reporting system, it does vary across the
9 Agency. We have a couple of people here
10 today from FDA who will address this.

11 I think an important notion in all
12 of this is that sometimes it's voluntary
13 reporting, and sometimes it's mandatory
14 reporting. That's due almost exclusively to
15 the regulatory framework for the particular
16 product or for the particular center.

17 In the case of dietary supplements,
18 which is the issue really before the Advisory
19 Committee today, there are a couple of themes
20 in post-marketing surveillance. I think you
21 will hear those from the next three or four
22 speakers. Because of the nature of the

1 regulations, it's clear that the system that
2 is available to us is a passive one. There
3 are no mandatory requirements for reporting.
4 We want to emphasize repeatedly this is a
5 very invaluable monitoring tool. It has been
6 very useful to us, and it's something we
7 support wholeheartedly, but there are a few
8 hitches in this that sometimes make
9 addressing safety a little more problematic.
10 This again is going back to the White House
11 Commission report, back to the idea of how
12 can we collaborate to improve it.

13 You have heard already from Bob
14 Moore and a little bit from Elizabeth Castro
15 that not all of the events and problems are
16 captured, because this is a passive system.
17 There are lag times between the event and the
18 reporting.

19 Information formulation and
20 directions for use on these various products
21 are hard to come by. I believe Dr. Litovitz
22 from the Poison Control Center will also

1 emphasize the point that many times in the
2 case of dietary supplements, we are not
3 exactly sure what the product is that we are
4 dealing with. We don't have a lot of
5 information on the product.

6 Overall, just as a state of
7 affairs, there is very limited product
8 monitoring itself, separate from the adverse
9 events system.

10 For today's presentation, again,
11 this is to give you a flavor of how
12 post-marketing surveillance is done. Not all
13 of the speakers that follow me will deal
14 specifically with dietary supplements, but
15 often times it does come into their purview.

16 We have today a representative from
17 one of the other centers at FDA, the Center
18 for Biologics Evaluation and Research. The
19 speaker is not Dr. Susan Ellenberg but --

20 DR. LARSEN: Dr. Marcel Salive.

21 DR. LEWIS: We also have a
22 representative from the FDA MedWatch system.

1 We at the Center for Foods do use MedWatch.
2 It's an umbrella type of surveillance system
3 which cross cuts FDA. We do have
4 Dr. Litovitz today to give us a little bit of
5 a description of the poison control centers.

6 I will come back and try to talk a
7 little bit more specifically about what we
8 are doing at the Center for Foods in terms of
9 post-marketing surveillance in general and
10 then raise a couple of questions for dietary
11 supplements.

12 That's to set the context and the
13 tone. However you'd like to proceed, I'll
14 just sit quietly here.

15 DR. BRANDT: Thank you very much
16 for introducing the issue. I hope you do get
17 your vacation soon.

18 We will now hear from Dr. Marcel
19 Salive on post-market surveillance experience
20 in other areas.

21 VACCINE ADVERSE EVENT REPORTING SYSTEM

22 DR. SALIVE: Thank you. I tried to

1 give handouts to the committee.

2 DR. BRANDT: I forgot. Let me
3 interrupt you. You have a bunch more
4 handouts at your place. Those of you on the
5 emerging science working group have something
6 that is in your chair. That will allow you
7 to follow along. Go ahead, I'm sorry.

8 DR. SALIVE: I can work on some of
9 the themes introduced by Dr. Lewis.
10 Dr. Ellenberg couldn't be here today because
11 of a family situation.

12 In CBER, the Center for Biologics,
13 we do also use the MedWatch system, but we
14 also use a system called the vaccine adverse
15 event reporting system, and that's what I'm
16 going to be talking about today. I would be
17 happy to chip in on questions on the MedWatch
18 system as well with the following speaker,
19 but I'm going to focus on the VAERS, as it is
20 now called.

21 As Dr. Lewis alluded to, the
22 regulatory framework does have some

1 implications, and theirs was established in
2 1990 in response to the National Childhood
3 Vaccine Injury Act of 1986, as HHS response
4 to that. That covered pediatric
5 vaccinations, those that are recommended by
6 the Director of CDC.

7 At FDA, however, we expanded it to
8 cover all licensed vaccines, so it does cover
9 a broader scope than what was in the original
10 law. All adverse events following all
11 licensed vaccines is the focus for VAERS.

12 It is a joint project with CDC in
13 the national immunization program. Certain
14 reporting is mandated by law. As you will
15 hear in MedWatch, drug manufacturers are
16 required to report to FDA about adverse
17 events they hear about, but the only instance
18 where there is actually mandated reporting by
19 physicians comes under this Childhood Vaccine
20 Injury Act, where certain events are mandated
21 to be reported into VAERS following certain
22 vaccines. There is a table of events. I'm

BETA REPORTING**(202) 638-2400****1-800-522-2382****(703) 684-2382**

1 here to say they are not police that go out
2 and enforce those laws, but they exist, and
3 we take them seriously, but it's a very
4 tricky issue, I think.

5 As with most passive surveillance
6 systems, reporting systems, there is a
7 Freedom of Information Act piece, which
8 allows the data to be available to the
9 public. Those can go out. The NITS sells
10 it, so it's an entire data set minus the
11 identifiers can be obtained by members of the
12 public or interested parties, or more narrow
13 requests can be made under FOI to our office.

14 I should also mention that touches
15 on the theme of collaboration. I didn't
16 bring my slides on it, but the VAERS project
17 is a collaboration with CDC. It's a very
18 close collaboration there, but also for
19 vaccine safety, we collaborate with the NIH,
20 with the Health Resources and Services
21 Administration for the vaccine injury
22 compensation program, with the manufacturers,

1 with the other FDA centers. We collaborate
2 on methods issues regarding epidemiology, and
3 post-marketing surveillance, and certainly
4 the MedWatch program. We do collaborate with
5 industry to some extent.

6 Passive surveillance is kind of the
7 theme. The objective, as far as for product
8 adverse events, in this case, vaccine adverse
9 events, it can be simply stated, the main
10 objective is the previously unknown adverse
11 reaction, and new knowledge about product
12 safety is really the prime objective.

13 There are other secondary
14 objectives. I think these are valid, but
15 they are definitely lower, I think, on our
16 list, and that is understanding known
17 reactions in terms of their severity, whether
18 there's an increase in the frequency of those
19 events and also the prognosis for the
20 patients who have those events. They are
21 always interested in knowing the natural
22 history of the adverse event. This in some

1 ways serves as a registry for those types of
2 studies.

3 Looking at risk factors or
4 preexisting conditions that may promote the
5 reactions for biological products, where
6 there may be variability by lot, we are
7 interested in the safety of the product lot;
8 a large batch of the product may have an
9 unique set of characteristics.

10 Because the vaccines are such a
11 high profile issue, we, in some cases, have
12 established active surveillance, but that is
13 much more costly, and that deals with higher
14 levels of ascertainment of the events, or in
15 our case, we try to link administratively
16 between vaccine administration and uniformly
17 ascertaining the outcome of the events.

18 I have given you the VAERS form, so
19 I'm not going to discuss too much about the
20 form itself. We do have a simple set of data
21 elements, the patient identifiers which are
22 needed by us to do follow-up, should such

1 questions arise, the nature of the reaction,
2 the nature of what product was being used.
3 We have a very detailed set of elements there
4 for how the vaccine exposures can be
5 described, exposures that might have
6 occurred.

7 You are dealing obviously with
8 dietary supplements. Dr. Lewis alluded to,
9 we do occasionally receive reports where the
10 person was getting dietary supplements and a
11 vaccine, and there's some question about
12 that, about what might have been a
13 confounding factor.

14 Those are the main elements on the
15 form, I think it's fair to say.

16 Passive surveillance has some draw
17 backs. The prime one that we see is under
18 reporting, although in some sense, this is a
19 mixed blessing. We wouldn't necessarily want
20 to hear about every known reaction that ever
21 occurred. It might swamp our system.
22 Certainly, the known and well characterized

1 reactions like rashes, fever, it might be a
2 big waste of time for people to fill out lots
3 of forms about those.

4 That leads to bias, potential
5 biases in the system, but it does have the
6 strength of serving as a signal. Sometimes
7 boxes are left blank on the form, and you are
8 not sure what that means. Does it mean they
9 didn't have a past medical history? Or does
10 it mean the person just missed that box and
11 didn't fill it out? Missing data is
12 sometimes ambiguous.

13 We have noted over time reporting
14 biases, such as time after the event, if
15 there is a long lag between the exposure and
16 the event, people may not make an attribution
17 of the event to that product and not report
18 that in. We tend to receive only reports
19 about events within the first 2 weeks after
20 vaccination, for example.

21 There are sometimes geographic
22 clusters, and sometimes these are based on

1 publicity, or sometimes local knowledge or
2 local concern over certain events that may
3 have occurred. The publicity effect, I
4 think, is well known, and publicity
5 stimulates reporting such as "60 Minutes" or
6 the "Now" program, just articles in the
7 newspaper.

8 A major issue is lack of exposure
9 data, how many people got exposed to the
10 product during a period of time, and also
11 lack of controls.

12 There are some major advantages,
13 one of which is the cost. It's not that
14 expensive. It covers the entire U.S. It
15 does serve as a signal generating mechanism
16 so we can quickly recognize problems. We do
17 receive the reports quickly. They are
18 processed quickly and can be examined
19 quickly. The data are readily available. We
20 can look back because we enter everything.
21 We can look back and see if this signal has a
22 history of previous reports that are similar

1 in our database. Now that we have 8 years of
2 data in the VAERS, it's becoming quite useful
3 for sort of a repository of events. We have
4 had useful findings, which I'll touch on a
5 bit later.

6 I think VAERS is a little bit
7 unusual in that we have multiple sources of
8 reports. Obviously, they all go back to the
9 patient, and the physician, and in this case,
10 the parents of the patient, but the route
11 that they get to FDA can be a bit circuitous
12 for some.

13 As you see, a quarter come from the
14 health care professionals, nurses, doctors'
15 offices, and about a third come from the
16 clinics in the state health departments where
17 vaccine is administered through the state
18 health departments, and those reports are
19 routed through the state health departments.
20 Because of our CDC tie, that serves as quite
21 an useful reporting source, and really only a
22 small percentage come directly from patients

1 and parents, but it is becoming more well
2 known. I think we are getting more direct
3 reports. This also affects the quality of
4 the reports as well.

5 How many reports do we get? We get
6 about 10,000 per year. It goes up; it goes
7 down. Right now, we are at about 12,000.
8 The 1997 number is about the same. We
9 classify them using a regulatory criteria for
10 what is serious, but it's comparable to a
11 clinical definition of serious. It's
12 regulatory in the sense that that way, we can
13 mandate to the companies if it meets the
14 criteria for serious and it's not in the
15 package labeling, that should be sent to us
16 right away, within 15 days, so we can look at
17 it and make some assessment of it.

18 As I've said, many of the reports
19 are commonly known reactions about rashes,
20 fever, injection site swelling and redness,
21 but we do get a fair number of serious
22 reports and some fatal cases that are

1 associated in time with vaccination. These
2 have been looked at in depth, and the
3 Institute of Medicine concluded these were
4 temporally associated, but not causally
5 associated. Nevertheless, we have a high
6 priority for reviewing and doing follow-up on
7 those reports.

8 The FDA VAERS staffing is listed
9 here. It has actually more than this. We
10 have four medical officers, including myself,
11 a nurse, a couple of computer people, a
12 secretary, and some fellows who are
13 conducting special studies under funding from
14 the national vaccine program office.

15 CDC has about four or five people
16 working on this, and the data entry
17 contractor has actually around nine people
18 working to collect and process the reports,
19 and enter them into the database, and track
20 them, and conduct some of their follow-up.

21 I think it's fair to say that at
22 FDA, this is the most intensive scrutiny of

1 surveillance data that comes in on a routine
2 basis in terms of if you compared this to
3 some of the MedWatch pieces or other centers'
4 surveillance systems. That's based on the
5 priority that is accorded to vaccine safety
6 in the Public Health Service.

7 What do we do with all of these
8 reports? Quite a lot actually. The
9 contractor sends letters out and conducts
10 written follow-up for recovery status of all
11 the serious cases after 2 months and after a
12 year, to determine that.

13 Our staff does telephone the
14 reporters of all fatal cases and selected
15 other cases to obtain the sort of core set of
16 information that can be compared over time.

17 We also, as a matter of policy, put
18 high priority on new vaccines. There is a
19 list here of some of the vaccines that have
20 been approved in the last 3 years, which are
21 receiving a lot of scrutiny because they have
22 been -- I should say Hepatitis B was

1 originally for health care workers and then
2 in 1991 was recommended for universal
3 neonatal administration. Hepatitis A and
4 vericella, chicken pox vaccine, were
5 approved. Those were both approved in 1995.
6 The Pertussis, a cellular vaccine, has been
7 approved over the last several years, but now
8 is used in infants. These were put into wide
9 scale use in recent years, so those receive
10 high priority.

11 We look at the lot specific data
12 every week. We look at the serious reports
13 every week, and every month we do have a
14 meeting with other vaccine scientists in our
15 Center to discuss current ongoing projects
16 and specific cases, kind of like a rounds, if
17 you will.

18 We have discussed some of the
19 limitations. One I wanted to highlight. I
20 think this is an important one, causality
21 assessment. It's a very difficult issue with
22 single case reports or collections of case

1 reports. In our scenario of vaccine
2 exposure, sometimes you can conclude
3 causality, but it's quite rare. You need
4 these criteria to be filled at a minimum, and
5 that is obviously the exposure had to precede
6 the event, and in some cases, you have an
7 unique vaccine association, like vaccine
8 associated paralytic polio that can be
9 isolated from sites in the body like the
10 cerebral spinal fluid, where it shouldn't be,
11 and then you can say, ah, this is a true
12 causal relationship. CDC focuses a lot on
13 that particular entity.

14 Another example is positive
15 re-challenge, and that is simply the fact
16 that the event occurred after the
17 administration, and then reoccurred again
18 after the administration a second time. That
19 makes it much less likely that any event
20 would be due to coincidence and might be
21 causally related.

22 We have seen that in the case of

1 hair loss, where that provides some evidence
2 of causality that's stronger than just simply
3 this temporal association, once, where it
4 might be due to coincidence.

5 The last criteria known to be drug
6 related and no other confounding factors
7 present. I think there are rare instances of
8 that. We don't see that too much in
9 vaccines.

10 Very few cases meet these criteria.
11 A lot of the reports we get are "possible."
12 We don't do causality assessment on our
13 reports as a general practice, but if we did,
14 this would be our problem. This is really
15 why we don't do that.

16 There are a number of other
17 considerations, and I think these would apply
18 in the area of dietary supplements, many
19 things are going on in the world. People are
20 taking medications. They are getting
21 vaccinated. They are eating. They are
22 taking supplements. In our case, they get

1 multiple shots at the same time, thanks to
2 the CDC's recommendations, which are sound,
3 but this makes the issue of confounding very
4 serious, and certainly the vaccine schedule
5 is set up in a certain way. It's published
6 every year. You can look at it. Certain
7 vaccines are given once if you are a baby,
8 and twice if you are over age 12. There are
9 various things that are complexities.

10 In terms of lot safety, the size of
11 the vaccine lot varies, so the number of
12 events per dose varies or may be constant,
13 but the size can be tenfold bigger; that lot
14 may look like it has a lot of events versus
15 other lots with very few.

16 We do get duplicate reports where
17 the physician will send a report, the health
18 department may send a report, the parents may
19 send a report. I think we do have a record
20 that we keep on these. It happens, and it
21 varies, I think, depending on the seriousness
22 of the event and the knowledge about

1 reporting that people have.

2 That is one of the reasons why on
3 the VAERS form we have a lot of identifiers,
4 so we can try to weed out these duplicate
5 reports. Having identifiers raises some
6 privacy issues, so there are trade-offs that
7 are present here.

8 Normal variability, I think, is
9 just saying that certain things happen in
10 life. They may not be associated with the
11 vaccine or as well, you know, there has to be
12 a lot with the highest number of reports
13 because that's the nature of statistics, I
14 think.

15 There are changes over time. CDC's
16 advisory committee is meeting right now too.
17 They are considering possible changes
18 potentially to the schedule. Certainly,
19 there are new combination vaccines on the
20 horizon that might be approved by FDA.

21 We have some challenges which I've
22 alluded to, and one of them is definitely

1 risk communication, which I think is in your
2 charge for the committee, where you have high
3 scrutiny of common exposures that everyone
4 can relate to that have adverse events.
5 Certainly, the causality issue raises these
6 as well. If you can't disprove that the
7 product was involved, people may assume it
8 was involved.

9 We have a lot of cases of sudden
10 infant death syndrome, and if you are getting
11 vaccinated every 2 months, a certain number
12 of those will occur in proximity with the
13 vaccinations.

14 We have found a number of findings
15 from VAERS, which I'm not going through. I
16 mentioned hair loss. It's not an exciting
17 story, but it did get published in JAMA. We
18 have collaborated with follow-up studies to
19 study some of these signals in more depth,
20 more rigor, using epidemiologic methods.

21 What impact have these had from our
22 point of view at FDA? We revised the

1 labeling in conjunction with the
2 manufacturer. We work with CDC's advisory
3 committee, with their labeling for public
4 health care providers. We provide
5 information to physicians. I think
6 Dr. Goldman will talk about this in more
7 detail. We present to the public. We have a
8 Web site.

9 Just to sum up, I have this
10 diagram. The circle represents the real
11 world in practice. People are getting
12 vaccinated. A certain amount of them have
13 adverse events. Some of those get reported.
14 We can do more systematic epidemiologic
15 studies to look at those in more depth. All
16 of that information feeds into FDA actions,
17 regulatory, such as inspections or re-calls,
18 labeling changes, information communication
19 to the public and physicians, and that
20 ultimately has results and impact in the
21 population.

22 I'd be happy to answer any

1 questions.

2 DR. BRANDT: I want to try to save
3 all the questions on this.

4 DR. SALIVE: I am going to have to
5 leave.

6 DR. BRANDT: If he has to leave,
7 let's fire questions at him right now, if you
8 have any.

9 DR. SALIVE: Otherwise, you can ask
10 Steve all my questions.

11 DR. BRANDT: We will defer to him.
12 That will be fine. Dr. Stephen Goldman will
13 talk about MedWatch.

14 DR. LEWIS: I might add
15 parenthetically that I didn't mention that
16 Dr. Goldman is the associate director for
17 medicine and in charge of MedWatch.

18 MEDWATCH SYSTEM

19 DR. GOLDMAN: I was asked, I think,
20 to fill in on some aspects that maybe more
21 global than specific for dietary supplements,
22 but Dr. Salive actually has shown a couple of

1 my slides, showing great minds think alike,
2 Dr. Salive.

3 I think it's always worth noting
4 why we have post-marketing. One of the
5 things I always like to go over is the
6 inherent limitations of even the best design,
7 best performed pre-marketing clinical trials,
8 and the predominant limitations you have that
9 are inherent to the system and inherent to
10 way trials are done is short duration, in
11 that even the longest trials generally don't
12 approximate people taking medications or any
13 other medical product chronically.

14 Now it's a population in which they
15 are studied as soon as an agent comes out on
16 the market, the populations in which they
17 will be used are much broader and much more
18 general than the populations in which they
19 are generally studied.

20 Thirdly, a narrow set of
21 indications that is generally studied during
22 the pre-marketing phase, when a medication

1 comes out, as you are all aware in the United
2 States, prescribers can use an agent that is
3 felt to be clinically appropriate for an
4 indication other than for which it has been
5 approved, particularly drugs, in that sense,
6 and the actual size.

7 Those are the power limitations of
8 the pre-marketing clinical database. They do
9 not ascertain the serious adverse event by
10 virtue of the fact that they are simply not
11 large enough to do so.

12 MedWatch is the FDA's medical
13 products reporting program, and it is an
14 educational promotional program, and I'll
15 explain what I mean. MedWatch was started in
16 June of 1993 with the express purpose of
17 enhancing the effectiveness of post-marketing
18 surveillance of medical products that are
19 regulated by the FDA. What does that mean?

20 We have four basic goals. One is
21 to increase the awareness of what was
22 originally stated as drug and device induced

1 disease; it's all medical product induced
2 disease, anything regulated or watched over
3 by the Agency, all medical products.

4 A clarification of what needs to be
5 reported to the Agency, facilitating, making
6 it easier to report to the Agency, and
7 fourthly, something which came up this
8 morning in listening to the discussions, to
9 better inform health professionals about
10 regulatory actions that are taken by FDA in
11 response to the reports we receive.

12 When we talk about reporting to
13 MedWatch, we mean that the people should
14 report when there is a suspicion that a
15 medical product may be related to a serious
16 adverse event. That is, causality is not a
17 prerequisite for a MedWatch report. You do
18 not have to be certain that the event in
19 question was caused by the product or
20 products in question.

21 In addition, something we always
22 like to clarify. Dr. Salive touched on this

BETA REPORTING**(202) 638-2400****1-800-522-2382****(703) 684-2382**

1 with the VAERS. We do not want increased
2 reporting of all events. MedWatch is
3 designed to increase the reporting of serious
4 events. We are not seeking a report on every
5 adverse event that occurs. The reason for
6 this is fairly basic. We are trying to keep
7 a system with as little noise as possible.
8 We are trying to find the serious unexpected,
9 unknown events to the national post-marketing
10 surveillance system.

11 This is how "serious" is defined
12 for the MedWatch system, and again, we are
13 talking about voluntary reporting: Death,
14 the most serious of all; life threatening;
15 hospitalization, either hospitalization being
16 initialized by the event in question or a
17 prolongation of hospitalization due to the
18 event or events in question; disability;
19 congenital anomaly or an intervention being
20 required, a medical or surgical intervention,
21 to prevent permanent impairment or damage.

22 As you can see, any single event

1 could perhaps entail more than one category.
2 People are perfectly welcome to choose more
3 than one box when they send in the form,
4 hoping to avoid the worse box of all, death.

5 These statistics are from early in
6 the program. MedWatch was launched in June
7 of 1993. This is a gauge as to how well we
8 are doing when it comes to serious adverse
9 events. I just need to make a couple of
10 points on this slide. It's from
11 approximately 3 years ago. The "serious"
12 definition here is died, hospitalized or
13 disabled. It does not include intervention
14 required to prevent permanent impairment or
15 damage.

16 If you notice, there was an
17 increase in the relative percentage of
18 serious versus non-serious reports. This has
19 held. We run somewhat over 50 percent total
20 serious reports since the MedWatch program
21 started by virtue of the statistics we have
22 available.

1 I would mention for drugs, it's
2 closer to two thirds, that the reports that
3 come in aren't serious adverse events. Other
4 products, somewhat less.

5 We feel we are running at a fairly
6 plateau level of over 50 percent. We'd like
7 to make it higher if we can, but we feel we
8 have had some success in increasing the
9 percentage of serious events being reported
10 to the system.

11 The bottom line is, in doubt,
12 report. This is what we tell health
13 professionals. This is what we tell
14 consumers. This is what we want people to
15 understand, that you do not have to be
16 certain the event was caused by the agent or
17 agents in question.

18 To reiterate what Dr. Salive was
19 saying, with the exception of certain adverse
20 events associated with specified vaccines,
21 the ones covered by the VAERS program, health
22 professional adverse event reporting in the

1 United States is voluntary. This is a very
2 important point, because with a system that's
3 voluntary, you are beholden in some ways to
4 make it clear why you are asking people to
5 report, and I'll talk more about that as we
6 go along.

7 We have a single form that
8 hopefully people have seen. This is it. Any
9 agent can be reported on the MedWatch form.
10 Obviously, vaccines, we recommend the VAERS
11 form. Once again, sending them onto VAERS.

12 This is to reiterate that we would
13 like to receive reports on any medical
14 product other than vaccines on the MedWatch
15 form, including what you are looking at
16 today, the special nutritional products.
17 They all go on one form.

18 Confidentiality. In a voluntary
19 system, as you can imagine, this is a very
20 important consideration for people to report.
21 The patient's identity is held in strict
22 confidence and is protected to the fullest

1 extent of the law. The reporter's identity
2 may be shared with the manufacturer unless
3 requested otherwise. There is a box on the
4 form you can check.

5 FDA will not disclose the
6 reporter's identity or identifying
7 information concerning the person upon who it
8 is being reported to requests from the public
9 pursuant to the Freedom of Information Act,
10 and I'd like to read that. In addition, this
11 was strengthened on July 3, 1995, when a
12 regulation went into effect extending this
13 protection against disclosure by preempting
14 state discovery laws regarding voluntary
15 reports held by pharmaceutical, biological
16 and medical device manufacturers. There it
17 is, the yellow form.

18 Contrary to the slide, we have
19 three ways at the moment. We have the form
20 itself, which is postage paid. You can phone
21 in a report. This is for health
22 professionals only. We have a health

1 professional, a pharmacist, clinical
2 pharmacist, taking reports over the phone.

3 If a consumer should call, the
4 report is not taken directly, but we provide
5 a MedWatch form; we provide instructions,
6 with also a recommendation that they either
7 work with or have their health professional,
8 generally their doctor or pharmacist, work
9 with them or fill out the form, but they
10 don't have to. They can fill out the forms
11 themselves.

12 You can fax them in at this number.
13 Modem, we no longer have available. However,
14 in the near future we will have a fourth way.
15 That is reporting by Internet. We are very
16 excited about this possibility of enabling
17 people to literally go to our Web site, which
18 I'll talk further about, and report that way.

19 What should be reported? I am
20 currently in the midst of re-writing,
21 revising the instructions for completion of
22 both the mandatory reporting form and what's

1 relevant to this meeting, the voluntary, the
2 3500 report. We are trying to make the
3 instructions as clear as possible. We are
4 getting input concerning special nutritional
5 products via Dr. Laurie Love of CFSAN, to put
6 more wording in there to let consumers and
7 health professionals know specifically what
8 kind of information is needed with regard to
9 special nutritionals. We are working on that
10 now.

11 One of the things that we always
12 try to make clear is that these reports are
13 only as good as the information provided. As
14 a clinician myself, we always try and make
15 clear to put down both positive and negative
16 findings. Sometimes a negative laboratory
17 finding is just as significant, if not more
18 significant, than a positive finding. People
19 are welcome to include as much as they feel
20 is necessary to get across what was done
21 clinically. These reports are then evaluated
22 by the health professionals who read them and

BETA REPORTING

(202) 638-2400

1-800-522-2382

(703) 684-2382

1 assess them. As Dr. Salive pointed out, the
2 quality of these reports varies tremendously.

3 In addition to the adverse event
4 reporting, there is also product problem
5 reporting. Product problem is defined as a
6 defective or malfunctioning medical product
7 about which there is a concern about quality,
8 performance or safety.

9 What are some examples? Here they
10 are: Inaccurate or unreadable product
11 labeling; packaging or product mix up;
12 suspected contamination; questionable
13 stability; particulate matter and injectable
14 products; defective devices, and others. You
15 can report on the same form. As an example,
16 for drug quality, the reports go to the drug
17 quality reporting system, the DQRS, and in
18 addition, you can check both boxes. There
19 are times that product problems cause an
20 adverse event.

21 I thought you might be interested
22 in who reports and what kind of reports we

1 get. This data is from the end of August
2 1996. This has generally held. About three
3 quarters of the adverse event reports are on
4 drugs. The rest, medical devices, a fair
5 proportion, the drug quality problems I
6 mentioned, DQRS, and there are food reports,
7 biologic, and even early in the program,
8 veterinary reports.

9 Who reports? The majority, that is
10 more than 50 percent of the reports come in
11 from pharmacists. A sizable proportion,
12 although less, come in from physicians, and
13 from other health professionals and
14 consumers, non-health professionals, who are
15 welcome to report under the MedWatch program.

16 This is to give you an idea of the
17 difference between health professionals, as
18 to what is reported. This data from May of
19 1996 showed that more reporting by nurses
20 actually was on medical devices versus drugs.
21 This has flipped. They are still the two
22 most predominant reports by nurses, although

1 drugs are slightly higher now than devices.

2 This kind of information helps us
3 to target when we educate as to the kind of
4 reports we are getting from certain
5 professions. Physicians, and this still
6 holds, are predominately drug reports,
7 although there is some reporting on medical
8 devices. As you can see, on others,
9 including foods, to a small percentage and in
10 nurse reporting.

11 I'd like to mention the Joint
12 Commission on Accreditation of Health Care
13 Organizations, their stance on post-marketing
14 reporting. They require hospitals to monitor
15 for adverse events that involve
16 pharmaceuticals and devices. They require
17 that medication monitoring be a continuing
18 collaborative function, and that medical
19 product adverse event reporting should be
20 done for applicable law and regulations,
21 including those of state and federal
22 regulatory bodies.

1 Well, that's interesting, because
2 there is no mandatory reporting concerning
3 these agents, other than medical devices.
4 The only user facilities, and that's what
5 these are, hospitals, nursing homes,
6 outpatient treatment, and diagnostic
7 facilities, and ambulatory surgical
8 facilities, they are mandated reporters for
9 device adverse event. However, and this is a
10 little confusing, the health professionals
11 within these user facilities are not mandated
12 reporters, but the facility themselves are.
13 Concerning drugs and biologics other than
14 vaccines, as mentioned by Dr. Salive, the
15 reporting is voluntary.

16 Concerning the holder of the NDA,
17 that's not voluntary. That's under the Code
18 of Federal Regulations. I won't belabor
19 this. The 15 day alert reports, the most
20 serious reports concerning serious and
21 unexpected adverse events, as defined in the
22 regulations, in addition, there are periodic

1 adverse event reporting. There are
2 scientific literature reporting, results of
3 post-marketing studies. These are mandatory
4 reporting again for industry, for those who
5 hold NDA.

6 Over the counter drugs. Reports
7 are only required on those OTC products that
8 are marketed under an approved NDA, including
9 those prescription drugs that get switched to
10 OTC status. Reports are not required for
11 other OTC drugs, and as mentioned, there are
12 many biases that can affect all the drug
13 ingredients that are marketed without an NDA,
14 although we certainly encourage voluntary
15 reporting on those.

16 Spontaneous reports. Spontaneous
17 reports are defined as all unsolicited
18 reports from health professionals that we
19 receive at FDA by either the voluntary or
20 mandatory route. The reason why we mention
21 that is when we tell people to report, we
22 tell them to report to FDA, the manufacturer

1 or both. They have options. They don't just
2 have to report to the Agency. They can
3 report to the manufacturer.

4 These are clinical observations
5 that originate outside of formal studies, and
6 it's the combination of the adverse event
7 information that is generated by all
8 reporting that makes up the post-marketing
9 surveillance database we have at FDA.

10 Dr. Salive went through this very
11 nicely. I'm not going to run through it
12 again. I'm simply going to mention two words
13 that you may have heard in relation to that,
14 that is numerator and denominator. The
15 numerator is the actual number of reports
16 that you get. Obviously, with under
17 reporting, that number is affected, and as
18 Dr. Salive mentioned, accumulation of
19 numerated data.

20 The denominator data, the actual
21 number of people exposed to an individual
22 agent, is information often not available.

1 In that case, there are mechanisms and ways
2 that we have to approximate the denominator
3 data. Numerator and denominator
4 considerations and the limitations involved
5 make computing an incidence rate based on
6 numerator and denominator data through a
7 spontaneous reporting system problematic.
8 That's always a concern you have.

9 In addition, we mentioned before
10 the quality of the reports varies
11 tremendously.

12 What are the advantages? Again,
13 Dr. Salive mentioned these. It's large scale
14 and cost effective. I'm not going to belabor
15 that. I will make the same point Dr. Salive
16 made, that the whole theory behind the
17 spontaneous reporting surveillance system is
18 to make the best possible use of the data
19 that is obtained, to generate hypotheses, and
20 to force suspicions based on what comes in in
21 these reports, and to look at the signals of
22 potential problems that are generated by the

1 post-marketing surveillance system.

2 When a report comes into FDA
3 through the MedWatch program, it is sent onto
4 the appropriate center. In the case of
5 devices, CDRH. Foods and special
6 nutritionals go to CFSAN. Medications, if
7 there is a product problem, it goes to the
8 drug quality reporting system, DQRS.
9 Biologics go to the Center for Biologics.
10 Drugs obviously go to the Center for Drugs.
11 It is sent to the appropriate center.

12 MedWatch, that is the actual
13 MedWatch program in which I work, we are not
14 to report evaluators. That is done by the
15 individual centers by their post-marketing
16 surveillance specialists.

17 You probably cannot see this in
18 terms of the actual numbers. It's almost
19 pointless. What this slide shows, you will
20 have to take my word for it, is the relative
21 number of direct reports versus the relative
22 number of reports that come in from the

1 manufacturers. The great majority of reports
2 come from the manufacturers because by virtue
3 of the mandatory reporting. A much higher
4 percentage of the direct reports are serious
5 versus the reports that come in from
6 manufacturers who are mandated to send in the
7 reports of which they are aware, serious or
8 otherwise. That's why the percentages vary.
9 Again, what is done with these reports is
10 done in the individual centers.

11 This is basically just a printout
12 of which reports -- the direct reports are
13 the ones that come through the voluntary
14 system. The 15 day manufacturer alerts and
15 the periodic reports, which still make up the
16 bulk of the reporting.

17 This is to let you know that we
18 have what's called the MedWatch to
19 manufacturer program, by which companies,
20 manufacturers, can sign up and get serious
21 direct reports sent to them that come in
22 through the MedWatch program. This is not

BETA REPORTING**(202) 638-2400****1-800-522-2382****(703) 684-2382**

1 automatic. Companies must sign up with us to
2 receive the serious direct reports. I won't
3 belabor the particulars. I will note that
4 these are reports that we have criteria set
5 up for, and the companies sign on with us,
6 unless someone has stated they don't want
7 their report shared with the manufacturer.

8 This is the essence of the program.
9 We need to let the health professional
10 community know that their report makes a
11 difference. How do we do that? Based on
12 careful analysis of spontaneous reports, FDA
13 can initiate various actions, such as
14 producing a Dear Health Professional letter
15 from the manufacturer, making labeling, name
16 or packaging changes; conducting further
17 epidemiologic investigations; requesting
18 manufacturer sponsored post-marketing
19 studies; conducting inspections of
20 manufacturers' facilities; or records; or
21 working with the manufacturer regarding a
22 possible withdrawal of a medical product from

BETA REPORTING**(202) 638-2400****1-800-522-2382****(703) 684-2382**

1 the market.

2 Feedback to health professionals,
3 which was brought up several times in the
4 morning session. This is one of MedWatch's
5 bailiwicks. We have the MedWatch partners.
6 We have 130 health professional organizations
7 who have signed on with MedWatch to agree to
8 work with us to promote post- marketing
9 surveillance.

10 These organizations include the
11 American Medical Association, the American
12 Psychiatric Association, the American Society
13 of Health System Pharmacists. We have
14 physicians, specialty, nurse specialty,
15 pharmacist specialty, dental specialty and
16 others who have signed on with us, from small
17 organizations to some of the country's
18 largest organizations. They work with us.
19 They are notified along with the others we
20 have.

21 The Drug Information Center, which
22 has over 70 drug information centers, as soon

1 as a notification of a safety related
2 notification has gone out from the Agency, of
3 which MedWatch is made aware, we notify by
4 E-Mail list serve all of the partners and the
5 drug information list serve to let them know
6 something new has come out.

7 We put this on our Home Page, any
8 new Dear Doctor letter, Dear Health
9 Professional letter, safety alert, from any
10 of the centers that comes out which MedWatch
11 is made aware of, it goes up on-line and is
12 available to anyone who can get on the
13 Internet.

14 We depend on our partners and on
15 the drug information list serve to
16 disseminate that information to their
17 members, because we simply cannot do it
18 ourselves. We rely on them to be our
19 information extenders.

20 In addition, we publish in the
21 medical literature, as Dr. Salive pointed
22 out. We all do that. We have the FDA

1 Medical Bulletin, which is also available
2 on-line, to which MedWatch makes a
3 significant contribution in terms of
4 material. We have the FDA on Internet, the
5 FDA MedWatch Home Page is
6 WWW.FDA.Gov/MedWatch. We post, as I
7 mentioned, safety related notifications from
8 all centers. We post safety related drug
9 labeling summaries the month following when
10 the change is made. That is available. We
11 have been doing that for 18 months now. We
12 post other publications that come out of FDA.

13 We like to think we have a wealth
14 of clinical safety related information
15 available to anyone who knows how to use or
16 access or have someone else download from the
17 Internet.

18 In summary, the effectiveness of
19 any national post- marketing surveillance
20 program depends on health professional
21 participation. Pre-marketing clinical trials
22 have inherent safety related limitations that

1 all of us in post-marketing surveillance
2 recognize. The medical product safety
3 profile of any medical product is an evolving
4 ongoing process that's contingent upon
5 post-marketing clinical experience.
6 Spontaneous reports data have limitations and
7 strengths. That problem identification and
8 subsequent dissemination of safety related
9 information begins with health professionals.
10 And that we ask that health professionals
11 review adverse event reporting as a
12 professional responsibility.

13 I should also note we have a
14 continuing education program by which health
15 professionals can get continuing education
16 credit. You have an example of one of them,
17 the clinical impact of adverse event
18 reporting that you were distributed today was
19 one of our CE articles. That's good, I
20 believe, for another month and a half, for
21 doctors and pharmacists, and when CE runs
22 out, we'd like to think the information is

1 available and is still good.

2 In closing, what we try to make
3 clear to people, both health professionals
4 and consumers alike, if it's serious, we need
5 to know. Thank you.

6 DR. BRANDT: Thank you very much,
7 Dr. Goldman. We appreciate that. Are you
8 going to be able to stay around?

9 DR. GOLDMAN: Yes.

10 DR. BRANDT: Thank you, sir. Now,
11 let's go to Dr. Toby Litovitz from the
12 American Association of Poison Controls. She
13 has given us two publications plus this
14 reporting form.

15 POISON CONTROL CENTERS

16 DR. LITOVITZ: To follow this
17 lecture, you are going to need both of these
18 handouts, and there are lots of them in the
19 back just outside the room, if anyone doesn't
20 have it. I know the committee members have
21 them at their seats.

22 Good afternoon. What I'd like to

1 do is describe to you today the American
2 Association of Poison Control Centers' TESS
3 post-marketing surveillance system, which is
4 the toxic exposure surveillance system. It
5 was piloted in 1983, widely implemented in
6 1984, embraced by most poison centers in the
7 United States today.

8 If you turn first to the 1996
9 annual report, the white handout, this first
10 table shows the growth of the system from a
11 quarter million cases in 1983 to 2.1 million
12 reports in 1996.

13 There are currently 75 poison
14 centers in the United States; 67 of those
15 poison centers participated in the TESS in
16 1996, and those centers serve 87.2 percent of
17 the U.S. population.

18 Of the 67 participating centers, 49
19 are certified as regional poison centers by
20 meeting minimum national criteria for the
21 operation of a poison control center.

22 For those of you who are not aware

1 of what happens in poison centers, let me
2 describe the basic criterion for a certified
3 poison center. That includes 24 hour
4 operations with dedicated staffing.

5 By dedicated staffing, we are not
6 referring to the attitude of the staff
7 members, but rather the fact that what they
8 are doing on the job is just poison control.
9 They are not also filling prescripts in the
10 pharmacy or seeing patients in an emergency
11 department. These individuals are highly
12 trained. They sit for a national examination
13 and become certified as specialists in poison
14 information. The background is either a
15 registered nurse or a pharmacist.

16 They have 24 hours a day Board
17 certified medical toxicologists back up for
18 consultation in more difficult, less routine
19 cases. They do follow cases. Poison centers
20 are handling telephone calls about poison
21 emergencies. Lots of these come in from
22 parents, but about a quarter of them are

1 involving health professionals.

2 The initial call is not the final
3 contact with the patient. There are calls
4 back to find out whether the symptom has
5 resolved, what the final clinical effects
6 were, and to continue to provide advice as
7 the clinical course evolves.

8 There is comprehensive charting on
9 each of these cases, with a full clinical
10 history and documentation of recommendations.

11 There are other services of poison
12 control centers, including poison prevention
13 education for the public, which is delivered
14 through the media, through presentations,
15 attendance at health fairs for the
16 distribution of materials, and professional
17 education for health professionals in the
18 poisoning treatment and in the diagnosis of
19 poisonings.

20 How are the data currently
21 collected? About a third of the TESS
22 participants, and that's the poison centers

1 that submit to TESS, enter the data on a
2 standardized report form. One of these forms
3 was provided for you. This contains a
4 detachable perforated medical and data form.
5 It tears down the center. The data record is
6 completed with a high carbon marker. It's
7 bubbled, just like you would the old SATs,
8 and then torn off, scanned through an optical
9 scanner, which is programmed to check for
10 information consistency and completeness.
11 Cases are rejected if they don't meet the
12 minimum consistency and completeness
13 requirements, and they are corrected and
14 re-scanned.

15 The other roughly two-thirds of
16 TESS participants enter data using one of
17 several computerized data collection
18 programs.

19 What data are collected by these
20 centers? If you turn to the next page in
21 this annual report and look at table 2, you
22 will see that we capture data on the site of

1 the exposure and the site of the caller. The
2 vast majority of reports, in fact, are cases
3 of poisoning that occur in the patient's own
4 home. About 13 percent of calls originate
5 from health care facilities.

6 Turning to table 3, you can look at
7 the age distribution of poison exposure cases
8 reported to the system, and you see that
9 children under the age of six comprise
10 53 percent of cases. Remarkably, they
11 comprise just 4 percent of the fatalities,
12 even though they are the majority of the
13 poison exposure reports. Sixty-one percent
14 of poisoning fatalities actually occur in 20
15 to 49 year olds.

16 Take a look at table 5. Here you
17 will see that more than one substance is
18 implicated in 7.2 percent of cases reported
19 to the system. We code up to two substances
20 to brand, if the brand is known, and then we
21 have the ability of analyzing the data for
22 cases that are reported with a single

1 substance or cases that are reported with
2 concomitance, depending on whether we are
3 looking to focus on the toxicity of the
4 substance implicated or total number of
5 reports involved.

6 Table 6 shows you the reason for
7 the exposure. In most of the cases, about
8 86 percent are unintentional. In contrast,
9 most of the adult deaths, about 79 percent,
10 are intentional. There were 123,000
11 therapeutic errors in this database in 1996
12 and 32,000 adverse reactions to drugs.

13 Table 9 shows the route of
14 exposure. Multiple routes can be coded for a
15 given case. Most exposures are ingestion's.

16 Table 10 shows the management site.
17 Most cases are managed at home or at the
18 exposure site, about 74 percent. We code the
19 highest level of care which is provided. For
20 example, if they are seen in an emergency
21 department and treated and released, that
22 will be coded as treated and released. If

1 they are admitted to an ICU, that would be
2 the highest level of care, and that would be
3 coded instead.

4 Table 11 shows variations in the
5 outcome distributions from product to product
6 that are key to identifying the hazards that
7 are associated with the individual products.

8 We capture our outcomes in two
9 ways. One is the definitive outcomes and the
10 non-definitive outcomes. The definitive
11 outcomes include no effect, minor effect,
12 moderate effect, major effect, and death.
13 Major effect is life threatening or resulting
14 in permanent disability, and minor effect is
15 limited to the GI tract or the skin,
16 minimally bothersome and resolves without
17 much treatment at all, and the moderate
18 effects are usually more systemic and more
19 prolonged than minor, but are not life
20 threatening, so they fit in the middle.

21 There are actually specific coding
22 definitions for the individuals who code

1 these cases who are the specialists who
2 actually are handling the calls originally in
3 the poison centers, and certain symptoms, for
4 example, would force a case into one
5 particular outcome category.

6 On Table 13, you can see that we
7 also capture the duration of the clinical
8 effects.

9 Table 15, certain therapies are
10 collected, but these predominately are tox
11 related interventions. They are the
12 administration of specific antidotes. They
13 are not general medical therapies for the
14 most part.

15 If you turn to page 472, Table 21,
16 what you will see is part of a long
17 compendium of all the fatalities that were
18 reported to this system in 1996. The
19 substance impicator is reported for each
20 case, along with the age of the patient, the
21 chronicity, the route exposure, the reason
22 for the exposure and where it's given, the

1 highest blood level that was reported of the
2 substance that was implicated, and the time
3 post exposure where that is known for the
4 level.

5 On this page, you see two cases
6 that involve dietary supplements. The first
7 is Case 591, and this is a death from
8 Ephedrine, an unidentified herbal, and EDTA.
9 A 63 year old taking multiple herbal products
10 and EDTA from Mexico for several years
11 developed hepatic and renal failure. One of
12 the products was a capsule containing high
13 concentrations of Ephedrine. Post-mortem
14 showed diffuse hepatic necrosis with viral
15 studies.

16 Further down on the same page, Case
17 608, shows a death following the intravenous
18 injection of an herbal tea preparation. This
19 patient is actually abstracted on page 499,
20 where we have pulled out an abstract of the
21 cases that we feel will be generally of
22 interest to medical toxicologists. In other

1 words, they are cases that aren't typical.

2 This patient had actually taken a
3 native legend tea that was intended for oral
4 use, and it was given intravenously to the
5 patient. The patient had leukemia. It was
6 used as a treatment for the leukemia, and
7 reportedly there were a whole host of
8 ingredients. None of these ingredients of
9 course could ever be verified for sure, and
10 she had previously received intramuscular
11 injections without adverse effects, but then
12 after the intravenous injection, there was
13 immediate cyanosis, cold sensation,
14 agitation, weakness and diarrhea, and she
15 went on to die.

16 This data collection system wasn't
17 set up to capture information on dietary
18 supplements or botanicals, so we have been
19 involved in a host of re-coding in the last
20 few months. Where dietary supplement
21 information was previously listed by its use
22 or by what it was made from, you could have

1 found dietary supplements in the plant
2 category, under miscellaneous drugs, under
3 hormones, stimulants, cough and cold
4 medications, sedatives, diuretics.

5 The intention is to move all these
6 things into a category which is labeled
7 dietary supplement/homeopathic. With that,
8 we will be able to come up with at least some
9 evidence of the total number of cases
10 reported to our system with time. At the
11 present time, we don't have a handle on that,
12 because we have to go through and lump all of
13 these together. We can, however, look at
14 individual products.

15 The computerized compendium of
16 product information that the poison centers
17 rely on for product data to manage individual
18 cases is called Poison-Dex. With one glaring
19 exception, every major U.S. manufacturer,
20 distributor of pharmaceuticals and household
21 products, chemicals, and pesticides,
22 voluntarily provide ingredient information to

1 Poison-Dex. The exception is the botanical
2 industry. Only a small percentage of
3 available botanical products and dietary
4 supplements are listed in this computerized
5 compendium by brand name.

6 The poison centers use Poison-Dex
7 product listings and ingredient information
8 to make treatment recommendations when
9 patients are poisoned. In the absence of
10 ingredient information, the patients are
11 either over or under treated, and poisoning
12 outcomes are obviously worse. It's always
13 more difficult to treat a poisoned patient
14 when you have no idea what the substance is
15 that's involved in the case.

16 In addition, when a substance is
17 not listed in Poison-Dex, it's difficult or
18 impossible to do effective surveillance.
19 Thus, a given brand name product can't be
20 determined to be safe or unsafe unless the
21 distributor ensures that the product is
22 listed in Poison-Dex. Since many botanical

1 products are currently not listed, TESS data
2 are much less accurate and much less useful
3 for botanicals than would be for standard
4 pharmaceuticals.

5 In addition, the surveillance of
6 botanicals is complicated by the fact that
7 the ingredients are often unknown, the
8 toxicity data is often non-existent, the
9 ingredients may or may not be accurately
10 reflected on the label, the ingredients of a
11 given product may change from time to time.
12 Multiple ingredients may occur in a single
13 product, often with obscure substances, about
14 which little tox data is available anywhere.

15 The toxic effects can be due to
16 contaminants, and there is no registry of
17 products with reliable ingredient
18 information.

19 If the botanical industry and the
20 dietary supplement industry were to list
21 their products in Poison-Dex or some other
22 registry, then the TESS would become an

1 effective hazardous surveillance tool for the
2 industry, allowing safe products to be
3 recognized as safe, and unsafe products to be
4 rapidly identified as unsafe.

5 My single most important message I
6 think to this group is that without
7 information on product ingredients and
8 without a registry of products, no one can do
9 effective surveillance in the United States,
10 no matter how much money is put into
11 improving or developing existing or new
12 surveillance systems.

13 If we could turn to 22B, let's look
14 on page 485, what you see here is a listing
15 of all pharmaceutical categories with the
16 number of exposures, the age distribution,
17 the reason distribution, the use of health
18 care facilities, and the outcome, where that
19 outcome is definitive.

20 About 42 percent of the TESS
21 database involves pharmaceuticals. Although
22 this data is lumped by categories, you can

1 use the TESS database to look at an
2 individual product by brand, because the data
3 is coded by brand. We obviously couldn't
4 possibly publish brand name information. It
5 would take more than half of one journal.

6 That information looking by brand,
7 just to give you an idea how that is done, if
8 you will turn to this other handout, the blue
9 one, which is titled TESS, and look at
10 table 3 on page 9, the first thing that you
11 can see is that we do capture specific
12 symptom information. This is just a subset
13 of the specific information, specific
14 symptoms that are captured there, about 120
15 of them in total. They are determined to be
16 either related, not related, or unknown if
17 related.

18 If you look at table 12 on page 14,
19 you can see that each case is given in a log
20 type of listing, and for each case, you can
21 determine the specific symptoms associated
22 with that case.

1 TESS data have been used to support
2 a number of regulatory actions, change in
3 labeling and packaging of iron with OTC
4 switches. TESS data has been used to support
5 the OTC switches of enfads, of H2 blockers,
6 and nicotine patches, and to support the EPA
7 cancellation of the registration of several
8 pesticides, and the CPSC requirement of child
9 resistant closures on dibucaine, lidocaine
10 and acetonitrile type of products.

11 I will close at this point and take
12 questions later.

13 DR. BRANDT: You will be around?

14 DR. LITOVITZ: Yes.

15 DR. BRANDT: Thank you very much.

16 Now, we will go back to the beginning, come
17 full circle, to Dr. Lewis.

18 CFSAN'S CURRENT POST-MARKET SURVEILLANCE
19 MECHANISMS AND SYSTEMS,

20 DR. LEWIS: What was intended with
21 the last three presentations was to give the
22 committee a sense of post-marketing

1 surveillance. Certainly, there are also
2 other kinds of products and what not that are
3 surveyed. That was to give you a flavor. I
4 think one of the purposes we want to
5 accomplish a little bit today, but more in
6 the working groups when you do convene, is
7 the idea of how dietary supplements
8 specifically are handled now within CFSAN for
9 surveillance, and then perhaps some more in
10 depth review of the existing system as we go
11 through the working groups.

12 We do have within the Center a
13 system for adverse events reporting, which is
14 the one a lot of people have been focusing
15 on. We also need to talk briefly just about
16 product monitoring, because I think a theme
17 that's coming through is the idea that it's
18 not just a matter of getting to adverse
19 events, it's also a matter of looking at the
20 products themselves and getting information
21 about the products.

22 Overall, CFSAN has a central

1 processing and assignment unit. All incoming
2 reports pass through a single office, and
3 then the individual program offices
4 subsequently monitor the products under their
5 area of responsibility.

6 In the case of dietary supplements,
7 they do go to the Office of Special
8 Nutritionals.

9 Just as background, we at CFSAN
10 have tended to group adverse events into four
11 broad categories. Under Special
12 Nutritionals, we have dietary supplements,
13 infant formula, and medical foods, but we
14 also do adverse event monitoring for products
15 such as cosmetics and food additives, as well
16 as what we would call traditional foods, such
17 as seafood.

18 Depending upon what topics are hot,
19 we see periodic increases and decreases in
20 this type of reporting. It is, of course,
21 characteristic of a passive surveillance
22 system.

1 There are a couple of special
2 considerations that we need to emphasize for
3 the Center for Foods, but I think you have
4 heard them in the other presentations as
5 well.

6 This surveillance system plays an
7 important role in the case of dietary
8 supplements, because there is no pre-market
9 approval, review or registration for these
10 products. Our surveillance is not
11 pre-market; it's post-market. It is as we
12 have mentioned about 100 times before, a
13 passive voluntary system, and there is no
14 mandatory requirement for reporting. That
15 means we do have to do often times follow-up
16 to clarify.

17 As came out in Dr. Litovitz's
18 presentation, the product information that is
19 needed, almost no matter how it happens,
20 there is not enough to exactly pin down the
21 problem, the source, the issue, what was
22 involved. This means follow-up is needed on

1 the product itself, the ingredient label,
2 directions for use, what was actually in
3 there, those types of pieces of information
4 often are missing initially.

5 Also, in terms of getting to the
6 heart of the adverse events, you need medical
7 records and often times interviews with
8 families and friends. Once the report comes,
9 in many ways, it's the beginning as opposed
10 to the end.

11 In terms of how these adverse event
12 reports get to the Center, and again, I'm
13 distinguishing that from the product
14 monitoring, but how these adverse events
15 relative to consumers get to the Center, the
16 vast majority of ours do still come from the
17 field. There's a special report that people
18 in the field who receive these calls or other
19 contacts can report the adverse event back to
20 the Center. That's the majority of ours.

21 It's followed fairly closely by
22 reports from the MedWatch system. As you saw

1 in Dr. Goldman's report, the MedWatch system
2 does have a majority of its cases focusing on
3 drugs, but there were some on foods and
4 dietary supplements, and those do come to us.

5 We also get reports through the
6 consumer hot line. We do run an 1-800 number
7 at the Center for Foods, and consumers can
8 report directly there. Then the last
9 component is kind of a hodgepodge. We can
10 get them from states, other government
11 bodies, the poison control centers, also can
12 report to us.

13 One of the tasks we didn't
14 accomplish today was actually getting an
15 on-line presentation of the kinds of reports
16 that come in and the nature of the reports.
17 I think, Lynn, you and I were talking about
18 in the working group actually doing a demo so
19 that the people who will be addressing the
20 charge to the committee can get a sense of
21 the kinds of data and the way in which they
22 are presented to the Center.

1 In lieu of that, I thought I would
2 just go through a couple of examples of
3 products and food components, dietary
4 supplement components, food components, that
5 have involved closely activities with the
6 adverse event reporting system.

7 In the case of tryptophan, which
8 perhaps many are familiar with, several years
9 ago, L-tryptophan supplements were found to
10 cause a serious illness known as EMS. We had
11 about 100 deaths or debilitating disease from
12 this particular product. The supplement was
13 being recommended by physicians for treatment
14 of a mental illness in depression. However,
15 most of the marketed product contained a
16 contaminant due to inadequate quality
17 control.

18 The AERs, the adverse event
19 reports, gave us and CDC the pattern that we
20 needed to help us nail down exactly what was
21 happening, and it also helped us to convince
22 the industry to voluntarily re-call this

1 product.

2 Another example involved potassium
3 in a medical food. We had a report from an
4 ICU that they were having problems with a
5 complete nutritional product, a so-called
6 medical food, in their unit. It turns out
7 that patients with transient kidney and
8 bladder impairment following trauma and
9 surgery were ending up with hypercalcemia.
10 The hospital on its own analyzed the product
11 and found that it had over 200 percent of the
12 labeled potassium. They notified us, and we
13 followed up and identified it as being a
14 problem of poor quality control in the
15 manufacturer's plant.

16 In the case of infant formula,
17 thanks to the AER system, we had clusters of
18 complaints about infant formula products from
19 a certain company. The complaints were
20 generally mild, things like spitting up,
21 crankiness, some diarrhea and vomiting, but
22 they were clustered. The frequent clustering

1 suggested batch problems, some type of
2 quality control problem.

3 Finally, after two clusters in
4 quick duration, which we had field inspectors
5 in the plant, it was discovered that the
6 complaint clusters were associated with a
7 breakdown in the production-line. The
8 product was basically sitting for 24 to 36
9 hours. This resulted in a warning letter
10 from us, and the problem cleared up.

11 I will mention just briefly the
12 plantain digitalis issue, although this will
13 come tomorrow again with GMPs as an example
14 of how there are ways in which we can work
15 with the industry to get at these types of
16 problems. There was a 21 year old woman who
17 was hospitalized with digitalis poisoning.
18 She was not using any digitalis containing
19 products, and she was not responsive to the
20 usual treatment for digitalis toxicity, which
21 suggested that the course of digitalis was
22 other than a drug form.

1 FDA investigated, and it was found
2 that a herbal cleansing product was
3 associated with this woman's condition, and
4 when it was analyzed, it was found that the
5 plantain labeled product contained digitalis
6 lanata. This is a botanical containing a
7 digitalis like glycoside.

8 It was chased to a raw bulk
9 ingredient which had been imported, and as a
10 result, there has been a voluntary recall of
11 these products.

12 The REO hair color, I mention only
13 because it's not a dietary supplement issue,
14 and I wanted to let you know that the adverse
15 event reports at CFSAN do deal with other
16 products. In this case, it was supposedly a
17 hair coloring product, and it was the
18 opposite of Rogaine, more or less, all the
19 hair fell out.

20 I've got a flip set of slides here,
21 what's being done and what's not being done.
22 Currently, with the adverse event reporting

1 system, we do log in obviously all the ARs
2 that are received. However, we must monitor
3 manually for patterns and problems. We do
4 use it for some limited research. The Office
5 of Women's Health has taken a look at some of
6 the ARs to consider some research problems.
7 It is used primarily as a trigger or a
8 support for regulatory actions, and we do use
9 it to meet Congressional requests for
10 information.

11 It is there and to the extent that
12 we can, we try to make the information
13 available, but what is not being done, due to
14 limited resources at this time, is a couple
15 of things that I think would be helpful and
16 address some of the concerns the committee
17 has already raised and questioned.

18 At this point, we do not have
19 routine printouts of what's in there. I
20 might add parenthetically that we are working
21 frantically to get a form of the adverse
22 event reporting system on the FDA Web Page so

1 that interested persons can access it, and
2 there is a search system for that particular
3 database.

4 It's not yet ready, which is why we
5 are not demoing it today, but hopefully in
6 the future, for the expert panel, we will be
7 able to demo it.

8 We can't follow-up on all the
9 incoming hot line calls. If it comes to us
10 through the normal adverse event reporting
11 way, of course, it gets logged in, but we
12 aren't doing as much follow-up, especially
13 when there is a lot of media coverage.

14 Thirdly, we are unable at this time
15 to provide feedback to states, public health
16 communities and the industry. There is
17 interest in this. We don't have a system in
18 place. Again, this feeds into this notion of
19 collaborative efforts, the overarching theme
20 perhaps of this particular working group
21 meeting.

22 If we put adverse event reporting

1 to the side for a moment and think more in
2 terms of product monitoring, we do have a
3 very small limited program at CFSAN, and I
4 would like to describe that to you. I think
5 as you consider the charges to the committee,
6 you may want to look closely at the kinds of
7 things that are being done here.

8 Basically, the product monitoring
9 falls into two categories, a surveillance
10 program and special assignments. In the case
11 of the surveillance program, it focuses
12 exclusively on label accuracy. It does not
13 have a safety component. It focuses on
14 vitamin/mineral products. It does not deal
15 with botanicals or animal based products such
16 as glandulars. The special assignments, we
17 will review in a moment.

18 Let me talk just a little bit more
19 about the surveillance. The surveillance
20 program is conducted by the field. We plan
21 for a total of 60 firms being inspected, of
22 which we hope the inspectors will pull about

1 150 products a year. In all candor, we don't
2 hit that number. We don't come terribly
3 close to it.

4 Each field district is asked to
5 inspect and collect vitamin/mineral products.
6 They are then analyzed for their content and
7 checked against label declarations. That is
8 the sum total of the surveillance program.

9 The heart of our safety product
10 monitoring really comes down to special
11 assignments. These are samples that are
12 collected when there is reason to believe
13 there is a problem. Most of this is
14 triggered by the adverse event reporting
15 system, so the two are married in a way, when
16 you see some problem or issue being focused
17 on by the adverse event reports, then there
18 is a special assignment made to the field to
19 go and collect the problem product.

20 They do locate it. They do collect
21 it, and it is analyzed. It is our primary
22 safety activity, but clearly it's reactive,

1 it's not proactive to a problem.

2 I've thrown a couple of wish list
3 items up here because, for a previous
4 presentation, we had to sit down and think
5 about some of the things that we would like
6 if we could get them. It was interesting.
7 The first item on our wish list is one that
8 is clearly sounded in a lot of the reports
9 and advisory recommendations made to the
10 Agency, and that is, we would like more
11 coordination and collaboration. We'd like it
12 with the industry. We'd like it with health
13 professionals. We'd like it with state and
14 public health communities. We'd like it with
15 related programs, for example, such as those
16 with the poison control centers.

17 That's our wish list in terms of a
18 generalized approach, and then we, of course,
19 have a wish list that has a lot more to do
20 with resources. We would like better in
21 place coding systems. I think we need to
22 think about that coding system being one that

1 would allow us to work with other centers or
2 other groups, because there is no point
3 inventing a coding system that doesn't allow
4 us to hook into other types of data that
5 would be out there.

6 This is another wish list related
7 to resources. Again, this is strictly a
8 matter of better software, better hardware,
9 electronic transfer of data and staffing. I
10 think a monitoring system where we could
11 respond to the kind of questions you had, how
12 are you making this available to consumers,
13 how are you making it available to the
14 industry, we would definitely like to
15 accomplish that, but running parallel to this
16 is the notion of the need for methods
17 development for composition and
18 identification.

19 Dr. Litovitz also touched on this.
20 It doesn't do us a lot of good to get
21 information about something if we don't know
22 what's in it, we don't know how it's made and

1 we don't know exactly what it's doing. We do
2 need some of that.

3 Those are some overarching themes
4 in terms of how it's handled at the Center.
5 In terms of the charges to the committee and
6 how the working groups might further pursue
7 this, I think we need to come back and
8 periodically re-visit what it is the Center
9 for Foods and Applied Nutrition does exactly
10 with dietary supplements.

11 The intent today was to give you a
12 flavor, and I am not the center's expert on
13 this type of surveillance. I'm a pitch
14 hitter today. I am going to rely on the
15 other people who gave some presentations, as
16 well as others in the room to help answer any
17 of the more general questions you might have.

18 DR. BRANDT: Thank you very much.
19 I wasn't responsible for the telephone
20 ringing.

21 DR. LEWIS: No, I think it was my
22 supervisor.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

QUESTION AND ANSWER SESSION

DR. BRANDT: Dr. Goldman and Dr. Litovitz, if you all would come join her there at the end of the table, please, we will now open up for questions, comments, et cetera. Dr. Applebaum?

DR. APPLEBAUM: This is a question for Dr. Litovitz. If you would, please, and Dr. Lewis just echoed it, and I wrote it down, so if I paraphrased it wrong, please correct me. Again, you essentially said that you can't do effective surveillance if you don't know what you are looking for or you don't know what you have.

Could you elaborate on that a little bit more? I might have a follow-up question.

DR. LITOVITZ: The typical call that comes into a poison control center about a dietary supplement involves someone who has taken one or many different preparations and

1 has a symptom. Chances are 50/50 that we
2 will know what's in that supplement, what's
3 supposed to be in that supplement. Where we
4 think what might be in that supplement, who
5 knows what the chance is that we are right?

6 For us to capture data as we
7 capture data on everything else that comes
8 in, we know how many exposures to Clorox
9 there were. We know how many exposures to
10 Tylenol there were. We know exactly what the
11 distribution of lesions and adverse reactions
12 and symptoms. We are capturing data really
13 on some product which may or may not have a
14 name. And we may or may not know the
15 ingredients, the intended use and what the
16 toxicity is.

17 It's that inability to have the
18 database that has a listing of the products
19 with their ingredients and with the
20 information about the toxicity of the
21 ingredients that really paralyzes us in the
22 acute management and the surveillance

1 problem. There is no product listing that's
2 stable. You don't know what's in a product
3 at a particular moment in time.

4 DR. APPLEBAUM: The usefulness of
5 this type of information is what? I mean,
6 fill in the blank.

7 DR. LITOVITZ: For some substances,
8 it's fine. If you go in and you look for St.
9 John's Wart, chances are that's what you got.
10 For other things, it's worthless. We have no
11 way of managing the patient. We have no idea
12 what we are capturing.

13 DR. APPLEBAUM: I guess what I'm
14 trying to get to then, and I'm just wondering
15 because of the various committees that are
16 identified, consumers, GMPs and post-market
17 surveillance, for post-market surveillance,
18 you need to know what you are looking for.
19 And I'm just wondering, in terms of the
20 questions posed to those who are going to be
21 looking at post-market surveillance, is it a
22 little bit like putting the cart before the

1 horse?

2 We are talking about post-market
3 surveillance, but at the same time, there
4 isn't any certainty as to what is there
5 because of GMP issues. I'm raising this
6 question because it just triggered me to
7 think even more, what are we going to aspire
8 to in terms of recommendations if the basic
9 information is questionable?

10 DR. BRANDT: That is, of course, an
11 issue that Dr. Clydesdale worries about a lot
12 too. It was a major issue when we held the
13 Ephedra Mawong hearings, because nobody knew
14 what was in that stuff, except that we knew
15 Ephedrine was in there. It ranged from 0 to
16 600 milligrams of Ephedrine, depending on the
17 bottle you picked up.

18 My own opinion, which I will now
19 give you free, is that they ought to go in
20 hand and hand. We have to know more about
21 what's in this stuff, and at the same time,
22 we have to have some surveillance system out

1 there that will allow us to begin to detect
2 adverse events and, therefore, leading to all
3 the other chain and the manufacturing issue
4 too.

5 We have the whole set of problems.
6 It's the age old issue of trying to solve a
7 problem when you don't have any data, which I
8 love to do, by the way.

9 Dr. Fennema?

10 DR. FENNEMA: Yes, this is about
11 serious adverse effects. Given the fact that
12 the Food and Drug Administration has a
13 responsibility for assuring the safety of
14 dietary supplements, and given that the
15 dietary supplement industry has what I would
16 regard as less dependable systems for
17 assuring the safety of their products than do
18 the food industry, would it be unreasonable
19 to require, make mandatory, the reporting of
20 serious adverse effects by the manufacturers
21 of dietary supplements and by health
22 professionals?

1 DR. BRANDT: Who is going to take
2 that on?

3 DR. GOLDMAN: I'm going to defer to
4 Dr. Lewis.

5 DR. BRANDT: I don't blame you.

6 DR. LEWIS: As you know, the
7 statute provides that the first step in
8 determining the safety of the product is the
9 manufacturers. They are not required to come
10 to us for that type of review. Therefore,
11 because there is no pre-market approval and
12 the safety does rest with the manufacturer,
13 the FDA responsibility is in the area of this
14 post-marketing surveillance. When safety
15 problems arise there, then it's very
16 important that we take action.

17 As far as requiring mandatory
18 reporting, of course, the statute does not
19 provide for that. I think in many ways your
20 question was rhetorical. Where the
21 recommendations are pushing us, and we are
22 going willingly, is this idea of what can we

1 do collaboratively. Clearly, they have
2 something that can help us, and we have
3 something that can help them. How best do we
4 bring these two together?

5 DR. FENNEMA: You can't very well
6 do a good job on post-market surveillance if
7 the manufacturers and health professionals
8 are not required to respond to incidents of
9 serious adverse effects.

10 DR. LEWIS: We would indicate that
11 complicates the situation, yes.

12 DR. BRANDT: That's a nice
13 bureaucratic answer.

14 DR. LEWIS: I'm well trained.

15 DR. BRANDT: Dr. Wang?

16 DR. WANG: I'm glad that
17 L-Tryptophan was brought up. When we talk
18 about serious adverse events here, we are
19 talking acute, and after the diagnosis or the
20 newspaper announcement about L-Tryptophan, we
21 started getting a lot more calls of people
22 who had chronic views, and then they

1 developed this chronic symptom they didn't
2 identify.

3 The question I have for poison
4 control is, the way I understand it, people
5 get poison substance exposed, and who made
6 the diagnosis? When they call up poison
7 control centers, they want help. How do you
8 get them to tell you it is probably a dietary
9 supplement they have taken or something
10 unusual they have taken?

11 DR. LITOVITZ: The caller to the
12 poison center, first of all, when they first
13 call, they think it's an anonymous call,
14 although it doesn't evolve into an anonymous
15 call. Eventually we get their name and
16 number. But they are calling with a
17 question, and the question is usually about a
18 substance. That information about what is
19 implicated is offered right up front. We
20 know immediately what they think is the
21 product, but we may or may not know what's in
22 it.

1 DR. WANG: It is the consumer who
2 thinks what it is. It's just like food
3 poisoning, when they say, well, I have
4 stomach cramps, who diagnosed that as food
5 poisoning could have other --

6 DR. LITOVITZ: That's right. It's
7 the consumer calling with the question, and
8 then it's the poison center that will make an
9 assessment as to whether it's related. If
10 they call with something we know couldn't
11 possibly cause those symptoms, or it's a
12 preexisting fever, and then they had the
13 medication, we try to sort that out, but
14 obviously, it's not perfect.

15 DR. BRANDT: Dr. Clydesdale?

16 DR. CLYDESDALE: Does FDA have any
17 information on the number of companies and/or
18 associations that are listed in the report
19 that recommend there be an 800 number for
20 customers to call? Do we have any idea of
21 how many companies or associations,
22 supplement associations, recommend to their

1 constituent memberships that at a minimum,
2 they have an 800 number for them to call?

3 It would seem to me that minimum
4 would begin this area of collaboration and
5 cooperation. There is no way to enforce
6 that.

7 DR. LEWIS: No, we don't have it
8 specifically. We need to roll us into the
9 idea that dietary supplements are foods, so
10 we don't have GMPs or those types of things
11 for foods either. Companies with adverse
12 events in conventional foods are not required
13 to report to us either, and how many of those
14 have 800 numbers also, I'm not sure. We
15 don't know.

16 DR. BRANDT: Nobody else has their
17 hand up, so I have a question, unless there
18 is objection from somebody on the committee
19 to my saying anything.

20 There is sort of a perception, and
21 indeed, some claims that dietary supplement
22 safety is not really an issue because poison

1 control centers don't get many calls about
2 dietary supplements, and yet what we heard
3 from Dr. Litovitz was part of that is a
4 coding problem. I would suspect or would
5 postulate that somebody who gets sick and has
6 been taking only a dietary supplement would
7 rarely associate that dietary supplement with
8 their illness. Is that a reasonable
9 assumption?

10 DR. LITOVITZ: It's definitely true
11 that the fact that the public believes the
12 dietary supplements are safe influences the
13 data. For example, you don't see any
14 suicides with dietary supplements. People
15 don't believe they could kill themselves with
16 them.

17 DR. BRANDT: We have had some kids
18 who committed suicide with some of these
19 Ephedrine containing substances for sure.

20 DR. LITOVITZ: I really shouldn't
21 say it that way. When I compare, for
22 example, hypericin with the other

1 antidepressants, I look at a 57 percent
2 suicide rate with the traditional
3 antidepressants and a 7 percent suicide rate
4 with hypericin in our database. It's that
5 belief that you can't kill yourself that
6 keeps people from even bothering to try.
7 Obviously, it's a bias in the data system.

8 We have noticed the comments in the
9 press about how our data shows that there are
10 no problems with dietary supplements. Those
11 quotes did not come from us.

12 DR. BRANDT: I wasn't accusing you
13 of anything. Any other questions?
14 Dr. Benedict?

15 DR. BENEDICT: Do you have an idea
16 about the demographics, the type of people
17 who actually call the poison control center?
18 Are there not people who just get over it and
19 don't call, or people who get ill and are
20 adversely affected? I'm trying to get a feel
21 for what sampling of the population you are
22 getting.

1 DR. LITOVITZ: Unfortunately, I
2 have no way of knowing what sampling I'm
3 getting, but you are unequivocally correct.
4 If they don't make the association, they will
5 not call. Even if they do make the
6 association, if the symptoms are minor, they
7 also may not call. It's not like an acute
8 poisoning event, where you just saw your
9 child stick something in their mouth and you
10 are in a panic state. A dietary supplement
11 phenomenon would evolve over some period of
12 time, and people would have more time to
13 think about whether it's necessary to query.

14 DR. BENEDICT: The reason that I'm
15 sort of asking is, is it the opinion of the
16 collective three wise persons that this is
17 the way to go to get post-market surveillance
18 on dietary supplements? Do you think this
19 will be an effective way?

20 DR. LITOVITZ: I think it is one
21 approach to capture some of the cases. I
22 think that a passive reporting system is

1 going to be a whole lot cheaper than going
2 out and asking every single person who takes
3 a dietary supplement, or a sample of persons
4 what kind of effects they had as a result of
5 that.

6 DR. BENEDICT: Would you argue that
7 education might be a more important factor
8 than just passively waiting?

9 DR. LITOVITZ: Education?

10 DR. BENEDICT: Of the consumer.

11 DR. LITOVITZ: To do what?

12 DR. BENEDICT: To watch out for
13 dietary supplements, in the event that they
14 OD or whatever happens.

15 DR. BRANDT: They can't report it
16 if they OD.

17 MR. GOLDMAN: I think I can make a
18 couple of comments as a clinical
19 pharmacologist, not necessarily as an FDAer
20 in this sense. The reason why Dr. Salive and
21 I in particular always like to point out both
22 the limitations and strengths of

1 post-marketing surveillance is these are
2 known. These have been known for years. Any
3 system you have, whether it's a system that
4 is set up in Europe or the systems we have
5 here, have no limitations and strengths,
6 which we have enumerated.

7 I'm going to focus for a minute on
8 education. One of the most important aspects
9 to get someone to think about an adverse drug
10 event, as an example, and diagnostically, is
11 to think about a differential diagnosis, that
12 common things occur commonly, and making the
13 attribution at the bed side that something
14 someone is taking is showing a clinical
15 syndrome rather than a disease state,
16 separate from whatever medical product you
17 have taken, as you know, is actually a fairly
18 sophisticated thing. You must educate
19 people, and you can't just educate them once
20 as second year medical students or as
21 pharmacy students. This is a continual
22 process. You must educate.

1 This is one of the things that
2 frankly we do at MedWatch, knowing this as
3 health professionals ourselves, that this is
4 something you must constantly reiterate. I
5 think it impacts whether it's Toby's system,
6 in terms of poison controls, or it's a system
7 we have, whether it's Dr. Salive's VAERS, or
8 the MedWatch system for the other products, I
9 think there are general principles
10 irrespective of product that have to be
11 acknowledged.

12 Having said that and given my
13 admittedly limited knowledge of dietary
14 supplements versus drugs, you seem to have
15 some particular difficulties that Dr. Brandt
16 had alluded to and I think Dr. Applebaum had
17 said, about the actual products you are
18 dealing with.

19 I would like to make a clinical
20 pharmacology point, that many of the adverse
21 events that we pick up, the serious
22 unexpected events that we see in

1 post-marketing, are not always ones that you
2 could have predicted because of
3 pharmacokinetics. They are pharmacodynamic.
4 This is a very important point to make,
5 because whether or not the strength is 200
6 milligrams or 2,000 grams, or whether someone
7 is taking one blood level versus another, you
8 cannot always predict a priori what you are
9 going to find clinically.

10 I think this is one of the reasons
11 why these are the nuances and shadings that
12 come up when you do post-marketing
13 surveillance.

14 DR. BRANDT: We thank all three of
15 you, Dr. Goldman, Dr. Litovitz and our
16 friend, Dr. Lewis, who we see a lot of. One
17 more question.

18 DR. RODIER: I just wanted to drive
19 Dr. Lewis crazy.

20 DR. BRANDT: Okay, go right ahead.

21 DR. RODIER: I know the whole goal
22 here is to get consensus and get

1 collaboration, but one way to educate the
2 public, if a product has no requirement for
3 having any known ingredients or anything that
4 might offer protection if you take too much
5 of it or whatever, it might be possible to
6 educate the public by having an instructional
7 label that says this product, the ingredients
8 in this product are secret; you should take
9 this into consideration before you ingest it.

10 I think FDA is going to put itself
11 in the position that they say they are doing
12 post-marketing surveillance, and they are not
13 doing post-marketing surveillance. In fact,
14 it's a total void. I don't think you ought
15 to rule out the possibility of something
16 that's fairer to the public. We are always
17 complaining about how the public, everyone is
18 science illiterate and people believe
19 anything.

20 I think it's perfectly reasonable
21 of the public to assume that if we say we are
22 going to post-marketing surveillance, that

1 that's what we mean we are going to do. To
2 say we are going to do it when we know it
3 can't be done, I think that is really
4 mis-information. That's how I'm going to
5 drive her crazy.

6 DR. MOORE: Dr. Brandt, I think I
7 need to clarify one thing. You have the
8 mistaken impression that they don't have to
9 declare their ingredients. They do have to
10 declare their ingredients on the label. What
11 they don't have to do, and what Dr. Litovitz
12 was saying, is there is no requirement that
13 they tell the poison control centers,
14 Poison-Dex or whatever. They don't have to
15 register their product. They don't have to
16 tell them what the formulation is.

17 The product that is in the market
18 place, while we may not have a quantitative
19 formulation, we know the ingredients, but we
20 have post-marketing surveillance with foods.
21 There is no requirement for food companies to
22 disclose their formulations of their

1 ingredients.

2 I think there is a mistake to think
3 that supplements are somehow this hybrid
4 animal that is different than foods. We have
5 all the same limitations that we have with
6 conventional foods with supplements.

7 DR. RODIER: There is one
8 difference, and that is that all the
9 supplements are being advertised as being
10 health providing. Nobody tells you a steak
11 is going to do your blood vessels any good.

12 DR. LEWIS: I think we can bring
13 this up in the working group.

14 DR. BRANDT: I think so, too. I
15 just have to comment, Dr. Rodier was pointing
16 out she wanted to drive somebody crazy. One
17 of my colleagues a few years ago was bugging
18 me, and I said, you know, if you don't quite,
19 you are going to drive me crazy. And he
20 said, that's not a drive; it's only a short
21 putt.

22 Let's take a break and be back at

1 3:25.

2 (Recess)

3

4 OPEN PUBLIC HEARING

5 DR. BRANDT: We are now in the
6 public hearing. We have two people that have
7 signed up to speak to us, and others will be
8 given the opportunity. Let me point out to
9 members of the public who are going to speak,
10 you will be limited to 5 minutes. As you
11 approach 2 minutes, I'll warn you with a two.
12 As you approach one minute, I'll warn you
13 with an one. That's where we are.

14 The first person is Dr. Regina
15 Hildwine from the National Food Processors
16 Association.

17 THE NATIONAL FOOD PROCESSORS ASSOCIATION

18 MS. HILDWINE: Thank you very much,
19 Mr. Chairman, members of the committee. I
20 thank you for this opportunity to present our
21 views today. I'm Regina Hildwine, not
22 Dr. Regina Hildwine, although I played one on

1 TV. I'm Director of Food Labeling and
2 Standards for the National Food Processors
3 Association or NFPA, which is the principal
4 scientific trade association representing the
5 \$430 billion food processing industry.

6 NFPA has three laboratory centers,
7 and we are the leading authority on food
8 science and safety for the food industry. We
9 have been in operation for more than 90
10 years, and during this time, the food
11 industry has relied on NFPA for government
12 and regulatory affairs representation,
13 scientific research, technical services,
14 education, communication and crisis
15 management.

16 My presentation today will focus on
17 the issues of dietary supplement safety and
18 identity with special emphasis on post-market
19 surveillance systems.

20 As an aside, I would like to note
21 that NFPA made two presentations and filed
22 comments with the Commission on Dietary

1 Supplement Labels. Our focus at that time
2 was on label claims, but safety of
3 supplements is a primary importance to NFPA.

4 NFPA supports the recommendation of
5 the Dietary Supplements Commission that
6 post-market surveillance for supplements is
7 needed. NFPA believes that any post-market
8 surveillance system should be strong, even if
9 it is a passive surveillance system. A
10 strong and even robust passive surveillance
11 system should be designed so that it is able
12 to respond in a timely and appropriate
13 manner.

14 The Dietary Supplement Commission
15 offered guidance that this surveillance
16 should be a cooperative effort between
17 government, industry, the scientific
18 community and consumer groups. While these
19 parties are all stakeholders, NFPA believes
20 that the greatest burden should belong to the
21 dietary supplement industry. There are
22 several reasons for this view.

1 First of all, by law, dietary
2 supplements are part of the food industry.
3 Since the supplement industry no longer needs
4 to prove safety of ingredients prior to
5 marketing, that is since by law, ingredients
6 of dietary supplements are no longer deemed
7 to be food additives. NFPA believes the
8 supplement industry should assume
9 responsibility for the safety of their
10 products after they are marketed, just as the
11 conventional food industry does.

12 To set the stage for a market full
13 of safe products, the dietary supplement
14 industry would be well advised to review
15 ingredients of dietary supplements under the
16 standard of generally recognized as safe.
17 Many ingredients of dietary supplements have
18 a history of use, so grass determinations
19 should be possible.

20 Ingredients of dietary supplements
21 should be held to the same grass standard as
22 conventional food ingredients. Grass panels

1 established by the supplement industry would
2 not be the first instance in which an
3 industry develops it's own grass list. For
4 example, there's a well known one developed
5 by the flavor and extract industries.

6 With respect to any adverse reports
7 after marketing, the dietary supplement
8 industry is likely to receive regular input
9 from consumers and the trade. Some of these
10 reports may be associated with safety. Most,
11 if not all, of these comments/reports will go
12 no further than the manufacturer or
13 distributor.

14 Situations involving actual or
15 potential illness or injury episodes are
16 likely to be reported to FDA as part of
17 voluntary product recall procedures. The
18 industry likely will receive more reports
19 than any other entity, FDA, CDC, U.S.
20 Pharmacopoeia, a poison control center, or
21 any other surveillance body.

22 Furthermore, adverse incidents

1 involving tampering are likely to be reported
2 to manufacturers first. This is the case now
3 with the food industry. Clearly, the
4 industry is likely to be the first and best
5 repository of these data, and the industry is
6 also in the best position to initiate prompt
7 action.

8 I'm going to skip a little bit here
9 and talk about identity of dietary
10 ingredients. To ensure consistent
11 formulation, the dietary supplement industry
12 should develop product standards, including
13 identity, potency and quality. While it may
14 not be necessary for FDA to promulgate
15 regulations on standards of identity,
16 industry standards should be in place. The
17 supplement industry can work cooperatively
18 with U.S. Pharmacopoeia or Food Chemicals
19 Codex to develop these product standards.
20 This is a logical component of good
21 manufacturing practices.

22 NFPA believes that processed

1 documentation to ensure consistent product is
2 an appropriate part of good manufacturing
3 practices. The conventional food industry
4 typically uses such in process documentation
5 and controls, many of which go far beyond
6 those required in the food GMPs. This is
7 part of the industry's commitment to
8 consumers.

9 In conclusion, NFPA believes that
10 the dietary supplement industry should carry
11 the burden of monitoring products in the
12 market place to ensure they are safe, and the
13 industry should commit to developing product
14 standards to ensure consistent identity,
15 potency and quality of dietary supplement
16 products.

17 NFPA supports a regulatory policy
18 which is consistent for all foods, including
19 dietary supplements. We feel this way for
20 label statements related to health and
21 nutritional benefits of products, and we
22 especially feel this way regarding the safety

1 of products. Dietary supplements are foods,
2 and they should be treated like other
3 segments of the food industry.

4 Thank you. I'll be happy to answer
5 any of your questions.

6 DR. BRANDT: Thank you very much.
7 Appreciate your being with us. Our second
8 speaker is Dr. Annette Dickinson from the
9 Council for Responsible Nutrition.

10 COUNCIL FOR RESPONSIBLE NUTRITION

11 DR. DICKINSON: Thank you, Mr.
12 Chairman, for the opportunity to make some
13 very brief statements. I am Annette
14 Dickinson. I'm director of scientific and
15 regulatory affairs for the Council for
16 Responsible Nutrition, which is a trade
17 association of dietary supplement
18 manufacturers representing more than 85
19 member companies.

20 I was also a member of the
21 Commission on Dietary Supplements, about
22 which you heard this morning.

1 CRN was established 25 years ago.
2 We are proud to have as our members what we
3 consider to be the *creme de la creme* of the
4 dietary supplement industry. We represent
5 the full spectrum of the industry, including
6 the major product ingredient suppliers, who
7 supply ingredients not only to our industry
8 but to the food industry and to the
9 pharmaceutical industry.

10 We represent large as well as small
11 finish product manufacturers. We represent
12 companies that market through health food
13 stores, through the mass market, including
14 drug stores and supermarkets, through mail
15 order and through direct sales.

16 Our companies supported the passage
17 of DSHEA in 1994 along with a number of other
18 associations. We supported the emphasis in
19 DSHEA on GMPs, and following the passage of
20 DSHEA, we immediately got in touch with the
21 Food and Drug Administration to determine
22 whether, as authorized in DSHEA, they

1 intended to establish specific GMPs for this
2 category. They indicated they wanted to do
3 that, but did not have the internal resources
4 to make it happen.

5 CRN, through its committee on
6 industry quality standards, took the lead
7 among the industry in developing a draft set
8 of GMPs which go very substantially beyond
9 the existing food GMPs and which we submitted
10 to FDA with a request that it be considered
11 for publication as a rule. We also recruited
12 the support and the assistance throughout
13 that process of other related associations.

14 That was the document that was
15 published in February 1997 as FDA's advanced
16 notice for proposed rulemaking on this
17 subject.

18 We continue to be committed to
19 improving and expanding that document, and we
20 are committed to remaining intimately
21 involved in all of the processes that may be
22 undertaken by this committee and by FDA

1 regarding the GMP document.

2 We also support the need for
3 surveillance. We worked with FDA for a
4 number of years before the final Ephedra rule
5 was proposed and before this committee took
6 up that consideration in order to determine
7 what could be done to resolve that issue.

8 I share, certainly, and CRN shares
9 the Commission's view that when problems of
10 this kind occur and problems of this kind are
11 very likely to be identified through adverse
12 reaction reporting systems such as currently
13 exist, we believe that when those problems
14 surface, they should be dealt with more
15 rapidly than they were in the Ephedra case,
16 both on the part of industry and on the part
17 of the Agency. We are prepared to support
18 any kind of action that can facilitate that
19 happening.

20 Regarding the quality issues, we
21 have been working with U.S. Pharmacopoeia
22 since 1990 in the establishment of quality

1 standards for dietary supplement products.
2 We are continuing to do that. We also have a
3 commitment not only from our industry quality
4 standards committee, but from our botanicals
5 committee to do some very active work in this
6 coming year on the issue of identity
7 standards and quality standards for these
8 products.

9 Therefore, whether FDA's final rule
10 is published in the very near future or not,
11 we do intend to both support that publication
12 and also undertake some independent
13 activities in support of that effort.

14 A number of our companies do have
15 800 numbers on their labels. A number of
16 those companies also use 800 numbers in their
17 advertising and work very closely with FDA in
18 monitoring the adverse reports that come in.

19 It's also notable that most
20 ingredients in dietary supplement products
21 are considered grass. Most of these products
22 were on the market well before 1958, let

1 alone before 1994, and are not new
2 ingredients which warrant the kind of concern
3 that I've heard expressed here today.

4 Our members are committed to high
5 quality, to safe products, to effective
6 products. They know better than anyone else
7 that if these products don't work, and if
8 there are other safety concerns that are
9 raised about these products in the coming
10 year, that our consumer franchise is going to
11 go away, and it's a very strong franchise.

12 We have every interest in
13 supporting the confidence that consumers have
14 and deserve to have in the product category
15 that we market.

16 I have been very concerned during
17 this day at some of the comments that have
18 been made by members of this committee and by
19 what I perceived to be the failure to
20 understand this product category. I hope
21 that you will consider involving some
22 additional advisors as you consider these

1 issues, as you continue to consider these
2 issues, to provide you fuller input on some
3 of the factual bases for these products and
4 for our processes.

5 Thank you.

6 DR. BRANDT: Thank you very much.
7 The material that she was talking about is in
8 tab 7 of your book, for all the members of
9 the committee. That is your proposal that is
10 included in that proposed rule?

11 DR. DICKINSON: Yes.

12 DR. BRANDT: Is there anyone else
13 in the audience that would like to address
14 the committee? Seeing no one, we will move
15 on down our agenda.

16 DR. CLANCY: Can we ask some
17 questions?

18 DR. BRANDT: We don't ordinarily.
19 You can ask them privately if you wish. We
20 are prepared for Dr. Carolyn Miles to begin
21 the GMP.

22 GOOD MANUFACTURING PRACTICES PROPOSAL

1 DR. MILES: I'm Carolyn Miles of
2 the Office of Special Nutritionals at CFSAN
3 and Food and Drug Administration. This slide
4 didn't turn out too well at all.

5 I've been asked to walk you through
6 the document that you heard mentioned a few
7 moments ago. It was titled "Current Good
8 Manufacturing Practice in Manufacturing,
9 Packaging and Holding Dietary Supplements."
10 I'll refer to it in the future as the GMP.

11 This was published on February 6,
12 1997, in the Federal Register, and I
13 understand the committee has copies of it.
14 It's really an advanced notice of proposed
15 rulemaking. As you heard, the dietary
16 supplement industry gave us this GMP
17 framework. It was published as a Federal
18 Register document with some additional
19 questions that FDA thought still needed to be
20 explored relative to GMPs. We are going to
21 get into some of those today, recordkeeping
22 and identification of product.

1 These GMPs are modeled after the
2 current good manufacturing practice
3 regulations for foods, and that was a part of
4 the statutory mandate of the Dietary
5 Supplement Health and Education Act that the
6 GMPs would be modeled after food GMPs.

7 A number of the headings that you
8 will see in this document are also headings
9 in the good manufacturing practices for foods
10 found in FDA's 21 Code of Federal Regulation,
11 Part 110, Personnel. The requirements in
12 this ANPR are very similar to requirements on
13 personnel in other regulations.

14 Disease control, it indicates that
15 if an employee is found by a supervisor's
16 observation or by a medical evaluation to
17 have an illness, some open lesion, sore, et
18 cetera, that may adulterate the dietary
19 supplement, this person needs to be
20 eliminated from that part of the
21 manufacturing until the disease is under
22 control. There are cleanliness provisions on

1 personnel, and these again relate to general
2 things, such as wearing clean outer clothing,
3 washing hands after going to the bathroom,
4 indicating that the personnel should not wear
5 loose jewelry that can fall off and get into
6 the manufacturing process.

7 In general, it's just that the
8 person involved in the manufacturing must be
9 clean and not adulterate the product because
10 of bringing unsanitary conditions into the
11 plant.

12 Each personnel needs to have
13 education, training or experience or a
14 combination of these so that they are fully
15 equipped to do the job they have been hired
16 to do. There is also a provision on
17 supervision, that there must be a supervisor
18 who can ensure that the personnel are meeting
19 all of the requirements that they are
20 required to by the regulation.

21 DR. LARSEN: Carolyn, you have
22 copies of her slides in the stuff put at your

1 table this morning, and there were extras out
2 back. Most of the folks in the audience
3 should have copies as well.

4 DR. MILES: Some people were not
5 recognizing them when I said they had them
6 already. There are six on a slide. People
7 said, oh, I thought it was going to be one
8 per slide, so they didn't notice that.

9 There is a general area on grounds
10 also. Again, the grounds are kept in
11 condition to protect the product that you are
12 manufacturing against adulteration. This
13 involves the proper storing of the equipment,
14 removing litter and waste around the grounds,
15 cutting the weeds and grass, simply because
16 this can be a harborant for pests, or vermin,
17 or whatever, that you don't want around the
18 manufacturing. Maintain the roads, yards and
19 parking lots. Have adequate drainage areas,
20 again, to prevent the breeding of pests that
21 might adulterate the product. There must be
22 proper operating systems for waste treatment

1 and waste disposal.

2 The ANPR also addresses plant
3 construction and design. The plant must be
4 constructed of a suitable size, suitable
5 construction and suitable design to
6 facilitate maintenance, cleaning and sanitary
7 operations. You will notice these words
8 coming up over and over again in the many
9 sections of the ANPR. You are always trying
10 to keep things clean and sanitary. You are
11 always trying to maintain things so that you
12 are producing the product you think you are.

13 The plant construction and design
14 is also set up to prevent mix ups between raw
15 materials and product, and this really means
16 two things, that the raw materials and
17 products need to be segregated in your plant
18 according to whether they are quarantined
19 because they haven't been tested yet to know
20 they meet specifications.

21 They should be separated so that
22 the raw materials or the product that have

1 been approved and are ready to be either used
2 or shipped can be separated, so you know
3 what's quarantined, what's been approved for
4 shipment, and what's still up in the air,
5 whether you are still testing it. This is
6 why the plant has to be of a size that you
7 have enough space for these types of
8 operations.

9 Again, sufficient space. You want
10 to make sure you don't have the potential for
11 a mix up, which might adulterate your
12 product, because you might release
13 quarantined raw materials to be used in the
14 product because you simply have it mixed up,
15 you didn't have enough space to put them in
16 the plant where they belong.

17 There's a provision on outside bulk
18 fermentation vessels that I really won't go
19 into right now. I meant to mention at the
20 beginning with the introductory remarks, as
21 we are going through these, you might think
22 about dietary supplements the way the law

1 defines them, that they come in many
2 different forms. We are talking about
3 capsules, soft gels, liquids. There is just
4 a variety of products or extracts of the
5 products. Think in terms of the different
6 forms that dietary supplements can come in.

7 The plant and facility have to be
8 constructed so that they can be kept clean
9 and in good repair, have to have adequate
10 lighting, obviously, so you can read what raw
11 materials you are using, to make sure you are
12 weighing things properly. There is also a
13 provision for protecting light fixtures so
14 that you don't have glass breakage that gets
15 down into your product.

16 The plant has to have adequate
17 ventilation and control over microorganisms,
18 dust, humidity, temperature, vapors and
19 odors, and adequate screening against pests
20 that might get into your plant.

21 You can see the same idea coming up
22 again, sanitary conditions and in good

1 repair. That is how you must keep your
2 physical facilities. The cleaning and
3 sanitizing materials that you use, you have
4 to ensure they are free from contamination,
5 and you have to ensure that you keep them in
6 your plant in such a way that they do not
7 contaminate the product, that they are used
8 under special care. Many of these would add
9 to your ingredient something that would be
10 unsafe.

11 There needs to be a pest control
12 system, and the water supply that you provide
13 to the plant for use, you must have it at an
14 adequate temperature and under adequate
15 pressure to provide the water that you need
16 in your processing, to provide the water you
17 need for the employees to have the sanitary
18 conditions for use of bathrooms, to provide
19 the water that you need for cleaning all your
20 equipment. The water that is going to come
21 directly in contact with the product needs to
22 meet EPA primary drinking water standards.

1 Again, some other things that go
2 along with sanitation of the building is the
3 plumbing system must be large enough to carry
4 water in the plant and to convey sewer out.
5 There must be no cross contamination of the
6 two lines for obvious reasons. There must be
7 adequate sewage disposal. The toilet
8 facilities must be readily available to the
9 employees, provide the water at the
10 temperature and pressure to encourage the
11 cleanliness that you are requiring of the
12 employees under other regulations, and always
13 kept in good repair and maintained in a
14 sanitary condition. This would include the
15 hand washing facilities in those for the
16 employees or the hand washing facilities in
17 other areas of the plant, simply to encourage
18 employees to always wash their hands at
19 appropriate intervals.

20 There are requirements on rubbish
21 disposal, and there is also a provision that
22 there be supervision of the sanitation of the

1 building, someone be in charge of making sure
2 that these regulations are being followed.

3 The equipment and utensils that are
4 used in the manufacturing are also covered in
5 this ANPR, and again, some of these sound
6 like very logical things you would think to
7 require. The equipment and utensils have to
8 be designed and of a construction that they
9 are made out of material and workmanship that
10 they can be cleaned and maintained. They
11 must preclude adulteration with contaminants,
12 and they must be installed and maintained to
13 facilitate the cleaning and prevent
14 adulteration.

15 Ways of doing this are by using
16 corrosion resistant materials, non-toxic
17 materials, and considering the environment of
18 intended use of the equipment to make sure
19 that the equipment is designed for the
20 conditions of use.

21 You are required to have freezer
22 and cold storage compartments for the storage

1 of ingredients or product that might
2 encourage the growth of microorganisms. On
3 these compartments, you are required to have
4 either a temperature thermometer, temperature
5 measuring device, or temperature recording
6 device. There is also a provision for having
7 an automatic control or automatic alarm
8 system if the temperature on these
9 compartments goes above a particular danger
10 level, so that you would be warned that
11 perhaps your ingredients have been held at an
12 unsanitary level.

13 Any of the instruments or controls
14 used in the manufacturing for the vast number
15 of things you might be doing, controlling pH,
16 mixing things, I can't think of all of them
17 now, but all the instruments and controls
18 that you are going to use have to be
19 accurate, have to be adequately maintained,
20 and you have to have the correct number of
21 these so that the instruments and controls
22 work as they are supposed to work.

1 I am going to go into all the
2 records in this document at the end of my
3 talk instead of going through it sort of
4 chronologically or how it is laid out in the
5 regulation. I'll just indicate now that for
6 equipment and utensils, there are
7 recordkeeping requirements on the cleaning
8 and sanitation of the equipment and utensils.
9 This cleaning and sanitation is required to
10 protect against adulteration of your product.
11 You would adulterate the product if you used
12 unclean equipment.

13 The ANPR requires that there be a
14 quality control unit in the manufacturing
15 plant that has responsibility for approving
16 or rejecting procedures, specifications,
17 controls, tests and examinations or
18 deviations from any of the above.

19 This quality control unit has the
20 responsibility of approving and rejecting all
21 raw materials, packaging materials, labeling,
22 and has the responsibility of approving the

1 finished product, that it's ready for
2 shipment and released.

3 The quality control unit also
4 assures that there are complete production
5 records, and that these are reviewed and
6 evaluated for any errors, and you are going
7 to hear more about that than you want to in a
8 few minutes, so I'll hold off on talking
9 about those further.

10 There is a heading in the
11 regulation called quality control and
12 laboratory operations. The purpose of this
13 part of the ANPR is to ensure that the
14 dietary product conforms to appropriate
15 standards, and here are words that are used
16 throughout the ANPR also, appropriate
17 standards of purity, quality and composition.

18 One of the issues we are going to
19 get into later is composition or identity of
20 the ingredients in the product, but you will
21 see this is mentioned a number of places.

22 The quality control and laboratory

1 operations also addresses packaging
2 materials. They must be safe and suitable
3 for their intended use. There are some
4 records involved also at this point, but you
5 are going to hear more about those later.

6 There is a heading in the ANPR
7 called handling and storing of raw and
8 processed materials. This involves the
9 inspection of the raw and in process
10 materials, the segregation of these, again, a
11 point I mentioned earlier. They are
12 segregated according to the stage of
13 processing and also whether the raw materials
14 have been tested and released for use in the
15 product, whether they are quarantined until
16 they can be tested, or whether they perhaps
17 have been tested and found unacceptable for
18 the product.

19 You must inspect your raw
20 ingredients to see which of these three
21 categories they fall into so you are only
22 using raw materials that have been inspected

1 by your quality control unit and are
2 appropriate for use in your product. This
3 section also addresses the storing of these
4 products.

5 There is a section on raw
6 agricultural materials and that they must be
7 washed and cleaned, although this water can
8 be reused if there is no possibility of
9 contaminating the product that you are
10 producing.

11 There is a section addressing the
12 raw material and in process materials and
13 what temperatures they are held at and the
14 relative humidity. They must be held at
15 temperatures and at relative humidity to
16 prevent adulteration of the product.

17 There is also a provision on frozen
18 raw materials. They must be kept frozen and
19 thawed in a manner to prevent adulteration
20 before they are used in the product.

21 Then there is a section further in
22 the same section on handling and storage.

1 The raw materials that are used must all be
2 associated with a lot number. That lot
3 number indicates whether the material is
4 quarantined, approved or rejected for use in
5 your product.

6 The ANPR has a provision that you
7 must rotate your raw material stocks so that
8 you are always using your oldest stock first.
9 There is an exception to this provided for in
10 the ANPR under particular conditions, but
11 this would be the general rule.

12 There is a requirement for
13 retesting and re-examination after a specific
14 time of storage or after exposure to air, and
15 heat, so if it's exposed to air, heat or
16 other conditions, your raw materials may need
17 to be retested or re-examined.

18 The raw materials need to be
19 examined and tested by the manufacturer, or
20 the ANPR provides for the manufacturer to
21 accept a certification of examination or
22 analysis from another party, as long as the

1 manufacturer of the product has ensured the
2 reliability of the person providing the
3 certificate. These certificates can be given
4 to indicate that the raw materials are free
5 from filth, insect infestation or extraneous
6 materials. There may be certificates given
7 on microbiological contamination or freedom
8 of the raw materials from such. A
9 certificate can be accepted for aflatoxin or
10 other natural toxins that may be associated
11 with particular products.

12 The certificate of examination or
13 examination or testing is required to make
14 the identity of your product evident. This
15 is going to come back many times and is one
16 of the charges we are asking this committee
17 to look at, of how to go about ensuring the
18 identity of the product that is introduced
19 into interstate commerce.

20 There is a heading in the document
21 on manufacturing operations. These address
22 sanitary principles, which you have heard

1 quite a bit about, precautions that
2 production procedures not contribute to the
3 adulteration of the product. To the extent
4 that it is adulterated, then it has to be
5 rejected, or treated, or reprocessed to
6 eliminate the contamination.

7 Nothing in the ANPR says that you
8 have to reject the product out of hand and
9 not rework it, but it does have provisions
10 for ensuring that during the manufacturing,
11 if you do reprocess a product, it has to meet
12 certain specifications and certain
13 requirements that it is not adulterated
14 before it can be released.

15 There are also provisions on the
16 control of the growth of microorganisms
17 during the manufacturing operations of the
18 product.

19 The sterilization, irradiation,
20 pasteurizing, freezing, refrigerating,
21 control of pH, control of water activity are
22 all addressed in the ANPR. These are there

1 to prevent adulteration of the product
2 through the control of microorganisms. There
3 are manufacturing procedures on how to handle
4 the work in progress, the in process
5 materials. You must ensure that they are not
6 adulterated during the manufacturing process.
7 There are also some provisions, you must
8 protect your final product from adulteration
9 while it is in the manufacturing facility,
10 such as when it is being transported by
11 conveyor.

12 During the manufacturing process,
13 the ANPR indicates that all of the
14 containers, processing lines and major
15 equipment that's being used in this
16 processing must be identified as to what it
17 contains and what phase of the processing is
18 going on in that particular equipment.

19 The manufacturing operations also
20 indicate that you must protect against
21 inclusion of metal or other extraneous
22 materials while you are manufacturing your

1 product, and it indicates that the rejected
2 or adulterated materials that might be in
3 your plant have to be identified, stored and
4 disposed of.

5 This same idea comes out in the
6 ANPR a number of times. You must have space
7 so you would be able to do something like
8 this. You must test the product after it has
9 been in the plant a certain amount of time,
10 exposed to air and heat, so you will know
11 whether it should be rejected, and here
12 again, the same idea comes up during the
13 manufacturing operation.

14 The manufacturing operations also
15 addresses mechanical manufacturing steps to
16 prevent adulteration. It addresses heat
17 blanching, if this is a necessary part of the
18 process. It indicates that during the
19 blanching, you must bring the product to the
20 temperature indicated. You must hold it
21 there for the amount of time necessary, and
22 then you must cool it appropriately to carry

1 on with the manufacturing process.

2 The manufacturing operations also
3 address controlling the pH of your product,
4 which would have many ramifications relative
5 to microorganisms, and there is also a
6 provision that any of the ice used that comes
7 in contact with your raw ingredients or your
8 product, the ice must be made of potable
9 water.

10 There is a whole section of the
11 ANPR that addresses packaging and labeling
12 operations, the filling operation,
13 assembling, packaging and the other
14 operations all must be done to protect
15 against adulterating the product.

16 Labeling materials are addressed.
17 You are required to store these separately
18 with suitable identifications, depending on
19 the different types of product, the strength
20 of the product, the quantity of content. The
21 way you will store these separately will help
22 to ensure that when you are ready for a

1 particular label, that you go back and pull
2 the right labels.

3 Obsolete labels, labeling and
4 packing materials must be destroyed, again,
5 to prevent the possibility that you would go
6 and pull the wrong labels to put on your
7 product.

8 The packaging and labeling
9 operations also address that each dietary
10 supplement must have a lot number. You have
11 heard some information today about
12 post-market surveillance, indicating
13 sometimes, in the infant formula example that
14 Chris Lewis gave, they were tracking that
15 certain lot numbers of the product were
16 probably the culprits.

17 The ANPR requires that each dietary
18 supplement have a lot number. This would
19 make the product trackable, and the
20 conditions that the product was manufactured
21 under traceable later, which you will see
22 when I go through the record requirements of

1 the ANPR.

2 The packaging and labeled product
3 must be examined to make sure it has the
4 correct lot number on it, and the product
5 must meet specifications before it is either
6 rejected or approved. The product not
7 meeting specifications must be rejected. The
8 converse is, the product that meets the
9 specifications would be okay for release.

10 The ANPR also has a section on
11 storage and distribution of finished product.
12 It just indicates that the storage and
13 distribution must occur under conditions that
14 will protect against physical, chemical and
15 microbiological adulteration and also protect
16 against deterioration of the product or the
17 container the product is in.

18 There is also one section that
19 indicates you must have a reserve sample with
20 each lot of product. You retain this reserve
21 sample at least 1 year after the expiration
22 date of your lot of product, or at least

1 3 years after the date of manufacture. You
2 store this reserve sample under the
3 conditions that your product label indicates
4 the product would be stored under. You are
5 required to have twice the quantity of the
6 lot of product that would be required to do
7 all required tests. This reserve sample is
8 kept in case there is some question later and
9 you need some sample to analyze to see if
10 there was any problem.

11 That gives you a real quick run
12 through of the ANPR, minus the two issues
13 that we really want to address here.

14 The first of the two is the
15 identity test. This came up a little bit
16 this morning. You are going to have some
17 in-depth discussions tomorrow on testing for
18 identity of various dietary supplements.

19 Each lot of a dietary supplement
20 has to have its identity verified. Some of
21 the questions we are bringing to this group
22 is what is the best way to do this. There

1 are tests with sufficient specificity to
2 determine the identity. These include
3 chemical and laboratory tests, microscopic
4 identification and analysis of constituent
5 markers.

6 I'm going to leave it at this,
7 because that's all I know about it. The
8 experts in this area are going to talk to you
9 tomorrow.

10 The final area that I'll mention is
11 the types of records that the ANPR mentions
12 that you must keep. The records are not all
13 in one section of the regulation. There is a
14 production record section, and there is a
15 batch record section, but there are record
16 requiring provisions in other of the major
17 headings that we have already talked about.

18 Under equipment and utensils, the
19 cleaning and sanitation that we talked about
20 quite a bit when I was going through the
21 requirements of the ANPR, another provision
22 in that section is you must have written

1 procedures established on the cleaning and
2 sanitizing of your equipment and utensils,
3 and you must follow these written procedures.
4 Then you must keep a written record of the
5 major equipment cleaning and use in an
6 individual equipment log in chronological
7 order indicating the date, the product, the
8 lot number of each batch processed, and the
9 person performing the cleaning.

10 You will have a list of what lots
11 were done before the cleaning and what lots
12 were done after the cleaning. If you
13 identify a problem with a particular lot,
14 then you would have a way to go back and
15 check to ensure that the equipment was
16 cleaned appropriately, that that's not the
17 reason you are having problems. You will
18 have some marker of which lots were done
19 before and after a particular cleaning.

20 There are requirements of records
21 for the quality control and laboratory
22 operations. These records lay out the

1 responsibilities and procedures that the
2 quality control unit is going to use. I
3 mentioned earlier that the ANPR does require
4 that you have a quality control unit, and the
5 records actually lay out what their
6 procedures will be.

7 The laboratory tests you are going
8 to do also require records. You want
9 complete data on all the specific tests that
10 are performed on the product, identity tests,
11 and some of these others that we talked about
12 a little while ago.

13 There are also records to support
14 expiration dating, which is also a part of
15 the ANPR, that you must have data and
16 rationale to ensure that the product meets
17 established specifications at an expiration
18 date that the manufacturer would establish.
19 This expiration dating would also consider
20 accelerated stability duties or data from
21 similar product formulations, and the product
22 shelf life would confirm with a real time

1 study that the expiration dating was
2 appropriate.

3 I put this at this particular point
4 to indicate that since you are going to
5 collect data here, you are going to have
6 written records on expiration dating.

7 The two major areas where records
8 are concerned are the master production and
9 control record and the batch record. The
10 purpose of this master control and production
11 record is to assure that you have an uniform
12 product from batch to batch. You basically
13 have a recipe. This is how I'm going to make
14 my product. These are the conditions I'm
15 going to have my equipment under when I make
16 my product. This master production and
17 control record is reviewed and approved by
18 the quality control unit that I spoke about
19 earlier.

20 In the master production and
21 control record, you are going to have a
22 complete list of all the raw materials that

1 you have used, designated by their name, and
2 remember earlier, we indicated that your raw
3 materials have to have lot numbers, and here
4 you are going to record the lot numbers at
5 this point in the master production and
6 control record. You have to designate the
7 number and the code of the raw materials that
8 you are going to use and indicate any special
9 quality characteristics. In the batch
10 records, you have to indicate the code of the
11 particular raw materials that you are using.

12 In the master production and
13 control record, there is a listing of the
14 weight or measure of each of the raw
15 materials you are going to put into your
16 product, name, weight and measure of each
17 ingredient on a per unit basis. I probably
18 left off a key phrase on that.

19 In the master production and
20 control record, you have a calculated excess
21 of dietary ingredient, if any. Before you
22 have to find out if you have really made a

1 mistake, you have ended up with more product
2 at a certain step than you meant to have. Is
3 this something you need to check into
4 further?

5 You are going to have the total
6 weight and measurement of any dietary
7 supplement unit that you are going to make.
8 You are going to have a theoretical weight
9 and measure of the final dietary supplement,
10 and you establish a maximum and a minimum
11 percent of this theoretical yield that would
12 trigger an investigation to indicate what's
13 wrong with my product; I have more here than
14 I should, or I have less than I should. Did
15 I not throw something in?

16 This master production and control
17 record will have a description of the product
18 container, closure and other packaging
19 materials, and it will have manufacturing and
20 control instructions, such as how long a
21 product is mixed, what pH it is at a certain
22 step, what temperature certain manufacturing

1 procedures are done at.

2 This is your recipe of how you are
3 going to put your product together. Then the
4 batch production and control record gives you
5 the information on that specific batch that
6 used the recipe we have just gone through.
7 You have a recipe. Now you are making it up,
8 so you have a batch record of exactly how you
9 did batch 0123.

10 The batch record is prepared and
11 followed for each batch of product. It gives
12 complete information on that batch. First of
13 all, it's an accurate reproduction of the
14 master production and control record that we
15 just went through. It's a copy of your
16 recipe to begin with. It has each
17 significant step in the manufacturing. It
18 shows that each significant step in the
19 manufacturing was accomplished.

20 It gives the date, identifies the
21 major equipment and lines used, so if there
22 is ever a problem with this particular lot,

1 you can go back and see if something was
2 happening on a particular line that wasn't
3 heating to the temperature you expected, or
4 you didn't clean that line that day, or
5 whatever. It would help you trace back
6 problems.

7 The batch production control record
8 has a specific identification, a lot number,
9 for each raw material or in process material
10 used. It specifies each raw material and in
11 process used, the weight and measure of the
12 raw material used, the quality control
13 results of any testing you did on the raw
14 materials or on the finished product, the
15 inspection of the labeling and the packaging
16 and labeling area.

17 I mentioned earlier, going through
18 the different provisions of the regulation,
19 you do have to have particular inspections of
20 your packaging and labeling. Here you are
21 going to put the results of that in a record
22 to keep for that batch.

1 The batch record also gives the
2 actual yield and percent of the theoretical
3 yield. Remember, when we were going through
4 the batch production record, it indicated you
5 have to have established what percent of
6 theoretical yield would trigger an
7 investigation of your product. In the final
8 batch record, you are going to have your
9 yield and what percent of the theoretical
10 yield it was.

11 You are going to have label control
12 records showing a copy of the label or a
13 record of all the labels used on that
14 particular lot. You are going to have a
15 description of the product container and
16 closure. If there were any investigations or
17 deviations from the described process, this
18 is where you are going to keep the record
19 which relates to this batch. Any problems
20 with this batch will be in the batch record.

21 Any deviations from written
22 approved specification standards, test

1 procedures or other laboratory control
2 mechanisms will be also in this batch record.

3 What you need to determine whether
4 there was a problem with your batch or to
5 trace back a problem later should be
6 together.

7 I mentioned earlier that there are
8 these two big sections of the ANPR that
9 provide records, but if you read the whole
10 document, you will find there are records in
11 a number of other places. What I have done
12 is sort of pulled these together here at the
13 end to show you there are records in other
14 places.

15 There have to be written procedures
16 on receipt, identification, examination,
17 handling, sampling, testing and approval, and
18 rejection of raw materials.

19 We have talked many times today
20 about rejection or approval of your raw
21 materials, having space to segregate the
22 product relative to these approvals. There

1 also have to be written procedures on how you
2 have treated your raw materials.

3 There are written procedures on the
4 appropriate tests or examinations used on the
5 product to ensure purity, composition and
6 quality of the finished product. There are
7 written procedures on reprocessing batches or
8 reprocessing start up materials.

9 There are written procedures on the
10 receipt, storage, handling, sampling,
11 examination and testing to ensure identity of
12 the labeling and the appropriate identity,
13 cleanliness and quality of the packaging
14 materials. This sounds very similar to what
15 we just said on raw materials and on finished
16 product, but it's also on the packaging
17 materials.

18 Written procedures to assure that
19 the correct label, labeling and packaging
20 materials are used, and there are also
21 records on distribution of the product, if
22 ever the company needed to institute a

1 recall.

2 As far as how long the ANPR
3 suggests you keep these records, the
4 laboratory production control and
5 distribution records that are associated with
6 a specific batch should be retained for one
7 year after the expiration date of that batch,
8 or at least 3 years after the date of
9 manufacture, and the raw material record
10 should be maintained for 1 year after
11 expiration date of the last batch of product
12 that used that raw material, or at least 3
13 years after manufacture of the finished
14 product.

15 The last area on records that I
16 wanted to mention were complaint files. We
17 have talked about adverse events and
18 post-market surveillance, et cetera. The
19 ANPR requires that the company keep written
20 records on the name and description of the
21 product, the lot number, the name of the
22 complainant, the nature of the complaint and

1 any replies sent to the complainant, if there
2 was one.

3 This would be requiring the
4 manufacturer to maintain some type of
5 complaint record. These records would also
6 give the findings of any investigation or
7 follow-up action that the manufacturer took
8 on a complaint.

9 There would be written procedures
10 for handling all written or oral complaints.
11 There would be a review by the quality
12 control unit of any failure of the product to
13 meet any specifications and determination if
14 there needs to be an investigation.

15 Remember the batch record we have
16 talked about in some detail has all the
17 information about one particular batch. Then
18 if you can relate your complaint to one
19 particular batch of product, then you are
20 going to have that whole batch record to go
21 back and see if you can identify any type of
22 problem, and the quality control unit would

1 be the one doing that.

2 The records of complaint should be
3 maintained for one year after expiration date
4 of the product or one year after the date the
5 complaint was received.

6 As you can see, there are a lot of
7 record requirements throughout here, and we
8 are going to ask you all to do some review of
9 those as one of your charges.

10 Thank you.

11

12 QUESTION AND ANSWER SESSION

13 DR. BRANDT: Dr. Rodier?

14 DR. RODIER: How many producers are
15 there in the U.S.?

16 DR. MILES: I don't know. Do we
17 know?

18 DR. MOORE: We don't have an
19 accurate record.

20 DR. MILES: There is no requirement
21 that they must register with us.

22 DR. MOORE: It varies. There are

1 market surveys that indicate anywhere from
2 1,000 to 6,000 actual manufacturers. Some of
3 those may really be just distributors.
4 Annette may have a better grip on this. I
5 think 4,000 to 6,000 is probably a reasonable
6 estimate.

7 DR. BRANDT: Dr. Clydesdale?

8 DR. CLYDESDALE: Thank you very
9 much. I was filled with optimism when I
10 first saw the ANPR and applaud the efforts of
11 CRN and others for putting that document
12 together. However, I must admit your
13 presentation made it vastly clearer. It was
14 much nicer to see it put together that way
15 than to try to read the original.

16 The question I have is, do you
17 believe the rule will be adopted pretty well
18 as proposed? And secondly, if it is adopted,
19 are the resources available to ensure
20 compliance with 4,000 to 6,000 manufacturers?

21 DR. MILES: As far as whether it
22 will be adopted, I guess I don't have any way

1 to know. We have comments back on this ANPR.
2 The next step would be to do a proposed rule
3 addressing those comments.

4 If you read the back of the
5 proposed rule, we ask, I believe, nine
6 questions that we thought there were other
7 areas that may be needed to be addressed more
8 carefully in the ANPR than what was laid out
9 there. Those nine questions, the responses
10 we got to those may drive other things in or
11 out of the regulation. I think that's why we
12 asked those questions to see what the feeling
13 was. One of those is relative to identity
14 testing. Some others, I believe, are related
15 to records. You can see what those nine
16 questions were.

17 I think the comments we have
18 received to this and the comments we get to
19 those nine questions will drive which
20 direction we go in doing a proposed rule, and
21 then there will be another comment period
22 that will drive how the final rule would end

1 up.

2 DR. CLYDESDALE: Will there be
3 resources in order to try to ensure
4 compliance?

5 DR. MILES: Well, I need a crystal
6 ball for that. Who knows? It would take
7 inspectors going into the plants. I'm not
8 sure. I don't make FDA's decision on
9 funding.

10 DR. CLYDESDALE: I was just
11 curious. I think this morning I heard
12 someone said at a maximum, there was 150
13 samples a year taken. Did I hear that
14 correctly? To go from 150 samples per year
15 total to enforcement of this kind of rule is
16 a step that seems to me like going to the
17 moon, but perhaps not.

18 DR. MILES: I would think as with
19 most things, you maybe couldn't go to every
20 plant but there would be some oversight.

21 DR. MOORE: Just an editorial
22 comment. It's important to realize that all

1 enforcement under the Act is really selective
2 enforcement to encourage voluntary compliance
3 with the requirements of the law. It's not
4 necessary to visit every plant, as through
5 the appropriate application of our
6 enforcement resources, you encourage
7 voluntary compliance and minimize the need to
8 go in and do inspections on every site every
9 year.

10 DR. CLYDESDALE: I would just like
11 to add to something Dr. Dickinson said. I'm
12 not at all concerned about the manufacturers
13 that are interested in GMPs. There are many
14 out there who really want to establish
15 science and a basic foundation to make this a
16 very credible and vigorous industry. Those
17 don't concern me.

18 I am concerned about the
19 manufacturers who have a post office box in
20 Florida and who may be difficult to get a
21 handle on, who may ultimately hurt the
22 manufacturers who are trying to make this a

1 scientific industry.

2 My remarks are not negative towards
3 the industry. There is a concern that those
4 people who are trying to do the right thing
5 will be assisted in doing the right thing.

6 DR. BRANDT: Let me see if I can
7 get an answer to an earlier question by
8 Dr. Rodier. Dr. Dickinson, do you have any
9 idea how many manufacturing plants there are
10 in the country?

11 DR. DICKINSON: No, I don't, but I
12 would be very surprised if it's as many as
13 4,000 to 6,000, although FDA did a study.
14 Was that number that high?

15 DR. MOORE: Part of it depends on
16 how you define "manufacturer," of course.
17 That 4,000 to 6,000 number is the number that
18 most often is mentioned in market studies.
19 That number will include a significant number
20 who may do no more than relabel or distribute
21 a product under their own name. I don't know
22 if there is a good hard number of the actual

1 physical facilities that are actually
2 manufacturing from scratch products.

3 DR. DICKINSON: Our best estimate
4 is that the number is several hundred, not
5 several thousand. One of the things that may
6 complicate identifying how many companies
7 there are is that in many cases, for example,
8 FDA used, I think it was an NHIS database to
9 do -- FDA employees published an article on
10 the number of products in the market. If one
11 looks at the number of products, that is, the
12 number of different brands, one easily comes
13 up with probably 10,000 or 20,000 products,
14 but it's relevant to know there are half a
15 dozen very large private label manufacturers
16 who make most of those products, who make,
17 for example, all of the Safeway, and Giant,
18 and CVS labels that you see in the stores,
19 along with your national brand name products.
20 Some of our members report that they make as
21 many as 10,000 to 15,000 different labels in
22 one company.

1 One needs to distinguish between
2 the number of products or the numbers of
3 brands and the number of manufacturers.

4 DR. BRANDT: I think the question
5 she was really asking was manufacturing sites
6 rather than manufacturers.

7 DR. DICKINSON: We think that is
8 several hundred.

9 DR. BRANDT: Dr. Fennema?

10 DR. FENNEMA: I think the committee
11 would be very much interested in knowing what
12 significant differences exist between these
13 GMPs and the ones in the CFR currently.

14 DR. MILES: For foods?

15 DR. FENNEMA: For foods, yes, if
16 there are significant differences,
17 subtractions, additions, alterations to that.

18 DR. MILES: 110, Section 110 on
19 foods. The areas on sanitation, personnel,
20 equipment, plants, these types of things are
21 very, very close.

22 DR. FENNEMA: I would think so.

1 DR. MILES: The identity testing is
2 one area that would be different, because
3 it's obvious what a green bean is. That
4 would be one general area. The records are
5 similar in some ways, and then in other ways
6 there may be more things required.

7 DR. FENNEMA: Is there a list of
8 these differences readily available or not?

9 DR. MILES: Not that I'm aware of.

10 DR. FENNEMA: That would be good to
11 have for the committee.

12 DR. BRANDT: Are there significant
13 deviations in here from what the CRN
14 recommended?

15 DR. MILES: No.

16 DR. BRANDT: I just wanted to be
17 sure. Dr. Wang?

18 DR. WANG: How about the import of
19 dietary supplements? They will be subject to
20 the same GMPs in foreign countries? How do
21 we verify their master production records and
22 all that?

1 DR. MILES: That's two questions.
2 I think we would say they would be subject to
3 these regulations, because they are
4 introducing their product into the United
5 States. How we would verify their records is
6 another question related to resources, et
7 cetera.

8 DR. BRANDT: Dr. Askew.

9 DR. ASKEW: These were very clear
10 and very helpful, but I had one small
11 question on the handling and storage of raw
12 material which was curious to me. If the
13 purpose of washing raw agricultural materials
14 is to remove a potential contaminant, then
15 why allow them to re-use the water in
16 subsequent batches?

17 DR. MILES: They say re-use the
18 water as long as it does not contribute to
19 contamination. Once the water is so dirty
20 that it's going to still leave dirt in the
21 product, you can no longer use it.

22 DR. ASKEW: I'm talking about

1 things that you can't see, like microbial
2 organisms. They aren't going to know if they
3 washed a bunch of E. coli off strawberries.

4 DR. BRANDT: Dr. Benedict?

5 DR. BENEDICT: I have just two
6 questions. One is, and I apologize if I
7 missed this as I read and as you presented,
8 are any of these dietary supplements produced
9 with the use of organic extraction, and if
10 they are, I didn't see any place in there to
11 assess whether there is residual organic
12 compounds left in the product. That's the
13 first question.

14 DR. MILES: I assume they could be
15 produced that way. I don't know that there's
16 anything to prevent that. You are pointing
17 out there is a deficiency in the regulation,
18 it does not account for there being --

19 DR. BENEDICT: I didn't see
20 anywhere in there that you were going to
21 assess whether there was a residual organic
22 product.

1 DR. MILES: I think that's true,
2 other than if you do identity and every
3 little thing that's there.

4 DR. MOORE: The issue of solvents
5 is on the table. We raised that, the whole
6 issue of naming of solvents in the labeling
7 regulations. It turned out to be less than
8 crystal clear on how to deal with that in the
9 labeling provisions. The issue is being
10 studied. It's really a matter of we had
11 addressed it in labeling, and maybe it needs
12 to be more appropriately addressed in the
13 GMPs.

14 DR. BENEDICT: The second one, and
15 this may be a misconception on my part, but
16 of those several hundred manufacturers, I
17 would assume there are some that are really
18 rather small, and among those that are pretty
19 small and maybe produce one or two products,
20 there probably is a subset that is very
21 conscientious, that we would offer our
22 respect to, and a subset that maybe is slip

1 shod.

2 My question, have we an idea of the
3 economic impact on the small manufacturer who
4 really wants to comply with all of these
5 things, but might go promptly out of business
6 as a result of having to do all of this? And
7 this person may be competing with some
8 foreign manufacturers who might not, as
9 Dr. Wang suggested, we might require some
10 more stringent activity with.

11 DR. MILES: We will be required to
12 do something like that, to analyze the impact
13 on small business as a part of the proposed
14 rule. I don't think that's been looked at in
15 depth now, but that will be a consideration.
16 I can indicate we did get some comments to
17 this ANPR along those exact lines.

18 DR. MOORE: We have some
19 initiatives underway to try to develop a
20 better picture of the industry and to get an
21 idea of some of the economies of scale, what
22 types of businesses are there, so we can do a

1 better job of looking at economic impacts.
2 Ultimately, any regulation goes nowhere until
3 you can adequately address the small business
4 issues nowadays.

5 DR. BRANDT: Dr. Rodier?

6 DR. RODIER: Do you have any idea
7 what proportion of the manufacturers already
8 follow GMPs?

9 DR. MOORE: It's a difficult one,
10 because if we don't have them, it's difficult
11 to say what they are following. We do
12 between 40 and 60 site inspections a year.
13 The vast majority of those come back with
14 either no action indicated, or, if you will,
15 violations or poor practices that can be
16 corrected the day of the inspection while the
17 inspector is there. At least based on the
18 firms we are aware of and the sites we have
19 visited in the last 3 years of the compliance
20 program, the firms inspected at least seemed
21 to be doing a pretty good job.

22 DR. BRANDT: The next speaker is

1 Dr. John Kvenberg, Strategic Manager for
2 HACCP.

3 RECORDS IN AREAS COVERED BY HACCP

4 DR. KVENBERG: Thank you,
5 Dr. Brandt. Good afternoon everybody.

6 By comparison to the previous
7 presentation, I think my remarks will be
8 somewhat more brief. I've been asked to
9 discuss records as they relate to HACCP. The
10 discussion will be less complicated, I
11 believe, than going through the entire GMPs,
12 but it's important we discuss GMPs in
13 relation to HACCP to give you an
14 understanding of it.

15 To begin with, the concept of
16 HACCP, which I think most members of the
17 committee, if not all, quite well understand,
18 has a history that goes back many years to
19 the Pillsbury Corporation's involvement with
20 NASA and our low acid canned food regulations
21 of the 1970s.

22 The current operating principles of

1 HACCP were developed by the National Advisory
2 Committee for Microbiological Criteria for
3 Foods and served as the basis for our seafood
4 regulation at the Food and Drug
5 Administration, Food Safety and Inspection
6 Service's pathogen reduction program for meat
7 and poultry, and we have stated such to
8 propose regulations for the juice industry
9 that are HACCP based regulations in their
10 content.

11 The CODEX Alimentarius Commission
12 of the FAOWHO has endorsed the HACCP concept
13 and its principles, and it is being embraced
14 as a food safety mechanism in the European
15 community and other parts of the world as
16 well.

17 HACCP in its essence is a science
18 based operation that goes through an analysis
19 and focuses on food safety hazards that are
20 likely to occur and documentation of the
21 preventive controls that are put in place,
22 rather than a reliance on finished product

1 testing to try to find hazards that are
2 associated with it.

3 It is also something I think that
4 needs to be stated, that HACCP has to be
5 built on a foundation of good manufacturing
6 practices and, specifically, standard
7 operating procedures such as sanitation
8 operating procedures, such as has been
9 discussed in the general sense under 110 of
10 our regulation in 21 CFR, which does not have
11 a recordkeeping requirement associated with
12 it.

13 Relative to records, HACCP begins
14 with the analysis of potential hazards, which
15 may be associated with a specific product,
16 that as I said previously, are reasonably
17 likely to occur, and looking at what
18 preventive controls may be applied so that a
19 processing failure or introduction of a
20 product would not result in a hazard.

21 The definition of hazards we are
22 concerned about covers all those that would

1 be of a safety concern, be they a biological
2 hazard, chemical or physical in nature.

3 The principal component in records
4 of the HACCP system is the HACCP plan. This
5 identifies the critical control points that
6 must be established in order to keep a hazard
7 from occurring or to not produce it in a
8 situation where the hazard could cause harm.

9 The HACCP plan also has within it,
10 within the principles of HACCP, the
11 requirements for, once critical control
12 points are identified, establishing critical
13 limits around which you can measure and
14 monitor, which is another principle of HACCP,
15 the critical limits identified at these
16 critical control points, to assure that the
17 product is under control for these safety
18 hazards.

19 In addition to that, HACCP calls
20 for a corrective action plan. Normally, this
21 would be pre-thought out on how to bring a
22 process back under control and prevent a

1 hazard from actually making it to the
2 finished product.

3 The recordkeeping requirements
4 within HACCP are limited to monitoring of
5 these critical control points, the corrective
6 actions that they take, and for verification
7 of the entire system or an audit procedure
8 going back through the records to assure that
9 what was said on the plan itself was indeed
10 being accomplished, and to further iterate
11 that beyond just verifying that the records
12 were accomplished, the audit function can
13 accomplish the validation of the system, that
14 is, are you accomplishing what you are
15 attempting to do, and that is that the
16 process you have in place will indeed assure
17 the hazard does not occur.

18 As I stated earlier, the provisions
19 are consistent with international
20 recommendations for Codex throughout the
21 world in various commodities and have been
22 largely microbiological in their initial

1 intent.

2 The records that are actually
3 involved in addition to sanitation type GMP
4 records that are the basis of the foundation,
5 the number one record would be the hazard
6 analysis itself, because this provides the
7 rationale and the thought process of the
8 manufacturer's analysis of his product to
9 make a determination of which factors are
10 likely to occur and need to be eliminated.

11 The HACCP plan itself sets in place
12 these other components, to document where the
13 critical control points are, document what
14 the critical limits are, how you maintain
15 your records for monitoring, and I think very
16 importantly, what corrective actions were
17 taken when monitoring indicated the process
18 was out of control, and periodic audits or
19 verification at the end of the process.

20 The records that include the HACCP
21 plan, I would mention, is an ongoing
22 document. Once a plan in HACCP has been

1 established, this verification or re-visiting
2 requires that the plan be periodically
3 updated with new information that comes into
4 it so that the plan can be refined to address
5 unforeseen hazards at first onset.

6 Other records in the plan include
7 specific calibration equipment and
8 verification for the monitoring procedure
9 itself. That's important from the standpoint
10 that not only are you making the observations
11 to keep the process under control, but also
12 that the observations that you are taking are
13 being accurately done.

14 Relative to retention period, it
15 depends within the HACCP framework of
16 consideration what the shelf life and how
17 long the consumer would have the product in
18 possession. I think it would be consistent
19 with what you heard previously relative to
20 what FDA is thinking about relative to time.

21 Relative to imported products on
22 HACCP operations, the way that has been

1 addressed is putting the burden on the
2 importer of record, relative to the
3 requirement for importing a product that is
4 under adequate control. I think addressing
5 imports in general, it's fair to say this can
6 be accomplished in addition to specific on
7 site monitoring of foreign firms as mutual
8 recognition agreements with other countries,
9 et cetera, but the burden relative to who is
10 responsible at the point of import, the
11 importer would have a record burden under the
12 HACCP based operations as well.

13 Briefly, the types of records that
14 are in place for seafood, meat and poultry,
15 at this point do include some records for
16 prerequisite program sanitation operating
17 procedures. These are the actual written
18 standard operating procedures themselves that
19 are to be followed, and in some cases, actual
20 records that are associated with how the
21 standard operating procedures are followed
22 out throughout the system, including incoming

1 material control records, as well as
2 sanitation and on-line information on good
3 manufacturing control processes that deal
4 with operations within the plant.

5 The HACCP plan itself consists of,
6 as I mentioned earlier, a list of the hazards
7 that have been identified that need to be
8 controlled. I think it's critical in the
9 analysis by the firm itself that is looking
10 at a HACCP based operation that a continual
11 reassessment feed back into the list of
12 hazards that need to be controlled, as well
13 as the records that are maintained on
14 critical control points within that plan, and
15 a reassessment of those critical control
16 points and the critical limits they are
17 associated with are in effect controlling
18 what needs to be done.

19 The only other actual record that I
20 think could be discussed under the HACCP
21 framework, and it may be somewhat different
22 for dietary supplements, is the question of

1 consumer complaint and review relative to the
2 complaints that the manufacturer receives
3 back in his operations.

4 It has been our findings relative
5 to pilot testing of HACCP based operations
6 that by and large, the industry is best able
7 to assess for itself the consumer complaint
8 reviews to make a determination of root cause
9 of the actual association of a hazard, be it
10 something like a piece of broken glass that
11 may be associated with the product or an
12 adverse reaction.

13 That, in essence, is the overview
14 of the HACCP based regulations, and what they
15 do accomplish in seafood, and where we are
16 moving with them. I guess I would emphasize
17 there is a major burden on the part of the
18 industry to do this type of thinking and
19 hazard analysis. On the other hand, there is
20 a freedom and flexibility in which records
21 are associated with the product and its
22 safety. Rather than proscriptive, it goes

1 into the process where the processor is
2 making a determination of the hazard.

3 Thank you.

4 DR. BRANDT: Thank you. Are there
5 questions?

6 DR. LEWIS: Could I just spend one
7 second going back to context on this?

8 DR. BRANDT: Certainly.

9 DR. LEWIS: Again, the purpose of
10 what we are trying to do here is to give a
11 flavor and sensitivity. HACCP is perhaps one
12 way to address GMPs, but there are others.
13 Today we have talked a little bit about
14 HACCP, but I think the working group would
15 want to look at other approaches as would be
16 suggested by the comments. I think what will
17 happen tomorrow is a little bit more of a
18 flavor for what's happening in the area of
19 GMPs relative to analysis, but I want to
20 bring us back to the idea that we need to
21 deal with consumer research. We need to deal
22 with post-marketing surveillance. And we

1 need to deal with GMPs.

2 That's the focus of both today and
3 tomorrow.

4 DR. BRANDT: Very good. Any
5 questions or comments?

6 Thank you very much. Are there any
7 comments at all from anybody on the committee
8 about today or any of the material today?

9 Do you feel a little overwhelmed?
10 A lot of stuff. As there is nothing else to
11 come before this committee, we are hereby
12 recessed until tomorrow morning at 8:30 a.m.
13 in this room. Take your stuff with you, I'm
14 advised.

15 (Whereupon, at 4:50 p.m., the
16 PROCEEDINGS were continued.)

17 * * * * *

18

19

20

21

22