

FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION
AND RESEARCH
ALLERGENIC PRODUCTS ADVISORY COMMITTEE
(Open Session)

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Monday,
February 22, 1999

Versailles Ballroom I
Holiday Inn Bethesda
8120 Wisconsin Avenue
Bethesda, Maryland

IN ATTENDANCE:

DENNIS R. OWNBY, M.D., Advisory Committee Chairman
Professor of Pediatrics
Allergy-Immunology Section
Medical College of Georgia, BG-230
1120 15th Street
Augusta, Georgia 30912

WILLIAM FREAS, Ph.D., Acting Executive Secretary
Center for Biologics Evaluation and Research
Food and Drug Administration (HFM-71)
1401 Rockville Pike
Rockville, Maryland 20852-1448

DANIEL EIN, M.D.
Clinical Professor
George Washington University
3 Washington Circle, N.W. #208
Washington, D.C. 20037-2346

TE PIAO KING, Ph.D.
Associate Professor
The Rockefeller University
1230 York Avenue
New York, New York 10021-6399

ANDREW SAXON, M.D.
Professor of Medicine
Division of Clinical Immunology/Allergy
Department of Medicine
UCLA School of Medicine
Los Angeles, California 90095-1680

DALE T. UMETSU, M.D., Ph.D.
Chief, Division of Allergy and Clinical Immunology
Department of Pediatrics, Room H307
Stanford University
Stanford, California 94305-5208

BETTY B. WRAY, M.D.
Professor of Pediatrics and Medicine
Department of Pediatrics and Medicine
Allergy-Immunology Section
Medical College of Georgia, BG-230
1120 15th Street
Augusta, Georgia 30912-3790

C O N T E N T S

PAGE

Opening Remarks and Welcome

William Freas, Ph.D.
Acting Executive Secretary 5

Dennis R. Ownby, M.D.
Chairman 8

David W. Feigal, Jr., M.D.
Deputy Director for Medicine, CBER 9

Introduction

Thomas Hoffman, M.D.
Acting Director, Division of Allergenic
Products and Parasitology (DAPP) 11

Report on Laboratory of Immunobiochemistry (LIB)

Jay E. Slater, M.D.
Chief, Laboratory of Immunobiochemistry, DAPP 16

Organizational Changes 17
Regulatory Activities 19
Operational Issues 38
Research Report 51

Regulatory Proposals

Potency Limits for Standard Allergen Vaccines:
A Revised Protocol

Jay E. Slater, M.D. 87

Revision of Requirement for Protein Content
of Allergen Vaccines

Jay E. Slater, M.D. 108

C O N T E N T S

PAGE

| | |
|----------------------------------------------------------------------------------------------------------|-----|
| Regulatory Proposals (Continued) | |
| Status Report on Class IIIA Allergen Extracts | |
| Steven F. Falter Director, Regulations and Policy Staff | 119 |
| Compliance Issues | |
| Cathy Conn Deputy Director, Division of Case Management Office of Compliance and Biologics Quality | 126 |
| Clinical Activities Report | |
| Paul Turkeltaub, M.D. Clinical Reviewer, DAPP | 147 |
| Open Public Hearing | |
| William W. Storms, M.D. Joint Council of Allergy, Asthma, and Immunology | 175 |
| Committee Discussion | 184 |

1 P R O C E E D I N G S (8:00 a.m.)

2 DR. FREAS: Good morning. I'm Bill Freas. I'm
3 the executive secretary for this advisory committee. I
4 would like to welcome everybody here to the 15th meeting of
5 this Allergenic Products Advisory Committee.

6 Most of our advisory committee meetings for
7 this committee are by teleconference. This is our first
8 face-to-face meeting since November, 1994, and I would just
9 like to welcome all the members who are not used to coming
10 to Bethesda for their travel down here. Believe me, they
11 do come from long distances.

12 This morning's session will consist of
13 presentations and committee discussions that are open to
14 the public. Later this afternoon, we will hold a short
15 closed session until the meeting is adjourned, as described
16 in the Federal Register notice of February 9th, 1999.

17 At this time, I would like to introduce to the
18 audience the members sitting at the head table. If the
19 members will raise their hands so the audience can identify
20 them, I will start on the right-hand side of the room.
21 That's the audience's right-hand side.

22 Our first member is Dr. Te Piao King, associate
23 professor, Rockefeller University.

24 Coming around the corner of the table is Dr.
25 Andrew Saxon, professor of medicine, Division of Clinical

1 Immunology and Allergy, UCLA School of Medicine.

2 Next, I would like to introduce to you our
3 chairman of this committee, Dr. Dennis Ownby, professor of
4 pediatrics and medicine, Medical College of Georgia.

5 At the next seat is our newest committee
6 member, and I would like to welcome Dr. Dale Umetsu, chief,
7 Division of Allergy and Clinical Immunology, Department of
8 Pediatrics, Stanford University.

9 Around the corner of the table is Dr. Daniel
10 Ein, clinical professor of medicine and allergy, George
11 Washington University.

12 Next is Dr. Betty Wray, professor of pediatrics
13 and medicine, Medical College of Georgia.

14 Also sitting at the table for this morning to
15 aid in the presentation, but not part of the committee, is
16 Dr. Thomas Hoffman, acting director, Division of Allergenic
17 Products and Parasitology.

18 I would now like to read into the public record
19 the conflict of interest statement for this meeting. "The
20 following is made part of the public record to preclude
21 even the appearance of a conflict of interest at this
22 meeting. Based on the agenda made available and all
23 relevant data reported by participating members, it has
24 been determined that all financial interests in firms
25 regulated by the Center for Biologics Evaluation and

1 Research that may be affected by the committee discussions
2 have been reviewed.

3 "To avoid even the appearance of a conflict of
4 interest, Dr. Ein has recused himself from the general
5 discussion on the proposed package insert for allergen
6 extracts later today. Dr. Ein is permitted to participate
7 fully in other committee discussions and deliberations.

8 "No waivers were necessary for this meeting
9 under Section 208.

10 "In the event that discussions involve other
11 products or firms not already on the agenda for which FDA
12 participants have a financial interest, the participants
13 are aware of the need to exclude themselves from such
14 discussion, and their exclusion will be so noted on the
15 record.

16 "With respect to all other meeting
17 participants, we ask in the interest of fairness that they
18 address any current or previous financial involvements with
19 any firms whose products they may wish to comment upon."

20 I would also like to announce that three of our
21 committee members that would have liked to have joined us
22 are not here with us this morning. They are Dr. Henry
23 Claman from the University of Colorado Health Sciences
24 Center; Dr. Gail Shapiro from the Northwest Allergy Center,
25 and Dr. Shapiro will be joining us later on in the

1 afternoon by teleconference; and also our consumer
2 representative, Nancy Sander, from Mothers of Asthmatics,
3 Inc. will not be joining us this morning.

4 Dr. Ownby, I'd like to turn the microphone over
5 to you.

6 DR. OWNBY: Thank you.

7 I'd like to welcome everyone here, especially
8 members of the audience, the general public, those members
9 representing the FDA, and especially the committee members.
10 It seems a little strange actually to sit here in the same
11 room with everyone after a number of teleconferences and
12 some of the attempts at the videoconferences and other
13 things that we've had that haven't always worked quite as
14 well as the technology is advertised.

15 I hope that this can be a relatively informal
16 session. I would like to encourage as much discussion as
17 is relevant to all of the issues that will come up, and I
18 hope this can be very productive for everyone. It does,
19 I'm afraid, fall to the committee chair to try and make
20 sure that we stay on the agenda and complete the tasks
21 assigned to us today, but I'm confident that that won't be
22 an onerous problem with this group.

23 I believe our first speaker is Dr. David
24 Feigal, deputy director for medicine in the Center for
25 Biologics Evaluation and Research.

1 DR. FEIGAL: Good morning. I wanted to come
2 this morning and add my welcome and make a few comments on
3 what are the recent, short-term, and future prospects for
4 CBER's work in this area. I particularly enjoy coming to
5 advisory committees because actually that was one of my
6 first introductions to FDA, serving on an Antiviral Drug
7 Advisory Committee from 1989 until 1992.

8 This has been a period of time that has been a
9 time of shrinking resources for CBER and this has been one
10 of the areas that has not been protected. The kinds of
11 protections that occurred began in 1992 with the passage of
12 the Prescription Drug User Fee Act, which created user fees
13 for certain types of applications, but excluded other
14 areas. One of the upshots of this has been that when we
15 have had reductions in our budget, the user fee specified
16 areas were protected and the unprotected areas had to then
17 absorb the entire budget cuts for the center.

18 The cuts actually, on the face of them, didn't
19 sound very large. They typically were on the order of a 2
20 percent cut. That would actually be multiplied by cost of
21 living and salaries that had increased by another 4
22 percent. But when this goes on after four or five or six
23 years, you realize that that 4 percent is now 25 percent,
24 and it has to be absorbed by a small part of the agency.

25 One of the things that I think has happened --

1 and I would encourage you, as advisory committee members,
2 to be part of the process that comments on this, because
3 you are some of the people in the outside world, not in
4 industry, not in that part of the customers, if you will,
5 that we serve that can comment on the important parts of
6 our mission -- there has been continued public attention to
7 the safety and the quality of the products approved by the
8 agency. We are seeing proposed for the first time in five
9 or six years actual increases in the budget that have made
10 it through the administration, made it through the Office
11 of Management and Budget, that would actually increase the
12 base in the next year's budget for review in the non-user
13 fee areas, and I think this is a very important, very
14 significant movement back and away from that.

15 In one way, these aren't the concerns of this
16 committee, but it has impact in terms of how well the
17 agency is staffed and funded to operate in these areas, in
18 terms of the level of the quality and detail of the
19 materials that we can bring to you and the timeliness that
20 we do it, so it indirectly is your business.

21 I'd like to thank you again, along with Bill,
22 for coming and for meeting in a public forum. As you know,
23 these meetings are much more widely followed than the size
24 of the audience that attends, because the transcripts are
25 publicly available and widely watched not just by industry,

1 but consumer groups. So again, let me extend my thanks for
2 your coming, and I look forward to a productive session.

3 DR. OWNBY: Thank you very much.

4 I believe our next presentation will be by Dr.
5 Thomas Hoffman, who is the acting director of the Division
6 of Allergenic Products and Parasitology.

7 DR. HOFFMAN: Thank you, Dr. Ownby, ladies and
8 gentlemen.

9 It's my pleasure today to introduce and focus
10 the session somewhat, to give you a sense of some of the
11 things that we'll be talking about. This is basically in
12 the form of an update for the committee, in part due to the
13 reasons that have been mentioned, the long time between
14 face-to-face meetings, but there is also a background of a
15 number of issues that are going on that have raised
16 questions about our ability to adequately perform the task
17 that's set before us. So in order to have a basis for
18 discussing that, I'd like to give you some sense of
19 understanding of what the task is.

20 Currently, the Division of Allergenic Products
21 and Parasitology is organized into four units, of which the
22 Laboratory of Immunobiochemistry is one. Virtually all of
23 the discussion today will focus on the Laboratory of
24 Immunobiochemistry, but you will clearly see contributions
25 of other members of the division, other units of CBER, such

1 as the Division of Policy and the Office of Vaccines, and
2 general contributions based on the wide range of expertise
3 that exists.

4 The three other laboratories, the Laboratories
5 of Biophysics, Parasitic Biology and Biochemistry, and
6 Immunoregulation, perform research that's related to either
7 immunology, measurement, computer modeling, analytical
8 methods, or general cell biology.

9 The mission statement of our division is
10 outlined in the FDA staff manual guide and, as you can see,
11 one of the major focuses of our efforts is to do research
12 that relates to allergenic and some of the other products
13 in the division. I hope you'll see evidence that we are
14 hard at work on this aspect of our job, that the laboratory
15 evaluation of allergenics and the regulatory procedures
16 that we use to review products that come before us, both in
17 terms of manufactured products and investigational
18 products, our laboratory and research programs support
19 these intensively, as I think will become very evident.

20 We have, fortunately, a number of very capable
21 clinical individuals who provide the expertise necessary
22 for the clinical aspects of the review, and we're very
23 fortunate to have excellent collaboration with our
24 colleagues in the policy office and in the Office of
25 Compliance, as you will see today, to help regulate all

1 aspects of the manufacturing and clinical processes related
2 to the approval of allergenic products.

3 We also serve as a resource, a body of
4 expertise, that is called upon by various parts of the
5 Public Health Service and the federal government for other
6 issues related to allergy in general. These would include
7 drugs for allergy, international policies that are being
8 developed for harmonization, and trying to standardize
9 allergenics around the world.

10 We are not, fortunately, starting from scratch
11 here. We have a long history of work in this area. It
12 goes back into the '50s and early '60s. We are well aware
13 of the daunting task of regulating allergenics. A wide
14 variety of skills, attributes, and knowledge would be
15 necessary to competently regulate allergenics. These
16 obviously begin with a basic knowledge of clinical
17 immunology and allergy, and knowledge of the regulations,
18 which sometimes seems very easy, but sometimes can be quite
19 abstruse.

20 The clinical program, as you will hear today,
21 has contributed immensely to the current status of
22 allergenic regulation in the United States and around the
23 world. More and more these days, analytic issues are
24 coming to the fore. These pertain to characterization of
25 allergenics and, with the advent of more molecular

1 biological techniques, an understanding of each and every
2 component of a potential allergenic product.

3 We're having to give more and more attention to
4 some of the quality control or quality analysis issues as
5 the number of products come into the realm of
6 standardization and as we consider standardizing new
7 products. This requires a fairly intense coordinating
8 effort, since not everything can be done in our division,
9 and requires a good deal of management expertise and, as I
10 think we are participating in today, a public relations
11 effort to make people understand what we do and to have us
12 be responsive to the needs of our community.

13 In the division, I know everyone, including the
14 center director and the office director, shares our
15 commitment to research. We are very proud of our research
16 accomplishments, and we see this as the fundamental basis
17 for understanding what we're going to do in the future and
18 how we're going to do it.

19 That's the end of my slides. I will come back
20 at the end of some of the open session to focus some of the
21 deliberation, but I welcome you to ask any questions of
22 myself or any of the people that present. We have Dr. Egan
23 here as the acting director of the Office of Vaccines. I'm
24 sure he'd be able to respond to any global or policy
25 questions. We are here to have appropriate discourse and

1 to have you know us better, and maybe get to know you a
2 little bit better as well.

3 DR. OWNBY: Are there any questions from the
4 committee for Dr. Hoffman?

5 DR. SAXON: This is Dr. Saxon. I understand
6 the first three laboratories' mission reasonably well. I'm
7 not quite clear what the Laboratory of Immunoregulation
8 does. Without going into great detail, could you just give
9 us an overview quickly of what's involved?

10 DR. HOFFMAN: The Laboratory of
11 Immunoregulation does fundamental research in immunology.
12 They are focused on issues related to HIV infection, the
13 immune response to HIV, and mechanisms for HIV entry. They
14 are a cadre of -- cadre. There are two of them, clinical
15 immunologists, who also participate very intensively in the
16 review of allergenics. Dr. Berkower will be here later,
17 Ira Berkower, and Carol Weiss.

18 DR. SAXON: Thank you.

19 DR. OWNBY: Any other questions from the
20 committee members? Dr. Hoffman, I did have a question.
21 We've heard of some of the budget restraints you've had and
22 the idea of reorganization. Would you care to comment on
23 how that is going and where your plans are at the present
24 time?

25 DR. HOFFMAN: Sure. We acknowledge the fact

1 that it's necessary to manage the resources in somewhat
2 different fashion, given some issues pertaining to
3 scarcity. We have under consideration a proposal in the
4 Office of Vaccines to undertake some organizational shifts,
5 which would basically involve in toto movements of
6 laboratories of our division to other divisions within the
7 Office of Vaccines. There is no contemplation of moving
8 any of the resources, individuals, or programs outside of
9 the Office of Vaccines at this time.

10 In my view, it's simply a control shift that
11 involves management supervisory authorities, but I think
12 you'll see today that the basic program in allergenics is
13 going to remain intact. If anything, there's a commitment
14 -- we have this clearly from Dr. Egan and from Dr. Zoon,
15 the center director -- to augment the resources of the
16 allergenics program, both in terms of personnel and in
17 terms of funds.

18 DR. OWNBY: Any other questions or comments?

19 (No response.)

20 DR. OWNBY: Thank you.

21 I believe our next speaker is going to be Dr.
22 Jay Slater, who's chief of the Laboratory of
23 Immunobiochemistry.

24 Jay?

25 DR. SLATER: Thank you very much, Dr. Ownby.

1 It's a real pleasure to be here. Let me just
2 introduce myself. I've just joined the FDA six months ago,
3 and I came here from 12 years at Children's Hospital. I am
4 the head of the Laboratory of Immunobiochemistry.

5 My talk today is going to focus on three
6 aspects of our activities. The first period, we'll be
7 talking about operational issues at the lab. The second
8 period, we'll be talking about some of the research efforts
9 that have gone on in the past and my feelings about what
10 directions we should go in the future. In the third part,
11 we'll talk about some specific regulatory activities of the
12 lab that we're considering modifying over the course of the
13 next several months.

14 Let me just orient you a bit in terms of the
15 materials that you have. The materials that you received
16 today, the top portion represents the hard copy of all the
17 slides I'm going to be presenting over the next two and a
18 half hours, so that may be something that you will want to
19 refer to. That's in the heavy clip.

20 Immediately behind that is a list of
21 abbreviations that may seem fairly redundant and
22 elementary, but I wanted to make sure that everybody was on
23 target in terms of what I was talking about and that I
24 didn't unnecessarily lose anybody.

25 The missions of the Laboratory of

1 Immunobiochemistry are, as I see it, multifold. I think
2 research is a major part of our mission, but of course we
3 are concerned very much with product quality. I think, as
4 you'll see, especially in the research portion, I've made a
5 major effort to construct a research program in which each
6 individual item is closely related to issues relating to
7 our regulatory activities of these products. We certainly
8 consider ourselves a support unit for the other regulators
9 at FDA, and we consider ourselves a support unit for people
10 in the manufacturing community.

11 The stewardship of the Laboratory of
12 Immunobiochemistry was in flux, and in fact one of the
13 major problems that I hope to solve early and efficiently
14 over the next year or so are the problems associated with
15 rapid turnover of personnel. Yuan Lin was my predecessor,
16 and she left LIB in the summer of 1997. During the hiatus
17 between her departure and my arrival, two people from the
18 division, Paul Turkeltaub and Rich Pastor, alternately were
19 acting chiefs of the lab. This was a largely thankless job
20 for both of them. They put in a lot of hard work trying to
21 get the lab on track. They did really a very good job, and
22 in fact I really want to thank both of them, because when I
23 arrived at the end of August, many things were really
24 running very smoothly, and I had a good honeymoon period in
25 which I had very few things to worry about.

1 Rich Pastor, in particular, has been a
2 continued help to me in terms of understanding the
3 operations of the laboratory, understanding some of the
4 theoretical underpinnings of some of the work that we do,
5 and a lot of his thinking and a lot of his hard work since
6 I arrived has gone into much of the material that you'll
7 see about an hour and a half from now, and we'll talk about
8 that later.

9 The staffing of LIB at this point, full-time,
10 me, and Lyudmila Soldatova is a Ph.D. She's our postdoc in
11 the laboratory. She's doing very important work involving
12 bee venom proteins and is also helping out with a number of
13 other studies that we're doing on the characterization of
14 allergens, which we'll be talking more about later.

15 Maneesha Solanki has been in the lab for
16 several years. She's one of the research associates. She
17 is very experienced and certainly has been a great help in
18 terms of keeping the lab running, especially during periods
19 of instability, and also, after my arrival she has really
20 been very, very helpful.

21 Beth Paupore was my research associate at
22 Children's since April, 1997, and I was very fortunate that
23 she agreed to come to FDA this fall when I came here.

24 In addition, I want to thank Al Gam, who is a
25 biologist in the parasitology laboratory. Again, during

1 the hiatus in which we were relatively understaffed, he
2 helped out a great deal, and has, at my request, really
3 continued to be involved in the laboratory activities,
4 again giving us a lot of help with his assistance and
5 experience.

6 Gerry Poley is a guest worker from Children's
7 Hospital and Li-Shan Hsieh is a Ph.D. who used to work in
8 the lab and now works with us part-time, although she has
9 moved over to CDER.

10 The routine regulatory activities of the lab
11 include protocol review -- that is, review of protocols
12 that are sent in to us by manufacturers on their analyses
13 of particular lots -- testing of the products, reference
14 development, reference distribution, and reference
15 maintenance, including semiannual checks and replacement of
16 references as they become out of date or old.

17 One of the things that had been started before
18 I arrived was the process of optimizing one of the
19 important assays that the laboratory does on a routine
20 basis, and that is the competitive ELISA for relative
21 potency.

22 I wanted to call the attention of the committee
23 members to the package that you were sent before coming
24 here. The next to the last item is a memo describing the
25 proposed changes in the competitive ELISA that was sent out

1 to the manufacturers.

2 The purpose of this reevaluation was really to
3 critically reevaluate the method, make sure it was working
4 as best as possible, and then finally to validate any
5 changes that we made. In fact, the results were very
6 reassuring, and that was that almost all the changes that
7 we made were merely refinements of the conditions that
8 already were in our competitive ELISA protocol. Those
9 things that weren't refinements were truly minor changes.

10 For instance, we changed the buffer detergent
11 from Brij to Tween-20. That seemed to work somewhat
12 better. We used the same blocking buffer and diluent
13 buffer for all the different preparations using 1 percent
14 BSA. Again, no radical changes there, but just a
15 standardization of things that have been not entirely clear
16 in the previous protocol.

17 The coating, composition, and conjugate
18 incubations were converted to absolutely overnight, as
19 opposed to four hours to overnight, and the substrate that
20 we used we specified that it should be equilibrated to room
21 temperature for five minutes and the incubation steps
22 should be exactly for five minutes. Again, in the previous
23 protocol, these things were left not specified.

24 When we looked at the revised protocol and
25 looked at three common allergens that we typically

1 evaluate, the results in fact were extremely reassuring,
2 and that was that for each of these we tested extracts that
3 were at a relative potency of 1. In other words, they were
4 where we wanted them to be. We also tested them at double
5 that relative potency and at half the relative potency, and
6 fortunately, none of the 0.5 or 2.0 extracts passed, which
7 is reassuring. None of the 1.0 extracts failed -- also
8 reassuring. In fact, the standard deviation of our
9 evaluation was for the most part well within and
10 significantly smaller than the old standard deviation
11 limits, which were 0.1375.

12 So we found that, for both theoretical reasons
13 and for practical reasons, this was an improvement over the
14 previous regimen. We sent out a memo to the manufacturers
15 advising them of these proposed changes and we're waiting
16 for feedback from the manufacturers regarding that.

17 In addition to that activity, some of the more
18 routine activities that have continued have included
19 reference replacement activities. For instance, we
20 replaced the cat S2 sera by cat S2a, mite S3 by S4, latex
21 S2 sera was replaced by another pool, S3, and I'll have
22 some more information about that in the next few slides.
23 Both the *D. pteronyssinus* and cat extract replacement
24 activities are in progress, and we'll be talking more about
25 reference replacement in just a few minutes.

1 As I said, one of our specific projects that I
2 was involved in fairly soon after coming was the
3 replacement of the latex serum pool. In fact, after much
4 evaluation of the various sera and plasmas that we had
5 available, we formulated a pool from seven adults with
6 latex allergy. This was actually just prepared a little
7 over two months ago. We were happy that all of the bands
8 in our standard latex antiserum E8 was detected, and in
9 fact the relative potency data using that extract was very
10 reassuring as well.

11 What you see here is the absorbance plotted
12 against the log dilution of E8, which is our standard latex
13 extract. This is the absorbance with PBS, which
14 reassuringly is at zero. The two E8 runs were exactly
15 overlapping. Again, that's fairly reassuring.

16 This is another product that we had in our lab
17 that's actually at a concentration of 1 milligram per mL,
18 another latex extract, and again, reassuringly, this is
19 obviously a perfectly parallel line to the other run that
20 we had done with the standard E8.

21 DR. SAXON: Jay, I'm confused for a minute.

22 DR. SLATER: Sure.

23 DR. SAXON: Are you talking about serum or
24 antigen?

25 DR. SLATER: We are testing a new antiserum

1 with the antigens that we have in, so we want to see
2 whether the numbers that we get are what we would expect to
3 get.

4 DR. SAXON: I was just getting confused between
5 E8, which is an antigen. E8's an antigen.

6 DR. SLATER: Absolutely. No, that's right. E8
7 is an antigen, and we're simply testing the new latex sera
8 pool.

9 DR. SAXON: So this is one sera. You're not
10 comparing your sera to anything else. You're just looking
11 to see --

12 DR. SLATER: That's right, but these are the
13 results that we expected based on our data from S2.

14 DR. SAXON: I understand.

15 DR. SLATER: And getting more precise, it turns
16 out that E8 is a fairly concentrated standard, 3.9
17 milligrams per mL. The unknown that we were using was 1
18 milligram per mL. The relative potency of E8 was 95
19 percent confidence between 0.89 and 1.35, and X, the
20 confidence interval was 0.19 to 0.30. Again, really pretty
21 much what you would expect based on simply the protein
22 content of the products. That was reassuring about this
23 new latex serum pool, and we have a lot of this, so we're
24 confident that we're going to be moving forward with more
25 latex work, but again, I'll refer to that a little bit more

1 later.

2 One of the other operational issues that this
3 lab began to address over a year before my arrival in the
4 summer of 1997 was the issue of mite stability. The issue
5 of mite stability is of particular operational importance
6 to a laboratory like ours, and the reasons that the lab
7 began to investigate this were that it has been known for
8 quite awhile that there are cysteine and serine proteases
9 in mite antigens, there have been conflicting prior data on
10 stability -- in particular, Hal Nelson's paper in '96 and
11 Yuan Lin's paper in '98 came up with some ambiguous
12 information about the stability of mite antigens -- and we
13 obviously are very concerned with the issue of possible
14 short shelf lives of reference materials.

15 In particular, it was called to our attention
16 by some manufacturers that they were concerned that our
17 reference materials were not stable, by virtue of their
18 finding that when we switched reference materials there
19 were abrupt changes in the relative potencies of their
20 materials. This is something that obviously was of great
21 concern to the manufacturers, and it was of concern to us
22 as well.

23 So in 1996, Yuan Lin began a series of studies
24 using mite extracts that was actually published in the
25 Annals of Allergy, Asthma, and Immunology in 1998, and that

1 is one of the reprints that is in your handout that you got
2 before coming here. I'm going to show some of the slides
3 from that study.

4 The study looked at various mite extracts
5 stored 4 degrees, 26 degrees, 37 degrees, and, believe it
6 or not, 50 degrees for between six and 30 months, although
7 the 50 degree samples got dry pretty fast, and so they
8 obviously didn't have much to look at after six months.

9 What they found, using a relative potency at 4
10 degrees as their standard, as their benchmark, they found
11 actually relatively little deterioration of relative
12 potency over six to 30 months at room temperature, which
13 was actually remarkably reassuring. At 37 degrees, there
14 was some dropoff, and at 50 degrees, obviously there was a
15 very rapid dropoff. Unfortunately, as you can see, there's
16 a design question with this experiment, and this actually
17 was addressed subsequently by other members of the lab
18 before my arrival.

19 Again, going back to the paper from 1998, in
20 spite of the stability of the relative potency
21 preparations, there was significant instability of the
22 specific mite allergens as measured by monoclonal antibody-
23 based ELISA assays, and this is a panel of Der p 1, and up
24 here on the top curve is the 4 degrees, 26 degrees, and 37
25 degrees, and the 50 degrees is right along the baseline

1 here for Der p 1. For Der p 2, again, 4 degrees, 26
2 degrees, 37, and 50 degrees, and the abscissa here, I
3 admit, is fairly hard to see, but the first time points are
4 three months, six months, and nine months.

5 Looking at the Der f antigens, Der f 1 appeared
6 to be relatively stable over the period of observation, but
7 Der f 2 really had a very similar pattern to the other
8 allergens that were looked at.

9 So there was actually a contradiction in this
10 paper, but the contradiction was a little hard to sort out,
11 because the standards and the benchmarks that were being
12 used in the two studies were rather different. They found
13 that Der p 1, Der p 2, and Der f 2 were unstable at 4
14 degrees, Der f 1 was stable at 4 degrees and unstable at
15 greater than 26 degrees, but the RP was conserved at 26
16 relative to 4, but they didn't look at what the RP did at 4
17 relative to -20 or relative to a lyophilized preparation.
18 So that was the first thing that my predecessors wanted to
19 look at in a more careful way. In addition, they wanted to
20 look at the possibility that protease inhibitors might
21 somehow retard this process.

22 The objective of the study was to look more
23 carefully at this question to identify and characterize
24 possible degradation in glycerinated mite extracts with or
25 without inhibitors, to store this time at -70, -20, 4

1 degrees, and 37 degrees for six to 12 months, compare them
2 this time to a lyophilized standard, which was provided for
3 us by one of the manufacturers, and then to look at this by
4 three assays -- the competitive ELISA for relative potency,
5 the two-site ELISA for specific Group 1 and Group 2
6 antigens, and Western blot using both antisera and
7 monoclonals. Now, I have only some of the data to show
8 you, but I do have some material that I'd like to take you
9 through.

10 Again, let's look at the relative potencies.
11 Unfortunately, this is a complicated slide because you have
12 four different mite extracts, you have the six-month data
13 and the 12-month data, and you have either three or four
14 bars at each segment. That's because at the six-month
15 point we don't have the assay results for the -70 products,
16 but at the 12-month point we have the assay results for all
17 the different products.

18 I just want to focus your attention at the
19 maroon bar and at the beige bar to the right of it. The
20 maroon bar is the -20, the beige bar is the 4 degrees, and
21 what you see is actually a very reassuring stability of
22 relative potency of the 4 degree product compared to the
23 product stored at -20. Again, 4 degrees, -20, 4, and -20.
24 The error bar on this is a little bit impressive, isn't it?
25 And remember, the benchmark here in this assay is an RP of

1 1, and our standard is anything between .7 and 1.4.

2 So the results of the relative potency assay
3 are fairly reassuring that not only from Yuan Lin's
4 previous study do we know that 26 degrees is stable
5 compared to 4, but now we can answer the more important
6 question, and that is the stability of samples stored at 4
7 degrees compared to both -20 and compared to the
8 lyophilized standard which was taken as an RP of 1.

9 Notice an interesting observation that with
10 several of the preparations, the -70 one is significantly
11 lower, and that actually makes good intuitive sense,
12 because with 50 percent glycerol, the sample doesn't freeze
13 at -20, but it does freeze at -70. We know that
14 freeze/thawing cycles tend to decrease relative potency of
15 stored extracts.

16 When we use the monoclonal antibody-based assay
17 to look at Group 1 and Group 2 allergen content -- and
18 these data are only from six months. We haven't completed
19 our analysis of the 12-month data -- we actually find that
20 there is some deterioration relative to the lyophilized
21 preparation. In this one, the standard is a stored
22 standard from the kit, which is actually stored at 4
23 degrees, but we see here that the lyophilized preparations
24 in several of these have significantly higher allergen
25 content than any of the others, but for some of them it

1 doesn't make that much of a difference, but what we notice
2 is that, again, between the -20 and the 4 degrees, the
3 maroon and the beige, there is no significant difference in
4 allergen content.

5 So again, the information here is not yet
6 complete. We are continuing our analyses. We actually did
7 see on Western blots some loss of protein bands at 4
8 degrees, but it was not clear exactly which bands these
9 were and the results weren't entirely consistent, but we
10 are concerned that we may be losing some specific antigens
11 at 4 degrees when defined by molecular weight on a Western
12 blot. However, in general, our tentative conclusion so far
13 is that relative potency is stable at 4 degrees relative to
14 lyophilized, and that's an important question that we
15 wanted to answer.

16 We do know that there is some loss of protein
17 bands at 4 degrees, and we do know that there is some loss
18 of specific mite allergens compared to lyophilized at 4
19 degrees, and in fact at -20, but this doesn't appear to
20 correlate with the relative potency.

21 In data that I have not shown you, simply
22 because I'm showing you enough data today, the protease
23 inhibitors do not appear to offer any protection at all, at
24 least not in the way that we use them. So we don't think
25 that that's necessarily an answer in terms of preserving

1 what little mite activity was actually lost.

2 I'm going to switch gears a little bit to talk
3 about our reference --

4 DR. SAXON: Can I ask you a question?

5 DR. SLATER: Absolutely.

6 DR. SAXON: I want to clarify, though, so I
7 understand it. So since the relative potency doesn't
8 change, yet you have a loss of some bands or antigens by
9 monoclonals, what you're suggesting is basically you're
10 just losing a couple of epitopes that may be -- one part
11 may be degraded, but you're really not losing anything, and
12 that showed maybe -- I won't say a flaw, but a difficulty
13 with using a monoclonal antibody assay for these.

14 DR. SLATER: I couldn't have said it better. I
15 think that the monoclonals may be detecting specific linear
16 sequences that, even when eliminated, lead to no
17 significant decrease in confirmational epitopes or even
18 other linear epitopes that are recognized by the serum.

19 Now, remember, again, forgive me if I say
20 obvious things, but the competitive ELISA is based on
21 polyclonal human antisera that may represent half a dozen
22 significant mite allergens, and therefore the loss of part
23 of one of them may not be recognized within the
24 significance limits that we have.

25 In fact, if we had a more precise assay, we

1 might actually see a real loss, that it might be a 5
2 percent loss or a 10 percent loss. With those error bars,
3 you need to recognize that you wouldn't be able to
4 recognize that kind of loss.

5 But thank you.

6 DR. OWNBY: Jay, apropos of that, has anyone
7 done the work of absorbing out one of the major mite
8 allergens to see what loss in potency you get acutely if
9 you use one of these monoclonals to absorb all Der p 1 out,
10 for example?

11 DR. SLATER: I'm not aware that that has been
12 done.

13 DR. TURKELTAUB: Yes, it has.

14 DR. SLATER: I'm being corrected.

15 DR. TURKELTAUB: A paper by Albers, 1998. This
16 is Paul Turkeltaub. They desorbed Der p 1 and looked at
17 its effect on histamine release in skin test reactivity.
18 It had no effect. Maybe 5 percent. It's not a major
19 allergen. It's a minor --

20 DR. OWNBY: So that would explain what we're
21 seeing in Jay's data. That would fit with what we're
22 seeing in Jay's data, where you can lose one of these
23 monoclonally-defined allergens and not affect the overall
24 potency.

25 DR. TURKELTAUB: Yes. In my talk, when we

1 looked at what was the potency assay for mites in the mid-
2 80s to the advisory committee, Der p 1 was proposed as a
3 major allergen. We looked at RAST inhibition. That
4 correlated with skin test relative potency. When we looked
5 at Der p 1, that did not correlate with skin test relative
6 potency. Der p 1 did not correlate with RAST inhibition
7 relative potency. We knew that in 1986. Albers published
8 in '88 when you desorb out Der p 1, there's no effect on
9 the overall allergenic activity, except maybe 5 percent,
10 which was well within the limits of the variability of the
11 assay.

12 There are a number of other data which I'll
13 discuss later on about why some these "major" allergens are
14 not major allergens at all. It's a misnomer and results in
15 misleading impressions in the allergy community about using
16 such estimates.

17 Now, Jay hasn't mentioned anything at all about
18 the quality control issues, about how replicable even Der p
19 1 estimates are. In Yuan Lin's paper, using reagents from
20 the same supplier, she got three-fold discrepancies in the
21 estimates. So lot-to-lot consistency of those reagents --
22 and I think Yatswada, a Japanese investigator, tried to
23 estimate Der p 1 using another set of reagents, and
24 comparing them among labs couldn't get comparable results.
25 There may be some quality control issues as well, as well

1 as, and I don't know if Jay may want to comment, within-lab
2 reproducibility, using the same sets of reagents and the
3 same references, and whether you get the same reliable
4 results.

5 I don't know if Jay wants to comment or not. I
6 mean, he's had the hands on experience.

7 DR. SLATER: I'll tell you, I don't really want
8 to comment on it, because I'm not sure it's broadly
9 relevant. I think that certainly there are problems with
10 any of these assays and, as those of you who have worked
11 with monoclonals know, they each have a personality of
12 their own in terms of how they can be handled and what they
13 recognize.

14 Yes, I think that, again, the purpose of this
15 study was not to impugn the general use of monoclonal-based
16 assays, but clearly, in order to accomplish that, you
17 really need to know what the important allergens are, what
18 actually goes into it, and I think, Dennis, your question
19 was right on point in terms of that.

20 MR. GAM: Al Gam. Just one other comment.
21 Just because a band disappears on a Western because there's
22 some breakdown of the allergen doesn't mean the epitope's
23 not still there.

24 DR. SLATER: Right.

25 MR. GAM: And you may still see it in a

1 serologic test, whereas that band is gone in the Western.

2 DR. SLATER: Right. The band may just appear
3 under a different band altogether on the blot.

4 MR. GAM: Exactly.

5 DR. SLATER: Thank you.

6 The reference replacement program. Committee
7 members, in the handout you got today, behind the
8 abbreviations, there is a four-page summary of our time
9 table for reference replacements, and you may or may not
10 wish to look at that now.

11 One of the things that we found shortly after
12 my arrival was that many of the references that we had in
13 stock were actually, formally speaking, out of date. Now,
14 I just want to preface this by saying that LIB has had a
15 fairly aggressive reference quality control program for
16 several years, including blotting and competitive ELISAs
17 done every six months to really monitor the appearance and
18 the potency of these products. That being said, 20 out of
19 24 of the products that we looked at were formally out of
20 date. So one of the things that I wanted to do was to
21 bring our inventory up to date, and that's what that
22 reference replacement program shows in your handout.

23 Again, the idea is to bring the full inventory
24 up to date, with a target completion date of August, 2001.
25 That seems awfully far in the future, but actually that's

1 fairly aggressive scheduling for us, because there are a
2 lot of references and it involves not only activities by
3 us, but the manufacturers as well. Getting a new extract
4 into play is an iterative process between us and the
5 manufacturers, so we have to leave time for that.

6 We intend to be proactive. Candidates will be
7 identified more than six months prior to expiration. We'll
8 be comprehensive. All reference materials, both the
9 antisera and the extracts, will be updated.

10 One of our anticipated problems is that we're
11 going to be spending a lot of time and money doing this.
12 This is a big process. We have a lot of reference
13 materials that we have to go through and maintain, and one
14 of the possible solutions that we're hoping to study over
15 the next couple of years is trying to switch at least some
16 of our products over to lyophilized references, which will
17 have a longer storage time, or another possibility that I'm
18 not going to discuss is the possibility of investigating
19 ELISAs based on serum pools. Again, taking advantage of
20 the extreme stability of antibody solutions that are
21 lyophilized for long-term storage.

22 So one of our plans is that as we go through
23 this reference replacement that you have the details of,
24 we're going to actually buy some extra reference material,
25 more than we would ordinarily think we would need, to

1 either lyophilize ourselves or to have the manufacturers,
2 if they're capable of doing it, to lyophilize for us, and
3 then over the next year, we will begin to assess the
4 stability and reliability of these lyophilized products
5 compared with the more standard glycerinated products that
6 we will be using. Then we will report out the results and
7 distribute samples to the APMA membership prior to action.
8 Obviously, not an action item this year or perhaps not even
9 next year. We've really got a lot of studying to do
10 between now and then, but we are hoping to have some
11 information that will be helpful and will help our
12 operations.

13 Now, I want to stop for a moment and explain
14 the next few slides before we start. At the end of my
15 presentation later this morning, I will be talking about
16 specific regulatory proposals that we've actually put a
17 fair amount of thought into, we think make good sense, and
18 we'd like some feedback from you on them.

19 These next few slides do not fall into that
20 category. These are issues that, frankly, I didn't spend
21 much time thinking about before I came to this job at the
22 end of August. I think these are questions that are going
23 to be important for our operation probably not this year,
24 probably not next year, but perhaps the year after.

25 They're hard questions. They're not questions

1 that are particularly easy, and I certainly am not putting
2 together a comprehensive review. I will express my
3 temporary opinion on the answers to these questions, but I
4 want the committee to help me over the next couple of years
5 in terms of starting to think about these questions, and I
6 think they are important questions that we need to begin to
7 address.

8 Basically, these issues are should CBER
9 continue to be the source of reference standard allergens
10 and antisera? And how should our standardization program
11 continue?

12 Now, given the dynamite involved in both of
13 those questions, you'll be surprised at how few slides I'm
14 going to show, but I really want to drive the point home
15 that these are things that I wanted very much to introduce
16 today without really inviting specific answers today. I
17 really wanted you to start thinking about them. I want you
18 to help me through this over the next few years.

19 How do we manage our reference materials at
20 this point? Well, this is the paradigm that we use. We
21 identify a candidate reference. We do in-house testing.
22 We send out samples to manufacturers for testing. Then,
23 depending on the material it is, we purchase between a one
24 and three-year supply and distribute it as the manufacturer
25 has requested as time goes on.

1 What are the advantages of our being the sole
2 source of U.S. reference materials? Well, obviously, the
3 main advantage is control. We have the references, we know
4 exactly what they are, and we are in charge of managing the
5 references. We certainly can monitor them, and we do
6 monitor them, as I said, every six months.

7 There's also an issue of fairness. We can
8 insure that all the manufacturers get them as they need
9 them. If rationing is necessary, we can assure that we do
10 it on a fair basis, and also, and I think this is very
11 important, that with us being the source of the materials,
12 we can insure that qualified investigators who need these
13 materials can get them as they need them.

14 What are the disadvantages? Well, frankly, the
15 major disadvantages are inventory management and cost.
16 Inventory management actually in the six months that I've
17 been here has been a major headache. There's a disparity
18 among the manufacturers at the rate of consumption of these
19 products. As we've gotten towards the end of a product,
20 we've actually had situations in which we've had to refuse
21 to give out product or give a fifth or a tenth of what has
22 been requested. These are not good situations for the
23 manufacturers and they're not good situations for us.

24 One of the things that I've requested is that
25 manufacturers give us an idea what their consumption of

1 product is going to be prospectively over the next year.
2 That requires them to give some serious thought to how
3 they're going to be using it and it lets us budget our
4 materials appropriately.

5 In addition, and again, this is not a major
6 issue by any stretch of the imagination, but I'm not going
7 to make believe that cost is not an issue. It is. We are
8 going to be spending upwards of \$35,000 this year on this
9 reference replacement program, so it's a significant
10 investment, and it's an investment that I think will
11 continue. But again, I think the major disadvantage is
12 inventory management, more than anything else.

13 As I look at this and as I think about this in
14 February, 1999, I think we need to continue doing exactly
15 what we're doing. I think there's no good justification
16 for changing our current status, which is to continue in
17 our current role, and to work hard to upgrade our reference
18 stocks and to evaluate better methods of maintaining our
19 inventory, and that is what we are actively involved in
20 now.

21 But I really wanted to sort of open the door
22 for the committee to start thinking creatively, as I hope
23 to be thinking creatively over the next year, about
24 alternative paradigms and alternative ways that we can
25 fulfill this very important function.

1 I also wanted to open the door on starting to
2 talk again about standardization. Now, the current, highly
3 successful paradigm for standardization is based on certain
4 assumptions. Obviously, the current paradigm is based on
5 the use of heterogeneous products. We're not talking about
6 pure cloned products, for the most part. We're talking
7 about heterogeneous, natural products that are largely
8 glycosylated naturally, that are intact proteins, and, most
9 importantly, in which there is a correlation between
10 allergenicity, as reflected in skin testing and competitive
11 ELISAs, and immunomodulatory activity, which is the
12 therapeutic function for which many of these products are
13 intended.

14 Our current standardization targets, according
15 to the recommendation of the advisory committee last year,
16 are, in this order, latex, cockroach, and tree pollens. We
17 are actively working on latex. We are going to be actively
18 working on cockroach, which arguably is a significant
19 public health issue, and then tree pollens will follow. So
20 we are pursuing standardization efforts.

21 But, just to take latex at random as an
22 interesting possibility, the idea of standardizing latex is
23 a little bit of a daunting one. There have been many
24 identified allergens for latex. Now, it's extremely
25 unlikely that all of these are equally important. It's

1 also extremely unlikely that most of these are important at
2 all. Many of them probably are relatively minor, but the
3 fact is we really don't know with great certainty what the
4 major and minor antigens are for a large portion of the
5 population that are latex-allergic, and so the study of
6 standardizing a latex product is I think perhaps more
7 scientifically difficult than some of the standardization
8 efforts that have gone on so far.

9 Furthermore, although we are in a position to
10 assess a latex extract for its content of perhaps six or
11 seven of these, we certainly are not in a situation where
12 we can analyze all of these in a particular latex extract.

13 What are the limitations of the current
14 standardization paradigm? The limitation mainly, as far as
15 I'm concerned, is that it is of uncertain predictive value
16 for peptides, plasmids, modified allergens, and other,
17 possibly non-glycosylated, products. These are products
18 that we anticipate we're going to be seeing down the line
19 over the next five or six years, and the current methods
20 that we use to assess an allergen and to monitor it and to
21 do our quality control simply are not going to apply to
22 many of these products.

23 Again, definitely not the major issue. Cost is
24 not the major issue, but it is an issue that I don't think
25 we can completely ignore, and that is that the paradigm is

1 that the cost of the standardization is largely borne by
2 the FDA.

3 Now, in case you wonder whether I'm being too
4 futuristic thinking about cloned products, I think that
5 cloned products for allergen immunotherapy are right around
6 the corner, and what I think drives this is not just the
7 science, but very much the economics of cloned products.
8 Immunotherapy doses typically are between 10 and 30
9 micrograms a month once you get up to maintenance therapy
10 for effective immunotherapy. How much would that cost,
11 let's say, if you were doing immunotherapy with any of the
12 current commercially available cloned products?

13 Well, just taking three, filgrastim, or
14 Neupogen, is derived from E. coli, it's 175 amino acids,
15 and it costs all of 53 cents a microgram retail. That
16 actually I think is very accessible in terms of the cost of
17 immunotherapy. Okay, filgrastim is cloned in E. coli, we
18 know it's non-glycosylated, and let's go to another
19 product.

20 Sargramostim is cloned in yeast, partially
21 glycosylated, 127 residues. It's cheaper. It's 52 cents a
22 microgram.

23 Okay, we know that yeast don't really
24 glycosylate quite as well as mammalian cells. Let's look
25 at erythropoietin alpha. It's grown in Chinese hamster

1 ovary cells, 165 residues, extensively glycosylated. It
2 comes out to be considerably more expensive, \$1.55 per
3 microgram.

4 But again, you can see the point that I'm
5 making here, and that is the economics of these cloned
6 products I think, and the science of the cloned products,
7 really leads us inescapably to the fact that we are going
8 to be seeing submissions of these products in the near
9 future and we need to develop paradigms that we're going to
10 use to assess them.

11 Unfortunately, the existing alternative
12 approaches are clearly not satisfactory. Again, this is an
13 example where I'm not going to be giving you the answer.
14 I'm really trying to start a discussion with you about what
15 direction we should go.

16 One possibility is something called consistency
17 monitoring, in which a manufacturer will set up its own
18 internal standard and make sure that the product continues
19 to be consistent relative to that standard. That actually
20 is attractive for many purposes, and you can imagine many
21 situations in which you would recommend consistency
22 monitoring, but the problem with that is that there's
23 really no industry standard at that point, and that,
24 although you've insured some kind of consistency, you still
25 have to determine what parameters you're going to want to

1 make consistent and you really need to worry then about the
2 products that are made by two or three different
3 manufacturers within the industry.

4 We've already had some discussion about the
5 possibility of looking at pure allergens, perhaps with a
6 monoclonal antibody, perhaps with a monospecific polyclonal
7 antibody. Again, the problem is that we really can't state
8 with confidence for a number of allergens whether all the
9 component allergens have been identified or characterized.

10 Finally, we can use other methods of in vitro
11 characterization, but the problem is that we have not at
12 this point established good criteria, and I think that's
13 probably one of the areas that I'm going to be spending
14 some time focusing on, and that is, if we're going to use
15 other in vitro methods to characterize these products, what
16 criteria are we interested in establishing?

17 Again, as before, we are pushing forward with
18 the current standardization program. We plan to complete
19 the laboratory portion of latex standardization really
20 within the next six months, probably sooner. I think we've
21 gone a long way towards that, and we're going to move
22 relatively quickly at this point.

23 We do intend to initiate work within the next
24 six to 12 months on cockroach standardization. Again, my
25 sense, from reading the advisory committee's transcripts

1 from last year and also my own personal opinion, is that
2 from a public health standpoint cockroach is a more urgent
3 product to standardize than tree pollen is.

4 One of the themes I'm going to come back to is
5 that what I'm telling you about today is ambitious, and I
6 know it's ambitious. I wouldn't be telling you all the
7 things that I'd like to do if I didn't think that I have
8 the support of the Office of Vaccines and the Division of
9 Allergenic Products behind me.

10 We have at this time a lab chief. That's me,
11 and I am planning on staying in this position for a long
12 time, so hopefully it will be stable. At least, from my
13 point of view, it will be stable.

14 We have Dr. Soldatova, our postdoc. She is
15 going to be staying with us. We have two biologists
16 currently working, Maneesha Solanki and Beth Paupore. We
17 have hired a new biologist. This is a slide I just made up
18 over the weekend. He's going to be starting on March 1st.
19 So we are going to be up to a third biologist, and we are
20 recruiting a fourth one.

21 I think once we get that fourth biologist, we
22 are really going to be at a full complement to serve the
23 regulatory function that I'm going to be describing, and
24 also to do some of the important research that we'll be
25 talking about in the next hour.

1 That's it.

2 DR. OWNBY: Any of the committee members have
3 questions for Dr. Slater?

4 DR. WRAY: Yes. Please go back to your
5 replacement of new reference extracts, where, for example,
6 mite S3 was replaced by S4.

7 DR. SLATER: Yes.

8 DR. WRAY: I understand S3 was probably
9 outdated, so to speak. Is that a consistent -- was there a
10 change in the potency there?

11 DR. SLATER: When we replaced mite S3 with mite
12 S4, we did have some concerns by the manufacturers that
13 there was a problem with using S4, and I actually almost
14 put that exchange of memos in your packet to show you. I'm
15 sorry now that I didn't.

16 One of the immediate problems that the
17 manufacturers reported back to us was that S4 seemed to
18 have a lower titer than S3 did, so they had to use a lot
19 more of it. One of the features that then came out as they
20 were using less and less dilute sera was the background was
21 coming up and the working range that they were working in
22 was really unacceptable.

23 The initial communications that we had from the
24 manufacturers were in November. We got back to them -- I
25 don't remember whether it was December or early January --

1 with some suggestions as to how to modify the assay further
2 to improve the baseline. Our reading of their data was
3 that we saw the problem as mainly a baseline problem. We
4 actually were successful in-house at using a relatively
5 dilute solution of the S4 product, and we recommended that
6 they go back and reoptimize the assay using a lower
7 concentration to see if they really needed to get up to the
8 higher concentration of the serum.

9 In terms of the specificities of the extract,
10 using immunoblot of isoelectric-focused proteins, we found
11 that it was equivalent, and in fact -- I don't remember how
12 many sera are in that product. I think there are six sera.
13 Three of them were identical to what was in the previous
14 one, so there's considerable overlap between the two
15 products.

16 The reason I was going to include it is that
17 it's a typical example of some of the day-to-day
18 interactions that we have with the manufacturers that I
19 actually think are good. I mean, I think those are
20 positive interactions when there's a specific problem with
21 one of our references and we can try to troubleshoot it and
22 see if we can improve things for them.

23 DR. WRAY: Of course, I'm concerned as a
24 clinician to be sure when I'm getting another batch that --

25 DR. SLATER: Well, that's right, but whenever

1 we switch from one extract to another, and from one serum
2 to another, we do the kind of analysis that I showed you
3 with the latex, and that is we look at the relative potency
4 of the products that we have in-house.

5 In fact, one of the specific questions that one
6 of our manufacturers raised was that when they tried to
7 replicate our results using our standard, they also found
8 that the two reference antisera were equivalent. It was
9 when they took one of their products, their in-house
10 products, and analyzed it that they actually saw about a 15
11 to 20 percent difference, and that was what we were
12 concerned about. We were wondering whether perhaps the
13 background problem was more significant with some extracts
14 than others.

15 DR. WRAY: Thank you.

16 DR. SLATER: But it's a question that we take
17 very seriously and we try to answer it as quickly as we
18 can.

19 DR. EIN: Well, just to follow up on that, some
20 of the manufacturers and some of the clinicians had the
21 sense that with this change in standards there were
22 increasing numbers of reactions to immunotherapy, and is
23 there any mechanism that you have -- I'm not aware of any
24 -- by which you can get that sort of feedback?

25 DR. SLATER: I'm sorry. Is there any mechanism

1 question really has to do in the end with what is the sigma
2 or standard deviation of the products that are actually
3 sent to us? How does it compare to the sigma of the assay?

4 Just thinking about it conceptually, if in fact
5 the sigma of the products is small and they're sending us
6 products that are fairly tight as a consequence of the
7 manufacturing techniques, the quality control that goes on
8 before they send products to us, if they send us products
9 that are pretty tight around a relative potency of 1, and
10 our assay is pretty broad in terms of its relative potency,
11 well, then we're really not so concerned about the average
12 product. We just want to make sure that the outliers are
13 cut out. We want to make sure that we lose those outliers.

14 So we want to look at the relationship between
15 the sigma of the products that we are sent and the sigma of
16 our ability to look at those products and, assuming a
17 Gaussian distribution, the sigma of the observed products
18 equals the sum of the sigma of CBER's assay and of the
19 manufacturers' products, and what we're looking for is
20 this.

21 Well, how do we look for that? Well, we can
22 make an estimate of what our observed sigma is. If you
23 look at from 1995 to 1997, we rejected 53 out of 414
24 products that were sent to us in 1995 to 1997, or about 13
25 percent of the extracts failed. That translates into an

1 by which we can get that feedback?

2 DR. EIN: The kind of feedback that the changes
3 in references or that the materials that are going out seem
4 to be associated with more reactions. I mean, it's one
5 thing to look at reference sera. It's another to look at
6 what actually happens when these products are used
7 clinically.

8 DR. SLATER: Those are data that we're terribly
9 interested in seeing. I haven't seen any of those data,
10 but certainly, if we are confronted with those kinds of
11 data, I think we would have to reevaluate what we were
12 doing in terms of the extract. That's obviously very
13 important.

14 Paul?

15 DR. TURKELTAUB: If I could just make one
16 comment to Dan's comment, if there's some concern about
17 drift in potency from lot to lot, side-by-side skin testing
18 is a very easy way to know whether there is a difference in
19 potency, which is somewhat low tech, but very germane to
20 answering the question, and if there could be that kind of
21 effort made among the professional organizations and
22 manufacturers, that would be a very simple way, as opposed
23 to going to this spontaneous reporting system about adverse
24 events, because everybody handles these products
25 differently in dose regimens, et cetera, et cetera.

1 DR. OWNBY: Any other questions from the
2 committee?

3 (No response.)

4 DR. OWNBY: We have a few minutes. Any
5 questions from the other members of the FDA who are here?

6 (No response.)

7 DR. OWNBY: If not, we're just a couple of
8 minutes ahead of schedule, and I think we can go ahead and
9 take a break if everyone will be back so we can start
10 promptly at 9:35, which I believe is on your agenda as our
11 next start time.

12 (Recess.)

13 DR. OWNBY: Well, we're continuing on with Dr.
14 Slater's report on the research in the laboratory.

15 DR. SLATER: Thank you.

16 Committee members, in your prepackage, the
17 package you got a couple of weeks ago, you have a
18 bibliography from the lab and you have five reprints and
19 one preprint, and that's going to be the subject of much of
20 what we say for the next 45 minutes or so.

21 It is my intent for this laboratory to have an
22 extremely active research program, and I think, as I closed
23 in the previous talk, with a full complement of a lab
24 chief, a postdoc, and four biologists, we will be able to
25 perform the regulatory function that was the subject of the

1 first portion of the talk and the research function that
2 we're going to be talking about over the next 45 minutes or
3 so.

4 But I want to stress one important point that I
5 am going to be bringing up over and over again, and that is
6 that it is my intent to make the research program of this
7 lab so clearly identifiable with our regulatory function
8 that we will not really have that kind of a clean division
9 between regulatory and research. All of the research
10 activities that I think we should be performing, and I hope
11 we will be performing, are going to have direct relevance
12 to the regulatory activities of the laboratory.

13 The way I have formulated it so far is to have
14 two broad functions, two broad research questions that we
15 would like to answer. One relates to allergen structure
16 and function, and the other to immunomodulation.

17 Under allergen structure and function, we need
18 to focus on issues related to glycosylation and
19 allergenicity, enzyme activity and allergenicity, and
20 better identification methods of the allergens that we need
21 to regulate.

22 In terms of immunomodulation, we would like to
23 explore further some of the work that's already been done
24 with epitope-specific immunotherapy, the use of DNA
25 vaccines, the possible role of lipopolysaccharide in

1 allergenicity of products, and issues of cross-
2 sensitization between allergens and related allergens.

3 There are six references on the list that you
4 have. The first three appear here. One was from Dr. Lin
5 and Theresa Liu. This is the paper that we discussed in
6 the first hour on the epitope stability of Group 1 and
7 Group 2 allergens. This appeared in the Annals of Allergy
8 last year, and I'm not going to be discussing this
9 manuscript any further.

10 Dr. Soldatova and colleagues published an
11 excellent paper in the JACI a few months ago on the
12 superior biologic activity of recombinant bee venom
13 allergen hyaluronidase expressed in baculovirus-infected
14 cells as compared with E. coli. This paper in many ways
15 touches upon some of the more important issues that I'd
16 like to continue to investigate, and I'm actually going to
17 talk about that study in some detail.

18 Somewhat more briefly, I will refer to a paper
19 that appeared in the Journal of Biological Chemistry in the
20 fall that was authored in part by Li-Shan Hsieh, who is the
21 Ph.D. that used to work in the lab. Some of this work was
22 done in our lab with Akira Akasawa and also Brian Martin,
23 who's also at FDA. This was a study of the cloning of an
24 avocado allergen and some of the things that they found
25 with that.

1 In addition, I've listed three papers that we
2 authored from our work at Children's, and this was work
3 done by Beth Paupore in my lab at Children's. Two of these
4 appeared in publication in the JACI and one of them has
5 been accepted, but by Molecular Immunology, and will be
6 published in either the March or April issue. These are
7 not studies that were done at LIB and FDA, but I wanted to
8 talk about these to give you an idea of what I have done
9 and some of the work that I would like to continue in LIB.

10 In terms of the first broad area of interest,
11 allergen structure and function, I'd like to focus on
12 glycosylation, and from the first talk that I gave, it's
13 clear where I'm coming from in terms of studying
14 glycosylation. I think that much of our regulatory
15 function is going to be tied into issues of the
16 glycosylation of allergens.

17 Some of the questions that I'd like to ask are
18 is the decreased antibody binding of non-glycosylated
19 antigens primarily a function of impaired folding? What is
20 the biochemical anatomy of the glycosylation requirement
21 for an antigen to have good interaction with antibody? Can
22 non-glycosylated allergens equal native allergens in
23 immunotherapy? And, most importantly, how can non-
24 glycosylated products be evaluated for diagnosis and
25 therapy?

1 In terms of enzyme activity, we are going to be
2 focusing somewhat on what is the relationship between
3 enzyme activity and allergenicity with respect to antibody
4 binding, bioavailability, antigen processing, and,
5 obviously, the specific regulatory applications have to do
6 with hymenoptera, dust mites, and latex, but also other
7 allergens as well.

8 DR. SAXON: I'm a little confused. What did
9 you mean, enzyme activity? That's specific activity
10 related to --

11 DR. OWNBY: Microphone.

12 DR. SAXON: Sorry. I'm almost trainable.
13 What do you mean, the enzyme activity of latex
14 is related to its antibody binding? I'm not sure what you
15 meant.

16 DR. SLATER: Well, there is no evidence yet
17 about latex, but obviously, with hymenoptera, the enzyme
18 activity, hyaluronidase and phospholipase, is used as a
19 measure of the integrity of the allergens, and there's
20 enzyme activity in dust mites as well. We'd like to see
21 what the actual role of enzyme activity is in the various
22 things that we're concerned about in terms of
23 immunogenicity. Theoretically, at least, an enzyme that's
24 an allergen could lose all of its enzyme activity and still
25 be perfectly allergenic, perfectly functional, but that

1 really hasn't been worked out for a number of the allergens
2 that we're concerned with.

3 DR. SAXON: Okay. I wasn't sure what you meant
4 by this slide.

5 DR. SLATER: The answer may be unrelated. It
6 probably isn't unrelated, and I'll show you some data that
7 suggests that.

8 DR. SAXON: I thought you were pursuing this
9 idea that some people have proposed that most allergens are
10 enzymes, and therefore chew on cell surfaces, and it has
11 not really held up.

12 DR. SLATER: Right.

13 DR. SAXON: That's not what you're saying here.

14 DR. SLATER: No, it's not primarily my
15 question, although the issue of bioavailability in antigen
16 processing certainly would tie into that, but certainly I'm
17 not going to show you any evidence that that has any
18 bearing on these two points at all, but rather issues
19 having to do with antibody binding.

20 Yes, sir?

21 DR. KING: Jay, I just want to continue what
22 Andy just said. You know, even the phospholipase, people
23 have made one that removed the active site and it was
24 perfectly active, so it's not necessarily related.

25 DR. SLATER: Right, and that's the kind of

1 study that we'd like to look at with other allergens.

2 DR. KING: Then I'd like to also comment about
3 your raising the issue about the role of glycosylation and
4 allergenicity, because again, as you know, bee venom
5 phospholipase can be isolated in glycosylated and non-
6 glycosylated or very poorly glycosylated form, and they
7 don't show much difference in allergenicity. The
8 percentage of antibodies that are directed toward the
9 carbohydrate portion is actually very, very small.

10 The other thing, you know, the report of cross-
11 reaction of bee venom with some different plant material is
12 due to the carbohydrate portion. So it can form an IgE
13 epitope, but it's not of the dominant one.

14 DR. SLATER: That's right, and I think one of
15 the themes in the first two papers that I'll discuss is
16 that the answer probably depends, and identifying the
17 actual mechanism by which it depends, in what kinds of
18 allergens the glycosylation is important and what kinds you
19 would expect it not to be important, I think is an
20 important thing to look at, so that we can actually have
21 perhaps a predictive model of what would be worth looking
22 at more carefully.

23 DR. SAXON: I want to add another part to that
24 glycosylation. There were some very nice studies looking
25 at nut cross-reactivity, as I recall, from Europe showing

1 that in fact nut cross-reactivity in in vitro tests that
2 was anti-carbohydrate was clinically irrelevant, but
3 accounted for the majority of the in vitro cross-
4 reactivity, and I think that's something very important to
5 pursue when the FDA and we get involved in assays to
6 diagnosis diseases which may be in fact picking up
7 carbohydrate binding which is clinically not very
8 important. I think it's something that also has good
9 background already for it in the nut story.

10 DR. SLATER: Good.

11 So again, the first paper that I'm just going
12 to review quickly was authored by Dr. Soldatova and that
13 appeared in JACI in 1998. They cloned and expressed bee
14 venom hyaluronidase. They cloned it using these primers,
15 and cloned it into several vectors, including two different
16 E. coli-based expression systems, one of which had the tag
17 on the N portion, the other of which had the tag on the C
18 portion, in addition to cloning it into a baculovirus-based
19 expression system.

20 When they looked at antibody binding, and this
21 is the binding of individual patients' sera to
22 hyaluronidase by Western blot, the first strip of each
23 grouping is negative control serum and then the second,
24 third, fourth, and fifth strips are individual sera from
25 allergic patients who are bee venom-allergic and have

1 antibodies to hyaluronidase.

2 The first grouping, A, is the native purified
3 hyaluronidase, and this is sort of your baseline that
4 you're looking at. Again, a negative control and four
5 individuals that had significant visible binding to that
6 protein.

7 The two E. coli preparations of hyaluronidase,
8 one with the histidine tag on the N portion, the other with
9 the histidine tag on the C portion, show some binding.
10 Again, this is the negative control, and these are the four
11 antisera, the same ones used in the first grouping.

12 Interestingly, in the N portion you see some
13 incomplete expression, and that's one of the reasons they
14 switched in this study to the C terminal his tag, and you
15 see some binding, but it seems to be quantitatively
16 somewhat less. You can't really tell from this, and we'll
17 look at some other studies in the next slide that will show
18 quantitatively what the difference was.

19 This is the baculovirus-expressed product, and
20 again, you see some uptake, probably roughly the same as
21 the native material, but perhaps a little bit less on this
22 Western blot.

23 Quantitatively, you can look at the same
24 question using RAST inhibition, and here you see in the
25 open squares the RAST inhibition with the E. coli-produced

1 Class 2, nine Class 3, and three had a Class 4. So again,
2 evidence that the baculovirus-expressed hyaluronidase had a
3 comparable IgE binding to the native hyaluronidase.

4 When they looked at specific hyaluronidase
5 activity, they found something very similar, and that is
6 that the baculovirus product and the native product were
7 indistinguishable in terms of their specific activity,
8 units per milligram, whereas the E. coli product had a
9 significantly lower specific activity.

10 Notice that the bee venom has much lower
11 specific activity, but that's because it's not a pure
12 product and there are a lot of other proteins that are
13 going into the denominator.

14 So the conclusions from that study were that
15 obviously honey bee hyaluronidase had been expressed, and
16 for enzyme activity the native product was roughly equal to
17 the baculovirus product, which was significantly greater
18 than the E. coli product. For IgE binding, the same kind
19 of relationship applies. Native equal to baculovirus, much
20 greater than the E. coli preparation.

21 Dr. Soldatova has continued to study bee venom
22 allergens and her current area of interest is in looking at
23 acid phosphatase. This is information that is very new and
24 very fresh, and I can't actually show you the data itself,
25 but she actually cloned acid phosphatase from bee venom

1 using cDNA with primers that she determined from a genomic
2 sequence that she had previously cloned out. About half of
3 the putative sequence has been identified, and what's most
4 exciting about it is that it has a very strong homology to
5 the other cloned sequence, insect acid phosphatase, that is
6 from *Drosophila*, but almost no homology at all to either
7 mammalian acid phosphatase or *Leishmania* acid phosphatase.

8 So we really think we do have a bona fide
9 insect acid phosphatase. Obviously, Dr. Soldatova has a
10 lot more work to do, because she has to continue the
11 internal sequencing. She also has to do five prime and
12 three prime rates to get out to the ends of the sequence,
13 but we're hoping to be able to do some studies with the
14 acid phosphatase that she has cloned out. She's also going
15 to be turning some attention to Allergen C in the near
16 future.

17 Briefly, talking about the other study that I
18 wanted to refer to early in this talk, and that is the
19 study authored by Sowka and Li-Shan Hsieh, who, again, is
20 the Ph.D. now at CDER who spent some time working in our
21 lab on the cloning of Prs a 1, an endochitinase and major
22 allergen of avocado, and its expression in yeast.

23 It turns out that one of the major allergens in
24 avocado is a 30-kilodalton protein. What you see here is
25 crude extracts of avocado run on a Western blot with 20

1 sera from allergic patients, the normal negative control
2 serum, and you see that most -- not all, but most of these
3 patients -- have a significant band at about 30
4 kilodaltons.

5 The early part of this study, it's entirely
6 their strategy to purify that protein and to also clone it
7 out from cDNA, and I will skip over that, but when they run
8 gels with the purified native Prs a 1 and the recombinant
9 Prs a 1 on SDS-PAGE, they get something that looks almost
10 identical in terms of its migration on SDS-PAGE.

11 Furthermore, they were able to show that there
12 were significant sequence homologies of Prs a 1 with
13 prohevein, and some smaller homologies with banana
14 chitinase and the latex-based chitinase as well.

15 IgE from allergic patients binds to the
16 recombinant Prs a 1 at least as well as it does to the
17 native Prs a 1. Now, remember this recombinant was not an
18 E. coli. It was in yeast. In yeast, there is some
19 glycosylation. It's definitely not equivalent to mammalian
20 glycosylation, but apparently this is a situation in which
21 even the inadequate glycosylation of yeast is enough for
22 what seems to be perfectly adequate IgE binding.

23 Furthermore, they were able to show that there
24 was inhibition of IgE binding to native Prs a 1 using the
25 recombinant product. This is basically a blot in which

1 native Prs a 1 was run. You see the pickup here on the 30-
2 kilodalton band that is inhibited by preincubating the
3 serum with recombinant Prs a 1.

4 So in their study, they were able to show that
5 Prs a 1 was cloned and sequenced. They found that the
6 natural product and the recombinant product -- again,
7 recombinant in yeast this time -- had equivalent IgE
8 binding. What I didn't show from this paper is that they
9 also had equivalent endochitinase activity, and it also had
10 equivalent fungicidal activity in an in vitro assay as
11 well. So functionally, as well as with IgE binding, this
12 recombinant product in yeast appears to be, for all intents
13 and purposes, equivalent to the native Prs a 1.

14 So again, getting back to your initial
15 question, I think it does vary from antigen to antigen, and
16 we certainly would need to look at this antigen in a
17 completely deglycosylated state and see whether it was
18 equivalent as well, but it may well be.

19 Additional questions that I think are raised by
20 these two papers are these. If an allergen that is not
21 glycosylated, glycosylated abnormally, or denatured shows
22 poor IgE binding or impaired enzymatic activity, how can we
23 go about evaluating it as an immunotherapeutic reagent?
24 And now I'm sort of shifting gears and pushing us into our
25 regulatory hat, and that is what kind of methods can we use

1 to evaluate these products as they come along on an ongoing
2 control quality basis?

3 The identification methods that we currently
4 use for allergen structure include SDS-PAGE, isoelectric
5 focusing, which doesn't appear on this slide, and
6 immunoblotting, and these can be quantified. In other
7 words, you can do densitometric scans of these to try to
8 quantify them. We don't quantify them at this time, but we
9 certainly could attempt to do that.

10 But one area of interest over the last several
11 -- actually, over the last year in the Division of
12 Allergenic Products has been the exploration of the
13 possible role of MALDI-TOF techniques to better identify
14 allergen structure in a more fine structural method.

15 This is a horrible slide that I'm going to skip
16 over. I will skip to something that's much clearer.

17 MALDI-TOF stands for matrix-assisted laser
18 desorption/ionization time-of-flight mass spectrometry.
19 The reason it's on the slide is if it weren't on the slide,
20 I would have had to have memorized it. So now I can just
21 read it off the slide.

22 Basically, this is an attempt to do mass spec
23 analysis of proteins using a method that works well for
24 mixtures of proteins. The standard electrospray method
25 doesn't appear to work well for mixtures. This one has the

1 potential, at least, for working well for mixtures.

2 In this preparation, you take a sample of a
3 mixture of proteins, you mix it with a matrix of a UV-
4 absorbing compound, and dry it on a sample plate. You then
5 place that sample plate in the unit. This is a unit that's
6 maintained under a high vacuum. Then the sample is
7 bombarded by a laser at time zero.

8 When the laser hits the sample, the sample is
9 ionized, and desorption occurs, and the ions, both positive
10 and negative, are brought down the tube -- there's a beam
11 guide that brings the ions down the tube at rapid speed --
12 and it hits a detector. The time between the impact of the
13 laser on the sample and the impact of the particles at the
14 detector is called the time of flight, and the samples, as
15 you can imagine, will travel more slowly the larger they
16 are, and they will also travel more rapidly the higher the
17 charge. So the time of flight is related to the mass
18 divided by the charge, or M over Z .

19 This is very initial data, but we actually did
20 MALDI-TOF analyses of various venom proteins in an attempt
21 to develop a model to quantify and to look carefully at
22 some of these products. The top MALDI-TOF tracing that you
23 see is of mellitin, and mellitin is a very important
24 protein in bee venom. It constitutes about 50 percent of
25 the dry weight of the bee venom.

1 What you see here is a major peak of mellitin
2 at just about the predicted molecular weight of 2,800. You
3 also see another smaller peak that's at exactly half of the
4 predicted molecular weight. Well, that actually is easy to
5 explain. This is a double-charged unit, so whereas this,
6 the main part of the sample, has a single charge and comes
7 out as an M over Z of 2,800, this is double-charged and
8 comes out as exactly half. Very nice, simple,
9 straightforward, needle-like peak, just what you'd like to
10 see.

11 Unfortunately, in the real world, such as the
12 real world of phospholipase -- this is a tracing of native
13 phospholipase. There are at least three native forms.
14 This was a product obtained from Sigma, and it has multiple
15 glycosylation patterns, and, as you can see, there are
16 several peaks, all around the predicted molecular weight of
17 about 1,600.

18 But again, not quite the sort of fine peak that
19 you would hope to see, but you can see here that there is a
20 potential at least, if you can spread out the axis, of
21 really identifying the different glycosylated forms and the
22 different molecular forms of this, and I think this could
23 be a potentially very good tool for looking at this
24 particular protein.

25 Likewise, with hyaluronidase, about a molecular

1 weight of 43,000, which is just where we would expect to
2 see it. This is the baculovirus-expression product, and
3 again, there are probably multiple glycosylated forms in
4 here in addition to some isoforms that have as many as four
5 or nine fewer amino acids on the N terminal, which is why
6 this is spread out so much. But again, if we spread out
7 the axis, we should be able to see more. Likewise, with
8 acid phosphatase, another glycosylated native protein, we
9 see this kind of spread.

10 Finally, Allergen C, which is a large molecular
11 weight protein, over 90 to 95,000. This is a native
12 product that's probably glycosylated, although we're not
13 sure, and again, we're hoping to be able to get some finer
14 detail on this.

15 When we run a whole bee venom over the product,
16 we get a very large mellitin peak, we get a very large
17 phospholipase peak. Again, not particularly surprising,
18 given the amount of these products in bee venom. We also
19 get a number of smaller peaks, and we're trying to look at
20 ways of desorbing out these major proteins that take a lot
21 of the desorption energy, and seeing if we can identify the
22 smaller peaks.

23 Now, the purpose of all this is to try to
24 develop a quantitative profile of natural allergen
25 preparations, and the question obviously is can we use

1 MALDI-TOF method to carefully assess the glycosylation of
2 recombinant allergens? This is what we're going to be
3 looking at over the next year, starting with bee venom
4 allergens, because we think it's a good paradigm, we know
5 the allergens, we know what's involved, and Dr. Soldatova
6 will be spearheading this effort.

7 The next series of studies are ones that we
8 actually did at Children's Hospital looking at the
9 potential for epitope-specific therapy of the latex
10 allergen Hev b 5. We hope to do a good, complete human
11 epitope analysis of Hev b 5, and potentially be involved in
12 support for future clinical trials of latex
13 immunotherapeutic reagents.

14 The idea behind epitope-specific immunotherapy
15 is to identify and purify antigen, identify the T-cell
16 epitopes of the antigen, identify B-cell epitopes or the
17 IgE binding sites of the antigen, and to administer
18 immunotherapy with the T-cell epitopes.

19 What I'm going to be showing you now is from a
20 preprint that was included in your packet on murine B-cell
21 and T-cell epitopes of Hev b 5 from natural rubber latex.
22 This is the paper that Beth and I wrote. Our co-author in
23 Australia was Robin O'Hehir, and we're going to be
24 publishing this in Molecular Immunology next month or in
25 April.

1 Very briefly, we were able to show with mice
2 that were immunized to Hev b 5 specific peptide inhibition
3 of binding with specific peptides, and from several
4 different mice we have really a very similar pattern of
5 binding to peptides 75, 79, and 85.

6 In addition, we were trying to identify the T-
7 cell epitopes in spleen cell preparations from these mice.
8 These were at largely different locations, and from studies
9 using several mice, both for the B-cell and the T-cell
10 studies, we were able to construct an epitope analysis map
11 of Hev b 5. The alpha refers to alphahelical regions of
12 the protein, of which there are only a few. The Bs and the
13 stars represent B-cell epitopes by different methods with
14 different mice, and then these circles with the stars in
15 them, these very prominent-looking stars, actually are the
16 T-cell binding regions that were identified from numerous
17 studies.

18 What you can see here is that there are large
19 T-cell binding regions, at least two of them, that have no
20 IgE binding activity in any of the mice. So we were going
21 to use this to pursue epitope studies of treatment in Hev b
22 5-sensitized mice, and also to tie it into some of our DNA
23 vaccine work that I'm going to be talking about in a few
24 minutes.

25 Interestingly, at just about the same time, our

1 collaborator, Robin O'Hehir, and her associate, Jennifer
2 Rolland, are now beginning to look at Hev b 5 epitopes in
3 Australian health care workers with latex allergy. In her
4 studies -- and this is all data that she faxed to me
5 literally a week ago, so this is very early data -- she was
6 able to clone out latex reactive T-cells, and then study
7 their epitope specificity for Hev b 5. What she was able
8 to find is several identifiable epitopes that recognize
9 specific Hev b 5 fragments in these two patients.
10 Obviously, she's going to be continuing these studies with
11 other patients.

12 So we have identified some putative B-cell and
13 T-cell epitopes in mice for Hev b 5. We have a preliminary
14 identification of possible human T-cell epitopes suggesting
15 dominance. In other words, agreement between the human and
16 the mice studies in these specific peptide regions.

17 We will be pursuing some additional studies in
18 mice as a possible mode for immunotherapy. My plan is not
19 to proceed at this point with studies using these specific
20 epitopes, but rather to put this study into the context of
21 some of the work that we're going to be doing with DNA
22 vaccines that I'm going to describe next.

23 Yes, sir?

24 DR. UMETSU: Do these T-cell epitopes differ if
25 the strain of mice differ or in humans?

1 DR. SLATER: That's one of the other things we
2 need to look at. We have not looked at that yet. We've
3 only done this with BALB/c mice. We would not be surprised
4 if they were different.

5 DR. UMETSU: How about in Robin O'Hehir's data,
6 where she looks at the T-cell epitopes from different
7 people? How many different people has she looked at?

8 DR. SLATER: She has collected 20 Australian
9 health care workers that are Hev b 5-reactive at this
10 point. She's spent much of the last six months working out
11 the method for growing out the right T-cell clones to be
12 able to study this. She had significant problems with
13 maltose binding protein-recognizing clones, as opposed to
14 Hev b 5-recognizing clones, and what she found is that when
15 she grew up the clones in the presence of a latex extract
16 first, she was then able to come back with Hev b 5 and get
17 Hev b 5-specific clones grown.

18 DR. UMETSU: So in those 20 individuals, there
19 is an immunodominant T-cell epitope? Or two, as it looks
20 like on that slide?

21 DR. SLATER: She's only worked with two since
22 she figured out how to grow out the cells.

23 DR. UMETSU: Two people or two --

24 DR. SLATER: Two patients.

25 DR. UMETSU: Two patients.

1 DR. SLATER: Right, people. It's very
2 preliminary work.

3 Another area that we started investigating
4 about a year and a half ago at Children's was the
5 possibility of using DNA vaccines for allergen
6 immunotherapy. DNA vaccines are plasmids containing an
7 encoded region -- the encoded region in this case would be
8 the allergen of interest -- that when injected or taken up
9 by muscle cells or other cells, the proteins are expressed
10 in vivo and are released, causing an immune response.

11 All of the initial work with DNA vaccines was
12 done as an effort to raise immune responses to infectious
13 agents, but starting in the mid-1990s, work really showed
14 fairly convincingly that DNA vaccines could potentially be
15 used for the reduction of IgE responses, both by Raz and
16 his colleagues in a paper in PNAS, and subsequently using
17 beta galactosidase as the antigen in mice, and by Hsu and
18 colleagues in Nature Medicine in the subsequent paper in
19 both rats and mice using Der p 5 as the antigen.

20 In both of these studies, they were able to
21 show that IgE responses could be blunted when the mice were
22 given DNA vaccines in advance or they could be reduced when
23 the DNA vaccines were given after exposure and
24 sensitization to the antigens by classical methods.

25 The advantage of DNA vaccine-based

1 immunotherapy is that under certain conditions DNA vaccines
2 appear to give a fairly consistent Th1-specific response.
3 Most attractive, however, is that there is prolonged
4 expression of the antigen, at least four to six months,
5 and, theoretically at least, multiple antigens can be
6 encoded on a single plasmid.

7 However, there are problems, most of them
8 theoretical, and that is obviously DNA vaccines have an
9 unproved safety profile. There is concern regarding
10 mutagenesis. There is concern regarding the tissue
11 specificity of the plasmid, the rate of allergen release
12 and the kinds of reactions that might occur, and also the
13 possibility of adverse responses due to CD8 responses to
14 the antigen.

15 The thing that we're most concerned about is
16 the control of responses in vivo. In other words, once you
17 inject the plasmid and it is taken up, as has been
18 demonstrated for long periods of time, how do we control
19 the responses that occur? In particular, we're very
20 concerned about the control of these responses because of
21 some preliminary experience that we had with a Hev b 5 DNA
22 vaccine that we constructed.

23 In particular, we found that the sense
24 construct -- the construct that contained Hev b 5 and the
25 sense direction was actually expressing Hev b 5 -- was

1 highly toxic to presensitized mice when we injected it into
2 the tongue. The reason we selected the tongue is it's a
3 place where you can do an intramuscular injection under
4 direct visualization. The toxicity was not secondary to
5 trauma to the tongue. Rather, it occurred three to five
6 days after injection, and these mice actually got very
7 sick.

8 Mice injected with the identical plasmid, but
9 who had not been presensitized, were fine. Mice injected
10 with the antisense plasmid, whether they were presensitized
11 or not, were fine as well. It was only the mice that were
12 presensitized with Hev b 5 protein who were injected with
13 the sense plasmid that seemed to have a significant problem
14 when they were injected with the vaccine. Interestingly,
15 when we injected the construct intradermally at the base of
16 the tail, we did not have any toxicity.

17 Yes?

18 DR. SAXON: Jay, what do you mean toxicity?
19 You used the word "toxic." Do you want to explain a little
20 bit more what that is?

21 DR. SLATER: They developed a severe local
22 inflammatory reaction. They became systemically ill. None
23 of them died. They all recovered, but their recovery
24 period took four to five days, during which time some of
25 them looked like they were going to die. But it was a

1 local inflammatory reaction, and it's certainly possible
2 that all of their systemic symptoms were just due to that
3 local inflammatory reaction, but it was very impressive,
4 and on an experimental basis it was very specific.

5 The results that I'm going to show you now were
6 actually published in JACI just a few months ago. The
7 latex allergen Hev b 5 transcript is widely distributed
8 after subcutaneous injection in mice. The purpose of this
9 was really just to look at what happens when you inject DNA
10 vaccine to an easily traceable transcript when you inject
11 it at the base of the tail, which is sort of a standard
12 place of injection.

13 Our results basically are summarized in this
14 one slide that's a little bit complicated. This is looking
15 at the transcript by RT-PCR for Hev b 5. What we did was
16 we injected mice and then three days, seven days, and 14
17 days after injection, we sacrificed the mice, and took
18 tissues from different parts of them to look for the
19 presence of the transcript by RT-PCR.

20 Again, these are in groupings in the top, and
21 one grouping and another grouping here at the bottom.
22 These first four are tissue taken from the actual site at
23 the base of the tail. This is an uninjected mouse --
24 there's no signal here -- three days beforehand, seven
25 days, and 14 days, and you see a very bright band, and I'll

1 show you that we were able to show that this was Hev b 5 in
2 a subsequent experiment. So there seems to be persistence
3 of the transcript at three and seven days out, and it seems
4 to disappear or start to disappear by 14 days out after
5 injection.

6 In the second grouping, which is from the
7 dissected lymph nodes, and the third grouping, which is
8 from the spleen, you see the same thing, except there seems
9 to be perhaps some persistence at 14 days out, and that's
10 not terribly surprising. You would expect this transcript
11 to be picked up by immune-specific cells, and in fact
12 that's what we see.

13 What we were a little surprised about was to
14 see a faint signal in the lung tissue of these mice, and we
15 were even more surprised at 14 days to be picking it up in
16 the blood, just in blood taken from mice sacrificed 14 days
17 out.

18 We did not find it in other tissues. We did
19 not find it, for instance, in the tongue, but there seems
20 to be some suggestion from this that when you inject one of
21 these DNA vaccines with a good strong promoter, which is
22 what we were using, you get wide dissemination of the
23 transcript at different parts of the mouse.

24 We did a restriction analysis of the RT-PCR
25 sequence in order to verify that it was in fact Hev b 5

1 that we were seeing. We used SfaN1, which is not a very
2 common restriction enzyme, but we used that because it
3 recognized the particular sequence in the Hev b 5 sequence
4 that would cleave the 274 base pair sequence to 155 and
5 119, and in fact this is exactly what we saw. Here's our
6 274 sequence, and after cleavage, 119 and 155 were what we
7 found.

8 So we would like to continue to study DNA
9 vaccines for Hev b 5, but this time we would like to modify
10 the approach and explore the possibility of looking at
11 specific T-cell epitopes cloned into the DNA vaccine or,
12 alternatively, to look at full Hev b 5 sequence, but using
13 weak promoters or tissue-specific promoters as an approach
14 to try to control the expression of the product and control
15 the reaction that these mice actually have. We have a
16 control system with a positive reaction that we can use to
17 test out the efficacy of these approaches in reducing the
18 nonspecific inflammatory response.

19 The next series of investigations also appeared
20 in JACI, just actually two months ago, and that's an
21 investigation of lipopolysaccharide's effect on IgG and IgE
22 responses of mice to Hev b 5. The genesis of this project
23 came from a report that appeared about two years ago from
24 Brock Williams in the Annals of Allergy on the not terribly
25 surprising observation that latex gloves have a significant

1 content of endotoxin, and they raised the possibility that
2 perhaps this endotoxin might be affecting immune responses.

3 But they didn't come up with that idea out of
4 the blue. In fact, there is a fairly rich, old literature
5 that shows that LPS can affect IgE and IgG responses in
6 mice in a way that might conceivably cause problems.

7 So we developed really a fairly straightforward
8 and almost simpleminded protocol, and that was to look at
9 mice, give them by the nasal route either saline, LPS, Hev
10 b 5, or LPS in combination with Hev b 5. These were mice
11 that were anesthetized with methoxyflurane, so they were
12 able to inhale a fair amount of this, and we gave it to
13 them in two courses. One was six doses over a period of
14 two weeks, and then several weeks later, we gave them
15 another three doses over a period of one week.

16 The results basically look like this, that
17 after the first course, if you look at either the mice
18 getting saline or Hev b 5 alone or LPS alone, the specific
19 amount of anti-Hev b 5 IgE was unmeasurable using this
20 assay. When we gave them LPS in combination with Hev b 5
21 -- this is after a single course -- we had a significant,
22 measurable amount. This normalized titer here of about 1
23 means that the amount that those mice made was equivalent
24 to the pooled sera from hyperimmunized mice that had a
25 relatively high IgE content. So a significant amount after

1 only one course when we combined LPS and Hev b 5.

2 Now, after the second course, you see here that
3 the mice getting Hev b 5 alone do start to have a
4 measurable amount of IgE against Hev b 5, but again, the
5 combined response was way ahead of the solo response. Then
6 by the time we came back several weeks later, the specific
7 response in the Hev b 5-alone mice seemed to have come
8 down, and again, the error bar on this was fairly
9 impressive, so this really wasn't very interpretable, but
10 we certainly can show that after a single dose the LPS has
11 a dramatic effect, and even after the second dose it has
12 some effect.

13 DR. KING: Jay, may I interrupt you, please?

14 DR. SLATER: Absolutely.

15 DR. KING: Jay, on this slide, it shows that
16 your measuring antibodies to your maltose binding protein
17 have been conjugated. Is that right?

18 DR. SLATER: Yes, that is right.

19 DR. KING: So do you really ever check that?
20 Does that really work with Hev b 5?

21 DR. SLATER: Yes. I'm not showing the data,
22 but the thing that we can show is that we can show it two
23 different ways. One is by Western blotting we can show
24 that when you separate out the Hev b 5 and the maltose
25 binding protein, it actually recognizes both, and it

1 clearly does recognize both.

2 Furthermore, in the T-cell studies that we did
3 with these same mice, there's no doubt that some of the
4 reaction is due to maltose binding protein, but again, we
5 can separate it out. But it definitely reacts to both.
6 That's true.

7 DR. KING: But then it really isn't -- you're
8 measuring the immunogenicity of Hev b 5. You're measuring
9 the conjugate.

10 DR. SLATER: This is true, but the effect of
11 LPS is interesting whether it's on the conjugate either
12 way. But no, you're absolutely right.

13 DR. SAXON: Jay, I have a problem with this
14 experiment, and that is mice are so different than people
15 in their LPS response, it seems inappropriate. Mice have a
16 specific receptor for LPS that turns on IgE, that turns on
17 IgG1. People don't have it. There is no relationship to
18 the murine system and the human system in this regard.
19 There is no relationship. LPS is a specific mitogen in
20 mice. It does the B-cells. It does not work in humans.
21 They're totally different systems. So this is very
22 interesting for mice. It has no reflection, unfortunately,
23 on what happens in humans.

24 I think the experiment needs to be done, but I
25 don't think this system addresses that question the

1 Europeans have raised about does LPS affect asthma. I
2 think there is a literature, but the rich literature on LPS
3 from Cliff Snapper and Fred Finkelman is because there's a
4 specific B-cell receptor on murine B-cells that doesn't
5 exist on human B-cells.

6 DR. SLATER: Actually --

7 DR. SAXON: That's true.

8 DR. SLATER: It is true. It is true that in
9 vitro there are specific B-cell effects that you can
10 demonstrate with LPS on mice. That is true.

11 DR. SAXON: Murine B-cells that do not occur
12 with human B-cells in vivo or in vitro that I know of.

13 DR. SLATER: But the old studies that were
14 shown of the effect of LPS on mice in vivo showed that you
15 don't see this effect in T-cell-depleted mice.

16 DR. SAXON: Well, no question, you require a T-
17 cell as well, but the LPS works on murine B-cells directly.
18 The best way to make IgE in a mouse is LPS and IL-4, and it
19 simply doesn't work in humans.

20 I think it's a great experiment, but
21 unfortunately I think we'll have to do the experiment with
22 a human. And can you give LPS to a human? Probably. Our
23 guts are full of it, right? But we can't use this antigen
24 because we wouldn't be allowed to make people -- we've
25 sensitized people to KLH in another setting, and made

1 primary IgE responses to KLH in people. I think the way
2 you have to answer it, which is a compelling question you
3 ask -- I mean, I understand the literature -- is but the
4 murine system I don't think will answer the homologue in
5 the human being, unfortunately.

6 DR. SLATER: Well, I think you're right, but I
7 think that the further implications of this, if in fact
8 nasal and -- there are a number of different ways to
9 approach this. One is to design a human protocol in which
10 we'll give LPS to humans and see if it affects their IgE
11 responses. That certainly is one possibility.

12 Another possibility I think is to try to
13 dissect this out a little bit further. Again, with strain
14 specificities of different mice.

15 DR. SAXON: I think the only mouse that's LPS-
16 nonresponsive is the XID. Isn't it? And it makes IgE
17 responses, though, so the XID mouse might, but most mice --
18 you'll have to get a good mouse geneticist.

19 DR. SLATER: There's actually a high
20 variability of LPS responsiveness in mice.

21 DR. SAXON: Okay.

22 DR. SLATER: Again, I think the questions
23 you're raising are certainly valid. The purpose of this
24 wasn't to say this is how the human responses occur, but
25 the specificity of mouse B-cells to LPS is an in vitro

1 observation that may not correlate to the actual mechanism
2 of this effect.

3 In other words, to get the B-cell proliferation
4 that you get, it is true that in vitro mouse B-cells are
5 uniquely susceptible to LPS' effects. That's true. But in
6 vivo, there's good evidence to believe that mechanism of
7 this may not be related to the effect on B-cells of the
8 mice.

9 This is actually a discussion that Donald Leung
10 and I had at great length when this paper was being
11 submitted to JACI. I think I convinced him. Maybe we can
12 sit down and try to --

13 DR. SAXON: I wasn't the reviewer.

14 DR. SLATER: That's okay. Other people have
15 made this observation, too, but I think it's good point,
16 but I convinced him, and maybe afterwards I can convince
17 you, too.

18 DR. SAXON: I'll do the experiment with you.
19 We'll do it in a human.

20 DR. SLATER: Okay.

21 DR. SAXON: You give me the LPS, we'll set it
22 up, and we'll do it with KLH in people.

23 DR. SLATER: We really see almost the same
24 thing with IgG1 responses and IgG2a responses as well. In
25 other words, a significant augmentation of the specific

1 responses to the fusion protein in mice that received LPS
2 as well.

3 So when LPS is co-administered with Hev b
4 5/MBP, you get accentuation of the anti-Hev b 5/MBP IgE and
5 IgG responses, and you also, and I'm not showing this, get
6 specific anti-Hev b 5 and anti-MBP spleen cell
7 proliferation responses as well.

8 So why are we interested in lipopolysaccharide
9 for our lab? Well, as we've already sort of alluded to,
10 it's something that we really need to know about if in vivo
11 it really does have effects. First of all, in terms of the
12 mice, we'd like to see whether there's a functional
13 correlate to these antibody findings that we see. We
14 actually have set up the Buxco mouse plethysmograph, and
15 we're going to be doing some studies in these mice to see
16 whether these mice actually have increased sensitivity to
17 antigen in terms of a functional reactivity. We want to
18 know whether the amount of LPS in latex glove powder is
19 significant. We want to know whether these effects are
20 strain-specific or antigen-specific.

21 Finally, and again getting right back home to
22 what this lab spends its time worrying about, we want to
23 know whether the amount of LPS in allergen extracts
24 matters, whether quantitatively it's something that we need
25 to be concerned about as we move forward into the future.

1 Again, just to summarize the research program,
2 we're trying to construct a program that's relative in
3 terms of our regulatory function, in which we look at
4 glycosylation issues, enzyme activity issues,
5 identification methods that are improved, more accurate,
6 and can tell us things about the glycosylation state of the
7 proteins that we're interested in.

8 Further, we're interested in exploring further
9 some of the newer methods of immunomodulation that might be
10 pursued over the next decade with increased knowledge of
11 epitopes, DNA vaccines, and the possible effect of LPS on
12 sensitization.

13 Thank you.

14 DR. OWNBY: Thank you, Dr. Slater.

15 Any further questions from the committee?
16 Betty?

17 DR. WRAY: I was just going to make a comment
18 that as we think about monoclonal antibodies, we have seen
19 a patient with anaphylaxis to Neupogen, so we may be
20 introducing new antigens at the same time we're giving the
21 antigens we're trying to get in.

22 DR. SLATER: Right. Absolutely.

23 DR. OWNBY: We're making it very easy for the
24 chair here. We can entertain a few other questions or we
25 can move on and move into our -- we're scheduled for

1 another 15-minute break, and to be back at 10:50. Okay.
2 Let's go ahead and take a break. We'll be back at 10:50,
3 then.

4 (Recess.)

5 DR. OWNBY: I think we're ready to get started
6 again. Our next speaker, our only speaker -- boy, Jay, I'm
7 glad to see they hired you or we never would have had a
8 meeting today.

9 (Laughter.)

10 DR. OWNBY: They're obviously getting their
11 money's worth out of someone.

12 Dr. Slater is going to start with, I guess, the
13 potency limits and some regulatory proposals.

14 DR. SLATER: Yes. We're going to be talking
15 about some regulatory proposals, and when I talk about
16 regulatory proposals, these are really a discussion of what
17 our lab does in terms of the regulation of these products.

18 Committee members, I just want to call your
19 attention to the prepackage that you were sent a couple of
20 weeks ago. There's a draft memo that starts "Potency
21 limits for allergen extracts," and in addition, relevant to
22 that is a four-page handout that has appendices on top, and
23 these are appendices for that memo. We'll be referring to
24 that in a few minutes.

25 First of all, before starting, I want to

1 acknowledge the role that Rich Pastor, the head of the
2 Laboratory of Biophysics, played in the development of some
3 of these ideas. Those of you that know Rich will sort of
4 see his hand in a lot of this discussion. Clearly, a lot
5 of these issues are issues that I never even thought about
6 much before August 31st when I came here, and so I really
7 have needed much help in terms of guiding me through this,
8 and I want to thank Rich for that.

9 The assays that we currently use to assess
10 standardized allergens are either radial immunodiffusion
11 assays for cat extracts and for short ragweed extracts or
12 the competitive ELISA for the mites and the grasses. I'm
13 not going to be discussing anything that has to do with the
14 RID assays at this time. We're going to be focusing just
15 on the competitive ELISA.

16 One of the features of the current model of the
17 way we assay these products that was a little bit
18 bothersome to me is that the release limits that we set --
19 in other words, the acceptable range for a product that is
20 sent to us for evaluation -- is really driven by the
21 technique that we use.

22 So if you go back to skin testing, the range of
23 precision of the assay if it's wheal or erythema could be
24 as much as three and a half-fold up to 13-fold, with the
25 erythema with its steeper dose-response curve having

1 significantly greater precision than using wheal alone.

2 RAST inhibition, based on the standard
3 deviations of that assay, the limits were set at about .46
4 to 2.12. This is using 1 as the desirable mid-point, and
5 of course it's only a mid-point if you look at this in log
6 space and not in linear space, but 1 is the desirable mid-
7 point in our limits that we set with the RAST inhibition
8 for .46 to 2.12, or about a 4.6-fold range from bottom to
9 top.

10 Finally, when ELISA inhibition was used, or
11 competitive ELISA was used, that was somewhat more precise
12 and if you did three replicates -- in other words, three
13 separate assays -- and pooled those results, you could have
14 results that were somewhere in the order of .7 to 1.43, or
15 significantly improved at a two-fold range of equivalence.

16 However, theoretically at least, if you
17 continue to drive your limits by the technique, you're
18 getting more and more and more precise in terms of your
19 measurement technique, and it's not clear that biologically
20 this greater precision really buys you much in terms of
21 having a better product. So one of the things that we
22 wanted to look at was what information there is from the
23 literature about how much precision you actually need on
24 allergen extracts the way they're currently used.

25 In addition, a problem with the current

1 paradigm is that we have identical limits set for industry
2 and for CBER. Well, that shouldn't strike anyone as a
3 problem offhand, except that it is technically a problem in
4 that as the manufacturers send us products that are closer
5 to the limits, their chance of failing a product that
6 really may fall within these limits is significant. If we
7 have limits set at .7 to 1.4 and they send us a product
8 that really has a relative potency of .75, that curve
9 around .75 gives them a significant failure rate.

10 So while there's nothing conceptually wrong
11 with this idea, there's a significant practical problem,
12 and that is that we will really severely penalize a
13 manufacturer that sends us a product within the limits, but
14 one that is close enough to fail.

15 DR. SAXON: Jay, what happens if you had a
16 sample that had a wheal, say, of .27 or 3.65 and you moved
17 it to the ELISA? Would it still generally fall within --
18 I'm trying to get an idea. You said, for example, the
19 wheal has the biggest, I guess, limits.

20 DR. SLATER: Right.

21 DR. SAXON: Would samples that are passed by
22 the wheal criteria also pass by the ELISA inhibition or
23 would many of those fall outside it? Do you know?

24 DR. SLATER: You know, I don't know the answer
25 for sure. I don't think that they would. I think that

1 they would fall outside.

2 DR. SAXON: That's what I was trying to find
3 out. So the test is -- okay.

4 DR. SLATER: So the test really is narrowing
5 the product around --

6 DR. SAXON: That's what I meant, as opposed to.

7 DR. SLATER: And again, there's nothing
8 inherently wrong with that, but I think it does raise
9 questions that I think we need to try to look at in a
10 critical manner, and one of the things that we started to
11 do was to really start to look at the allergy literature
12 and see what we knew about what an acceptable range would
13 be.

14 Now, you can divide this up any way you want.
15 The way I thought was reasonable, and the way I described
16 it in the memo, is looking at these products from a
17 therapeutic point of view, from a diagnostic point of view,
18 and from a safety point of view. It seems to me that these
19 are the three spheres of interest that we should have
20 regarding these products.

21 As luck would have it, two out of three of
22 these were kind of easy to come up with conclusions, but
23 the third one was hard. So I'm going to dispatch with the
24 two that were easy pretty fast, unless there's some
25 objection, and probably spend an inordinate amount of time

1 about the one that was hard, but I think it's important to
2 plug through the process.

3 If you look at studies on therapeutic
4 immunotherapy, it's quite clear from most of the studies
5 that there's approximately a 10-fold range of therapeutic
6 equivalence. That is, once you get up above a certain
7 level, if you look at sort of the mean doses that are used
8 in most of these studies, you can go up and down several-
9 fold for a total therapeutic range of about 10-fold without
10 losing any therapeutic efficacy.

11 Again, remember what we're looking at here and
12 what happens if you broaden your limits and you go from one
13 product to the next, and because of those broadened limits
14 you have somewhat increased differences between the
15 products, and there's good reason to believe from the
16 literature, and I cite the references in the memo, that up
17 to a 10-fold difference really will make no therapeutic
18 difference, assuming you've gotten into the therapeutic
19 range to begin with.

20 Likewise, for diagnostic testing, for the
21 actual precision of skin testing, again, it depends on
22 whether you use wheal or erythema, but for erythema there
23 seems to be a three- to four-fold range at which there was
24 more or less diagnostic equivalence of allergen extracts.
25 For wheal, it was somewhat higher, perhaps as much as

1 eight- to 10-fold range. Again, those references are shown
2 in the memos that you have, and again, these data are
3 pretty straightforward. There's really some fairly good
4 studies that show that.

5 It was with safety -- and here you have the
6 simple designation of a four-fold range for safety. This
7 actually was the product of some fairly difficult twisting
8 and turning with the data, and the reason is that it was
9 hard to find good studies that addressed safety issues for
10 allergen immunotherapy. There were a limited number of
11 studies to begin with. Many of the studies had small
12 numbers of subjects in them. Only a few of the studies
13 really looked at highly allergic subjects which, after all,
14 is the population that we really are interested in looking
15 at. Fewer still did these studies with well-standardized
16 and characterized antigens, and fewer still used
17 consistently-defined endpoints for the studies. So with
18 the safety data, I will admit to you right from the outset,
19 we were in the position of trying to extract information
20 from a relatively small database.

21 That being said, I am committed to the idea
22 that this is something that we should try to do, because I
23 think it's important to try to develop a paradigm for
24 analyzing these data and looking at this information.
25 Hopefully, there will be more information coming down the

1 line as we go along, and I think it's important to try and
2 plug through the methods for how you would analyze these
3 papers, compare them, and come up with some increased
4 reaction rates.

5 So what we attempted to do is we attempted to
6 find papers that fulfilled at least some of these criteria
7 on the previous slide and identify adverse reaction rates
8 at therapeutic doses. We were interested in what the
9 adverse reaction rates were at doses that worked in terms
10 of immunotherapy. We wanted to determine the increase in
11 adverse reaction rates with increased doses. In other
12 words, what was the relative difference, what was the
13 slope, of the increased reaction rate with log dose
14 increases? We used semi-log plots simply because you got
15 better linear fits and it was easier to extrapolate when
16 you could do that.

17 We separated analysis of per injection data and
18 per patient data. Several of the papers that we used had
19 both per injection and per patient data, and we could argue
20 about which are more relevant. My visualization of this
21 issue is you have an old bottle that you can't use anymore,
22 you've purchased a new bottle, and it's the reaction at
23 that next dose that you're concerned about, so I was
24 actually more interested in the per injection data, but you
25 could arguably say the per patient was important as well.

1 We in fact looked at both.

2 Then we attempted to pool these data by either
3 averaging them or by doing weighted averaging, and we also
4 attempted a logistic analysis, which I'm going to show you
5 as well.

6 So this is just samples of some of the curves
7 that you come up with when you look at these papers. These
8 are semi-log plots. This is the log of the dose at the
9 bottom. These are reaction rates in percentages on the
10 abscissa. These are actually data extracted from a single
11 paper by Haugaard using dust mite allergens.

12 As you can see here, in this one, for instance,
13 the slope was about 4.16. This group of patients, the
14 slope was somewhat higher.

15 DR. SAXON: Can you explain that a little
16 better, Jay? I'm a little lost.

17 DR. SLATER: Absolutely.

18 DR. SAXON: So what you've got on the bottom is
19 a log of the dose.

20 DR. SLATER: It's the log of the dose that was
21 given, and we're plotting the reaction rate in percentage
22 of injections. This is per injection.

23 DR. SAXON: And as the doses were increased, so
24 what you're saying is as you increase the doses in this
25 logarithmic fashion, this is the percent of reactivity.

1 DR. SLATER: Right.

2 So just to be even more concrete about this,
3 what you see here, the physical meaning of this slope is
4 that as you increase one log unit or 10-fold, you have a 4
5 percent roughly increase in reaction rate if you can
6 believe this linear regression, which has a decent R
7 squared, but not terrific. This curve would suggest about
8 a 9 percent increase in systemic reaction rates with a 10-
9 fold increase in dose.

10 PARTICIPANT: What's the difference between the
11 two charts?

12 DR. SLATER: Well, this was all of their
13 patients, unstratified, and this was their patients who
14 were at maintenance dosing alone. Okay? So I wanted to
15 analyze both of those.

16 There was another paper authored by Paul
17 Turkeltaub. This one was using Amb a 1. This was with a
18 somewhat larger group of patients, and the two groups of
19 patients here, this is all of his patients, and again you
20 can see here that the R squared is rather poor from this,
21 but again, roughly we get about an 11 percent increase in
22 reaction rate with a 10-fold increase in dose.

23 This group of patients is of special concern.
24 These are the patients that had reactions that required
25 epinephrine, and in this situation we see approximately a

1 17 percent increase in reaction rate, again with a 10-fold
2 increase in dose.

3 Dr. Saxon, you still look concerned.

4 DR. SAXON: No, I understand it.

5 DR. SLATER: Are you following this?

6 DR. SAXON: No, I'm following it.

7 DR. SLATER: Okay.

8 These are data from an unpublished paper from
9 Scolase and John Younginger that was done with both mite
10 and ragweed allergens. This is the mite data alone. Those
11 are the relatively smaller number of patients. Only about
12 70 patients were in this study, but we were looking for any
13 good data we could get, and so we took this as well. This
14 was done with standardized allergens, and again, the slope
15 in this one was a fairly good R squared. It was about 9.7.

16 So then you've got these data. The question is
17 what do you do with them? Well, you can just average them,
18 and there's really no satisfying answer for how to pool
19 these data. There are problems with every way of analyzing
20 them, but we tried several different ways.

21 If you pool the per patient data and average
22 them, you get a slope of about 13.4. If you pool the per
23 injection data, you get a slope of about 8.2. If you look
24 at all of it, you get a slope of about 10.

25 Again, let's go back to what this means. It's

1 a 10 percent increase in adverse reaction rates with a 10-
2 fold increase in allergen relative potency.

3 We also attempted weighted averaging, and the
4 idea of weighted averaging was to use the data that seemed
5 to be more precise or more accurate with higher weighting
6 than the data that had the bigger spread. That actually
7 didn't change the data all that much in the end. About
8 13.6 per patient, and it lowered the per injection to about
9 6 percent per 10-fold increase, and it lowered the all
10 pooled data somewhat to about 9.3 percent, but there was
11 not a huge difference between those two.

12 DR. UMETSU: Did you say that these are
13 patients at maintenance?

14 DR. SLATER: Not all of them are at
15 maintenance. The patients that were at maintenance were
16 the second set of Haugaard patients, and those were the
17 only the patients that were specifically stratified out,
18 but when we analyzed the data we only looked at the doses
19 that were within the therapeutic range in that study. So
20 the authors may not have selected the patients that were at
21 maintenance, but we only counted the points on the curve
22 that were reported in the therapeutic range in that study.

23 So for instance, in Haugaard's other data,
24 where he's pooling all the patients, we actually only
25 looked at doses greater than .7 micrograms of Der p 1,

1 because in his paper he showed that only doses above that
2 actually were therapeutic, and we excluded the lower doses.

3 DR. UMETSU: I guess the question is I don't
4 see what you're leading to. People who are allergic, if
5 you give them a lot more antigen, they usually are going to
6 react. So where are we going with this data?

7 DR. SLATER: Well, where we're going with it is
8 an effort to try to estimate what kind of precision we need
9 for the allergen extracts from the point of view of safety,
10 and the question is can a patient who's allergic who's on
11 immunotherapy tolerate only a two-fold increase if that
12 increase happens as a result of lot switching? Can they
13 tolerate something more, a three-fold or a four-fold?

14 Again, I admitted at the beginning that this is
15 trying to squeeze the information out. There are some
16 reassuring things later in the analysis that I'm going to
17 show you, but I wanted to plug through this initially.

18 The logistic analysis is based on an analysis
19 not of just P , but of P over 1 minus P , where P is the
20 probability of a reaction rate at a given dose X . The
21 effort here is when you're doing a distribution, the
22 distribution of P , is that P only goes between zero and 1.
23 It could be between zero percent and 100 percent. In doing
24 this ratio of P over 1 minus P , it gives you a ratio from
25 zero all the way up to infinity, which gives you a better

1 distribution that you can analyze using this kind of
2 analysis. So the log of P over 1 minus P equals the slope
3 times the log of X plus B.

4 Unfortunately, when you do this analysis, you
5 can't really plot out a line at any point, and the
6 variation, the relationship between P and the log of X
7 varies along different Ps, and you have to treat all the
8 data separately. There's really no way to pool the data at
9 all.

10 But if you accept that a range that yields a 5
11 percent increase in reactions at geometric mean doses is
12 somewhat acceptable, and this is gone through more in that
13 appendix that I handed out, and you look at the different
14 parts of the different studies that we were able to look
15 at, you can see that in Haugaard's overall data about a
16 4.6-fold increase led to a 5 percent increase. In his
17 patients at maintenance, they tolerated only about a 2.4-
18 fold increase, giving a 5 percent reaction rate.

19 Turkeltaub's data, a five-fold increase gave a
20 5 percent increase, and then finally, if you take
21 Turkeltaub's subsector that required epinephrine, again
22 about a 1.7 to two-fold increase led to a 5 percent
23 increase in reaction rates.

24 So then we come to the question of how tightly
25 should we actually be regulating these allergens. The

1 question really has to do in the end with what is the sigma
2 or standard deviation of the products that are actually
3 sent to us? How does it compare to the sigma of the assay?

4 Just thinking about it conceptually, if in fact
5 the sigma of the products is small and they're sending us
6 products that are fairly tight as a consequence of the
7 manufacturing techniques, the quality control that goes on
8 before they send products to us, if they send us products
9 that are pretty tight around a relative potency of 1, and
10 our assay is pretty broad in terms of its relative potency,
11 well, then we're really not so concerned about the average
12 product. We just want to make sure that the outliers are
13 cut out. We want to make sure that we lose those outliers.

14 So we want to look at the relationship between
15 the sigma of the products that we are sent and the sigma of
16 our ability to look at those products and, assuming a
17 Gaussian distribution, the sigma of the observed products
18 equals the sum of the sigma of CBER's assay and of the
19 manufacturers' products, and what we're looking for is
20 this.

21 Well, how do we look for that? Well, we can
22 make an estimate of what our observed sigma is. If you
23 look at from 1995 to 1997, we rejected 53 out of 414
24 products that were sent to us in 1995 to 1997, or about 13
25 percent of the extracts failed. That translates into an

1 observed sigma of the products plus our testing of 0.12.

2 Of all the slides, this is the only one I
3 couldn't get to work on this screen, so I'm going to switch
4 over to a smaller screen.

5 The sigma of our testing is .1375 divided by
6 the square root of 3, or about .08. The sigma of the
7 manufacturers, then, is the square root of the difference
8 between these two squares, or, in other words, the sigma of
9 the manufacturers is .092.

10 Notice that our sigma is about .08. Theirs is
11 about .09. That's not too bad. They're actually pretty
12 close to each other. In fact, that translates into a
13 fairly tight sigma of the products that were sent to us
14 during this period in which 13 percent of products failed.

15 So again, if the sigma of the products that are
16 sent to us is high -- in other words, if they're sending us
17 a very broad spread of materials -- then we need to really
18 insist on equivalence to 1 at an acceptable alpha. In
19 other words, we need impose our curve on top of theirs and,
20 in effect, narrow it.

21 On the other hand, if the sigma of the product
22 that's sent to us is low, then we really need to test at
23 the boundaries and make sure that we're not being sent any
24 outliers, but for the most part we don't have to worry
25 about the products that we're being sent.

1 Another question that comes up is what is the
2 likelihood of lot differences? What we really want to know
3 is what's the likelihood that if we get Product A and it
4 expires, and we switch to Product B, that we're going to
5 have a big-league difference between A and B that our
6 patient is going to see and get into trouble with.

7 Well, it turns out for a Gaussian distribution,
8 and this is also described in the appendices for your
9 reading on the way home, that the ratio of Product B to
10 Product A can be analyzed in one of two ways. You can
11 either look at the average ratio of all sequential products
12 within the distribution or you can look at the level below
13 which 95 percent of the ratios fall.

14 It turns out that for a Gaussian distribution
15 the mean R is about 0.8 times the sigma and the 95 percent
16 maximum R is about 2.77 times sigma, and you'll notice that
17 I haven't talked about the actual limits anywhere here.
18 This is entirely a function of the shape of the curve and
19 of the breadth of the curve that they send us. So when
20 sigma is .092, the mean R or the average ratio of two
21 sequential products ends up being 1.18.

22 What does that mean? That means that on
23 average, given the sigma that we're seeing, two products
24 sent sequentially are going to differ by 18 percent in
25 relative potency. Not two-fold, not three-fold, not 4.6-

1 fold, but 18 percent.

2 Okay, that's the average, but what's the real
3 maximum? The real maximum we know is going to be set by
4 our limits. If we set three-fold limits, the maximum's
5 going to be three. If we set four-fold limits, it'll be
6 four. Whatever the real maximum is, we can set that.

7 But what's the maximum given the sigma of the
8 curve? The R 95 percent, it turns out, is 1.8. So 95
9 percent of sequential products that are sent to us based on
10 the best data that we have available will have an 80
11 percent or less difference between two sequential products.

12 One very important point that I'm sure many of
13 you thought of, but I need to make explicit. These sigmas
14 are aggregates for the industry. It's not necessarily true
15 that each manufacturer has the same sigma. In fact, it
16 would be hard to imagine that they all have the same sigma.
17 Remember, the sigma of the products that are sent to us is
18 an aggregate of their manufacturing techniques, their
19 internal quality controls, and what kind of screening that
20 they do. So whereas this is very reassuring in terms of
21 the aggregate, it's not necessarily the case that each
22 manufacturer is going to have the same sigma.

23 But what I'm leading to is this. What we would
24 like to propose is that we set the CBER limits, based on
25 the literature that's available and based on this analysis,

1 at between 0.5 and 2.0. But we are going to recommend that
2 the manufacturers' limits, which are established in their
3 PLAs at this point anyway, remain unchanged. If they do
4 three replicate products, then their range is going to be
5 .7 to 1.43. If they do six replicates, which many of them
6 are doing, incidentally, then their range is going to be
7 even tighter, .78 to 1.29.

8 We will not accept products that have been
9 tested by them to be outside of those limits, but we will
10 not fail products unless when we test them they fall
11 outside of these limits.

12 Now, why have two separate limits? Again, this
13 gets back to the problem that I addressed in the very first
14 slide. Let's say we set the limits at .5 to 2.0. Let's
15 just say that was an acceptable range and that's what it's
16 going to be for us and for the manufacturers.

17 Here we have the same limits set for us and for
18 the manufacturers. If the manufacturer sends us a product
19 with an RP of 1, then none of us has any problems with
20 this. It'll work just fine. Nobody's going to fail or
21 very few are going to fail.

22 But let's say we told people that an RP of .5
23 or of 2 is perfectly acceptable, and they send us a product
24 that really is .55 or 1.95. Well, they've got a
25 substantial chance of failing at that rate, as much as 50

1 percent if they get close to the limits.

2 However, in this somewhat more complicated
3 slide, if we set the CBER limits at .5 to 2, but we
4 continue to have the manufacturers' limits narrower at
5 about .7 to 1.4, what happens when they send us something
6 at the borders of what we've told them to send us? Well,
7 if they send us something that's .7, here's the standard
8 curve around that, and these are the number of products
9 that we're going to fail at a limit of .5. Likewise, if
10 they send us something on the high end, these are the
11 products that we're actually going to fail on the high end.

12 In fact, you can quantify that. You can see
13 that if the manufacturer performs six replicates and they
14 use these tighter limits that many of them are already
15 using, the chance of failure is only two and a half
16 percent, very, very small. If they decide to do only three
17 replicates and they set the limits between .7 and 1.43, the
18 chance of failure remains substantial, 10 percent, but
19 that's not as bad as it would be otherwise if we had the
20 same limits that they did.

21 DR. SAXON: Jay, also a good thing about what
22 you've done, then, also is because if the manufacturers had
23 your limits, they're going to pass lots that are actually
24 .46, and you may pass them, too. Then they become
25 dangerous. Maybe not dangerous, but they may be very low

1 or very high, because of the shape of the curve.

2 DR. SLATER: Right, and the other concern
3 obviously is they're pushing the limits of what we --

4 DR. SAXON: Right, but you may also not catch
5 it, because of the shape of your curve at the same point.

6 DR. SLATER: Exactly. Absolutely.

7 So I think this is a reasonable limit based on
8 what we know, and just to summarize what we're suggesting,
9 these are the current limits and these are the proposed
10 limits. The current limits are that the acceptable RP is
11 either .7 to 1.4 if N equals 3 or .78 to 1.29 if N equals
12 6. It's the same for manufacturers and for CBER, and
13 again, it's largely technique-driven. With this paradigm,
14 we get a better technique. We'll get tighter control and
15 tighter control.

16 The proposed limits, leaving the manufacturers
17 exactly as they currently are now, but broadening our
18 limits of failure to 0.5 to 2. As limited as the technique
19 is, we think this is study-driven, and we'd like the limits
20 that are set to be based more on the biology of what's
21 happening with these extracts when they're given to people
22 than on the techniques themselves.

23 Another point to point out, and that is that
24 nominally these limits look like the European standards of
25 .5 to 2.0, but you have to realize that there's a not so

1 subtle difference between those, and that is that we really
2 have specified the statistical limits that we're going to
3 accept. They have set .5 to 2.0, but really haven't
4 specified the kind of confidence that is required for that.
5 So this I think does exert greater control, although I
6 think it's a rational degree of control and more
7 justifiable based on the literature.

8 The next aspect of our activities in LIB that I
9 would like to propose some possible changes in is our
10 dealing with protein measurements in allergen extracts.

11 Now, the current standard for measuring protein
12 in standardized allergen extracts is to use the modified
13 ninhydrin assay that was designed by Paul Richman, and the
14 purpose of this assay was to develop a good, reliable assay
15 for the protein content in allergen extracts. Clearly,
16 this is the most reliable method for doing so. There's
17 absolutely no doubt about that.

18 However, there are some odd things about the
19 standards that we apply. One is that there really is no
20 acceptable standard range for these protein contents. They
21 are, rather, informational, and in order to pass based on
22 the protein content, the CBER value must fall within 40
23 percent of the manufacturer's value.

24 So in other words, unlike the relative potency,
25 where we have a standard number that the manufacturer has

1 to strive for, rather what we do is we are collecting this
2 information, we do collect the information, and the CBER
3 value has to fall within 40 percent of the manufacturer's
4 value.

5 What is the ninhydrin assay? The protein is
6 hydrolyzed under alkaline conditions, it's cooled and
7 neutralized, and then ninhydrin is added. Ninhydrin leads
8 to the oxidative deamination of primary amino acids that
9 are released during this hydrolysis process. The
10 hydrindantin is released with the corresponding aldehyde
11 and some free ammonia. The free ammonia reacts with
12 another molecule of ninhydrin and this colored, oxidized
13 product is formed that is red spectrophotometrically.

14 Why do we recommend keeping a protein standard?
15 Well, it's certainly an assessment of purity. It's a way
16 of assessing the purity of the product that you're being
17 sent, it will alert you to the presence of foreign
18 antigens, it can serve as an internal quality control, and
19 as an estimate of protein content for other assays. It's
20 also a way to control for the possible effect of protein
21 content on other assays.

22 What are the problems with the ninhydrin assay?
23 Well, it's cumbersome. Certainly, compared to the other
24 protein assays that are out there, it's definitely more
25 difficult to use.

1 There's another theoretical problem that's been
2 raised, but never proved, and that is it may be too
3 accurate. It really is as close as we can get to an amino
4 acid analysis without doing an amino acid analysis, and as
5 such, it probably picks up small peptides and amino acids
6 that are of no immunologic significance at all. So we may
7 actually be detecting substances that are not significant
8 as components of the process.

9 DR. KING: Let me just be clear exactly what
10 you said on the last point. You're picking up amino acids
11 and peptides, so if the extract is a dialyzed, you're going
12 to get a different ninhydrin value.

13 DR. SLATER: Theoretically, that's the case.

14 DR. KING: It's not theoretical. It's really
15 true. That's definitely the case. It just seems to me --
16 I mean, I agree with you it has certain advantages. It's,
17 again, another relative assay if you don't take care of the
18 dialyzable portion of the low molecular weight components.

19 DR. SLATER: Thank you.

20 What are the problems with the other methods?
21 Well, the problems with the other methods are well known.
22 Glycerol, which certainly is an important part of all the
23 products that we're concerned about, can affect these
24 assays profoundly. Other chemicals, such as phenol, can
25 affect the assays.

1 The most important, disturbing problem with the
2 other assays is they all have requirements for the presence
3 of specific amino acids. Cysteine, tryptophan, or tyrosine
4 are required in order for these assays to work, and in
5 particular, a protein that's close to my heart, Hev b 5,
6 lacks all three of these residues and is essentially
7 invisible to Coomassie blue on assay. So there are
8 definitely problems with the more readily available and
9 easier to perform assays.

10 We were concerned about the possibility that
11 the protein content of an extract might be important in
12 terms of the accuracy of measuring the relative potency of
13 that extract using the competitive ELISA. So we wanted to
14 see whether adding BSA, spiking an extract with BSA,
15 affected the accuracy of the relative potency assay. We
16 added BSA up to 125 micrograms per mL in one of the two
17 steps that we thought might be relevant. One was the
18 coating step and the other was the inhibition step. The
19 antigen was D. pteronyssinus.

20 Now, before we even start, you would predict
21 that there would be a profound effect if you add BSA in the
22 coating step, and that was in a sense our positive control.
23 We would hope that we would not get an effect with the
24 inhibition step, but that's the part that we were actually
25 most interested in.

1 So here you see the predicted effect, what we
2 have predicted effect, of adding extraneous protein to the
3 antigen coat in the competitive ELISA. What you basically
4 see here is a family of curves. As you're going down these
5 curves, you're going to increasing concentrations of
6 protein that were added to the *D. pteronyssinus* extract
7 during the coating step. Not surprisingly, you have much
8 less protein binding, much less specific protein binding,
9 to the wells, and you have inhibition of the optical
10 densities that you see.

11 Since this is a competitive ELISA, this
12 decreased optical density translates into an increased
13 relative potency, and look at the scale of this relative
14 potency. This is not a 1.0. This is a 10, 20, and a 30.
15 So you see here that when the amount of BSA that you add is
16 low -- say, less than 9 or 10 micrograms per mL -- you have
17 relative potencies that are just about where you would
18 expect them to be. But then when you get up to about 15
19 micrograms per mL, you have the rather ludicrous result
20 that your extract looks like and has a relative potency of
21 25, certainly not a very acceptable situation with the
22 assay.

23 That's the bad news. On the other hand, the
24 good is that with the inhibition step, there seems to be
25 almost no effect of extraneous protein, which, again, you

1 would have predicted, but it's reassuring to see it
2 experimentally, especially in the context of demonstrating
3 a profound effect on the coating step. These are the
4 family of curves of the inhibition step when the protein is
5 added in the inhibition step, and really, statistically
6 they're indistinguishable.

7 So what does that actually mean? It means that
8 for the purposes of the competitive ELISA, the protein
9 content of the products that we are sent for analysis
10 doesn't matter, because the products that we are sent for
11 analysis only are used in the inhibition step.

12 However, what do we coat with? We coat with
13 products that we have selected from commercial products to
14 use as our reference standard. Therefore, the burden is on
15 us to make sure that the protein content of the reference
16 standards that we choose is relatively constant and is not
17 wildly changing.

18 So our conclusion from this is that the protein
19 content probably doesn't matter all that much in the
20 products that are sent to us, except we need to be aware of
21 this as a problem in terms of our choice of a reference
22 standard.

23 This is a slide I showed you a few minutes ago.
24 Why should we keep the protein standard? Let's go down
25 these one at a time. Purity assessment. It is a good way

1 to tell how pure a product is. However, it's not clear
2 that this matters all that much. It's not obvious whether
3 we would want to have a lower or a higher protein content.
4 All things being equal, I think we would decide we'd
5 probably want to have a lower protein content and a purer
6 product, but that's not obvious in all situations.

7 Furthermore, we have other ways of assessing
8 purity that I think are probably more accurate, such as the
9 blotting, to look for extraneous bands that we need to
10 worry about, and perhaps working on other identification
11 methods, such as the MALDI-TOF, to look for extraneous
12 proteins.

13 In terms of internal quality control, I think
14 with the antigen-specific tests that we have for these
15 allergens, the internal quality control probably could be
16 completely fulfilled by the antigen-specific tests.

17 As an estimate of protein content for other
18 assays, it's very likely that other protein methods can be
19 used to do that.

20 For the possible effect of protein content on
21 an assay, I think we already discussed that that probably
22 is not much of an issue.

23 So after thinking about this, there were
24 several obvious choices, which are detailed in the draft
25 memo that you have, but I think the most conservative

1 approach would be to continue to require an informational
2 protein assay that CBER will use to assure the consistency
3 of reference standards, but manufacturers will, if this is
4 approved, be able to choose any established, validated
5 protein assay to monitor their products from time to time.

6 In other words, if a manufacturer wishes to
7 switch from the ninhydrin to another assay, they will need
8 to validate it, they will need to show that they were able
9 to detect protein differences by using it, but we will be
10 open to the suggestion of an alternative protein assay that
11 can be used.

12 We will no longer routinely assay the protein
13 content or reject samples based on the protein assay
14 results.

15 Note, incidentally, just to reiterate what I
16 said a few minutes ago, that we're not really abandoning a
17 standard. There really wasn't a particular standard
18 before, except to impose the informational assay and to
19 make sure that the manufacturer's test correlated with our
20 test.

21 The advantages of this approach are that data
22 will be, within a given manufacturer, internally
23 comparable, but data will not be comparable among different
24 manufacturers if they all choose different tests.

25 LIB will not replicate the data as part of

1 routine lot release, and as a result, there's no
2 possibility of lot failure based on the assay.

3 I just want to clarify a couple of things. The
4 recommendation applies only to standardized mite and grass
5 allergens. Standardized hymenoptera venoms will continue
6 to be assayed by the ninhydrin assay as currently required,
7 and the results of protein assays performed on standardized
8 mite and grass allergens may not be used in product
9 labeling materials.

10 I'm at the end of my portion of today's
11 proceedings. I just want to summarize what our regulatory
12 goals are. We want to continue staff stability and
13 expansion. We want active improvement of the support
14 program for standardization of allergens. We want to
15 support future standardization efforts, per advisory
16 committee recommendations, and we've talked about our goals
17 in terms of research activities.

18 I just want to close with one final comment. I
19 know this is an ambitious program that I've talked about
20 today. I must tell you that, since coming to FDA in the
21 end of August, I have been struck at what an enviable
22 situation I am in. I have had terrific colleagues who have
23 really been incredibly helpful, highly professional, very,
24 very good support. I am in the remarkable position of
25 having terrific people above me and terrific people under

1 me.

2 I wouldn't have put forth this program if I
3 didn't think we would be able to actually be productive,
4 and, believe it or not, I think by the time we meet next
5 I'll probably have more to say.

6 Thank you very much.

7 (Applause.)

8 DR. OWNBY: That's only going to be in another
9 four years.

10 The committee have any other questions for Jay
11 at this time? Dale?

12 DR. UMETSU: Can you just review exactly how
13 the grass and dust mite are standardized? What assays are
14 you looking at?

15 DR. SLATER: The major assay that's used is the
16 competitive ELISA assay. In that assay, we coat plates
17 with our reference standard, and then incubate with the
18 serum pool that we have, either without any competing
19 allergen that's added or with different doses of the
20 competing allergen that's added.

21 We compare the results that are obtained with
22 the product that's sent to us by the manufacturer with the
23 results that we obtain using our reference standard for
24 that allergen, and then based on the location of the
25 parallel curves, we determine a relative potency of the

1 product compared to an RP of 1 that's set by our product.

2 DR. UMETSU: And where does the reference
3 antibody come from and also where do the reference antigens
4 come from?

5 DR. SLATER: The reference antigens come from
6 the manufacturers. In other words, we choose a reference
7 standard when the previous reference standard expires.

8 The idea of the reference replacement program
9 that I described about two hours ago was to make that
10 reference replacement program proactive, so that we would
11 actually select the new standard, compare it to the old
12 standard, and make sure that it was comparable. We would
13 distribute it to the manufacturers for them to test, get
14 feedback from them, and then finally choose that as the
15 standard.

16 Likewise, with the reference antisera that we
17 use, we would pool them from immune sera that we purchase,
18 test it, and send it out to manufacturers for testing.

19 It's really what I was talking about at the
20 beginning, that it's a sort of a long, iterative process of
21 going back and forth. It gets considerably shortened when
22 we run out of the previous one and have to move fast to a
23 new one.

24 DR. OWNBY: Anything else?

25 (No response.)

1 DR. OWNBY: The next item that was listed on
2 the agenda we're going to --

3 MS. MONZER: I'm sorry. I have just one
4 question. I'm Martha Monzer, FDA.

5 For the protein assay, what about standardized
6 cat and ragweed? You didn't mention those on your last
7 slide.

8 DR. SLATER: Right. Standardized cat and
9 ragweed, we were not going to do that. First of all, it's
10 because we use the RID assay for that, and we were a little
11 reluctant to change what we were doing at this point. We
12 really focused on the grass and the mites.

13 DR. OWNBY: Thank you very much, Jay.

14 The next item that was on our agenda we're
15 going to postpone for later this afternoon. We are waiting
16 for Dr. Storms, who's going to make that presentation, and
17 we'll hopefully be able to come back to it in the open
18 public hearing sometime around 2 o'clock.

19 The next status report is the Class IIIA
20 allergen extracts, and I believe Steven Falter from the
21 Regulations and Policy Staff is going to present that.

22 MR. FALTER: Good morning. As you can see from
23 my title, I come not as an expert on allergen extracts, but
24 as sort of the baron of the bureaucracy at CBER, and as one
25 of the last of the Mohicans that has the knowledge of the

1 classification review process.

2 Because we have ongoing efforts to complete
3 this classification process, I wanted to update
4 particularly the committee. They have been asked, they
5 have been consulted with in the past a couple of times on
6 individual issues, and as we carry through the process, I'm
7 sure that we'll be back asking multiple questions. We have
8 no specific time line as to when we'll be consulting the
9 committee on scientific issues, but I'm sure, at minimum,
10 once we issue a proposal there will be plenty of public
11 comment in which we may both want a public forum for public
12 discussion and want the recommendations of the advisory
13 committee on individual issues.

14 So on the next transparency, to bring you long
15 up to date, it all began when we moved our predecessor, at
16 least, from NIH to FDA as the Bureau of Biologics, when at
17 the same time the drug folks who were already at FDA were
18 undertaking a thorough review of their older products to
19 reevaluate their safety and effectiveness.

20 Now, for a slightly different legal reason, we
21 decided to also review biologics, mainly because a number
22 of these products, including allergenic extracts, were
23 quite old, and the standard for safety and effectiveness at
24 the time of approval might be quite different than what has
25 evolved through time. So we began this process through a

1 codified process, and allergenic extracts was one of six
2 different product groups that were reviewed under the
3 biologics umbrella.

4 In '81, we received the final report of the
5 Panel on Review of Allergenic Extracts containing their
6 recommendations regarding the safety and effectiveness of
7 all our known allergenic extract products. Then it became
8 FDA's turn where, very slowly and deliberately, we first
9 issued a proposed rule in 1985, and then finally, in 1994,
10 we issued a final order in which a number of allergenic
11 extracts -- not many of them very important, but the actual
12 numerical count was quite large -- the licenses were
13 revoked for those products.

14 Now, when we began the process, that should
15 have completed what our obligations were, but a glitch
16 developed in the system. On the next transparency, it
17 identifies that. One of the options for both the advisory
18 committee and for FDA was to put a product -- and not just
19 talking about allergenics specifically, but any of the
20 biologics reviewed -- in a regulatory category called
21 Category IIIA.

22 Now, what the game plan would have been for
23 that sort of product was that the finding was there were
24 insufficient data to determine whether the product license
25 should be revoked or retained -- in other words, there are

1 questions of safety and efficacy -- but based on a
2 risk/benefit analysis, usually both the advisory committee
3 and FDA decided they should remain on the market pending
4 completion of further testing.

5 Well, this became a problem in the mid-80s.
6 One, the legality of this category was challenged on
7 whether we could allow the continued marketing of a product
8 for which there are questions of safety and efficacy, and
9 two, when you speak specifically of allergenic extracts, it
10 would be a considerable undertaking if we were to mandate
11 further clinical study of each and every allergenic extract
12 through a very set, monitored process, just simply because
13 the number of extracts that would have to be dealt with.
14 Certainly, that still would be going on for quite some time
15 and may not be feasible.

16 On the next transparency, what we decided to do
17 is revisit, that despite the fact that the evidence may be
18 lacking, we should, as best as we can, make a decision
19 regarding the safety and effectiveness and labeling of
20 these products. Two options were offered. The very
21 obvious ones of Category I would be safe and effective,
22 Category II, unsafe and effective or misbranded.

23 Actually, there's a little subcategory for a
24 product that is absolutely necessary to stay on the market,
25 even though there are questions regarding the safety and

1 effectiveness. None of the committees nor FDA has yet to
2 have used that category.

3 Now, to interpret that specifically for
4 allergenic extracts, they were all reviewed on both their
5 diagnostic and therapeutic uses. In other words, one
6 product may have actually two different findings, one for
7 its diagnosis and another for its therapeutic use. An
8 example would be safe and effective for diagnosis, unsafe
9 and ineffective for therapeutic use.

10 On the next transparency, what would be the end
11 product? After we're done doing our dirty deed, just what
12 would the result be? Obviously, for Category I, it would
13 mean that the product was found safe and effective, and no
14 action needed. If you had the dual categorization, then
15 one option would be required revision of labelings of the
16 product was indicated only for diagnostic use. Finally, if
17 found lacking for both diagnosis and therapy, we would
18 undertake the revocation of the license. Actually, it's
19 not exactly that, but in effect it's a revocation of the
20 license.

21 So what has happened is that we have received
22 the recommendations of the Panel on Review of Allergenic
23 Extracts, the comprehensive review of all those products
24 put into IIIA, which, by the way, encompassed all those
25 that were not put into IIIB, so it's pretty much all

1 existent allergenic extracts, I believe, unless there have
2 been brand new ones approved since that time. We consulted
3 on individual issues with the Allergenic Products Advisory
4 Committee.

5 Now, we're preparing the proposed order, which
6 would propose what we intend to do and offer an opportunity
7 for public comment and further submission by the
8 manufacturers. I can't avow when that would issue, but we
9 have time lined it for within this year, by the summer of
10 this year.

11 Unfortunately, that only begins the process.
12 We have to issue then a final order, which would issue our
13 final findings, mandate any labeling changes necessary,
14 would pronounce those that we find safe and effective, and
15 even then we're not done for those where we're going to
16 revoke the license. We'd have to issue a notice of
17 opportunity for hearing where, once again, further
18 information might be submitted.

19 On the next transparency, if there are requests
20 for hearings, we don't necessarily have to accept that
21 request. There are criteria for whether we accept it or
22 not, but there could be hearings, and then the final action
23 would be a notice revoking licenses for those products that
24 were found unsafe and ineffective.

25 This process, along with the processes for a

1 number of other groups of biological products, has been
2 ongoing for a tremendous amount of time. It is by full
3 intent of those who created the procedures for issuing such
4 documents that there are long and tedious -- that is the
5 intent, in that they want to make sure that the executive
6 branch of the government is very deliberative and it
7 prioritizes down to the nth degree as to what they intend
8 to accomplish through this process.

9 Biologics through history since 1980 issues
10 about two and a half documents of this sort per year, and
11 recall that there are two primary documents that have to be
12 issued, the proposed order and the final order. So that
13 has been unchanged, although we're accelerating just a bit
14 now in the numbers we issue.

15 It still remains something we're trying to
16 accomplish, I think we're obligated to accomplish, and
17 there are other products that we are reclassifying, blood
18 and some vaccines, but allergenics is placed pretty close
19 to the top, just because of the number of products being
20 considered.

21 I've limited myself solely to process. I have
22 carefully avoided any technical discussion at all, because
23 I'm not the person to be discussing that. So that is my
24 presentation. I don't know if there are questions.

25 DR. OWNBY: Any questions, then?

1 (No response.)

2 DR. OWNBY: Thank you.

3 When we were planning this meeting, one of the
4 other issues that came up was a question on compliance
5 issues and this panel, and I believe we have a presentation
6 by Cathy Conn on some of these compliance issues.

7 MS. CONN: Good morning. I'd like to thank you
8 for inviting me this morning. I'm going to discuss some
9 common compliance and enforcement issues.

10 What I'd like to focus on is why the agency
11 takes enforcement actions, the process that we go through,
12 and also I've been asked to address on how some supply
13 issues are taken into account when we deliberate the
14 process of should we take an enforcement action or not.

15 Prior to taking an enforcement action, an
16 inspection is generally conducted. In the past, those
17 inspections were conducted by CBER personnel. Currently,
18 they're being conducted by Team Biologics, and towards the
19 end I'll talk a little bit more about Team Biologics.

20 I'm only going to discuss the more common
21 enforcement actions. There are many more on the list, but
22 they're not the most common.

23 Biological products are unique because they're
24 regulated by two federal laws, the PHS Act and the FD&C
25 Act. The actions taken against the license are under the

1 Public Health Service Act. Seizures and injunctions listed
2 up here are sanctions under the Food, Drug, and Cosmetic
3 Act. To date, there have been no seizures or injunctions
4 of allergenic products, but it is in the realm of
5 possibility, so I thought I'd better add it to the list.

6 A little bit more about warning letters. They
7 are a notification to affirm that we find that there are
8 deviations from, generally, the good manufacturing
9 practices. Those are the regulations in 21 CFR 211 that
10 tell a manufacturer how they're supposed to manufacture a
11 drug product. They can also be deficiencies from the 600s,
12 the biological standards.

13 The warning letter is sent to the firm. We
14 expect prompt corrective action to correct any of the
15 problems we've identified. The effect that a warning
16 letter may have on a product is that, legally, there's no
17 prohibition to distribution. In reality, sometimes,
18 though, firms may have to slow down production in order to
19 get a compliance plan together to correct their problems.
20 So production may slow down and, in effect, distribution
21 may slow down a little.

22 Also as the result of warning letters, a firm
23 may have to conduct recalls. We may have identified a
24 problem with a particular lot number that's out on the
25 market, and a firm may have to take steps to recall it.

1 The agency policy is usually to issue only one
2 warning letter. Generally, after the first warning letter,
3 we're starting to contemplate other actions that we may
4 need to take.

5 If anyone is interested, warning letters are
6 posted on the FDA Web site. I don't have the exact Web
7 address for you, but if you go in there and you search
8 around, you will find them. They are there.

9 Seizure is to remove product from the market.
10 Generally, what happens is we've identified problems with
11 particular lot numbers, and we go out and we seize that
12 product, and we prevent it from being further distributed,
13 and in some cases prevent it from being used. It can be an
14 action taken against either licensed or unlicensed
15 products.

16 An injunction is a court-sanctioned action. We
17 go to the courts and say this firm has had uncorrected
18 violations, we've told the firm about it several times,
19 they've had warning letters, we've had meetings and
20 whatever, and we feel that the court now needs to supervise
21 the activities of a firm in order for it to come into
22 compliance. The injunction can affect licensed and
23 unlicensed product distribution. It can also affect the
24 interstate and intrastate distribution.

25 When we write an injunction, oftentimes we'll

1 enter into what's called a consent decree, where the firm
2 and FDA are negotiating, with the court's oversight, what
3 is going to happen during the terms of the injunction.

4 In some cases, the problem could be bad enough
5 that we need to stop distribution of the product. In other
6 cases -- for example, if it's the only product available --
7 there could be times when the agency would say, well, we'll
8 allow distribution of the product, but only under tightly
9 controlled conditions, and generally with a lot of
10 oversight by FDA.

11 Suspension is an action taken against the
12 firm's license. In order to take a suspension, the agency
13 has to show that there's a danger to health and that
14 there's grounds for revocation, and I'll talk a little bit
15 in another slide about what the grounds for revocation are.
16 The danger to health determination is made by a committee
17 of product specialists and medical officers who review the
18 inspectional findings.

19 We don't take suspension lightly. We know,
20 number one, it's going to prevent a company from
21 distributing its product, and perhaps from making a living.
22 On the other hand, we're also faced with there's a danger
23 to health for someone who might use the product. The
24 grounds for revocation in this instance are generally GMP
25 deficiencies.

1 The suspensions legally stops the interstate
2 distribution of a product. However, in reality, it could
3 also stop any intrastate, within the state, distribution of
4 a product because the conditions leading to the suspension
5 are also conditions for which we could take an action under
6 the Food, Drug, and Cosmetic Act to stop the intrastate
7 distribution, and we're likely, if a firm doesn't agree
8 once we've suspended a license to stop distribution on an
9 interstate level, that we will proceed towards taking steps
10 to stop the distribution on an intrastate level, because
11 the same grounds exist.

12 What happens to a firm if we decide that we
13 need to suspend the license? We can reinstate the license.
14 It's not a rapid process, but we do give it a high priority
15 within the agency.

16 What happens after the license is suspended?
17 We're in a lot of discussions with the firm. They're to
18 submit a corrective action plan. We review it. Again,
19 we're in discussions. There could be letters back and
20 forth talking about some deficiencies with the corrective
21 action plan.

22 The firm will go into limited operations. They
23 can manufacture their product at risk under this correction
24 action plan, but they can't distribute the product. We'll
25 come out and do a reinspection, and if the corrective

1 actions are sufficient to correct the problems, we'll
2 review all the findings, and then we will reinstate the
3 license.

4 Something else that could happen after a
5 suspension is we could go directly to revocation. If a
6 firm for some reason can't submit a corrective action plan,
7 our option may be that we have to revoke the license.
8 There are other agency actions we could take, such as an
9 injunction or a prosecution.

10 I'd mentioned earlier that in order to do a
11 suspension, you have to have grounds for revocation. Well,
12 these are the grounds for revocation. They're in the
13 regulations. I've put the CFR cite. Like I said earlier,
14 most of the grounds lately have been for GMP deficiencies.

15 There are basically two ways that the agency
16 will proceed towards a revocation, and it really depends on
17 the circumstances at the particular firm at the moment. We
18 can do a direct revocation. This can happen immediately
19 after a suspension if we've identified that the reason the
20 conditions exist for revocation are due to willfulness in
21 the firm -- in other words, they're doing fraudulent
22 activities like keeping double books and hiding one book
23 from FDA and presenting us the book with the good data --
24 and if there's careless disregard for the regulations -- in
25 other words, we've warned a firm multiple times that

1 they're not complying with the GMPs and somehow, for
2 whatever reason, they can't get themselves into compliance,
3 and it shows that they really don't have the attitude to
4 come into compliance.

5 There's basically no opportunity to correct.
6 Basically, we've said we're going to revocation.

7 It's a fairly long process. It's an
8 administrative procedure. It doesn't happen overnight.
9 The firm is given the chance for a notice of opportunity
10 for a hearing and it's published in the Federal Register.
11 They can request a hearing. If they request a hearing,
12 then FDA has to review the request, the revocation gets
13 published in the Federal Register as a final action, and
14 that's when product distribution is stopped.

15 Now, if the conditions don't exist for a direct
16 revocation, we can start on the process of a revocation by
17 what's called a notice of intent to revoke. Generally, a
18 firm has been warned that there are GMP deficiencies, for
19 example. We don't have a danger to health. It's just
20 they've had continual warnings. Basically, the notice of
21 intent to revoke is the last chance for a firm to correct
22 its problems. This action does not prohibit product
23 distribution.

24 A chart on enforcement actions. I should have
25 said that you're not going to hear much science out of me

1 at all, to the point that I probably picked the wrong
2 format for a chart to show you, but it was the only one I
3 could get to work in my PowerPoint.

4 But anyway, don't get the impression that the
5 enforcement actions are dropping off, because if you add up
6 the enforcement actions for the fiscal years, '97 was eight
7 actions, '98 was nine, and '99 is one, but we're early in
8 '99. I think a good point to take away is that while the
9 warning letters have dropped, the other actions with
10 greater significance seem to have either stayed the same or
11 we have other actions coming into play here. I think that
12 probably '99 and the year 2000 will be pivotal times to let
13 us know if firms can come into compliance or if we have to
14 proceed to other actions.

15 You might be asking, well, what conditions
16 would exist in order for us to send a warning letter or to
17 take an action? What I did was I evaluated 11 warning
18 letters that we've issued recently. Of those 11, I counted
19 how many had validation problems, how many had SOP
20 problems, and whatever.

21 Also, I thought I'd pull a few of those out of
22 the warning letters, so you could see that they're not
23 technicalities. We don't want anybody to get the
24 impression that if a firm fails to dot its I's and cross
25 its T's, we're going to send them a warning letter. The

1 issues in the warning letter are generally significant.
2 Some are very significant, as a matter of fact. So I
3 thought I'd just run through a little bit and give you some
4 ideas of what we found.

5 Six of the firms, or six of the 11, had
6 validation problems and eight of the 11 had laboratory
7 control problems. Generally, in here the deviations
8 include things that relate to sterility. For example,
9 media fills were not performed or, when they were
10 performed, no one thought to do growth promotion testing on
11 the media used, so they didn't know, if their media was
12 growing, what they might be finding in their media fills.
13 Media fills are conducted to make sure that a manufacturer
14 can prepare a product under their aseptic processing
15 procedures.

16 Air sampling to monitor the microbes during
17 production was inadequate. For example, some firms in
18 their aseptic area would manufacturer products, for
19 example, in a particular part of the room, but the probes
20 would be over on the other side, so they really weren't
21 picking up the microbes.

22 Six of the 11 firms had facility issues. One
23 of the requirements is that a facility maintain separate or
24 defined areas or control systems to prevent contamination
25 or mix-ups. We found things like materials for research in

1 products for distribution or stored with products pending
2 final release testing. That could lead to someone in the
3 firm grabbing the wrong product, thinking it went through
4 final release when in fact it might just be a research
5 product.

6 There was no assurance that the appropriate air
7 pressure was maintained between mold production and general
8 production.

9 In one firm, there were holes in the wall
10 between the gowning room and the aseptic area for
11 production, gaps around ceiling tiles in the clean room,
12 and the light fixtures were not sealed, so there was the
13 possibility that clean air and dirty air, so to speak, was
14 flowing back and forth between the spaces.

15 Eleven of the 11 warning letters had SOP
16 problems. SOPs either don't exist, they're not followed,
17 or they aren't adequate. One example was there was no
18 procedure for validation of the air handling system,
19 including whatever test methods they were going to use to
20 validate the system; acceptance limits -- for example, how
21 would they know when their validation was unacceptable; and
22 the frequency that they were going to perform the
23 validation.

24 Five of the firms had record keeping problems.
25 Record keeping problems, you have a spectrum. It could be

1 to the point where somebody forgot to put in a date to the
2 issue where, again, we have separate record keeping books
3 or systems and they aren't consistent. The numbers don't
4 match when FDA starts looking at them.

5 For example, one of them, the moisture test
6 results were recorded at 6.8 percent in a log book, and
7 it's 5.6 percent on the raw material records. We get a
8 little suspicious when records don't quite match up.
9 Sterility tests for days 3 and 7 of incubation are not
10 recorded, so we would wonder was a sterility test actually
11 done?

12 Five of the 11 had investigation problems. For
13 example, in one particular firm, 11 of the 21 finished
14 product and bulk sterility test failure reports did not
15 indicate any corrective action. When a firm has a
16 sterility failure, we expect an investigation to occur.

17 There was also no follow-up to determine the
18 effectiveness of the corrective action resulting from a
19 sterility test failure. So it needs to go the whole gamut.
20 You have a test failure, you investigate it, you implement
21 a corrective action, and you go back and make sure the
22 corrective action was adequate to take care of the problem.

23 Production and process controls, three of the
24 firms. For example, one issue was the effectiveness of the
25 sterile filtration was not established.

1 Just to continue a little further, nine of the
2 11 had equipment problems, failing to calibrate, inspect,
3 or check equipment. This seems to be a big area,
4 especially with cleaning procedures, because people tend to
5 not do a very good job on determining the effectiveness of
6 the cleaning procedure for removing any residual product or
7 cleaning agents.

8 In one instance, we had shredded tissue paper
9 and green material noted inside the laminar flow hood for
10 the aseptic connections that they were making. I didn't
11 ask what the green material was. I didn't look that much
12 into the report.

13 Also, six of the 11 firms had component and
14 container closure problems. The regulations require for
15 this one to store components, drug containers, and closures
16 in a manner to prevent contamination. One item that I saw
17 was that the source material, which was a fish, was stored
18 partially uncovered. In other words, the tail was out of
19 the bag. So you kind of wonder what's going to happen to
20 this fish until they get around to using it in their
21 production. Lawn equipment and petroleum products were
22 stored adjacent to shipping materials. So we tend to look
23 at those things a little seriously.

24 Seven of the firms had deficiencies related to
25 the regulations in the 600s. Those are specific

1 requirements for biological products, and those include
2 things like not reporting errors and accidents to CBER, not
3 reporting adverse experience reports, and not reporting
4 changes to manufacturing to FDA and doing a license
5 supplement.

6 I'm not going to go through the next, but they
7 do exist.

8 Now, those were warning letter issues. What
9 are the kinds of problems that would lead us to
10 suspensions? Numerous GMPs, and again, the GMPs are
11 egregious enough to make us think that we have a danger to
12 health. I read some earlier. The examples I gave weren't
13 all from one firm, but if that were all one firm, then we
14 would probably start thinking about suspension of that
15 particular firm.

16 Generally, when we look at things that put us
17 over the threshold and into the suspension arena, they are
18 things that would affect the sterility of the product,
19 failure to assure aseptic processes, failure to investigate
20 sterility failures, distribution of product prior to
21 sterility testing, and inadequate facilities to the point
22 where you can't manufacture a product that's going to
23 maintain its sterility.

24 I do have some examples. For example, 11 lots
25 failed sterility testing and there was no documentation

1 that retesting was performed.

2 A dual record keeping system for recording
3 sterility test results contained numerous inaccuracies and
4 inconsistencies. For example, an informal notebook stated
5 that a retest was conducted after a sterility failure.
6 However, the official sterility log showed that the lot
7 passed, but there was no documentation that we could find
8 that a retest was actually conducted.

9 Distribution of contaminated product, and to
10 compound the issue, the distribution records were such that
11 the firm couldn't even trace who had gotten contaminated
12 product, so that they could do a quick and effective
13 recall.

14 Failure to investigate after numerous
15 environmental monitoring limits were exceeded. There were
16 12 sterility failures with Enterobacter and no
17 investigation to determine the source or if any other
18 batches of product was affected.

19 One item I didn't put on this slide was
20 mislabeling and while labeling doesn't generally kick
21 people into thinking about suspension, this was an element
22 in one of the suspensions, because there were two vials of
23 allergenic extract that were mislabeled. The 1 in 40
24 dilution was labeled as 1 in 400, and it resulted in a
25 patient having an anaphylactic reaction. So while

1 mislabeling generally doesn't kick us into a suspension,
2 mislabeling such as that is not something we like to see.

3 What are some issues we consider when we're
4 going to take an enforcement action? It's a very difficult
5 job when we're trying to make sure we protect the public
6 health from unsafe and ineffective products, and also to
7 make sure that the products that are needed to maintain the
8 public health are available when they're needed. It's not
9 a difficult decision when we have really egregious problems
10 where we have contaminated product being distributed, but
11 there are times when the decision is difficult on when to
12 take an enforcement action and what particular enforcement
13 action we need to take.

14 For example, we look at supply issues. We do
15 look at is this manufacturer the only manufacturer of a
16 particular product, and how is the product needed to
17 promote the public health.

18 The Center for Drugs has a procedure which we
19 also follow to determine if a product is medically
20 necessary and we need to make sure that there's continued
21 distribution, and how we need to work with the firm to make
22 sure the product is safe and we can continue to distribute
23 it.

24 I listed Alice Gerhardt-Godziemski's name.
25 She is the product shortage person in CBER. I also listed

1 her phone number. When folks are out there and can't get
2 hold of a product, she's the one who will follow up and
3 decide, well, why can't the product be obtained and is it
4 an action that we've taken that has caused the product
5 shortage and how we're going to deal with it.

6 Another issue to consider is that we've picked
7 the appropriate action. We're not going to necessarily
8 think about stopping distribution if that's not the most
9 appropriate action to take. Many times, we want a firm to
10 voluntarily correct their problems and we work with a firm
11 to try and figure out how to do that.

12 We also look at the compliance history of a
13 firm. Is this the first time they seem to be out of
14 compliance? Obviously, we're not going to do an injunction
15 the first time a firm has GMP problems. Usually, the first
16 time they get a warning letter. It's considered a prior
17 warning. So we look and make sure that the compliance
18 history is such that we need to take the appropriate
19 action.

20 Very quickly, I'll talk a little about Team
21 Biologics. Team Biologics was formed in order to use the
22 best of both worlds. Basically the ORA folks, the Office
23 of Regulatory Affairs, or the field component of FDA, had
24 experience in doing investigations with a GMP focus. The
25 CBER inspections were probably more along the specific

1 product areas and what was in the license.

2 We thought how best to utilize both components,
3 and so now you'll see joint inspections by the inspection
4 cadre of ORA, and generally a CBER product expert will also
5 join the inspection if they're available. If they aren't
6 available to be physically on the site, they're at least
7 available by phone, so that if the inspection team runs
8 across something, they can call in and ask for guidance.

9 The goals of Team Biologics were to establish
10 more timeliness, if there was a violative inspection, in
11 trying to take corrective action, writing the reports and
12 getting them written in a timely manner, and consistency
13 across the board. We didn't want inspections conducted on
14 the West Coast different from those conducted on the East
15 Coast. And like I said earlier, there's a focus on GMPs.

16 Not only is there an inspection element to Team
17 Biologics, but there's a compliance element as well. There
18 are field compliance officers who coordinate very closely
19 with CBER compliance officers.

20 Currently, all product areas except vaccines
21 are the responsibility of Team Biologics as far as the
22 inspections, and vaccines I think are due to be turned over
23 to Team Biologics in October of '99.

24 Are there any questions?

25 DR. OWNBY: Any questions? Dan?

1 DR. EIN: Yes. I'm sorry I won't be here this
2 afternoon to participate in the discussions, but could you
3 perhaps tell us whether the rigorous application of the
4 regulations that have existed but weren't applied until
5 relatively recently is going to be applied to the producers
6 of raw materials, the pollen gatherers, and so on? This is
7 a matter of considerable concern to the practice community.

8 MS. CONN: Well, it is required that components
9 which are raw materials that are going to go into a drug
10 product are in GMP compliance, and I believe that we've
11 sent a letter -- don't quote me on the date -- in '95 or
12 '96 to the source material suppliers, saying that they were
13 required to follow GMPs, and they are going to be inspected
14 with a GMP focus in mind.

15 Now, obviously, you don't hold a broad material
16 manufacturer to all the GMP requirements for a finished
17 pharmaceutical product, but there are things -- SOPs,
18 record keeping, making sure your product doesn't get
19 contaminated with other things. So they are being required
20 to follow GMPs.

21 DR. EIN: Thank you.

22 MR. BULL: I'm Thomas Bull. I'd like to speak
23 to that issue.

24 It's my understanding that the Allergen
25 Products Manufacturers Association has initiated a program

1 in which they send out their inspectors to inspect the
2 source material suppliers, and that's in response to our
3 '95 or '96 letter. We've discussed that with them on
4 several occasions and I got information from them just
5 recently that they have initiated a program along that
6 line. So they will be responsible for insuring all the
7 small source material suppliers are in compliance with the
8 GMPs.

9 DR. WRAY: Who is that that will be
10 responsible?

11 MR. BULL: APMA.

12 MS. CONN: Yes. That's another point I forgot
13 to bring up, that the manufacturer producing the licensed
14 product is also responsible for insuring that whoever they
15 obtain their source material from also follows whatever
16 GMPs are applicable and provides a product that meets their
17 specifications.

18 DR. OWNBY: I had one other question. Have you
19 thought about or had any estimates of what the impact of
20 this is going to be on source material suppliers within the
21 allergenic products? That is, the GMP application to
22 source material suppliers?

23 MS. CONN: We've not sat down and done like an
24 economic analysis or whatever, which was one reason why we
25 felt it necessary to give adequate notice prior to coming

1 out and having to do a stronger enforcement action that
2 would disrupt their supplying allergenic manufacturers, and
3 also we didn't look to see how much money, for example, an
4 individual source material supplier may have to expend in
5 order to bring their firm up to what we would consider
6 current good manufacturing practices. So we've not done an
7 economic analysis, so to speak.

8 DR. OWNBY: Betty?

9 DR. WRAY: You mentioned there was a
10 difference, though, in good manufacturing practices for
11 these raw material gatherers versus the producers of the
12 finished product. Do you have some examples?

13 MS. CONN: Well, the GMPs for finished
14 pharmaceutical manufacturers go into things like how you
15 have to maintain the sterility of the final product and all
16 the testing that you do and all the validation. I perhaps
17 should have used the word "difference," but to the extent
18 of the GMPs, there are certain GMPs that a raw material
19 supplier would have to follow. For example, like I
20 mentioned, they have to maintain records, where they got
21 the source material, how they processed it, they have to
22 have procedures for processing it, but the procedures don't
23 extend into the area of a lot of the sterility issues that
24 you would expect from the finished product manufacturers.

25 DR. WRAY: Thank you.

1 MS. CONN: Dr. Hoffman?

2 DR. HOFFMAN: The point I want to make is that
3 we've been sensitive to this issue, and that we have met
4 with representatives of the Joint Council, we've discussed
5 this with the APMA, and we've invited anyone to document
6 any impact, either on a shortage with the compliance people
7 or with us directly if they see that this is resulting in
8 an adverse impact, either in terms of availability or,
9 conceivably, in price, although that's not our major focus,
10 that they bring this to our attention and we'll work with
11 the compliance people and the manufacturers to see whether
12 the rules in fact are onerous or appropriate.

13 I would say that we've invited people to
14 demonstrate, tangibly or unpublished, evidence that these
15 shortages are occurring or imminent to occur, and have so
16 far received no response.

17 DR. OWNBY: Any other comments? Anyone in the
18 audience want to comment on this? You do it at risk of
19 everyone's hungry stomachs.

20 (No response.)

21 DR. OWNBY: Okay. Well, we are adjourned then
22 until 1:15.

23 (Whereupon, at 12:17 p.m., the meeting was
24 recessed for lunch, to reconvene at 1:15 p.m.)

25

AFTERNOON SESSION

(1:20 p.m.)

1
2 DR. OWNBY: I think we're ready to get going
3 again. We have one clarification of our discussion that we
4 had just before we broke.

5 MR. BULL: I'm Tom Bull. I wanted to make a
6 clarification about the inspection of source material
7 suppliers and what the status of that is. I consulted with
8 some of my colleagues in the industry, and it turns out
9 that the Allergen Products Manufacturers Association, the
10 APMA, did contract out to inspect two or three of the
11 larger source material suppliers. At this point, each firm
12 is responsible for inspecting their own source material
13 suppliers, and we will be looking at that, these inspection
14 reports and the fact that they're doing them, as we go on
15 our inspections of the firms themselves.

16 So just like other parts of biologics, the firm
17 has to be responsible that the materials it's bringing in
18 for the manufacturer is appropriate quality, and the way
19 they do that is by contracting out to have these source
20 material suppliers inspected individually for each company.

21 DR. OWNBY: Okay. Thank you.

22 Next on our agenda was a report on clinical
23 activities by Dr. Turkeltaub.

24 DR. TURKELTAUB: Hi. I'm Paul Turkeltaub. Can
25 you hear me?

1 DR. FREAS: The sound man is going to be right
2 back, and I don't know how to operate the sound board.

3 DR. OWNBY: He's coming.

4 DR. TURKELTAUB: Okay, is this better?

5 DR. OWNBY: Yes, that's it.

6 DR. TURKELTAUB: Okay. For the record, I'm
7 Paul Turkeltaub, associate director of the Division of
8 Allergenic Products and Parasitology. I wanted to give you
9 some background on the clinical research program that has
10 been developed over the years and where I'd like to see it
11 go potentially in the future.

12 Let me just say that I first came to the FDA in
13 1977 when it was the -- should I flip it, or no?

14 DR. OWNBY: Let him switch it.

15 DR. TURKELTAUB: The one right next to it may
16 have the same problem. Should I just wait? That's okay.

17 When I came to the FDA in the mid '70s, the aim
18 was to develop a clinical research program that could
19 validate some of the laboratory initiatives in
20 standardization that Jay had mentioned, and the basis for
21 the standardization program at FDA is not a discretionary
22 one. The statute we work under, as Cathy Conn mentioned,
23 one of the ones is the Public Health Service Act, and
24 allergenic products are considered biological products, and
25 as a Public Health Service officer, I certainly like

1 implementing the Public Health Service Act.

2 It says related to licenses that licenses may
3 be issued only upon showing that the establishment and the
4 products meet standards. So standardization is a statutory
5 requirement. It also in addition states that the Public
6 Health Service may prepare and distribute any product
7 described in Section 351 -- i.e., that the Service may
8 prepare and distribute U.S. Standards of Potency. This law
9 goes back to 1902.

10 The panel on review of allergenic products
11 which was mentioned in Steve Falter's talk, when they
12 reviewed all the non-standardized products that were on the
13 market, concluded "The panel therefore has recommended that
14 the large proportion of these preparations be retained on
15 the market and made available for continued use pending
16 adequate standardization and further investigation to
17 determine their effectiveness." So there's always been
18 both the statutory requirement and the consensus of the
19 advisors that these products need to be standardized, and
20 that's been the aim of the program, the clinical laboratory
21 program for the last couple of decades.

22 Now, what's the advantage of a U.S. Standard of
23 Potency? It should be noted that when we approve a U.S.
24 standardized product, like grasses last year, all the
25 products labeled "No U.S. Standards of Potency from the

1 Same Source" are removed from the market. The value of the
2 U.S. Standard of Potency is that it's a common unit, now in
3 BAUs. That means it's interchangeable if the patients or
4 physicians go from one health plan to another. It's very
5 easy to switch from one company to another, depending on
6 the availability. It's quite cost-effective because it's
7 very easy to compute cost per BAU. This has a downward
8 pressure on cost, which is a Healthy People 2000 and 2010
9 initiative for DHHS.

10 There's a tremendous value of information
11 which, because it's applicable to all products labeled with
12 the same unitage with respect to safety and efficacy, if
13 patients read or physicians read that 100 to 500 BAUs is an
14 optimal therapeutic dose, and 500 units and above is a
15 maximum tolerated dose associated with increased risk, they
16 can find out what dose they're getting and whether that's a
17 safe dose or potentially a hazardous dose. Also, any
18 complaints or adverse events related to a particular
19 product BAU, when it goes into the database, all products
20 can go into the same database. So there's a tremendous
21 value because the entire product labeled with that unit
22 information can be easily used by the physician and the
23 patient.

24 Having a U.S. standard I think promotes
25 innovation because it's easy to compare the innovative

1 product with respect to the standard product to see whether
2 it's equal or superior. Also, the development of a U.S.
3 standard relies on consensus with manufacturers and
4 academia, so that there are defined criteria for what
5 constitutes a standard. I should say that in Europe, where
6 there is no Public Health Service Act, there's no FDA, that
7 the European Pharmacopeia permits in-house or proprietary
8 standards, which Daveck has characterized at the last Paul
9 Ehrlich meeting in Bethesda at the NIH, that this has
10 resulted in a proliferation of units, a jungle of units,
11 great confusion among users, marketing claims that are more
12 apparent than real, bondage that physicians are kept in to
13 individual manufacturers because of the obvious risks in
14 switching products which are not interchangeable.

15 I don't know if this is the marketplace you
16 want in the U.S. I think this is what the statute
17 requires. That's what I would support.

18 Now, with a proprietary standard, one has
19 competition based on units per cost, leading to this jungle
20 of units, increased number of units to differentiate
21 products. There's decreased value of information because
22 each manufacturer's product-related information is not
23 applicable to any other products since they're all labeled
24 with different units, which is very different when you have
25 a common unit and safety and efficacy data tied to a

1 certain unit. Whereas a U.S. standard promotes innovation,
2 I think that having proprietary standards promotes
3 marketing.

4 And the manufacturer-dependent standard has
5 what I think Jay Slater mentioned, which is what's the
6 criteria for an acceptable standard if you have an in-house
7 standard, and I don't know that the European Pharmacopeia
8 puts any minimal criteria, whereas we have well-defined
9 criteria.

10 Now, one of the chief aims of the clinical
11 program when I came was how we were going to have bioassays
12 in humans that define the potency, composition, and the
13 biological basis for assignment of units to standardized
14 products. The paradigm that fit very well in terms of
15 termination of potency, which Jay mentioned earlier in his
16 talk, is the concept of relative potency, which is simply
17 the ratio of doses for identical response. The first
18 approach to using the concept of relative potency was with
19 the parallel-line assay, where the difference between these
20 two parallel lines, these log dilutions, is the ratio of
21 dose for the identical response. In this case, it's
22 allergic response.

23 However, this is a graph from a paper by Gleich
24 in the early '70s looking at RAST inhibition, where you can
25 use parallel-line assays to look at the ratio of doses for

1 the inhibition response. So you can use the same paradigm
2 of relative potency in vitro and potentially look at the
3 relative potency in vivo. It should be noted that although
4 this was done in 1974 by Gleich at Mayo, it was supported
5 by FDA and Harold Baer, who was the chief of the Allergenic
6 Products Branch at the time, who was one of the co-authors
7 on this paper. In this paper they did correlate the
8 difference between these different dose-response lines with
9 the skin test reactivity and showed there was a
10 relationship: the most potent required the smallest dose
11 to elicit a certain size response.

12 However, FDA did not feel comfortable using the
13 kind of skin test data they had at the time because it did
14 not have defined accuracy or precision. So they clinically
15 didn't feel they had a good basis for the clinical
16 validation of the methodology. So in terms of developing
17 the skin test relative potency assay, we used intradermal
18 testing, which is a more sensitive approach and a more
19 accurate delivery of allergen than percutaneous testing,
20 and one can do a serial titration, which is standard
21 practice, and outline the responses.

22 You can see that in these three-fold responses,
23 that the wheal response is pretty flat even though this
24 dose is nine times more potent than this dose, whereas the
25 erythema response increases quite rapidly near the

1 endpoint, and we can make a permanent record for the
2 notebook and then measure the sum and the longest
3 orthogonal diameters to get an estimate of the intensity of
4 the response.

5 As I mentioned, if you compare dose-response
6 lines using wheal versus erythema, near the endpoint
7 erythema dose-response is much steeper than wheal, and you
8 compare the identical extracts to each other where the
9 relative potency should be 1. A 95 percent confidence
10 interval around parallel erythema dose-response is about
11 three-fold, whereas they're about ten-fold using wheal
12 dose-response lines. That's why the skin test assay we use
13 relies on the erythema dose-response line.

14 These are the clinical criteria for an
15 acceptable dose-response line. It has to have four serial
16 dilutions, a graded erythema, a very high coefficient
17 correlation exceeding 0.92, and the sum of erythema should
18 bracket 50 millimeters, which is near the midpoint of the
19 dose-response line, and include the endpoint, and this
20 ensures a steep slope. So it has both clinical and
21 statistical criteria for an acceptable dose-response.

22 One of the issues that we have to address is
23 what was the quality of the data being submitted, and just
24 like FDA requires clear for laboratory tests, we developed
25 a proficiency program for clinical testing. Now, I don't

1 think any training program requires proficiency testing for
2 clinical skin testing, but I think they ought to. We had a
3 proficiency skin test method for the last decade, and I've
4 asked investigators submitting data in support of
5 standardization, or even just clinical trials comparing IND
6 products in terms of patients, that they submit proficiency
7 data in support of skin test data that they're submitting
8 to ensure that they carried it out with acceptable accuracy
9 and precision.

10 The approach we took was to take two known
11 concentrations of histamine base, 1.8 with respect to 0.1.
12 Here we know that the relative potency should be 18-fold.
13 Then they can do within a subject a titration of each of
14 those concentrations and get the dose-response lines, make
15 certain it met the criteria for an acceptable dose-response
16 line, determine whether the lines were parallel, and then
17 calculate the horizontal distance between the two dose-
18 response lines. In this particular case, let's say it's
19 18.2, and one can take the observed relative potency over
20 the theoretical relative potency and get a handle on the
21 accuracy of the test.

22 Based on data from many different clinical
23 settings and operators, when you do four subjects with
24 those histamine titrations, the observed value should be
25 65.7 to 152.2 percent of the expected or theoretical value

1 of acceptable accuracy, and your standard deviation mean
2 should fall below this 99 percent upper limit to have
3 acceptable precision. When somebody submits this data,
4 then we consider them proficient in the parallel-line
5 estimate of relative potency.

6 This is just an example comparing ELISA
7 relative potency estimates based on the parallel-line ELISA
8 inhibition curve versus skin test relative potency
9 estimates. These are for the eight different grasses that
10 were standardized as of last year. It doesn't matter which
11 is which, just to say that the estimates based on the in
12 vitro estimate of relative potency are very similar to the
13 in vivo relative potency estimates.

14 We presented data like this from using RAST
15 inhibition, showing that the relative potency by RAST
16 inhibition is highly predictive of the relative potency by
17 skin test in the mid '80s when we standardized mites.

18 Now, one of the major emphases in terms of
19 allergy standardization -- King and Phil Norman were
20 involved in isolating the first major allergen, Antigen E
21 from short ragweed -- was to define the major allergen for
22 FDA operationally. That is that the relative potency based
23 on the major allergen content is particular to relative
24 potency based on parallel-line skin test. Again, when Phil
25 Norman published a paper showing that the content of

1 Antigen E was inversely associated with the dose required
2 to give a 1+/2+ skin response, that paper was funded by
3 FDA, and again Harold Baer was one of the co-authors.

4 Also, at that time, Antigen E at the time was
5 not -- FDA did not move on requiring that for allergen
6 standardization because --

7 DR. FREAS: Dr. Turkeltaub, I hate to interrupt
8 you, but could you use this microphone instead?

9 DR. TURKELTAUB: Is that okay?

10 DR. FREAS: Is that any better in the back of
11 the room?

12 DR. TURKELTAUB: Is it all right?

13 I was going to say that when Phil Norman showed
14 that the Amb a 1 content was inversely associated with the
15 dose of allergen required for a 1+/2+ skin test, again FDA
16 felt uncomfortable proceeding with standardizing short
17 ragweed based on Antigen E at that time because, again, the
18 precision of the skin testing was not known. It was felt
19 that the +/-1 10-fold dilution they were using, at least
20 10-fold dilution, so that was plus potentially 100-fold
21 variability in the estimate, plus they weren't using a
22 quantitative skin test. It was a 1+ skin reaction, which
23 could vary in size from a 5 to 10 millimeter wheal to 10 to
24 20 millimeters erythema.

25 So we're talking about a very imprecise

1 clinical estimate at the time. However, when we developed
2 the parallel-line skin test model based on the erythema
3 response, then we could take a look at the relative potency
4 based on Amb a 1 content with respect to relative potency
5 based on parallel-line skin tests. This kind of data gave
6 us an operational basis for labeling Amb a 1 a major
7 allergen and using this kind of clinical data to support
8 going ahead and standardizing short ragweed based on its
9 Amb a 1 content.

10 Similarly, with Fel d 1, we had the opportunity
11 to look at relative potency based on Fel d 1 content versus
12 the relative potency based on skin test. It was a portion
13 of the data we collected, and there was a nice association
14 then too, showing that Fel d 1 was a major allergen.

15 As I mentioned in response to Dennis Ownby, Der
16 p 1 is not a major allergen. We could find no correlation
17 of RAST or skin test relative potency based on Der p 1. It
18 was presented to the advisory committee, and they agreed on
19 that basis to go with RAST inhibition as the potency assay.
20 As I mentioned, in the Journal of Allergy in 1988, Der p 1
21 accounts for a negligible percent of the overall allergenic
22 activity of D. pteronyssinus using either skin test or
23 histamine release. It was about 5 percent, which was the
24 variability of the assay.

25 According to Lowenstein in a recent chapter on

1 manufacturing and standardizing allergen vaccines in the
2 Marcel Dekker book on allergen immunotherapy, major
3 allergen content is not a measure of overall allergenic
4 activity. You need to use RAST inhibition. In a paper
5 cited by Jay Slater earlier, Haugaard, where they looked at
6 the optimum dose of Der p 1 for immunotherapy, the methods
7 section indicates that the total allergenic activity was
8 measured by RAST inhibition, not Der p 1. Der p 1 is not a
9 major allergen. It's not even a potency measure, if you
10 talk about potency being the overall or total allergenic
11 activity.

12 Then again, we have Dr. Lin's paper, which Jay
13 Slater mentioned earlier. There's no correlation between
14 the relative potency by using ELISA inhibition using a
15 human IgE serum pool against D. pteronyssinus. There's no
16 correlation with that and the content based on Der p 1.
17 Der p 1 fell off quite rapidly in a very short period of
18 time. ELISA inhibition in terms of its IgE binding stayed
19 up quite well.

20 There's a whole bunch of allergens out there
21 that are probably like Der p 1, and they could be whatever,
22 you name it, and what I'd like to see is validation, that
23 when somebody calls it a major allergen, that it in fact
24 does account for the overall allergenic activity. That
25 would be the paradigm I would use for defining a major

1 allergen.

2 With respect to compositional differences, we
3 heard talks earlier, sophisticated approaches using MALDI-
4 TOF or immunoblot approaches for looking at compositional
5 differences in the lab. I was interested in looking at
6 compositional differences, whether they're clinically
7 meaningful or not. One way to do that is to look at the
8 inter-patient variability of relative potency, and if it
9 exceeds the upper limits seen with identical extracts, it's
10 likely that that means those products are compositionally
11 different. That means the relative potency is patient-
12 dependent for compositionally different extracts.

13 But extracts which are compositionally
14 identical, the relative potency should be identical
15 regardless of the patient tested. We have an inter-patient
16 variability of RP. It's 99 percent upper limit of SD. So
17 if we see a relative potency estimate that exceeds that 99
18 percent upper limit, it's likely that that indicates lack
19 of compositional identity.

20 We had an opportunity to apply this in a real-
21 world application when looking at cat pelt versus cat hair
22 extracts. Cat pelt contains cat serum proteins; cat hair
23 doesn't. Cat serum protein does not contain Fel d 1,
24 contains non-Fel d 1 allergens. So patients who are cat
25 allergic but negative to cat serum are primarily Fel d 1

1 reactors. Cat allergic patients that are reactive to cat
2 serum react to both Fel d 1 plus non-Fel d 1 allergens.

3 Then we looked at a pelt extract and a hair
4 extract. The hair extract had 27 percent more Fel d 1 than
5 the cat pelt did. The cat hair had 15 Fel d 1 units. As
6 expected in the skin test estimates in cat serum-negative
7 folks, these are Fel d 1 reactors, the skin test relative
8 potency was very similar to the relative potency based on
9 the Fel d 1 content, as would be expected. However,
10 interestingly, in the cat allergic patients allergic to cat
11 serum, the cat hair, despite having 27 percent more Fel d
12 1, had only one-sixth of the potency of the pelt. So that
13 meant if the patient was on a cat hair extract and switched
14 to a cat pelt extract that equalled Fel d 1 content, and
15 that was a serum reactor, that person could be potentially
16 overdosing, getting six times the overall allergenic
17 activity.

18 In the opposite case, if the person was on a
19 pelt extract and switched with a hair that equalled Fel d
20 1, the person would only get one-sixth of the activity that
21 that patient had seen in the pelt, because the pelt
22 contained so much non-Fel d 1 allergen. Based on this
23 clinical kind of data, cat pelt was labeled not
24 interchangeable with cat hair despite having similar Fel d
25 1 content.

1 Lastly, the clinical research program was used
2 to develop a bioassay, a clinically-based method for
3 assigning unitage to standardized allergens. Initially,
4 the WHO -- and it still does -- uses arbitrarily 100,000
5 international units. Before we developed the bioassay
6 method, we assigned arbitrary allergy units, 100,000, and
7 then we developed an approach to biologically -- i.e.,
8 clinically-based units.

9 The unitage for biologically-based units should
10 reflect the allergenic activity. Ideally, it should be
11 common to all the allergens, and similar units should
12 produce similar clinical effects in patients of similar
13 sensitivity if it really works optimally.

14 The approach to defining potency using this
15 approach was based on the dose-response line, which I've
16 mentioned how we've defined, both clinically and
17 statistically, and it's the dilution for a 50 millimeter
18 response, where the D50 defines the potency of that product
19 in that particular subject. And we also have a histamine
20 proficiency program for knowing whether the person can
21 target an appropriate D50 using histamine base 0.1 and 1.8,
22 with 95 percent limits and an upper limit on the standard
23 deviation. If they fall within these limits, then we can
24 say that they're proficient with respect to D50 estimates.

25 The kind of subjects that we were interested in

1 evaluating were subjects who were likely to have severe
2 disease related to the allergen of interest, and these were
3 subjects with large skin test reactions by puncture test.
4 We had access to the Second National Health and Nutrition
5 Survey, which skin-tested a cross-sectional sample of the
6 U.S. population in subjects with this size reaction. Sum
7 of erythema greater than 75 millimeters accounted for less
8 than 5 percent of all allergic subjects. So we took the 5
9 percent most reactive individuals we could find with the
10 appropriate allergic disease, and we considered these to be
11 the highest-risk subjects for both disease and adverse
12 events if inadvertently overdosed.

13 We used the old Wyeth smallpox vaccine needle
14 for the percutaneous test device. Now it's marketed by
15 Allergy Labs of Ohio. We looked at a sample of extracts:
16 short ragweed, perennial rye grass, white oak, English
17 plantain, white pine, mountain cedar, and cat. We expected
18 short ragweed with a high Antigen E and perennial rye grass
19 to be very potent materials, and we looked at the mean D50,
20 and they were sort of similar. We looked at white oak and
21 plantain, and they were a couple of log dilutions,
22 threefold dilutions less potent. As expected, white pine
23 and mountain cedar in Bethesda are not potent allergens,
24 and they were many logs less potent than these products.
25 So clinically this kind of data worked with what our

1 expectations would be from a clinical experience, and cat
2 seemed to be similar to white oak and English plantain, a
3 little less reactive than perennial rye grass and short
4 ragweed.

5 Although there are these marked individual
6 differences, when you grouped weeds and grass and tree
7 pollens, and cat and mite and cockroach alternaria, the
8 mean D50s weren't all that different, which suggested that
9 we wouldn't have a great chaotic distribution of potencies,
10 that most, if manufactured appropriately, could be
11 manufactured to have overall similar potency, which would
12 be the aim of standardization.

13 We did a frequency distribution on D50s on 43
14 products, and these were the means at each of the
15 dilutions, D50 dilutions, threefold dilutions. We found
16 that the mode was at a D50 dilution of 14, which is 3^{-14}
17 dilution for a 50 millimeter sum of erythema response.
18 When you do 15 subjects, the 95 percent confidence interval
19 is about +/-1 threefold dilution, and that's why these bars
20 are at about 10-fold intervals, and why these are in 10-
21 fold decrements. We labeled this mode as a reference group
22 of allergens since it contained the most potent extracts,
23 short ragweed with large amounts of Antigen E, and 1-20
24 weight by volume grass extracts. We labeled them 100,000
25 bioequivalent allergy units, and then based on the D50, the

1 decrements.

2 When we standardized mite extracts, that fell
3 within the 10,000 range. When we did cat, it fell within
4 the 10,000 range. When we did grass, initially it was
5 above the 100,000 range, and we got concerned whether that
6 was going to be too potent if we standardized grass at such
7 a high range since we had a lot of experience at the 10,000
8 range. So when we looked at grass and presented it to our
9 advisory committee previously, we decided that we would
10 target 10,000 grass so it's consistent with mite and cat,
11 but make 100,000 available if physicians wanted a higher
12 potency for preparing mixtures.

13 Now, the concern I would have with cockroach
14 and latex is that I'm not certain if latex is 100,000, a
15 million, 10 million, or 100 million. I'd like to know what
16 the D50 estimate is on that product before I feel
17 comfortable with its potential safety in the U.S.
18 population. Cockroach, again, our experience in the '80s
19 was that it can be around 10,000, and some of our
20 experience in the last couple of years is that maybe it's
21 more like 1,000. Whether that's the best product, we need
22 to work on that. Since Jay only came in the last six
23 months, I think that question may be addressed in the
24 future if these kinds of studies are ongoing.

25 When you look at 10,000 BAU extracts, and we

1 looked at the percutaneous reactivity in that very highly
2 sensitive population, we looked at overall percutaneous
3 erythema and wheal to the grass extract, standardized
4 grasses to Df and Dp, mite, cat hair, cat pelt, and as we
5 expected, the percutaneous reaction sizes are similar,
6 which goes along with what we said if biological
7 standardization works. An intradermal dose for a 50
8 millimeter sum of erythema response for all these different
9 products is 0.02 BAUs per mL. If you take 3¹⁴ times 100,000
10 BAUs, it comes out to 0.02 BAUs as you go in 10-fold
11 decrements.

12 This is important, 0.02, because if the doctor
13 does a puncture test and looks at the reactivity of the
14 patient tested, and looks in the package insert and it's
15 similar to that puncture test reaction, then there's an
16 intradermal guide in there about what kind of intradermal
17 responses were seen in very highly sensitive patients, and
18 this is the mean kind of response. You also look at the
19 literature, and patients who react at this level of 0.02,
20 which is about a 1-to-5 million or thereabouts dilution of
21 the concentrate, they are at high risk of having serious
22 adverse events if overdosed, of having more disease more
23 likely to be related to the allergen you're interested in
24 immunizing with, and also these patients are more likely to
25 respond beneficially to immunotherapy. So it has important

1 prognostic/diagnostic value.

2 Maybe we could flip this slide in the right
3 direction.

4 DR. WRAY: Excuse me. While you're doing that,
5 could I ask whether -- you'd mentioned that mountain cedar
6 was so much less reactive in people here. So where were
7 these people from? Did you take that into account?

8 DR. TURKELTAUB: We have Juniper Virginianis,
9 Virginia cedar, locally. So I assume that's cross-
10 reactivity because it's a local -- we don't have mountain
11 cedar here.

12 DR. WRAY: Right.

13 DR. TURKELTAUB: So that's why I expect it to
14 have less reactivity, just like white pine.

15 DR. WRAY: But when you're standardizing, do
16 you use people just from this area, or are you looking --

17 DR. TURKELTAUB: No. If we were going to
18 standardize mountain cedar, which I think would be
19 interesting to standardize, we would go to where mountain
20 cedar is.

21 DR. WRAY: Thank you.

22 DR. TURKELTAUB: In terms of the clinical
23 research program proposals, what I'd like to see in terms
24 of determination of D50 for the candidate latex and
25 cockroach reference extracts, we're trying to develop that

1 data. Since Jay has only recently come, we're going to see
2 if we can get some clinical sites to actually generate that
3 data now that we have a lab that can evaluate our working
4 reference and make certain it's satisfactory, which it
5 seems to be. The question is whether this approach will be
6 applicable to future references.

7 I'd like to validate whether some of these
8 allergens that claim to be major allergens are really major
9 allergens in that they are predictive of the overall
10 allergenic activity.

11 I'd like to see whether some of the assays that
12 we're looking at in the lab, to see if compositional
13 identity is, in fact, clinically relevant.

14 I'd like to see studies of diagnostic and
15 therapeutic safety and efficacy carried out, like we did
16 with some of the studies that Jay mentioned earlier that I
17 was involved with in terms of short ragweed. I think the
18 most important element here was doing diagnostic studies of
19 safety and efficacy, because there's been a tendency to do
20 therapeutic studies without first knowing whether you can
21 actually diagnose the person accurately.

22 In addition, we're carrying out studies with
23 the Centers for Disease Control using the Third National
24 Health and Nutrition Survey to look at prevalence of
25 allergic disease in the U.S. We skin-tested I think 30,000

1 U.S. citizens as a sample of the U.S. population to look at
2 percutaneous hypersensitivity to standardized allergens.
3 We're interested in analyzing that data. That's just
4 starting now.

5 We're doing a mortality follow-up of the
6 allergy portion of the Second National Health Survey to see
7 if atopy influences mortality. We've finished up a CRADA
8 with the Center for Devices and CDC on a seroprevalence
9 study of IgE reactivity using the Third National Health and
10 Nutrition Survey. We're doing a study with the National
11 Center for Health Statistics on the impact of allergic
12 disease on fertility since we know most of the allergens
13 people are hypersensitive to are reproductive proteins, and
14 we know TP is reported on homologies in some of the insect
15 venom allergens with reproductive proteins.

16 What's not on here, and probably one of the
17 most important issues with respect to adjuvancy, is whether
18 the worldwide increase in allergic disease is related to
19 exposure to a Th2 adjuvant. The developed world is exposed
20 to aluminum, and whether that is having any impact on the
21 increasing prevalence of allergic disease worldwide.

22 DR. SAXON: Can I ask you before you go on, I
23 wasn't clear if the NHANES III is still going on.

24 DR. TURKELTAUB: No, it ended in 1994.

25 DR. SAXON: So under 4A, I wasn't quite clear

1 what you meant.

2 DR. TURKELTAUB: We're only now getting the
3 data to analyze.

4 DR. SAXON: So it's all been tested, you're
5 just crunching.

6 DR. TURKELTAUB: Testing was 1988 to 1994.

7 DR. SAXON: Okay, that's fine. And what was
8 the IgE seroprevalence? I wasn't clear. Do you mean just
9 the serum levels of total IgE?

10 DR. TURKELTAUB: No, total and specific.

11 DR. SAXON: I see. Okay. I got it.

12 DR. TURKELTAUB: To many of the materials that
13 were skin tested, to look at the relationship between the
14 IgE and the skin test reactivity, and the history.

15 DR. SAXON: Thank you.

16 DR. TURKELTAUB: Any questions?

17 DR. OWNBY: Any additional questions for Dr.
18 Turkeltaub?

19 DR. UMETSU: This is a question I asked Jay
20 already, and I'm getting a little confused. In terms of
21 the standardization of, say, grass and perhaps cat, what
22 measurements are you using to standardize it? Is it the
23 skin test reactivity as you had talked about, or is it
24 ELISA data that Jay had talked about?

25 DR. TURKELTAUB: Are you talking about what the

1 manufacturers do with each lot of material they
2 manufacture, how they know what the relative potency is
3 compared to the prior lot? Or are you talking about how we
4 define what our U.S. standard originally is?

5 DR. UMETSU: Or both. Both.

6 DR. TURKELTAUB: Well, it's different, because
7 the manufacturers are not required to do any clinical
8 testing. We've done the clinical testing and validated our
9 in vitro assays so that we know that they're clinically
10 relevant, and then we give it to the manufacturer off the
11 shelf and say, "This is a validated in vitro assay, you can
12 use it for subsequent lots since we have already validated
13 that it, in fact, predicts the clinical relative potency."
14 So the manufacturer uses the in vitro relative potency
15 estimate which has been validated as being clinically
16 relevant.

17 DR. UMETSU: So you've compared directly the
18 skin test reactivity and ELISA assay, and they come out to
19 be --

20 DR. TURKELTAUB: Well, I showed one slide, and
21 we had limited experience at the time that we did it, where
22 we had ELISA relative potency in that bar graph, and in
23 vivo relative potency, and they were quite consistent.

24 DR. UMETSU: And you've done that for all the
25 antigens?

1 DR. TURKELTAUB: Well, the only antigens that
2 we have are Fel d 1 and Amb a 1. We did it for Der p 1 and
3 rejected it because it didn't correlate. The rest have
4 been RAST inhibition for mite, and now ELISA inhibition for
5 grass. For latex or cockroach, presumably it will be ELISA
6 inhibition because that's probably the best overall
7 estimate of allergenic activity, and I'd like to see some
8 clinical validation of those estimates, that they are, in
9 fact, applicable. I would suggest they would be, but I'd
10 like to see the data.

11 DR. OWNBY: Any other questions?

12 DR. TURKELTAUB: T.P.

13 DR. KING: It's actually about grass pollen
14 allergen. Aren't the number of major allergens really
15 limited in the grass, or they are now reliable also?

16 DR. TURKELTAUB: You know, T.P., I'm a skeptic.
17 I'm only convinced by data, and I haven't been able to
18 generate -- for one, I don't have access to all the
19 reagents.

20 DR. KING: That may be a problem.

21 DR. TURKELTAUB: Two, I'd need a nurse to do
22 the studies because you need a clinical person who is
23 dedicated to quality control kind of stuff, and at this
24 point we don't have such a person to do the kind of
25 clinical studies that could be done in the future, if that

1 was the way we were going to go in the future.

2 DR. WRAY: My question was about grass also. I
3 thought that the titrated erythema was the basis on which
4 the grass pollens were standardized.

5 DR. TURKELTAUB: In terms of our U.S. standard?

6 DR. WRAY: Yes.

7 DR. TURKELTAUB: In picking the standard, when
8 we looked at assigning units, what got 100,000, what got
9 10,000, that was based on those D50 estimates. The D50 is
10 the dilution for 50 millimeter sum of erythema response, a
11 2+ skin reaction.

12 DR. WRAY: And were all those subjects from
13 this area?

14 DR. TURKELTAUB: No, not all, because Bermuda
15 came up as being less potent in our hands, and so the
16 advisory committee asked us to replicate that data in an
17 area where Bermuda was a much more important allergen than
18 in our area. We went to Vanderbilt University and John
19 Murray replicated the D50 estimate for Bermuda where we
20 found it.

21 DR. WRAY: So that's how we wound up with two
22 different ones, one labeled 10,000 BAU and the other one
23 labeled 100,000. Clinically, we see them identically. We
24 haven't done the titrated erythema, but just in terms of
25 pricks.

1 DR. TURKELTAUB: Well, I think puncture
2 testing, depending if you're looking at wheals, everything
3 looks pretty flat. You're not going to be able to make
4 distinctions with wheals. You're going to need to have
5 well-defined titrations to pick up the differences we're
6 talking about. Unless you do it this way, you're not
7 likely to detect a difference the way you're doing it.

8 DR. OWNBY: Any other questions?

9 DR. TURKELTAUB: And I think with Bermuda it's
10 been shown in in vitro studies that it was less active. I
11 think Bernstein did a study way back when people were doing
12 a lot of RAST inhibition studies with different grass
13 extracts that Bermuda was less active in RAST inhibition
14 than the other grasses were.

15 DR. WRAY: Okay.

16 DR. OWNBY: Thank you, Paul.

17 We've managed to drift a few minutes late, but
18 I think it's now time to open the public hearing.

19 Bill, would you like to update us on who has
20 requested time to speak?

21 DR. FREAS: Sure. As part of the advisory
22 committee procedure, we hold an open public hearing for
23 members of the audience who are not on the agenda to have a
24 chance to participate in presenting issues before the
25 committee relating to today's topics. To date, I have

1 received one request to speak before the committee, and
2 that is from Dr. William Storms from the Joint Council of
3 Allergy, Asthma, and Immunology.

4 Dr. Storms, would you come to the podium at
5 this time?

6 While he's approaching the podium, I asked for
7 him and all other speakers to please address, in the
8 interest of fairness, any current or previous financial
9 involvement with any firm whose products you may wish to
10 comment upon. Thank you.

11 DR. STORMS: Thank you. I'd like to thank the
12 committee for the opportunity to give this presentation.
13 The discussion that I'm about to embark upon relates to the
14 package inserts for inhalant allergenic extracts. The
15 Joint Council of Allergy was prompted to propose that a
16 committee be put together, of which I am the chairman, to
17 discuss these package inserts because different
18 manufacturers, as you know, have different package inserts,
19 and these differences may make it confusing not only for
20 physicians but also for patients. We felt that it may even
21 create a safety risk because of the different
22 constructions.

23 The committee was myself, Dr. Don Aaronson,
24 Drs. Robert Miles, Tom Fisher, Hal Nelson, and Peter
25 Credikos. We have met by conference call various times

1 over the last two years to look at these package inserts
2 and try to come up with something that we thought we could
3 put forward to your committee as a proposed inhalant
4 allergenic extract package insert. You have that document
5 in front of you.

6 What we did was we took many of the items from
7 the current package inserts and then tried to put them in a
8 format that would be somewhat flexible and could be adapted
9 by different extract manufacturers for different allergenic
10 extracts. I'm not going to go through the document. I
11 think it speaks for itself. I'd be happy to answer any
12 questions.

13 DR. OWNBY: Are there questions for Dr. Storms?
14 (No response.)

15 DR. OWNBY: What would you like us to do with
16 this document?

17 DR. STORMS: Well, if you deem it to be
18 something that would improve upon what we have out there
19 now, then I think it would be -- you might consider it
20 appropriate to send it to the extract manufacturers as a
21 draft or a format by which they could then -- this is for
22 inhalant allergens -- by which they could modify it for
23 their own extracts.

24 DR. SAXON: I have two copies here, one from
25 November 24, 1998, in the packet, and another from the year

1 -- actually, 19,999. I just wanted to make sure they were
2 identical. Do you know?

3 DR. FREAS: I can explain the difference. The
4 one that you received in the mailout -- Dr. Storms was
5 planning on making a presentation. When he decided to make
6 a presentation, he sent us a more recent copy, which I
7 believe is the same, but I'll let him decide on that one.

8 DR. SAXON: I was just trying to make sure it
9 was the same because I don't want to go over the different
10 points if they're the same one.

11 DR. STORMS: Well, I'd have to go through and
12 look at it, but it appears to be the same.

13 DR. SAXON: Okay. We should be working from
14 the January one?

15 DR. STORMS: I'd go with the January one.

16 DR. OWNBY: Do you have any instances of
17 practitioners reporting problems because of the differences
18 between manufacturers in the current product inserts? I
19 mean, I'm sure your committee didn't decide just to make
20 work for itself, but I wonder how strong the impetus was to
21 try to come up with a standardized insert.

22 DR. STORMS: Well, we haven't, to my knowledge,
23 had any bad reports, so to speak. There have been some
24 concerns about changing extract manufacturers in midstream.
25 In another words, if a given clinic or managed care

1 organization buys from one manufacturer, and then on
2 January 1st they get a better deal from the other
3 manufacturer, they switch everybody to the other
4 manufacturer, what are the potential implications for the
5 patient?

6 In this document, you'll see something that
7 isn't in most of the package inserts, and that is some
8 guidelines for changing dosages if you switch from one
9 manufacturer to another, or if you switch from an extract
10 that has been expired a little bit, the same manufacturer
11 with a fresh extract.

12 DR. OWNBY: Would any of the people in the
13 audience like to comment on this? I'm sure there are
14 several manufacturers who have read through this
15 previously. Does anyone want to comment? Good idea? Bad
16 idea? You don't want to know about it?

17 DR. STORMS: And the document was sent to
18 whatever group it is, the Extract Manufacturers Committee
19 -- is that what it's called? -- for review.

20 DR. MARABEL: I'm Dave Marabel from Bayer. I
21 believe it was reviewed in part through APMA. From Bayer's
22 standpoint, I think we're a little cautious on this because
23 this isn't a generic industry. It's an individual industry
24 of various manufacturers, and I think from Bayer's
25 standpoint we want to make sure before we get into this

1 that our identity and uniqueness is preserved in this
2 insert.

3 DR. STORMS: There are areas within this
4 recommended package insert where each manufacturer can put
5 information relating to their own extracts and what
6 differentiates them from other extracts, for instance, and
7 what the different concentrations are. Maybe that should
8 be more flexible. We didn't receive any comments back from
9 that group, by the way.

10 DR. SAXON: I have a question for maybe the FDA
11 representatives here. Even drugs such as beta blockers,
12 they're very similar but have very different package
13 inserts. Would this kind of approach be something that has
14 precedent, or would this be relatively unique for the FDA
15 to come out and say, "We're going to set the block for this
16 group of materials"? I'm just not familiar with whether
17 this is precedent-setting or just old hat.

18 DR. HOFFMAN: It's certainly not consistent
19 with my experience. It's not something that we encounter.
20 I've not encountered it previously. I don't know if anyone
21 has.

22 Martha or Paul?

23 DR. TURKELTAUB: I agree that we have never
24 mandated boilerplate labeling. Everybody has been
25 permitted to -- in the Code of Federal Regulations it has a

1 content and format for regulating, but the companies put in
2 each of the subheadings what they feel is appropriate, with
3 guidance.

4 DR. SAXON: Yes, and they have their own
5 council to help them with their own vantage point.

6 DR. TURKELTAUB: So I think maybe the most
7 useful thing is if there would be highlighted some
8 particular elements, as opposed to a boilerplate that is
9 missing. In reading this, it seemed like a lot of the
10 items are already in a lot of the inserts. Now, if we can
11 respond to specific instances where they aren't in inserts
12 and ask the company to put it in there, as opposed to
13 saying "Use this verbiage," but I'm not aware that it's
14 missing. I don't know which companies it may have been a
15 problem for.

16 DR. SAXON: So I guess what you're saying is
17 that if they could identify what they thought the critical
18 elements were, it would be better than trying to provide a
19 boilerplate, which would be a little unusual for the FDA to
20 say, "Write this," rather than saying, "You should have
21 these six key elements covered," and then the manufacturers
22 would go back.

23 DR. TURKELTAUB: Exactly. As far as I know,
24 I'm not aware that any insert doesn't cover this.

25 DR. SAXON: Well, there is an issue, obviously.

1 The Joint Council felt it important to find out exactly
2 what those key issues are, rather than trying to mandate a
3 boilerplate for an industry.

4 DR. HOFFMAN: My surmise is that if the Joint
5 Council worked with the manufacturers to help them provide
6 language in their package inserts that would be more
7 general that would be consistent with what they were trying
8 to achieve, then it would behoove the manufacturers to then
9 amend their licenses and put it in the package insert
10 consistent with that language. It wouldn't be an
11 impediment for our reviewing the same package insert from
12 each company, but I think they would have to be reviewed as
13 part of each license.

14 DR. SAXON: There's only nine manufacturers?
15 Is that right? How many?

16 DR. HOFFMAN: Well, I think there are different
17 allergens that not every manufacturer manufactures.

18 DR. SAXON: But I meant for inhalants in
19 general. This is just for inhalants, right?

20 DR. STORMS: Inhalants, correct.

21 DR. SAXON: So there are only, I think -- I
22 heard today on the order of nine. I don't know if that's
23 correct.

24 DR. WILLOUGHBY: Hi. I'm Tom Willoughby with
25 Antigen Laboratories. There are a couple of things that

1 this package insert doesn't address. It doesn't address
2 the many types of skin testing, RAST testing-based
3 treatment modalities. Also, with the companies that do
4 extract directly in glycerin and don't do an aqueous
5 extraction and put the glycerin in later, we don't have the
6 variability that some of the other companies do in their
7 products, which is not addressed in this.

8 DR. STORMS: To your first point, we didn't
9 want to get into a full practice parameter on skin testing,
10 or a guideline or something like that -- excuse me, on
11 allergen testing, whether it be skin or in vitro. But we
12 did reference the practice parameters on allergen
13 diagnostic testing in the references for those people who
14 wanted to look that up.

15 To the second point, the section -- I think
16 it's on the first page under "Description." There's a
17 point that's made, "Each company may insert a description
18 of their extract in this space," and that would be meant to
19 allow you to identify if it's glycerin or how you extract
20 it or what the constituents are.

21 DR. OWNBY: Any other questions or comments for
22 Dr. Storms?

23 DR. WRAY: I was just going to comment that I
24 think the first line is one that's very important to
25 allergist immunologists, but I'm not sure whether it's in

1 the current package inserts or not, and that is that "This
2 product is intended for use only by physicians who are
3 experienced in the administration of high-dose allergen
4 injection therapy," because I think the pressure is on by
5 many insurance companies and managed care for non-
6 allergists to prescribe immunotherapy and supervise the
7 administration of it. So that may be one of the key
8 elements, and I'm sorry, I don't know whether it's already
9 in the package insert or not.

10 DR. STORMS: In some of the newer, more recent
11 package inserts, especially the standardized animal
12 danders, there is a statement similar to that. It isn't
13 quite the same. In other ones, it's not. I don't want to
14 name any names, but I just picked out five from our clinic
15 that we ordered from in the past few years, and there's no
16 comparison between these five different companies' package
17 inserts.

18 DR. OWNBY: Other comments or questions?

19 (No response.)

20 DR. OWNBY: This is now part of the public
21 record. We can certainly consider it. Thank you very much
22 for your presentation.

23 DR. STORMS: Thank you very much.

24 DR. OWNBY: Are there any other individuals who
25 would like to address the committee during this open

1 hearing section?

2 (No response.)

3 DR. OWNBY: Okay. Then hearing no one who
4 wishes to speak, we're going to take probably a 10-minute
5 break at this point, and then we can -- do we have any
6 other items under committee discussion at this point?

7 DR. FREAS: No subject items, no.

8 DR. HOFFMAN: We do want some feedback from the
9 committee. Whether we do it before or after the break is
10 not important. I have one slide that can focus the
11 discussion somewhat, but we are interested in some
12 immediate feedback, if there is any, on the presentations
13 so far in open deliberation.

14 DR. OWNBY: Okay. Then why don't we take a 15-
15 minute break and come back at 2:30, and then if you'd try
16 to start the committee discussion, hopefully then we can
17 move into the closed committee discussion and adjourn on
18 time, if not a little early.

19 (Recess.)

20 DR. OWNBY: We're opening the discussion
21 section. Dr. Hoffman wanted to address a few issues here
22 for the committee to discuss.

23 DR. HOFFMAN: This basically serves as an
24 outline for one way to approach the discussion and
25 highlights some of the points that we touched on today. I

1 have not labeled these as questions or decisions to be
2 made, but rather issues to discuss. We hope that we've
3 conveyed to you that in the last year, at the very least
4 since we spoke and maybe going back to the last time we
5 met, the agency has done a lot to put some of the
6 allergenics regulations in some sort of order. We've
7 brought in some different personnel. We've made some
8 managerial changes. I alluded to some that we entertained.

9 We think that things are working fairly well.
10 In the background there are issues of resources that Dr.
11 Feigal touched on, there are issues of personnel. We can't
12 ignore those, and we'd be willing to discuss them as you
13 think them relevant. But at the same time, one of my
14 points in raising this discussion is that I think we've
15 shown you that we intend to remain at the forefront of
16 allergenics regulation and to continue our standardization
17 efforts, and Jay elegantly outlined his plans for doing
18 that in the laboratory.

19 We're working very hard with the other parts of
20 the center and the agency in general to make sure that some
21 of the centrality that we were able to accomplish when
22 everything was just under our thumb, so to speak, that's
23 changed as well. Team Biologics now do the inspections.
24 We're participants, and we help, and we're advisors, but
25 we're not always the ones that are doing the inspections,

1 nor do we have the resources to do every single inspection
2 that is necessary. So we've tried to use a little bit of
3 cooperation, collaboration, managing some of our resources,
4 I guess the jargon is leveraging them, to try to do the
5 best job we can.

6 Some things we can do, some things we can't do.
7 We have some ambitious programs. At the very least, we
8 certainly don't want to lose any of the gains that we've
9 accomplished with the wonderful standardization effort that
10 was brought to bear about one year ago. We'd entertain any
11 comments, critiques, criticisms or suggestions for doing
12 things differently. We can follow some of the sequence
13 that I outlined, or we can be random based on what
14 stimulates your interest. I'm going to sit down and
15 listen.

16 DR. OWNBY: Well, I have one question for you
17 before you sit down. Maybe everyone else is clear on this,
18 and I'm sure you are, but what is the relationship between
19 CBER and DAPP, if you will?

20 DR. HOFFMAN: Okay. Sorry. That's just
21 jargon. CBER is the center. The center encompasses five
22 or six offices that do everything from therapeutics to
23 blood regulation. We find ourselves in the Office of
24 Vaccines. There is an organizational chart, but the Office
25 of Vaccines, headed by Dr. Egan, is responsible for all

1 vaccine issues, including issues pertaining to allergenics
2 vaccination, if we want to use our current terminology. So
3 we are in the Office of Vaccines.

4 The division, DAPP, was created in 1992 at the
5 time of a center-wide reorganization, and that incorporated
6 existing components and was given the responsibility of
7 allergenics regulation at that time. DAPP is the Division
8 of Allergenic Products and Parasitology, but as I showed
9 you in my introduction, it's not limited to that. We do
10 some HIV work, we do some hepatitis work, and many other
11 things. Obviously, the cornerstone of our efforts and our
12 major regulatory activities center around allergenics,
13 certainly for licensed products.

14 DR. OWNBY: Do any of the other committee
15 members have questions at this point for Dr. Hoffman?

16 DR. UMETSU: I just have a question somewhat in
17 response to Part 2, the research program. It sounds like
18 Jay Slater is establishing a very nice, I would say, I
19 guess intramural kind of research program. Is there any
20 discussion about having some kind of extramural research
21 program that might help in promoting the standardizations
22 in labs that have more expertise?

23 DR. HOFFMAN: Yes. In a sense, however, we are
24 not a typical funding agency. In other words, we are not
25 the NIH. However, we do sometimes conduct studies that we

1 contract for. So in that sense, we do give certain grants.
2 There are grants within the FDA for many things, and they
3 would not necessarily be limited to allergenics. Usually
4 the research program of the division is an intramural
5 research program and supports collaborations, and
6 occasionally contracts are let. But that hasn't been the
7 key aspect of our research activities.

8 However, I think the point is very well taken.
9 It's possible that we could contract some more, and
10 possibly enlist funds for that. But usually when funds are
11 solicited, it's based on either allocations on per capita
12 or certain proposals that we put forward to our funding
13 authorities that are usually controlled by the FDA.

14 DR. SAXON: I'd rather be random than
15 organized. I'm trying not to get invited back. Put that
16 on the federal record.

17 (Laughter.)

18 DR. HOFFMAN: You know how hard it is to be
19 random.

20 DR. SAXON: What I was going to say is that you
21 asked for what we think. I think you're very fortunate
22 with Jay Slater here now. I think what you have with Paul
23 Turkeltaub is very important, though, because, as you
24 pointed out, without a biological assay, all of the fancy
25 assays are not going to be important. You've got to keep

1 them together.

2 I think one mistake at this juncture might be
3 to get too far afield into basic science. That has come
4 terribly to me, who is a basic scientist who works on gene
5 regulation. But what you've got is relatively unique.
6 You've got people who are really focused on very important
7 issues that we use every day in our lives as clinicians and
8 want to ignore in our laboratories, and no one is going to
9 do this but you folks. So I think to get too far afield
10 into basic immunology and antigen characterization by
11 molecular techniques -- what's the point? You can lose the
12 focus, unless you get a huge infusion of money, which
13 obviously we all wish for.

14 So I think you ought to play to your strengths
15 there. The other step with that would be the idea of the
16 advances in analytic characterization, and T.P. can
17 probably speak more to that than I can, but other methods.
18 I mean, we're really using methods that are 20 years old,
19 except for a few things Jay mentioned today. I can't
20 remember the branch of the NIH that has all the fancy
21 instrumentation. They work specifically on one molecule
22 coming off from another molecule for binding of single
23 molecules. But if there could be new ways that would be
24 the next generation, rather than getting involved with
25 things like antigen presentation -- I think you could get

1 lost in that, myself.

2 I think I would focus on your strengths and
3 your charge. I think that's where I would go.

4 DR. KING: But to follow what you just said,
5 Andy, isn't that the allergen characterization? Otherwise,
6 it's too complex a mixture for them to decipher, to
7 regulate. They've got to settle on two, three, four, five.
8 They've got to pick some of them. Otherwise, all the
9 mixture contains at least 20, 30, 50 proteins in there.

10 DR. SAXON: So what are you suggesting, though?

11 DR. KING: My problem is that they say they
12 found a major allergen. Most of these people run an SDS
13 gel electrophoresis and run an IgE binding, and they say
14 they found a major allergen. That's a lot of hogwash,
15 because they have already admitted that the protein has
16 been denatured. So they have to think more in terms of --
17 I mean, it is important to have a certain amount of
18 research, but I also agree with you. FDA performs a vital
19 role to regulate the products. Otherwise, the doctors in
20 their office don't have any way to control it.

21 DR. SAXON: Do you think they should go for a
22 few key antigens within an allergen mix, or do you think
23 they should go for new technologies? I really don't know.

24 DR. KING: What do you mean by "new
25 technologies"? You've got to know what you want to

1 analyze, so you have to characterize the allergen. You
2 can't characterize all of them because you only have so
3 much resource. So you've got to settle it down and say
4 we'll pick the ones that at least 50 percent of the people
5 react to, and we arbitrarily designate these are the major
6 allergens, and then we've got to select a panel of them,
7 not one. Only in some unusual cases, like the cat and the
8 ragweed, they seem to work.

9 DR. OWNBY: But don't both of you think that
10 there's a lot of research work being done on identifying
11 allergens? Part of what this laboratory has to do is to
12 sort out all of that information that's being generated and
13 try to validate, as you say, which ones are truly
14 measurable and useful allergens in the context of
15 standardization and which ones may be minor and of
16 relatively little use.

17 DR. KING: Right. If they can validate and
18 also get the cooperation of these scientists to give the
19 gene, then they've got it.

20 DR. OWNBY: But I think the committee that's
21 here would have a consensus that certainly we want CBER to
22 still be in the business of establishing national
23 standards. I don't think there's any question about that,
24 and along with that is maintenance and distribution. The
25 ability to have standards that are meaningful means that

1 there has to be, as Andy said, a biologic basis for all of
2 this.

3 Betty?

4 DR. WRAY: I would just add at the same time
5 that any progress that can be made on some of the urgent
6 clinical problems -- and I know it's hard to sort that out.
7 But like latex, for example, right now, I personally don't
8 know why there's been a delay in penicillin. There may be
9 good reasons for it, but a few of these food allergens
10 right now are causing deaths in children, even. But food
11 immunotherapy hasn't come along. So I guess these are some
12 of the urgent clinical things that we see every day that
13 anything this lab can do to help move along some of that
14 progress would be perceived as very helpful.

15 DR. HOFFMAN: Jay, do you wish to comment?

16 DR. SLATER: Yes. I think that I certainly
17 take seriously everything that each of you said. I think
18 it certainly is my plan to collaborate outside of the lab
19 as much as possible, and I think that certainly I recognize
20 that even if all of my dreams came true in terms of support
21 for the lab, we simply wouldn't have the resources and the
22 expertise to do everything that we'd like to be able to do
23 in order to do it well. So certainly I have every
24 intention of collaborating. That's not the same thing as
25 saying you have an extramural program, but that's certainly

1 the direction in which I'd like to go, and we're planning
2 on doing that.

3 I think that your thoughts about pursuing the
4 technologies and the best ways to approach the regulatory
5 responsibilities is a really major part of our activity.
6 There's no doubt that that's going to be a major part of
7 what we're going to do. I think one of the dangers of the
8 kind of presentation I gave this morning is that everything
9 sort of sounded like it had equal weight in terms of what
10 direction we're going to go in. My guess is that's
11 probably not going to happen, and my guess is that the
12 research program is going to be, in a sense, driven by our
13 regulatory responsibilities. It's probably going to hold
14 great weight, and those research questions that arise from
15 our regulatory jobs are really going to be the ones that
16 get pushed forward the fastest and the hardest.

17 I couldn't agree with you more about not trying
18 to characterize every allergen. I think that probably the
19 most sensible approach, though, especially in terms of the
20 glycosylation question, is going to be to identify good
21 paradigmatic allergens, ones that really, by example and
22 detailed study, can give us information about a whole class
23 of allergens behind them, and I think that was sort of my
24 purpose in discussing the avocado allergen, even though I
25 really have no particular interest in avocado. But it

1 seems to be different from hyaluronidase in certain
2 peculiar ways, and I think those differences can be
3 explored as examples. But clearly, you don't want to track
4 down every allergen that somebody says might be a major
5 one.

6 I couldn't agree more about the urgency of
7 certain clinical problems. I think it was my thinking that
8 cockroach was probably the next likely candidate after
9 latex on that basis, on the basis of the clinical urgency.
10 Certainly we're open to the possibility that we might want
11 to pursue some food allergens as well, but I think there's
12 really pretty good evidence that the cockroach is something
13 that probably will support a good asthma effort, and we
14 probably need to have better characterization of that.

15 I was actually wondering if anyone on the
16 committee wanted to reflect on the protein and the release
17 limits discussion from this morning.

18 (No response.)

19 DR. OWNBY: It looks like a real exciting
20 group.

21 (Laughter.)

22 DR. OWNBY: I think that the protein assay
23 question seems to have been beaten to death several times.
24 It almost seems to be unsolvable, but the approach you
25 outlined, at least in my mind, seems practical, and for the

1 purposes that you're using, probably as good as can be
2 done. I think your release limits make sense, and one of
3 the things that I'd written down that I hoped the committee
4 might want to comment on is that while you are trying to
5 establish some potency limits and you talked about the
6 therapeutic and the diagnostic and the safety, and I think
7 all of us recognize that first and foremost should be the
8 safety issue and how difficult it is to get even marginally
9 adequate data, because you have to give a lot of allergy
10 shots in a number of different ways to try to come up with
11 the reaction rate and how it changes with the potency of
12 extracts, because there are so many other variables that
13 are part of that.

14 Do any of the other committee members -- I
15 don't know, I think that's something that ought to be done,
16 although on a practical basis it's extremely difficult.
17 What you really need is a few huge HMOs that are willing to
18 participate where you know that they're using standardized
19 extracts in a similar fashion that can feed you data.

20 DR. SAXON: I don't see how you're going to get
21 the data even then. I mean, what you really want to do is
22 just take people and give them different doses, overdoses
23 in a sense, and see at what point you start getting a hit.
24 You just can't do it ethically. You've come up with that
25 fourfold number. I mean, it's an interesting number. You

1 generated it, and it was clear how you generated it. I
2 don't know how to validate it. But at least it was a
3 smaller number than the numbers for therapy and -- what was
4 the second one?

5 DR. OWNBY: Diagnostic.

6 DR. SAXON: Diagnostic. It was a smaller
7 number at least. So at least safety has the smallest
8 margin of error. I mean, you explained very well how it
9 was generated. We realize it has feet of clay, but it was
10 better feet than I could have thought of, I assure you. If
11 someone said you're going to have a fourfold change in
12 potency in this material when you inject this patient,
13 would I panic? Probably not. It's the maximum. At
14 tenfold, I'm getting worried. A logfold, I'm starting to
15 get worried. But it's purely anecdotal, and the plural of
16 that ain't data.

17 So I thought you did the best with what you've
18 got, and I don't see how to get a lot better. As you say,
19 how are you going to take 50 people and say, "We're going
20 to give you this much, and next week we're going to give
21 you fourfold as much, though it's not what you need"? You
22 can get the data, but not through our IRB.

23 (Laughter.)

24 DR. OWNBY: Other comments from the committee?

25 (No response.)

1 DR. OWNBY: Jay, did you have other areas that
2 you specifically wanted feedback?

3 DR. SLATER: No.

4 DR. OWNBY: I had written down this issue of
5 the stability of extracts that Jay presented first this
6 morning. It almost becomes a circular argument because if
7 all the assays we're using are based on a reference
8 standard, and when you try to understand the stability of
9 your reference standard, is lyophilization the best
10 standard that we know of? That you take a large batch and
11 lyophilize it and say that that is now stable for a
12 relatively long period of time that you can compare all
13 these others to?

14 T.P., what do you think?

15 DR. KING: That depends. Actually, if you have
16 just a pollen mixture and you lyophilize it, it's fine. If
17 you have some purified protein you lyophilize, with some of
18 them, that's the end of it. But some proteins can be
19 lyophilized and stored for a long time. In general, the
20 crude ones can be stored.

21 DR. SAXON: How about -70, T.P.? We have a
22 real problem with lyophilization.

23 DR. KING: It's not -70. It's a matter of the
24 process of lyophilization.

25 DR. SAXON: I just meant freezing at -70. Is

1 that better? I've had real problems with lyophilization.

2 DR. KING: Freezing is okay.

3 DR. SAXON: Because with some proteins, they're
4 gone.

5 DR. KING: All the allergy extracts you use
6 contain phenol in there, and the minute you freeze it,
7 that's it, because the phenol gets concentrated down.

8 DR. SAXON: Right, but I was thinking for
9 standardization. One of the problems is every time I take
10 out of my freezer whatever the allergen is, if some have
11 been lyophilized, they're gone. But we can freeze them at
12 -70 in plain buffer.

13 DR. KING: Fifty percent glycerin is really a
14 very good preservative.

15 DR. SAXON: And freeze it at -70?

16 DR. KING: That's really good.

17 DR. SAXON: Is that something that CBER should,
18 that DAPP should look at, what's the best way to store the
19 damn things? I don't know. You brought it up with your
20 lyophilization at -70, Jay.

21 DR. SLATER: You know, I think it's a very fair
22 question, and I think it's one of the things that -- and
23 I'm not going to take credit for this study because this
24 was really designed and initiated before I came on board,
25 but I think that was one of the strategies in comparing

1 lyophilization to -70 to -20 to 4 degrees, to see what was
2 actually happening. Your point is well taken, though. In
3 the relative potency assay, we used the lyophilized product
4 as our standard for the relative potency of 1, and in the
5 specific allergen assays, the standards are just kept at 4
6 degrees. So there is a bit of circular logic there that's
7 hard to escape.

8 The approach that we take, incidentally, to
9 follow an allergen over a period of time is to actually do
10 an immunoblot either with SDS gels or with isoelectric
11 focusing and take a picture of it, and we have picture
12 albums of all of our extracts every six months. Even
13 though they're not done concurrently, because time is sort
14 of that indisputable factor that you can't avoid, in fact
15 you can follow how the allergens look from time to time by
16 using the same serum each time.

17 It's not a quantitative bit of reassurance. It
18 definitely is qualitative, but it's better than nothing,
19 and that is something that we do find, and we do follow
20 that.

21 DR. OWNBY: Jay, have you done what seems to me
22 to be one of those obvious internal controls, and that is
23 that you know your allergen extracts are pretty stable
24 anywhere below 20 degrees, whether it's 4 degrees or -20 or
25 whatever, in 50 percent glycerol? If you had aliquots of

1 the same extract and you lyophilized one and reconstituted
2 it the next day to compare it, that seems to be a control
3 over whether the lyophilization process is grossly
4 affecting your extract.

5 DR. SLATER: That's exactly the study I
6 described about four and a half hours ago. When I was
7 telling you about the reference replacement program, one of
8 the things that we're going to be doing is we're going to
9 be taking a portion of every extract we get and we're going
10 to be lyophilizing it, or we're going to be contracting to
11 the manufacturers to lyophilize it for us, and then one of
12 the things we're going to look at is what does that product
13 look like if we reconstitute it immediately and compare it
14 to the other product, and then over time. But it's a very
15 important question, and I'll bet you anything that it's
16 going to be a different answer for every allergen we look
17 at. It's going to be completely different.

18 But obviously, to lyophilize a glycerin
19 product, you have to dialyze out the glycerin. It's a big
20 deal. But clearly, the question you're asking is a very
21 important one that we're going to be interested in looking
22 at.

23 DR. OWNBY: Okay. So it looks like on these
24 issues we decided we want CBER to remain in the business,
25 so to speak; that we think national standardizations are

1 very important, and that hopefully those can gradually be
2 improved. You've shown us some of the incremental
3 improvements in the enzyme inhibition assay and so on
4 today. No question about the need for clinical testing.

5 The in-house lot release testing, I'm not sure,
6 Tom, where you had a question or concern about that is --

7 DR. HOFFMAN: It's just in the interest of
8 being complete. I mean, we expend huge amounts of effort
9 testing lot to lot. The poles would be testing every lot
10 or not testing at all and just accepting the manufacturer's
11 data. You see that we test ourselves a certain percentage
12 of lots, and that is a policy. Other parts of the center,
13 particularly outside the Office of Vaccines, have dispensed
14 with lot release testing. But we do it and we're committed
15 to doing it. So I put it on the list because we've
16 described our lot release testing program, and you see the
17 amount of effort that goes into determining our limits for
18 lot release.

19 DR. OWNBY: So you're doing this on a random
20 basis?

21 DR. HOFFMAN: Random in your sense, Dr. Saxon.

22 DR. OWNBY: I mean, when the manufacturer
23 submits data, they don't know whether this is one you're
24 going to choose to validate or to do an in-house comparison
25 of the data they've generated.

1 DR. HOFFMAN: That's largely correct. I mean,
2 we do a lot of testing.

3 DR. OWNBY: And do the manufacturers have to
4 pay for this?

5 DR. HOFFMAN: No. That's funded by the center.

6 DR. OWNBY: So that's part of your expense
7 line, is to do this.

8 DR. HOFFMAN: Right. That's one reason I think
9 that other parts of the center stopped doing it.

10 DR. SAXON: I think the way Jay described it
11 really is providing a boundary assay, not how close are you
12 to the mark. If you're really outside the boundary, it
13 sounds like a good idea because these things can be
14 variable, because it isn't a simple process. It seems like
15 a very appropriate approach what you're doing, where Jay
16 hasn't tried to tighten it up here. He's put the outer
17 limits, so maybe 5 to 10 percent who really are outside
18 shouldn't get released. But it won't become burdensome.

19 DR. HOFFMAN: I certainly don't mean to imply,
20 by the way, that other parts of the center that aren't
21 doing it are doing it willy-nilly. I mean, they have a
22 reason.

23 DR. SAXON: No, I understand. But this is a
24 complex material you're dealing with. As I say, it isn't
25 so mundane, the materials.

1 DR. WRAY: I gather you must find some
2 differences, some lots that don't meet the criteria, which
3 is one reason you continue doing it. Is that a fair
4 statement?

5 DR. HOFFMAN: That's correct. I think that Jay
6 showed the percentage of out-of-spec lots over the course
7 of a certain period. So some lots do fail. That's
8 correct. Now, it is possible, just for completeness sake,
9 that a manufacturer who has gone through lot release can
10 request an exemption from lot release, and one can look on
11 an individual basis. If a manufacturer has a long history
12 of absence of failures, they themselves can apply and we
13 could conceivably allow them not to submit lots any longer.

14 DR. OWNBY: It seems to me a very valuable
15 function to keep everything honest. It makes the whole
16 system more believable. Certainly you don't have to
17 recheck every lot, but when there is the threat that a lot
18 can be rechecked, then that should keep things more
19 consistent. Obviously, it's up to a sampling statistician
20 to decide what fraction of lots need to be done.

21 DR. EGAN: I'm Bill Egan, Acting Director for
22 Office of Vaccines. I think, as was expressed, the lot
23 release testing program is both a quality assurance and an
24 insurance policy that we think is a core value, a core
25 function for the office. In the quality control testing, I

1 think that there needs to be feedback loops, statistically
2 determined feedback loops, and I think these have been in
3 some sense brought into the process. Companies that have
4 good compliance in their history of testing is less; those
5 that don't, the amount of testing is increased.

6 If unusual things start to happen -- for
7 example, it may be that for some particular product, there
8 are a large number of failures from lot release. We may
9 want to look more carefully at the ones that come in, and
10 so on. But I think that there does need to be and is a
11 statistical feedback loop in the process for governing how
12 often we test, and which products.

13 I think we also need to keep in consideration
14 the concept that if there are any problems with products,
15 that we have the ability to explore those difficulties and
16 problems, and if we give up our quality control testing and
17 a problem occurs, the first thing we do is say, well, how
18 do we do this? Is this really a problem? Unless you have
19 these up and running in a validated and constant manner,
20 you're not able to just simply delve in and try to repeat
21 some lot release test. I think you fool yourself if you
22 think you can.

23 DR. OWNBY: I think we've already had some
24 discussion on some of the other issues on basic allergy and
25 immunology and analytic characterization, the molecular

1 biology. I'm not sure. Does anyone want to comment, then,
2 under Item 3, its regulatory role in terms of organization
3 or with respect to manufacturers?

4 Betty?

5 DR. WRAY: I would just say that communication
6 is key, because as we clinicians have problems getting
7 extracts and complain to our sources, then sometimes they
8 refer us back to this group, and this group has not met
9 regularly, and I think it helps. It doesn't necessarily
10 have to be face to face every time, but I just think having
11 fairly frequent meetings so that those of us who are
12 involved can provide some feedback to our colleagues will
13 be helpful.

14 DR. HOFFMAN: I just want to respond to that.
15 I think that's been a major effort. I think it's the
16 culmination of an effort of about a year to try to make the
17 communication, what you're pointing to, better with the
18 committee, with the field, with the practitioners. I did
19 go to the joint meeting in November and I found that very
20 useful myself, and I think it made a difference in
21 communicating. We also tried, to the degree that it's
22 possible, without any conflict of interest, to speak to the
23 manufacturers more and get some dialogue on just these
24 issues, and to open up the issues of lot release testing
25 and the approaches that we take so it's not inscrutable and

1 the basis for these decisions is shared with everyone.

2 That is, if we say the ninhydrin test, if we're
3 considering something like that, to make it clear that
4 we're not going to just maintain the ninhydrin test because
5 it was maintained before, but at the same time we're not
6 going to let go of any of the oversight unless there's a
7 basis for it, and we invite your comment, their comments.
8 So I think that's part of the goal of today.

9 DR. OWNBY: I would echo what Betty just said
10 with respect to the medical community. I think we've had
11 the perception at least that the FDA was acting in
12 isolation and that perhaps one of the things we ought to
13 work on is setting up appropriate forums at some of the
14 national allergy meetings so that the average practitioner
15 gets a better idea of exactly what's going on. I think
16 even among the committee members, some of the questions
17 today, you sense a certain naivete, and I don't think that
18 many practitioners have much of an idea of which products
19 are regulated in which way and how some of these were
20 derived.

21 Even though some of that data was presented
22 initially in a scientific forum, to go back over it and see
23 it in its context of regulation of current extracts would
24 be useful and important, and perhaps something that the
25 members of this committee can help with.

1 DR. HOFFMAN: Well, that was the part that I
2 was going to pick up on. Understandably, when we practice,
3 or when we do anything, the nitty-gritty is often very
4 uninteresting to anybody unless there is a problem, and
5 some of these issues might be perceived as boring, rote,
6 except when there is a problem. But we're also trying to
7 reverse that impression, and by your explaining it when you
8 go back to your community, by our participation at these
9 various meetings and addressing the issues in the same way
10 as we did here, we hope to keep an understanding going such
11 that it's not only when there's a shortage or there's a
12 problem or there's a perceived problem that the FDA
13 activities garner attention.

14 I agree strongly that that's not the way it
15 should be. Jay is going to the meeting in Orlando, and I
16 think that will help disseminate the message. But I'll
17 reiterate the fact that I'm available any time during the
18 business day to field calls from members of the committee.
19 If questions come up, you can have them call me, have them
20 call Jay, have them call any of the staff. If they can't
21 get through to compliance directly, we'll mediate calls to
22 compliance.

23 In terms of education, I think it's a very
24 important function that we're very willing to do because in
25 the long run it makes our job easier.

1 DR. OWNBY: I was just noticing, how much of
2 this is available or has been thought about in terms of
3 being available on the World Wide Web? Because as these
4 little crisis things come up, that there's a shortage of
5 this or that, it seems to me that rather than you having to
6 take the calls or Jay having to take the calls, if people
7 knew that you could easily obtain that message somewhere on
8 the Web, that it would be more effective that way.

9 MS. BRIDGEWATER: Hi. Jennifer Bridgewater,
10 FDA. I can comment on that. We have put a lot of
11 educational information out on the CBER Web site. When we
12 entered the grass standardization process, copies of the
13 letters that we sent to the manufacturers regarding
14 standardization and dear doctor letters were available on
15 the CBER Web site, and there were also some other
16 informational items that went out that are available on
17 that Web site, as well as major compliance actions. Those
18 are also on the CBER Web site. Also, we meet with the
19 AAAAI I think every other year. So we do have discussions
20 with physicians about some of these issues.

21 DR. OWNBY: Do you know whether on the AAAAI or
22 on the American College Web sites, if they're cross-linked
23 into your Web site?

24 MS. BRIDGEWATER: Well, obviously, from the
25 CBER Web site we don't cross-link to manufacturer or those

1 kind of organizational Web sites.

2 DR. OWNBY: I was thinking from the
3 professional organizations to your Web site.

4 MS. BRIDGEWATER: I don't think so. I've been
5 on the AAAAI and I don't recall seeing a link to the CBER
6 Web site, but I'm not absolutely sure.

7 DR. OWNBY: I don't recall that either, but I
8 haven't searched that diligently for it. But it seems to
9 me that that's one of those areas that could be helpful
10 because, obviously, as you try to come into government
11 organizations on the Web, there are more than enough to
12 spend your lifetime searching through them. But coming
13 through some of the professional societies with links into
14 your site might be the most effective way to make this more
15 visible to people.

16 MS. BRIDGEWATER: That's a good suggestion, and
17 I'm by no means a Web page expert, so I won't get into
18 that, but those resources are available.

19 DR. HOFFMAN: The two aspects of the problem
20 is, rather than the paucity of information, the
21 overabundance of information. I think a lot of information
22 is on the CBER Web site. All the actions that Ms. Conn
23 alluded to are on the Web site, and copies of a lot of this
24 information is on the Web site. What probably isn't there
25 are division Web sites. Getting a Web site in the

1 government is not the same as putting it out in private
2 industry. So there are very strict rules about that. If
3 we had our own division Web site, we probably could enhance
4 the communication even more, and I think we'll take under
5 advisement to try to find a way to get some of the
6 information that we've discussed here on the Web sooner and
7 in a way that's more available.

8 DR. FREAS: I have been asked to remind the
9 committee members, and the audience as well, that the
10 transcripts from all our advisory committee meetings are on
11 the Web site on the CBER home page and in advisory
12 committees, and that generally occurs within 10 days after
13 the meeting. So definitely you can follow up on any
14 advisory committee issue on the Web page.

15 DR. OWNBY: Any further items or comments under
16 discussion?

17 (No response.)

18 DR. OWNBY: I think that we can move on on our
19 agenda to the closed session then.

20 DR. FREAS: Mr. Chairman, if we could take a
21 short break before we go into the closed session.

22 First of all, I would like to thank the
23 audience for their participation in today's meeting. Next
24 of all, I would like to inform them that, unfortunately,
25 the only people who can remain in the room after we come

1 back from the break will be committee members and FDA
2 staffers with valid I.D. cards. We're asking everyone else
3 to please leave during the break. All cameras must be
4 turned off. All briefcases, purses, and other items must
5 be removed from the room. Any briefcase or personal item
6 left in the room will be placed on the FDA table outside of
7 the room and you may claim it once we clear the room.
8 Thank you for your cooperation.

9 (Whereupon, at 3:12 p.m., the open session was
10 adjourned.)
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25