

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

ANTHRAX VACCINES: EFFICACY TESTING  
AND SURROGATE MARKERS OF IMMUNITY  
WORKSHOP

Tuesday, April 23, 2002

8:25 a.m.

Jay P. Sanford Auditorium  
Uniformed Services University  
of the Health Sciences  
4301 Jones Bridge Road  
Bethesda, Maryland 20814

## C O N T E N T S

AGENDA ITEM	PAGE
Welcome	
COL D. Danley	4
Kathryn Zoon, Ph.D., Director	6
Pathogenesis of Bacillus anthracis	
Moderator: Dr. A. Friedlander, USAMRIID	8
Perspective on Pathogenesis and Anthrax Vaccine Development: Future Challenges	
Dr. A. Friedlander, USAMRIID	8
Anthrax Toxins	
Dr. S. Leppla, NIH	33
Discussion	47
Animal Models	
Introduction to Session	
Moderator: Dr. D. Burns, CBER	58
The Human Disease and Immune Response	
Dr. P. Pittman, USAMRIID	60
The Mouse Model of Anthrax	
Dr. L. Baillie, DST/UMD	81
Guinea Pig, Rabbit, and Nonhuman Primate Models of Anthrax: Pathology	
LTC G. Zaucha, WRAIR	90
Guinea Pig, Rabbit, and Nonhuman Primate Models of Anthrax: Immune Response	
Dr. M. Pitt, USAMRIID	110
Discussion	122
Development of Surrogate Markers: Possible Strategies	
Introduction to Session	
Moderator: Dr. B. Meade, CBER	132
Possible Approaches to the Development of Correlates of Protection	
Dr. D. Burns, CBER	134

## C O N T E N T S (Continued)

AGENDA ITEM	PAGE
CDC's Approach to the Development of Correlates of Protection for AVA Dr. C. Quinn, CDC	141
Development of Correlates of Protection for Anthrax Vaccines At Battelle Dr. A. Phipps, Battelle	163
Development of Correlates of Protection for Anthrax Vaccines in the UK Dr. B. Hallis, CAMR	174
Development of Correlates of Protection for Anthrax Vaccines At Battelle Dr. A. Phipps, Battelle	194
Discussion	
Panel Discussion: How Do We Demonstrate Efficacy of Anthrax Vaccines?	
Moderator: Dr. P. McInnes, NIAD	200
Dr. A. Friedlander, USAMRIID	201
Dr. E. Hewlett, Unv of VA	205
Dr. G. Siber, Wyeth Research	212
Final Comments	213
Adjournment	264

## 1 P R O C E E D I N G S

## 2 WELCOME

3 COL. DANLEY: Good morning. You can see  
4 we have some technical difficulties, so I will  
5 spend a few moments here while we try to resolve  
6 them for our first speaker, Dr. Friedlander.

7 We have some administrative announcements,  
8 but I want to point out to you that for those of  
9 you who are not familiar--the microphone is not  
10 working?

11 [Pause.]

12 COL. DANLEY: I am Colonel Dave Danley. I  
13 want to welcome all of you. For those of you not  
14 familiar with military rank, we have several  
15 services here, as well as the Public Health  
16 Service. An Army and an Air Force colonel are the  
17 same as a Navy Public Health Service captain, which  
18 is different from an Army and an Air Force captain.  
19 Army and Air Force captains are the same as Navy  
20 lieutenants. Navy lieutenants are different from  
21 Army and Air Force lieutenants. So, for the sake  
22 of simplicity, let me recommend that we dispense  
23 with our titles. Call me Dave.

24 [Laughter.]

25 COL. DANLEY: I want to make some

1 administrative announcements. The smoking area is  
2 outside the building in the designated smoking area  
3 only. Violators will be shot.

4 Shuttle vans run until 9:00 and will start  
5 at about 1530. That is 3:30 civilian time, p.m.  
6 If you need additional shuttle service, please see  
7 one of our support staff outside.

8 Lunch will be served in the foyer at  
9 11:30. Restrooms are also in the foyer, but in a  
10 different location. Pagers, beepers, and cell  
11 phones, please put them in the vibrate mode or in  
12 the off position. Violators will be shot. This is  
13 a military base. We take things seriously.

14 Speakers and panelists, if you have  
15 issues, please see Mr. Karl Lackenmeyer during the  
16 course of the day.

17 We do have boxes of slides from the first  
18 meeting that we had on anthrax vaccine out in the  
19 foyer. You are welcome to take copies of those  
20 slides that dealt with potency testing for the  
21 vaccine.

22 But let's get serious here for a moment to  
23 start off this meeting. First of all, I want to  
24 extend my thanks and gratitude to Admiral Zimble  
25 and the staff here at USUHS for letting us use this

1 excellent facility.

2 I would also like to thank the cooperation  
3 of colleagues at NIAID and the FDA in putting this  
4 meeting together. I want to recognize Dr. Kathryn  
5 Zoon, Dr. Phil Russell, for their participation in  
6 this meeting along with the panelists, guests from  
7 industry, the services, our colleagues in Canada  
8 and the United Kingdom. This is, indeed, a wide,  
9 diversified audience that is going to address your  
10 presentations on and hopefully bring to resolution  
11 some critical issues required for the licensure of  
12 a new or next-generation anthrax vaccine.

13 I would like to turn the podium over to  
14 Dr. Zoon, who is the director of CBER, FDA.

15 DR. ZOON: Thanks. I will be brief, but I  
16 do want to also extend my welcome to everyone and  
17 to say how much I appreciate all the organization  
18 and cooperation among the cosponsors in order to  
19 facilitate in making this meeting happen in such an  
20 expeditious fashion, and our host for this meeting  
21 here at the Uniformed Services University.

22 This is an extremely important area for  
23 the public health and the protection of the  
24 military. The Center for Biologics has been  
25 committed to working with all parties to effect the

1 access and availability of safe and effective  
2 anthrax vaccines. So we are very pleased that this  
3 meeting could take place to really focus on the  
4 objectives of looking at the development of new  
5 anthrax vaccines and the type of data that would be  
6 necessary with regard to non-clinical and clinical  
7 information for the expeditious development and  
8 approval of the second generation vaccines.

9           In looking at this, these products will be  
10 extremely important in our armamentarium for public  
11 health protection and military protection, and with  
12 the colleagues we have in our presence who will  
13 participate in these meetings, I think, clearly,  
14 this is more than just a U.S. initiative. It is a  
15 global initiative to help protect all citizens of  
16 the world.

17           And my sense is, over the next few hours  
18 and through the day, we will be looking at sharing  
19 data that is currently available, as also  
20 discussing what is the information that we will  
21 need to gather with respect to having enough  
22 information to facilitate the approval of new  
23 anthrax vaccines.

24           Our goal today for CBER is to take the  
25 information and to try to develop a guidance

1 document that will provide clear and consistent  
2 communication and expectations for these  
3 non-clinical and clinical studies. We hope that in  
4 doing so, that we will be able to facilitate the  
5 development of these vaccines so that we can  
6 process them as quickly as possible.

7           This workshop will be an important step in  
8 achieving this goal, and again, I want to thank all  
9 of you for coming, for sharing your thoughts,  
10 expertise, and data to further this important  
11 program. Thank you very much, and again, welcome.

12           [Pause.]

13           PATHOGENESIS OF BACILLUS ANTHRACIS

14           DR. FRIEDLANDER: Thanks very much. I  
15 appreciate the opportunity to talk with you and  
16 start this conference off. The events of the last  
17 six months have irrevocably changed our lives when  
18 it comes specifically to anthrax, but anthrax, as  
19 you know, is just one of the organisms and agents  
20 that is of concern to both the civilian and the  
21 military.

22           Historically, studies on pathogenesis and  
23 vaccine development have gone on concurrently. In  
24 fact, we usually develop vaccines empirically and  
25 our understanding of pathogenesis and mechanisms of



1 immunity lags considerably, and that has always  
2 been the case and likely always will be the case.

3           Because of the unique situation with  
4 anthrax and similar infections, however, it is  
5 imperative that we alter that paradigm because we  
6 are going to be unable to test these vaccines in  
7 the human population, and, therefore, we need to  
8 understand as much as we can, both about the  
9 pathogenesis and specifically the mechanisms of  
10 immunity in order to develop as much evidence as we  
11 can to justify licensure of a vaccine that can  
12 likely never be tested for efficacy.

13           Now, the story starts with Robert Kulp  
14 about 135 years ago. This is the life cycle of the  
15 anthrax spore. That basically is what he  
16 determined, that the spore turns into the bacillus  
17 and the bacillus turns into the spore. This was  
18 known for the hay bacillus, bacillus subtilis, by  
19 Ferdinand Cone [ph.] and it was a milestone in  
20 microbiology.

21           This is what we are faced with today. I  
22 think everybody has seen these pictures. It is 135  
23 years to present day from the first identification  
24 to this chest x-ray that now we are all familiar  
25 with, and it shows the--I will just spend a minute

1 showing the characteristic findings of a widened  
2 mediastinum and pleural effusions with relatively  
3 clearly lungs. That is inhalational anthrax.

4           This is a CT scan showing these enormous  
5 lymph nodes and the pleural effusions. That  
6 constellation of findings in an acute illness is  
7 essentially pathognomonic of this disease. There  
8 are very few other things in medicine that cause  
9 that finding.

10           This is the--the center of the disease is  
11 in the mediastinum. This is the trachea, the  
12 bifurcation of the trachea. It is this node that  
13 is the business end of this disease. It is, in  
14 fact, a mediastinitis and a hemorrhagic necrotic  
15 lymph adenitis involving the mediastinal lymph  
16 nodes.

17           So our job here is to try to understand  
18 from that little spore to death caused by a lesion  
19 in the mediastinum.

20           Now, this is what I am going to try to  
21 discuss today, something about the organism and  
22 pathogenesis, hopefully as it relates to immunity,  
23 to keep that in mind. I will then spend a little  
24 time--I will spend most of the time on this and  
25 then spend a little time on the early approaches to

1 vaccination, with current and future vaccine  
2 efforts, again, just to outline these, and then  
3 just mention this, because there will be lots of  
4 discussion about this in the--for the rest of the  
5 day.

6           So the organism, I think everybody here is  
7 now familiar with this, a gram positive,  
8 non-hemolytic, spore forming, non-motile bacillus.  
9 There are three known virulence factors, an  
10 anti-phagocytic, highly negatively charged capsule  
11 around the organism, the lethal toxin, and the  
12 edema toxin, and you'll hear more from Steve Leppla  
13 shortly about the toxins, which I'll just briefly  
14 touch on in terms of their pathologic effects.

15           This is what the organism looks like.  
16 Again, I think, as I have said in some  
17 presentations before, we probably know more--the  
18 public probably knows more about this disease now  
19 than any other disease, including HIV.

20           Those of you in front can see a nice fat  
21 juicy capsule around the organism. This happens to  
22 be from a non-human primate. The findings in  
23 humans are essentially the same. There is a very  
24 high level of bacteremia at death.

25           This is a scanning electron micrograph of

1 the spleen and you can see two bacilli here and a  
2 crenated red blood cell.

3           This is what the spore looks like. It is  
4 the spore which is, as you know, extraordinarily  
5 stable and is the infectious form. The life cycle  
6 of the organism is such that it likely requires a  
7 mammalian host in order for it to survive and  
8 proliferate and amplify, in distinction to the  
9 closely related bacilli which undergo cycles of  
10 replication within the soil. There is a fine  
11 hair-like nap, the exosporium around the spore  
12 itself.

13           The spore, as I said, is the infectious  
14 organism. It enters through a break in the skin or  
15 the GI tract or through the normal lung. It  
16 germinates from the--the spore converts to the  
17 bacillus in a macrophage locally or after being  
18 transported to a regional lymph node. There is  
19 then the local production of toxins, leading to  
20 edema and necrosis, spread from the node through  
21 the lymphatics, resulting in bacteremia and toxemia  
22 and seeding of most organs, most particularly the  
23 brain in half the cases.

24           And death is likely due to lymphatic  
25 obstruction, vascular obstruction. You can't see,

1 I don't think, the--no--some pulmonary hemorrhage  
2 and pleural effusions that you saw, and death is  
3 thought to be a respiratory death in most cases.  
4 There is also clearly a toxemia and the relative  
5 importance of the two, it remains really unknown,  
6 except in my view, at least, the most important  
7 cause of death is, in fact, in the mediastinum,  
8 that lesion in the mediastinum.

9           This just shows from a pathologic  
10 perspective, emphasizing the importance of regional  
11 hemorrhagic lymph adenitis, particularly in the  
12 inhalational form of the disease.

13           This is a figure from a review by Dixon et  
14 al. basically showing the same thing. What I want  
15 to point out is, as I said, the first important  
16 stage is thought to be uptake and germination  
17 within a macrophage and subsequent involvement of  
18 regional hemorrhagic lymph adenitis. I will talk  
19 more about what goes on inside the macrophage and  
20 the consequences of infection in the macrophage and  
21 the effect of the toxins on other cells. This is  
22 an over-simplification, I think.

23           In terms of spore germination, there are  
24 many physical triggers that are involved in  
25 germination. From the perspective of what goes on

1 in the host, the most important thing is the in  
2 vivo site of germination, whether or not a  
3 macrophage is, in fact, absolutely required for  
4 germination, and what the in vivo germinant is.

5           That has some implications, obviously, not  
6 so much from the perspective of vaccines, but from  
7 the perspective of therapeutics and from the--not  
8 so much from the point of view of the mechanism of  
9 immunity, but also from the development of new  
10 vaccines. The critical events in terms of  
11 germination from spore to bacillus offer potential  
12 new targets for vaccines and therapeutics.

13           Under a phase microscopy, the spore is  
14 refractile. It then becomes non-refractile and  
15 swollen and begins to outgrow into the bacillus.  
16 This is an initial very susceptible time for the  
17 life cycle of the organism, likely before it  
18 becomes encapsulated.

19           In terms of pathogenesis of the organism,  
20 once it becomes encapsulated, it is resistant to  
21 ingestion by phagocytic cells and essentially  
22 proliferates extracellularly without any effective  
23 response by the host.

24           In terms of the spore macrophage  
25 interaction, this is thought to be, at least in our

1 present thinking, one of the most critical events  
2 in the early stages of the infection. One of the  
3 questions that remains yet unresolved is whether  
4 the macrophage environment is an absolute  
5 requirement for germination in vivo.

6           It is, I think, more clear in the lung,  
7 which is, of course, the most relevant disease that  
8 we are concerned about, inhalational anthrax, that  
9 that likely is the case. That is to say, that in  
10 order for the spore to be taken up, it may require  
11 ingestion by a carrier phagocyte, the alveoli  
12 macrophage. Whether or not that is the only  
13 mechanism remains yet, I think, to be established  
14 because these studies were done with massive  
15 numbers of organisms in experimental animals, and  
16 under those circumstances, it's clear that the  
17 macrophage was the predominant means by which the  
18 spore was taken up to the regional lymph node.

19           Now, older studies actually that might go  
20 back before you might imagine, predominately those  
21 of Ross, show that the spores are taken up, they  
22 are transported to the regional lymph node where  
23 germination occurs with free bacilli in about 24  
24 hours. But some germination and killing actually  
25 occurs in the lung.

1           An interesting point is that if there is  
2 trauma, you can get germination within the lung  
3 itself, not within the node. That may have  
4 implications also in terms of some of the cases  
5 that have been seen. And by trauma, I mean that in  
6 a generic sense. If there is, in my view, at  
7 least, if there is likely evidence of ongoing  
8 inflammation and exudation in the lung, that may be  
9 a trigger for germination by itself.

10           Recent in vitro studies show variable  
11 results of this interaction between the spore and  
12 the macrophage, but we all well know that there is  
13 a big difference between taking a cell and putting  
14 it in culture and exposing it to a spore, that  
15 those conditions are at best models for what goes  
16 on. But the results show either rapid killing with  
17 some persistent live organisms, unimpeded growth,  
18 or no growth at all. Those are the current studies  
19 that have been ongoing.

20           As you might imagine, this disease, as I  
21 said, goes back to the beginnings. This idea that  
22 the macrophage is somehow a key and a very  
23 important cell, of course, was discovered more than  
24 100 years ago. This is a drawing, probably not on  
25 a slide projector but he probably actually drew it



1 on the board when he presented this data. This is  
2 from Meschnikoff and you can see clearly bacilli  
3 that came from spores inside hepatic macrophages of  
4 the rat. So it was clear and self-evident that the  
5 spores ingested by the reticular and the felial  
6 cells and that germination occurs there and it is  
7 absolutely critical for infection.

8           This is a more recent study by the group  
9 from the Pasteur which shows colocalization of  
10 spores. For those of you who are not color blind,  
11 colocalization, I am told, of green and red, making  
12 yellow, of a licensed normal marker with the spore,  
13 implying that there is phagolysis on fusion.

14           This is a little out of focus but shows a  
15 study from our lab where this is the Sterne  
16 bacillus, Sterne strain of anthrax. These are  
17 lysosomes marked with horseradish peroxidase. This  
18 is an electron micrograph of a macrophage. And you  
19 can see a bacillus here which has the horseradish  
20 peroxidase surrounding it, indicative of fusion of  
21 secondary lysosome.

22           This is one of the examples. This is from  
23 the work of Sue Welkos where we are looking at  
24 survival of the bacillus in macrophage cultures  
25 over time, and you can see in both primary

1 macrophages as well as in macrophage cell lines  
2 significant killing occurring over a four-hour  
3 period. These studies are done in the absence of  
4 any antibiotics, which can clearly confound these  
5 results, and stand in contrast to studies from the  
6 group from Phil Hanner's lab where--I should say  
7 the previous study was done with the Ames strain.  
8 This is the attenuated Sterne strain. And over the  
9 time course of this experiment, there was  
10 proliferation of organisms, unimpeded growth.

11           This is work from Michelle Mock's lab,  
12 again showing with the Stern strain, looking at  
13 colony-forming units over a three-hour period, that  
14 there was no significant inhibition between zero  
15 and three hours of total numbers of organisms, no  
16 growth and no killing of the Sterne strain.

17           So three different labs, three different  
18 results. It is unclear exactly what goes on in  
19 vitro. I think in vivo is self-evident, two  
20 things. One, the LD-50 is not 0.5 spores, it is  
21 multitudes of that. And so a significant  
22 proportion of the inoculum is either killed or  
23 never germinates. And two, clearly, germination  
24 does go on and the animal succumbs. So these in  
25 vitro experiments probably replicate what, in fact,

1 does go on, that there is some killing and,  
2 obviously, there is survival.

3           This is another cartoon. I am just going  
4 to reiterate that once that spore germinates inside  
5 a macrophage and is released, it is now  
6 encapsulated and resistant to uptake.

7           I put down here--this is showing the entry  
8 of the toxin, and what is indicated here is a  
9 non-specific cell target because I think there's  
10 been too much emphasis on the macrophage, although  
11 it's clearly dear to my heart. It is not the only  
12 target. It is the target that we study in vitro  
13 because it's most easily studied. But in terms of  
14 what's going on in the host, I think it's important  
15 not to lose sight of the fact that receptors for  
16 the toxins are ubiquitous and likely a multitude of  
17 cells may be involved in the deleterious effects of  
18 the toxins.

19           Unfortunately, you cannot see this, but  
20 I'll describe in subsequent slides some of the  
21 effects, the physiological and pathological effects  
22 of the toxins on various host cells that have been  
23 studied to date, and they are a limited number of  
24 cells, namely cells of the phagocytic cell.

25           This just shows, to keep in mind the

1 paradigm that's been established with endotoxin and  
2 gram negative sepsis, that one of the central  
3 players has been the macrophage with, under normal  
4 circumstances, release of factors that are  
5 responsible for natural host resistance, but under  
6 other circumstances, when there's excessive  
7 release, those factors become deleterious to the  
8 host. That paradigm has been around now for 40  
9 years.

10           This is just another view of the sepsis  
11 cascade, as it has been called, again, the  
12 macrophage being a primary player here, leading  
13 eventually to tissue injury, often with endothelial  
14 cell damage, and that may well be the case in this  
15 disease, as well. But the exact mechanisms that  
16 are involved in here remain yet to be determined  
17 for this infection.

18           This is a cartoon or one similar to it  
19 that you will see in terms of how the toxin is  
20 thought to work, and I'll just mention it briefly,  
21 that PA binds to a receptor, eventually  
22 captermarizes an edema factor or lethal factor,  
23 gets internalized through an acidic/indididic  
24 component into the cytosol.

25           Now, the effects of lethal

1 toxins--unfortunately we're not going to see all  
2 this, but--have been mainly studied on the  
3 macrophage, and I'll just review what is known to  
4 date. It's clear that, again, in vitro, that  
5 cytolysis occurs, that is the macrophages of many  
6 species are lysed with release of all potentially  
7 toxic constituents, and that includes the  
8 pro-inflammatory mediators, reactive oxygen  
9 intermediates, and the lysosomal enzymes, which are  
10 clearly toxic and damaging to the host.

11           The question that again remains unresolved  
12 and in the literature is what happens with sublytic  
13 concentrations of the lethal toxin. The initial  
14 reports were that pro-inflammatory cytokines, TNF  
15 alpha or interleukin 1, are released, leading to  
16 this sepsis cascade that everyone is familiar with,  
17 and that makes sense.

18           On the other hand, two other laboratories  
19 have reported the opposite, in fact, that sublytic  
20 concentrations of the lethal toxin block the  
21 release of, in this instance, nitric oxide and TNF,  
22 induced by LPS and interferon, or in another system  
23 by LPS, that the production of TNF, important in  
24 host defenses, is blocked, and I'll show you  
25 briefly some of the data here. I'll just go

1 through this quickly.

2           This is the time course of release of TNF  
3 by either LPS or lethal toxin from one of the labs,  
4 sublytic concentrations. So the presumption is  
5 this leads to inflammation and an over-release of  
6 the cytokine mediators leads essentially to the  
7 paradigm that we see in sepsis with sublytic  
8 concentrations.

9           Now, other workers have shown the  
10 opposite. Here is the release of TNF by, in this  
11 instance, LPS and interferon. This is in the  
12 absence of any toxin, two different cell lines.  
13 And here's what happens with lethal toxin. You see  
14 a dramatic blockage of the release of TNF.

15           And the same results are seen here. These  
16 are cells incubated with--we're looking at  
17 TNF--incubated with LPS. These are different cell  
18 lines. These are the cells incubated with sublytic  
19 concentrations of lethal toxin. Under these  
20 circumstances, no release, and, in fact, blockage.  
21 If you preincubate with lethal toxins, you block  
22 the subsequent induced release by LPS.

23           So the bottom line is that it's thought, I  
24 think, at this point in time that the organism, in  
25 fact, subverts the macrophage early in the

1 infection by lethal toxin, preventing it from  
2 responding normally as it would with release of  
3 cytokines that call in the inflammatory response.  
4 In fact, pathologically, one of the hallmarks of  
5 this disease is the absence of inflammatory cells.  
6 There is no pus in the malignant edema of cutaneous  
7 anthrax. There are no neutrophils and there are no  
8 macrophages, compared to, say, a staff carbuncle.

9           Now, in terms of the edema toxin, there  
10 are similar effects on human monocytes, that is, a  
11 reduction of LPS induced production of TNF. So  
12 both toxins in this instance, there's evidence,  
13 both the lethal toxin and the edema toxin, block  
14 the production of cytokines that are necessary to  
15 generate an inflammatory response that would be  
16 important in warding off the infection.

17           So the organism uses essentially both  
18 toxins to block the immediate host response of the  
19 innate immune phagocytic cells, and, of course,  
20 once it's encapsulated, it's resistant to  
21 phagocytosis. Whether terminally there is massive  
22 release of cellular contents leading to a  
23 shock-like state, I think remains to be fully  
24 established.

25           In terms of the--we've heard about the

1 monocyte and the macrophage. It turns out that  
2 there's also inhibition of phagocytosis by the  
3 edema toxin. This was studied many years ago.  
4 There's also inhibition of LPS priming of the  
5 respiratory burst.

6           And I put down here, as you didn't see in  
7 the other slide, but it made it to this slide,  
8 again, other cell types. I think there's reason to  
9 think that endothelial cells may be involved.  
10 There's certainly, as we'll see pathologically,  
11 reasons to support the target of the--that the  
12 blood vessel may be a target in this infection.

13           I think I'll skip through some of these.  
14 This just shows the inhibition of phagocytosis  
15 measured as chemiluminescence by edema factor PA  
16 plus EF.

17           Now, pathologically, I just wanted to end  
18 this portion of the discussion by noting that with  
19 the release of the full pathologic examination of  
20 the cases at Sverdlovsk that just was published  
21 finally last year, there were a couple of findings  
22 that I think were emphasized in that report, that  
23 while present in the older literature were not as  
24 noted as significantly and one of them was  
25 vasculitis, and vasculitis involving not just the



1 arteries and the veins but the capillaries, that  
2 there was evidence of inflammation in the  
3 capillaries in a high percentage of the human cases  
4 of inhalation anthrax that occurred in Sverdlovsk.

5           And significant, and this had been, of  
6 course, seen before, as well, there's significant  
7 hemorrhage, what was called both high-pressure  
8 hemorrhage with really massive release of large  
9 amounts of blood, as well as low-pressure  
10 hemorrhage involving a diathesis of red blood cells  
11 into the tissue, causing in the lung compression,  
12 hemorrhagic pleural infusions, and interference  
13 with respiratory function, and obviously, in the  
14 brain, sometimes causing a subarachnoid hemorrhage.

15           Now, with the recent cases of inhalational  
16 anthrax, again, a couple of other findings in my  
17 mind suggest the importance of the vasculitis.  
18 Whether or not there's endothelial damage, it's not  
19 really been noted--noted pathologically. And some  
20 of the cases have had micro-angiopathic hemolytic  
21 anemia. Now, micro-angiopathic hemolytic anemia is  
22 basically a destruction of the red blood cells,  
23 often caused by vasculitis.

24           Whether or not disseminated intravascular  
25 coagulation occurs in conjunction with the

1 vasculitis is not always easy to determine.  
2 Pathologically, it was not present in Sverdlovsk,  
3 and although there were signs biochemically in some  
4 of the present cases as well as in Sverdlovsk that  
5 it did occur. And so it all points to damage of  
6 the blood vessels as being another area that I  
7 think needs to be looked at. Whether that's toxin  
8 mediated or not remains to be established.

9           Now, let me turn in the last few minutes  
10 to a couple points about vaccines. Before I leave,  
11 I just want to mention another point is that with  
12 all the focus on the toxins, it should be recalled  
13 in terms of pathogenesis that we have much to  
14 learn. With the new information coming out on the  
15 genome sequencing, I think it will be clear that  
16 there are going to be other factors that at least  
17 contribute to the pathogenesis. We know that some  
18 of the potential virulence factors that are present  
19 in the other bacilli, in fact, are expressed in  
20 anthrax, and how important they are remains to be  
21 established.

22           In terms of vaccines, there are two  
23 approaches that have always been taken. One is  
24 live attenuated vaccines and acellular in vivo  
25 expressed antigens, so-called aggressants. This is

1 similar to the paradigm that's been seen with all  
2 the other vaccines in the development of vaccines  
3 for invasive infections.

4           You know about Pasteur using a mixed  
5 culture of attenuated organisms. That subsequently  
6 led to the development by Max Sterne of a  
7 non-encapsulated toxinogenic strain and the  
8 development of a similar live attenuated strain by  
9 use in the former Soviet Union in humans. This is  
10 a veterinary vaccine that's been used since the  
11 1940s.

12           The early protein component vaccines are  
13 important and interesting and they led eventually  
14 to the licensure of the current vaccine. One point  
15 I think that's of interest to me is that in the  
16 development of these vaccines, the very earliest  
17 vaccines that were developed were vaccines that  
18 were produced under in vivo conditions.

19           That is to say that they took tissue  
20 extracts, so what you had was in vivo grown  
21 organisms with in vivo antigens, all of them, and  
22 that's what we're trying to do today, is to find  
23 out what antigens are expressed in vivo  
24 specifically that may be important in protection as  
25 well as in virulence. And such antigens were, in

1 fact, very protective. They were crude mixtures,  
2 obviously, but they were the in vivo expressed  
3 antigens in their native configurations.

4 I'm not going to--you know about the  
5 current vaccine which came out of the development  
6 that began with these aggressant vaccines.

7 I'll just spend a minute talking about the  
8 approaches to new vaccines. All of the focus at  
9 the present time--I shouldn't say all the focus,  
10 but most of the focus is on the use of recombinant  
11 DNA vaccines. There's obviously an enormous amount  
12 of work going on in other areas, including mutants  
13 of PA, LF, and EF, an enormous amount of work on  
14 adjuvants and delivery systems. Every live  
15 attenuated vaccine carrier, I think, just about,  
16 has now been--and I heard about another one out in  
17 the hall that's going to be done, or has been done  
18 already.

19 The usual other characters, DNA vaccines,  
20 other viral replicons, plants, of course, skin  
21 delivery, I should mention. And, of course, now  
22 the identification of new antigens. There's recent  
23 work from the group in Israel and also the group in  
24 France showing some efficacy now of spore antigens,  
25 as yet undefined.

1           So there'll be, I think--clearly, this is  
2 the first vaccine, the recombinant PA, but we will  
3 clearly see a multitude of other expression  
4 systems, delivery systems, adjuvants, and new  
5 immunogens.

6           I'll just close with two slides here--no,  
7 no, I'm sorry. I have more slides. Humans make  
8 antibodies to the toxin components, to the capsule,  
9 and to ocellar [ph.] proteins. That's what's  
10 known.

11           In terms of the possible mechanisms of  
12 PA-induced protection, there's induction of toxin  
13 neutralizing antibodies, that I think Steve will  
14 briefly touch on. There's induction of antibodies  
15 that inhibit spore germination. This is the work  
16 of a group from the former Soviet Union, as well as  
17 Sue Welkos. And there's induction of antibodies  
18 enhancing spore phagocytosis and increasing the  
19 rate of killing, again, the work of Sue Welkos.

20           I'm going to pass through this. I'm going  
21 to briefly just show you the difference  
22 between--this is germination over time, pre-immune  
23 serum, very rapid, anti-recombinant PA anti-serum,  
24 in addition to germination. The exact mechanism  
25 for this remains to be established. This is,

1 again, the work of Sue Welkos.

2           This shows phagocytosis in monkey immune  
3 serum compared to pre-immune serum, increased  
4 phagocytosis. This is shown here, as well. This  
5 is the Ames strain with immune serum versus normal  
6 serum. This is with a PA mutant, where there's no  
7 effect of this immune serum. Again, this was  
8 somewhat of a surprising event, suggesting that PA  
9 may be--or a similar molecule may be present on the  
10 spore. But it says something about the potential  
11 mechanism of immunity.

12           This shows a more rapid--this is a number  
13 of CFUs per macrophage with immune serum versus  
14 non-immune serum, and this is after 60 minutes.  
15 There's already evidence of a more rapid killing,  
16 although the eventual killing is the same with  
17 immune versus pre-immune serum.

18           And then the last slide shows, again, what  
19 we'll talk about. To date, there's evidence that  
20 the antibody, the PA measured by ELISA and toxin  
21 neutralization correlate with immunity induced by  
22 AVA. But similarly, with live attenuated vaccines  
23 and a guinea pig model, then antibody to PA  
24 correlates with immunity. And it appears--again,  
25 this is the work from the group in Israel--that

1 toxin neutralizing antibody is a better correlative  
2 immunity than is an ELISA.

3 Now, I'll stop here and take any questions  
4 you have.

5 COL. DANLEY: Are there questions?

6 MS. : I think we're going to  
7 hold questions until the end of the discussion.

8 COL. DANLEY: Okay, great. I have a real  
9 quick announcement to make. It's always my  
10 pleasure to embarrass people in public, but as many  
11 of you know, Dr. Friedlander recently retired from  
12 the Army and it's very customary to present to  
13 people retiring from the Army things to put on  
14 their walls at home. We didn't from our program  
15 office have an opportunity to do that and I'd like  
16 to take a moment to do that now.

17 But I'd also like to take a moment to kind  
18 of impress on you the accomplishments of Dr.  
19 Friedlander and his colleagues at USAMRIID.  
20 Suffice it to say, you've seen from the work  
21 presented here efforts that he and his colleagues  
22 have made over the years in understanding anthrax  
23 vaccines, but the two points I want to make are  
24 that a lot of the work that was done in your  
25 laboratory on antibiotics formed the basis for

1 treating the individuals who were exposed in the  
2 recent terrorism acts.

3           But more importantly, it's the fact that  
4 the support for your work has not always been  
5 consistent, that there were lean years, that there  
6 were people, myself included, who sometimes gave  
7 you a lot of trouble in that process, so that there  
8 wasn't a lot of gratitude in that process. And I  
9 suppose, as a scientist, you sort of just hang in  
10 there and sort of believe that what you're doing is  
11 the right thing, and indeed, in this case, it was  
12 the right thing.

13           So I'd like to give you this certificate  
14 of appreciation, to Colonel Art Friedlander, for  
15 outstanding support and selfless service to the  
16 Joint Vaccine Acquisition Program, our program  
17 office, and the men and women of the Armed  
18 Services. Art, thank you very, very, very much,  
19 sir.

20           [Applause.]

21           DR. FRIEDLANDER: In the interest of time,  
22 I'll shut up.

23           [Laughter.]

24           DR. FRIEDLANDER: I was just instructed to  
25 introduce an alumnus of USAMRIID. Steve and I have



1 been working together now for more years  
2 than--before, when I had hair and when he had gray  
3 hair. Steve is now at--he's been at NIH for how  
4 many years now?

5 DR. LEPPLA: In fact, the program has me  
6 affiliated with NIAID, which is not accurate. That  
7 may happen in the future, but for the time being,  
8 I'm actually at NIH in the National Institute of  
9 Dental and Cranial Facial Research of the Dental  
10 Institute.

11 So Art has given you a broad view of the  
12 bacillus anthracis pathogenesis and that allows me  
13 to focus on aspects specific to the toxin, and I'll  
14 make a small number of points which are listed  
15 here, basically that there's convincing evidence,  
16 genetic and immunological, that the toxin  
17 contributes in a major way to virulence during  
18 bacillus anthracis infections, and then I'll  
19 explain that the cellular interactions of anthrax  
20 toxin are very well characterized through work in  
21 several labs over the last decade are so.

22 The physiological effects of the toxin are  
23 only partly understood. Art discussed those and  
24 pointed out both the gaps in the knowledge and some  
25 of the contradictory aspects of the data. And the

1 major point I'll try to make, based on this other  
2 data, is that antibiotic neutralization of toxin  
3 can be explained by reference to the known  
4 structures of these anthrax toxin proteins.

5           So just to fill in, what I'll show you is  
6 that there's genetic evidence from knocking out  
7 toxin genes that each of the toxins plays a role in  
8 virulence. Clearly, anti-toxin antibodies are  
9 sufficient to protect against infection. In terms  
10 of cellular interactions, we have a good  
11 understanding of how the toxin gets into cells.  
12 The toxin receptor was recently identified.  
13 There's evidence about cell type distribution of  
14 the receptor, which is relevant to what cells and  
15 tissues the toxin will target. And we know how the  
16 toxins work once they get inside cells.

17           Art has indicated in depth what the toxin  
18 does in terms of pathogenesis. I'll end, then,  
19 speaking about toxin neutralization. We have the  
20 structures of all three toxin components and we can  
21 use that knowledge to understand how the  
22 neutralizing antibodies function.

23           You know, of course, that the toxin comes  
24 in these three large proteins secreted by the  
25 bacteria. This is evidenced from Michelle Mock at

1 the Pasteur Institute, indicating the role of the  
2 individual toxin components in virulence. This is  
3 in a mouse model, and what you can see is the  
4 virulence--this is LD-50 for mice of the Ames, the  
5 very well now known Ames strain. Five spores are  
6 sufficient to induce a lethal infection in a mouse.

7           It turns out the capsule is actually  
8 perhaps more relevant for infection in mice. I'm  
9 sure there will be discussion later about the  
10 relative roles of toxin and capsule in mouse  
11 models. But clearly, both knocking out toxin  
12 production or capsule production has a large effect  
13 on the virulence of the organism for mice.

14           By knocking out individual components of  
15 the toxin, it was proven that knocking out edema  
16 factor reduces virulence about ten-fold, so it  
17 plays a lesser role than the other toxin  
18 components. Knocking out PA or LF reduces  
19 virulence more than a thousand-fold. So this is  
20 genetic evidence, then, that the toxin has a clear,  
21 dominant role in pathogenesis.

22           Anti-toxin antibodies protect against  
23 infection. This is why we're here. There's a  
24 large volume of experimental data that antibodies  
25 to PA are protecting against infection. I can't

1 attempt to list those. There's a much smaller body  
2 of evidence indicating the antibodies to the other  
3 toxin components might play a role in protection  
4 against infection. So there's evidence that's  
5 somewhat indirect because it wasn't done by  
6 immunizing with purified toxin components, but at  
7 least there's suggestive evidence that antibodies  
8 for the other toxin components are protective.

9           Not mentioned here, because it's  
10 unpublished, is work from Darrell Galloway and  
11 colleagues using BNA vaccine approaches, indicating  
12 that antibodies to LF can, indeed, protect against  
13 infection. That's probably the most definitive  
14 evidence to date.

15           This is a little bit of data. This is  
16 from the Israeli group, from the paper I just  
17 referenced, and here, what they did was to put  
18 rabbit serum into guinea pigs, and in fact, this is  
19 a post-challenge experiment. So they're giving  
20 these antisera 24 hours after intranasal challenge,  
21 so the protection is not impressive, but since it  
22 is 24 hours post-infection, I think it is clearly  
23 significant.

24           What was shown is that antiserum to PA  
25 does protect one animal out of the eight and

1   prolongs the time of death. Anti-LF at higher  
2   doses protects a quarter of the animals and delays  
3   time to death, and a mixture is also protective.  
4   So this is direct evidence, then, that specific  
5   antibodies to toxin are protective in an infection  
6   model, and again, this post-challenge model.

7                So what do we know about the pathways of  
8   toxin internalization? You've seen one cartoon.  
9   We've redrawn the cartoon, but it's the same  
10  information that you saw earlier. We know that PA  
11  binds to a cellular receptor. This was recently  
12  identified and worked by John Young at Wisconsin to  
13  be what he called anthrax toxin receptor. This is,  
14  in fact, a variable--one of several transcripts of  
15  a molecule called tumor endothelial marker 8,  
16  identified just a year ago in Johns Hopkins as a  
17  molecule up-regulated on the endothelial cells in  
18  colon tumors.

19               So PA is bound to its receptor. It's  
20  activated in an obligatory proteolytic cleavage by  
21  furin, a cellular enzyme, small amounts of which  
22  cycle to the cell surface. Cleavage allows the  
23  fragment to be released into the medium. It has no  
24  other role in subsequent steps. The receptor-bound  
25  PA-63 oligomerizes and apparently the receptor also

1   aligamarizes and you get this very tight heptomeric  
2   species that can also be produced in vitro and is a  
3   very tight complex.

4           The activated form has a new surface, a  
5   newly-exposed surface to which the lethal factor  
6   and edema factor can bind. They bind to the same  
7   sites. Surely in vivo, you'll have a mixture of LF  
8   and EF-bound onto the heptomer. The new evidence  
9   from John Collier's lab is that, in fact, there are  
10   only three binding sites for LF and EF on the PA  
11   heptomer. Originally, we had said there were  
12   seven, but there is convincing evidence that it  
13   takes two PA-63 molecules to make a binding site  
14   for LF and EF.

15           So you get a complex form. You get  
16   endocytosis. Acidification causes a conformational  
17   change such that the heptomer inserts in the lipid  
18   bilayer to make a protein conducting channel.  
19   These enzymes, LF and EF, must unfold to pass  
20   through the limine of that channel to reach the  
21   cytosol. They must have the ability to refold and  
22   become active enzymes, edema factors, and then late  
23   cyclase [ph.]. It makes too much cyclic ANP and  
24   lethal factor is a protein--I'm sorry, a  
25   metalloprotease, which cleaves a number of the MAP

1 kinase molecules involved in essential signal  
2 transduction pathways.

3           As I mentioned, the receptor for PA was  
4 recently identified as TEM 8 in this publication in  
5 nature and this is a little bit out of line with  
6 our previous results, which indicated that there  
7 are receptors for anthrax toxin present on  
8 essentially every cell that has been examined. It  
9 should be mentioned that most of the cells we look  
10 at are tumor cells, the cultured cells, and so it  
11 still remains to be seen what the situation in an  
12 intact organism is and what cells will  
13 preferentially have receptors for the anthrax  
14 toxin.

15           This is from the original description by  
16 Kinsler and Vogelstein of the TEM molecules, and  
17 TEM 8 is represented here. It has a single  
18 extra-cellular domain to which PA binds and a large  
19 intra-cellular domain which is potentially able to  
20 transmit signals. So this receptor is potentially  
21 a signaling molecule so that binding of a ligand,  
22 perhaps even PA, to this receptor might have some  
23 physiological consequences for a cell.

24           So again, we know very well what these  
25 toxins do inside the cells. The edema factor is an

1   adeolate cyclase and lethal factor is a  
2   metalloprotease and it now cleaves all of the MEKs  
3   that have been examined, and as far as is known, no  
4   other substrates. MEK 5 appears not to be a known  
5   substrate.

6           But what we haven't discussed is there's  
7   reason to consider that there might be additional  
8   substrates of lethal factor, and this is largely  
9   because we cannot explain the rapid lysis of mouse  
10   macrophages by cleavage of MEKs. MEKs occur in all  
11   cells, non-macrophaged cells, as well. Those other  
12   types of cells do not lyse. It's only mouse  
13   macrophages and certainly classes of mouse  
14   macrophages which lyse. So we and others, I think,  
15   are considering that there may be additional  
16   substrates which are relevant.

17           Toxin roles in pathogenesis, this is  
18   largely speculations on my part. As I point out  
19   here, Art has pointed to its interaction--to the  
20   role of toxin in the interaction of spores with  
21   phagocytes. So it's clear that the toxin can  
22   inactivate phagocytes from without, either by  
23   lysing macrophages or by elevating cyclic ANP  
24   levels. You could imagine that a phagocytosed  
25   bacteria inside a macrophage could continue to



1 secrete toxin, and so perhaps that toxin could work  
2 from within the macrophage, and then perhaps the  
3 lysis of the macrophages is important to release  
4 the vegetative cells and establish the bacteremic  
5 phase.

6           Promotion of septicemia, I think there's  
7 reason to think that the toxin continues to act.  
8 For instance, the evidence I showed you from the  
9 post-challenge prophylaxis with antisera indicates  
10 the toxin continues to play a role later. Perhaps  
11 it's important to continue knocking phagocytes  
12 down, but that, again, is speculation.

13           And destruction of essential tissues and  
14 organs, you can clearly kill animals with toxin,  
15 but exactly what the targets is not clear, as Art  
16 has pointed out. There's new evidence in  
17 melanocytes that you can induce apoptosis by lethal  
18 toxin, but again, the relevance of that to an  
19 infection is not clear.

20           The established effects of the toxin are  
21 that it lyses mouse macrophages. Again, this is  
22 probably a peculiarity. As Art mentioned,  
23 macrophages have been a focus of attention, but  
24 whether they play a central role in pathogenesis in  
25 animals is not, I would say, well established, in

1 part because there are many inbred strains of mice  
2 from which the macrophages simply are totally  
3 refractile to lethal toxin, and yet those mice can  
4 still be killed with lethal toxin injections.  
5 Their death is somewhat delayed, but they still are  
6 killed.

7           The other model that's widely used is the  
8 rapid lethality in Fisher 344 rats. You inject  
9 toxin IV and the rats can die in as little as 38  
10 minutes. But again, other rat strains are much  
11 less susceptible to this mode of challenge with  
12 toxin. So both of these systems are convenient and  
13 important bioassays, but whether they reflect the  
14 situation in vivo is not clear.

15           A more normal situation is probably the  
16 death caused in BALB/C mice by toxin injection,  
17 which occurs in several days, probably more  
18 characteristic of an infection.

19           Fortunately, we have now the structures of  
20 all three of the toxin components and this is  
21 helpful for us in understanding how antibodies  
22 work. So the crystal structure of anthrax lethal  
23 factor was dissolved and reported a few months ago  
24 and you see in this structure the end terminal  
25 domain, which is very similar to that in edema

1 factor. This is the structure which interacts with  
2 PA to cause internalization of this molecule into  
3 cells, and the rest of the molecule performs the  
4 catalytic site. It's a metalloprotease. You can  
5 see the zinc in the active site. Here it is shown  
6 docked with its substrate, the interterminal peptide  
7 of MAP kinase kinase.

8           In terms of antibody neutralization, the  
9 work I mentioned from Galloway was essentially  
10 inducing antibodies to the terminal domain of LF.  
11 I might go out on a limb here and speculate that  
12 those antibodies are probably going to be more  
13 effective in neutralization than antibodies to this  
14 domain.

15           There is I think evidence from diphtheria  
16 toxin that antibodies to the catalytic chain are  
17 less effective in neutralizing than antibodies to  
18 the binding domain. That is perhaps understandable  
19 in that an antibody to this region would prevent it  
20 from binding to PA. An antibody to this region, in  
21 fact, would have to be carried along with the LF  
22 into the endosome. The pH would fall, so the  
23 antibody would be less-favored environment to  
24 maintain its affinity for LF. And then when this  
25 catalytic domain unfolds the path to the lipid

1 bilayer, you could imagine sloughing off in the  
2 antibody that was binding to the conformationally  
3 determined epitope. So, again, antibodies to this  
4 domain may be more relevant for neutralization.

5           The structure for edema factor was solved  
6 and reported just a month or two ago. This is a  
7 structure that was solved in complex with its  
8 essential cofactor calmodulin. In the picture  
9 here, we have subtracted--I should say the  
10 crystallographers have subtracted the calmodulin  
11 domain, so you only see EF regions, but not too  
12 much is known. EF is clearly the less studied of  
13 these molecules.

14           The important one, protective antigen, the  
15 structure was solved several years ago. You have  
16 the N-terminal domain, which is removed by FURIN  
17 cleavage. The domain 2 forms the channel, the bulk  
18 of the channel through the lipid bilayer, and  
19 domain 4 is especially relevant because it is the  
20 receptor binding domain. I didn't mention the new  
21 evidence the immunization with just domain 4 can  
22 infer protection. So, clearly, this is an  
23 important part of the molecule.

24           What was learned by studies with mouse  
25 monoclonal antibodies? Antibodies were made at

1 USAMRIID in the '80s by Steve Little, and  
2 Friedlander, and Leppla and others. The general  
3 conclusions I think were that, of the large number  
4 of monoclonal antibodies that were made, only three small  
5 site--a small number of those were actually  
6 neutralizing antibodies, and they could be sorted  
7 into three groups, depended on what they reacted  
8 with.

9           So there is a receptor binding domain in  
10 domain 4, which I just referred to, and so these  
11 are neutralizing antibodies that neutralize by  
12 binding to domain 4 and preventing it from binding  
13 to cells.

14           There is an LF binding region on domain 1,  
15 and this is typified by monoclonal antibody 1G3.  
16 These antibodies essentially compete with LF for  
17 the LF binding site. There is another set where  
18 the role is less understood. I especially want to  
19 try your attention to this antibody 1G3 because it  
20 is a unique molecule in that it will neutralize at  
21 less than stoichiometric amounts. So, in cell  
22 culture, a tenth of a microgram will neutralize a  
23 microgram of PA, and it does that because it only  
24 reacts with the activated species, the PA 63. It  
25 doesn't waste its time reacting and it does not

1 react with intact PA. So there is a sparing  
2 activity. It is only recognizing the active  
3 species. So that is an important antibody. It is  
4 one that I hope people will consider for developing  
5 as a therapeutic agent.

6           Just to reiterate, the 1G3 antibody type  
7 reacts at the surface, which is exposed by removal  
8 of domain 1A. Whereas, 14B7-type antibodies react  
9 on domain 4. More specifically, we know that they  
10 react with what we call a small loop. We were  
11 doing extensive mutagenesis in the small loop of  
12 domain 4, and we can show that mutations in the  
13 small loop prevent the mutant PA from recognition  
14 by 14B7.

15           And 14B7, the gene has been cloned, and  
16 Affinity-improved version of 14B7 has been  
17 developed by George Georgio at the University of  
18 Texas and shows quite good efficacy in neutralizing  
19 toxin in the rat model previously described. So  
20 that 14B7 improved variant is a candidate for a  
21 therapeutic neutralizing antibody.

22           So, again, just to reiterate, antibodies  
23 to toxin work because there are a number of things  
24 going on, on the surface of the cells. You have a  
25 number of targets, opportunities for interfering

1 with toxin action. You can block PA binding to its  
2 receptor, you can block the surface on the top of  
3 the PA heptomer, to which LF and EF bind. I have  
4 not described in detail antibodies to EF and LF. I  
5 think those play a smaller role, but they should be  
6 better characterized for their potential utility  
7 and to understand better the important epitopes on  
8 LF and EF that we would like to target.

9           So, just in conclusion, I can say that the  
10 availability of the structures of the three  
11 components have led to a description of how the  
12 antibodies neutralize the toxin, and this allows us  
13 to design serological tests that will be predictive  
14 for protective immune response. I think if we  
15 understand those neutralizing epitopes, we can look  
16 in the antibodies induced by various vaccines and,  
17 at least in the laboratory, identify those antisera  
18 which contain the right antibodies, the antibodies  
19 directed against those neutralizing epitopes.

20           Thank you for your attention.

21           [Applause.]

22           DR. BURNS: Before Art opens this up for  
23 questions, I just want to make the announcement  
24 that we are transcribing this workshop, so it is  
25 going to be important, when you ask a question,

1 that you use a microphone, and there will be  
2 microphones set up down here.

3 Please indicate who you are and where you  
4 are from. Thanks a lot.

5 DR. FRIEDLANDER: Okay. We'll open this  
6 up for discussion. I think it is sort of  
7 self-evident that we know a great deal more about  
8 toxins. Some of that is because of the interests o  
9 of cell biologists and some of it is because it is  
10 easier, even though it's not easy, and then what  
11 goes on in an animal.

12 Yes, Drusilla?

13 DR. BURNS: This is Drusilla Burns from  
14 CBER.

15 The finding that antibodies to PA affect  
16 spores is really surprising, and I note that you  
17 probably don't know a lot more about it than what  
18 you told us, but could you speculate a little bit  
19 on how the antibodies may be affecting the spores?

20 DR. FRIEDLANDER: That is an intriguing  
21 question. I don't really have the answer for it.  
22 Again, this is, as I mentioned, the work that is  
23 done by Sue Wellcos. It followed on some  
24 observations that were reported without much data  
25 by a group in Russia, and she followed up on that



1 and basically demonstrated, as I said, one, effects  
2 on both germination, as well as on opSimization,  
3 and the question then is is, one, is this PA? Is  
4 it somehow, I mean, the presumption is this is  
5 exposed on the surface. There is an experiment  
6 that I mentioned that was done with a colleague  
7 from Israel, where a PA-null mutant, an insertion  
8 mutant did not show the same effect of  
9 opSimization.

10 Now there are other interpretations of  
11 that, though; that is to say, that in the  
12 preparation and purification of the spores, it's  
13 conceivable that PA being produced is somehow  
14 absorbed to the surface even though these are clean  
15 spores, wet spores. It's conceivable during the  
16 generation of sporulation, when there are  
17 vegetative organisms there that are being degraded  
18 and lysed, that PA is present and binds to the  
19 spore, and that may be the interpretation. I don't  
20 know that that's the answer to that. So that would  
21 explain also why the PA mutant is noneffective, but  
22 it nevertheless is intriguing as to how it affects  
23 germination. OpSimization I think is  
24 understandable.

25 DR. ZOON: Kathy Zoon, CBER.

1           Steve, I have a question. Has anybody  
2 looked yet at antibodies to TeM 8 to see if they're  
3 neutralizing.

4           Secondly, and this is to both of you,  
5 would you predict that a cocktail of  
6 immunoglobulins, with the primary epitopes that  
7 have been pointed out to protective antigen lethal  
8 factor and other important criteria, might be an  
9 approach for developing a therapeutic procedure?

10           DR. LEPPLA: Very little is known about  
11 TeM 8. TeM 8 was only discovered a year ago.  
12 There's only two papers published about it. I  
13 think the Kinslow lab is looking at questions like  
14 the one you raised. A related question is what is  
15 the natural ligand of TeM 8. We'd certainly like  
16 to know whether there is a normal ligand to TeM 8  
17 and whether PA interaction with TeM 8 would affect  
18 the function of the normal ligand.

19           I didn't mention, in terms of  
20 therapeutics, the paper that I showed you from John  
21 Young. They did, in fact, express the  
22 extracellular domain of TeM 8. In E. coli and in a  
23 cell culture model, they showed that that did block  
24 toxin action. So I think the extracellular domain  
25 as a receptor decoy is a therapeutic that people

1 are going to be pursuing.

2 In terms of the cocktail, do you want to  
3 respond to that?

4 DR. FRIEDLANDER: Sure. I would just add  
5 one point in reference to the receptor. There have  
6 only been limited studies done, and none recent to  
7 my knowledge--well, I take it back. There probably  
8 are that I don't know about, in terms of the  
9 vaccines. What I was getting at was the potential  
10 side effects or toxicity of protective antigen by  
11 itself. Presumably, there is this receptor.  
12 There's some old data in the literature that  
13 suggests that there may be some effects of  
14 protective antigen by itself. I know that there  
15 are some toxicity studies that have been done, and  
16 I presume it's been safe, but that's something to  
17 keep in mind in terms of this receptor. The TeM 8  
18 receptor for PA by itself, somehow triggering that  
19 receptor.

20 The second point, in terms of a multitude  
21 of antibodies, Steve Little did some of the early  
22 studies with passive protection with these  
23 antibodies, but I don't think there were any  
24 cocktails that were studied.

25 Nevertheless, in other model systems, it

1 is clear that you can get increases of affinity by  
2 a multitude of antibodies, and that's of course the  
3 advantage of polyclonal antibodies. Work has been  
4 done with botulinum toxin that clearly shows  
5 increased effectiveness of a cocktail of monoclonal  
6 antibodies. So I think you can anticipate that  
7 that would be the case here too.

8 I think, at least count, every company  
9 that has made the human monoclonal antibody is  
10 making one. It's up to, I don't know, 12 or  
11 something that I know of. I don't know. You  
12 probably know more.

13 MR. SIBER: [Off microphone.] George Siber  
14 of Wyeth.

15 The core of our discussion today is likely  
16 to be published on neutralizing antibodies and  
17 their measurement. You described three methods:  
18 The mouse macrophage for surette[?] and then mouse  
19 fality[?]. But when you commented about those, you  
20 worried that there may be multiple lethal functions  
21 which are not measured by one or the other of these  
22 models. What I wanted to know is, is there  
23 evidence, in fact, for that? In other words, are  
24 there toxin mutants or inactivated toxins that are  
25 inactive in one of those models and yet are really

1 inactive in another?

2 DR. FRIEDLANDER: I'm not aware that  
3 there, but the physiologic effects of the toxins  
4 have not been well studied, other than the lethal  
5 effect or the edema, and the edema has not been  
6 well studied.

7 So the question as to whether or not there  
8 are other effects, if I understood what you are  
9 saying in an animal, for example, by an LF mutant,  
10 whether LF might have other effects other than its  
11 catalytic domain would be hard to know, I mean, it  
12 would be unlikely I think. On the other hand,  
13 there are multiple functions of proteins, and, I  
14 don't know, I haven't thought about that, but it  
15 would be hard to know--nobody has demonstrated any  
16 effect other than in an animal, but you'd have to  
17 see what may be a more subtle effect that you'd be  
18 looking for.

19 DR. HEWLETT: Erik Hewlett, the University  
20 of Virginia. Thank you both for your  
21 presentations. I have a couple of questions. I  
22 will ask them and let you answer, rather than  
23 piling the questions up.

24 The first is that this illness is  
25 described as one that is not transmissible from

1 patient to patient, yet in the phase of bacteremia  
2 I presume that this would be behave like a  
3 blood-borne pathogen and be transmissible by blood  
4 products; is that not the case?

5 DR. FRIEDLANDER: Absolutely the case.

6 DR. HEWLETT: Okay.

7 DR. FRIEDLANDER: I mean absolutely never  
8 have seen evidence for that, but I think you can  
9 say absolutely.

10 [Laughter.]

11 DR. HEWLETT: That's as absolute as you  
12 can get.

13 DR. FRIEDLANDER: Absolute as you can get,  
14 right.

15 DR. HEWLETT: There is obviously an  
16 important phase of this infection in which the  
17 organisms are residing intracellularly in  
18 macrophages or at least passing through. What do  
19 we know about, number one, both of you alluded to  
20 this a little bit about production of toxin during  
21 the intracellular phase versus organisms that are  
22 in the bloodstream or resident in the tissues.

23 Second of all, as is the case now at least  
24 in some instances with HIV, are these organisms  
25 gaining access to the central nervous system and

1 other places such as that as free organisms or  
2 might they be carried there by macrophages that  
3 still have organisms within them?

4 DR. FRIEDLANDER: Well, there's data from  
5 Michelle Mock's lab by looking at gene expression,  
6 that the toxin genes are expressed inside the  
7 macrophage very quickly. I don't know that there's  
8 any data on protein expression. No, no. These  
9 were fusion. I think some of these were lack C  
10 fusions.

11 DR. HEWLETT: Of GFD?

12 DR. FRIEDLANDER: I don't think anybody's  
13 done GFD, but there's evidence that it's expressed  
14 intracellularly in the macrophage.

15 DR. HEWLETT: But macrophages are killed  
16 fairly quickly by LF coming from the outside or  
17 some macrophages are--

18 DR. FRIEDLANDER: At high concentrations,  
19 right. I think the question as to whether, and I  
20 alluded to that, whether in other forms of the--I  
21 didn't have time to go into it--whether in other  
22 forms of the infection, that is, the cutaneous  
23 model, whether or not you really need a macrophage  
24 I think has not been proven.

25 In terms of how the organism gets to the

1 CNS, I have no idea. The speculation that it could  
2 come intracellularly is entirely reasonable.

3           We do know that there are some patients  
4 that present with meningitis. In fact, there has  
5 been one outbreak, a remarkable outbreak with I  
6 think it was food--I can't remember, it may have  
7 been handling--where most of the cases in India, I  
8 think there were six or seven cases, and five of  
9 them had meningitis or something like that. It was  
10 extraordinary.

11           So it is clear that in some instances,  
12 that spore gets through really quickly, I mean, the  
13 presumption is it is coming through the lung, and  
14 seeds the brain, and once that occurs, I think the  
15 chances, of course, for survival and the host being  
16 able to contain the infection are not very great.

17           I should also point out that, again, in  
18 meningitis, and pathologists may add to this, there  
19 is often significant vascular involvement, a direct  
20 involvement of the blood vessels.

21           DR. HEWLETT: Including increased  
22 blood-brain barrier permeability?

23           DR. FRIEDLANDER: I don't know. I mean,  
24 that has not been studied.

25           DR. HEWLETT: The final issue is, in



1 Michelle Mock's mutant that is nontoxogenic, but  
2 still has an LD 50 of only 10 to the 3, what was  
3 the pathology and the mode of death in those  
4 organisms? I think we focus a lot on toxin.  
5 Obviously, with lethal toxin able to kill animals  
6 and patients dying, that is the ultimate endpoint  
7 that is easy to look at, but how do animals that  
8 have only encapsulated organisms die?

9 DR. FRIEDLANDER: First of all, the  
10 observation was made initially by Sue Welkos,  
11 where--actually, it was made by some Russians,  
12 also, because the Russians made most of the  
13 observations, and that is that a PX01-minus strain  
14 kills the mouse. That was what Sue demonstrated.

15 I don't know that there have been any  
16 detailed studies of, and that would be very  
17 important to do, of--I don't recall that they were  
18 done.

19 DR. HEWLETT: Thank you.

20 DR. BURNS: I think, for the sake of time,  
21 we are going to need to move on, and I want to  
22 thank Art and Steve.

23 We got a late start today, so we're only  
24 going to get a 15-minute break. We're going to  
25 start exactly in 15 minutes.

1 [Recess.]

2 ANIMAL MODELS

3 DR. BURNS: Our next session is going to  
4 concern animal models. This subject takes on a  
5 particular importance for anthrax vaccines because  
6 it is very likely that human efficacy trials will  
7 not be feasible to conduct, nor would they be  
8 ethical to conduct.

9 In situations like this, the FDA is  
10 considering a proposed rule that would allow the  
11 use of animal data, data from animal studies, to  
12 support the efficacy of vaccines. Now this rule is  
13 in the proposed stage. It has not been finalized,  
14 so I say everything I am going to say with the  
15 caveat that it could change. However it is under  
16 final review by OMB. So we are hoping the final  
17 rule will be out shortly.

18 I thought, to introduce the session, it  
19 would be important to give you a little education  
20 about this proposed rule that we call the animal  
21 rule. Now, first, the scope of this rule is that  
22 FDA may approve a biological product for which  
23 safety has been demonstrated based on efficacy data  
24 obtained in adequate and well-controlled animal  
25 trials. I think it is important to point out that

1 the safety data, of course, would have to be in  
2 humans. It would be the efficacy data that would  
3 be in the animals.

4           Now this could occur if the product is to  
5 be used in the reduction or prevention of serious  
6 or life-threatening consequences resulting from  
7 exposure to a biological agent. The product would  
8 be expected to provide benefits over existing  
9 treatment, and human efficacy trials are not  
10 feasible or ethical.

11           Now written as the proposed rule, there  
12 are four requirements, and I think we need to keep  
13 these in mind as we go through our discussions  
14 today. The first requirement is that there is a  
15 reasonably well-understood pathophysiological  
16 mechanism of the toxicity of the substance and its  
17 prevention by the product.

18           The second one is there is independent  
19 substantiation of the effect in multiple animal  
20 species, including species expected to react with a  
21 response predictive for humans.

22           Thirdly, the animal study endpoint is  
23 plainly related to the desired benefit in humans,  
24 which is generally the enhancement of survival or  
25 the prevention of major morbidity.

1           Finally, the data or information on the  
2 kinetics and pharmacodynamics of the product or  
3 other relevant data or information in animals and  
4 humans allow selection of an effective dose in  
5 humans.

6           Well, in this session, we are going to  
7 concentrate on the second requirement, which is  
8 there is an independent substantiation of the  
9 effect in multiple animal species, including  
10 species expected to react with a response  
11 predictive for humans. We are going to hear about  
12 a number of animal models, including the human.

13           I think what we need to do is pay  
14 particular attention to the following questions:  
15 What is the nature of the disease in a particular  
16 animal species and does it look like the disease in  
17 humans, and does the immune response in the animal  
18 resemble the human immune response?

19           To start out, what we are going to do is  
20 hear about the human disease, and Dr. Phillip  
21 Pittman, from USAMRIID, will tell us about human  
22 pathology and the human immune response.

23           DR. PITTMAN: Thank you very much. I'd  
24 like to thank the organizers for inviting me to  
25 talk here today on the subject of human disease

1 caused by anthrax and the human immune response to  
2 the current licensed anthrax vaccine.

3           The human disease is characterized  
4 basically by three forms of disease, which include  
5 cutaneous, gastrointestinal and the inhalational  
6 form of anthrax. We will also discuss the human  
7 response to the licensed anthrax vaccine, which we  
8 have been calling for several years AVA, but has  
9 been revived now by the name of Biothrax, but I  
10 will continue to use the term AVA in this  
11 presentation.

12           We will discuss the background studies  
13 that led to a dose reduction, route changed pilot  
14 study, which was the basis for Congress funding CDC  
15 to do a pivotal study to look at a decrease in  
16 dosage and a change in route for administration of  
17 AVA, and we will discuss the serologic and  
18 specimization studies which was the background to  
19 this pilot study.

20           We will discuss the study itself, and then  
21 we will discuss the idea of sustained boosting  
22 versus interval boosting of the anthrax vaccine,  
23 which was done at Fort Bragg. If there is adequate  
24 time, we will go through the analysis of VAERS  
25 forms and some future studies.

1           As you know, the cutaneous form of the  
2 disease was fairly common in the recent outbreak.  
3 There are also gastrointestinal and the inhalation  
4 forms, and the morbidity and mortality associated  
5 with these forms are so that the inhalational form  
6 is the most morbid. In the most recent outbreak,  
7 the mortality rate was 50 percent. You may recall  
8 that the old data suggested that the mortality rate  
9 approached 90 to 100 percent. So that even with  
10 the use of triple antibiotics, the powerful  
11 antibiotics that we have today, there was still a  
12 50-percent death rate.

13           This is an example of cutaneous anthrax.  
14 You can notice the classic S scar. Biopsies were  
15 taken at these points. By the way, if you take a  
16 biopsy, I am told by the pathologists that this is  
17 not the best place to do it, but rather to take it  
18 close to this area, to the advancing border. That  
19 would give more classic findings than where those  
20 biopsies were taken.

21           This is another patient. In this case,  
22 the S scar is no longer present. The S scar has  
23 fallen off.

24           This is an infant with cutaneous anthrax.  
25 Here we see the classic S scar. This is cream that

1 was put on the child in order to decrease some of  
2 its symptoms.

3           This is a slide of gastrointestinal  
4 anthrax. You may notice the hemorrhage and edema  
5 that are fairly prominent. This is a CT scan  
6 through the abdomen with IV contrast. I just want  
7 to point out here, and you may not be able to see  
8 that, that there is edema of the bowel wall, as  
9 well as pneumatosis, which is shown here in these  
10 areas. These are some of the classic findings of  
11 the gastrointestinal form.

12           Art has already gone through the  
13 inhalational form fairly extensively, just to show  
14 that, again, the meat of the pathology is in the  
15 peribronchial and mediastinal lymph nodes. You saw  
16 this slide before. The head is in this direction,  
17 the trachea and the bifurcation with this infected  
18 lymph node.

19           This is another view of the same thing.  
20 Again, the head is in this direction, the trachea,  
21 the bronchi, showing a massive amount of hemorrhage  
22 that is characteristic of this disease.

23           Again, chest X-rays showing mediastinal  
24 widening, bilateral hilar adenopathy and pleural  
25 effusion. Pleural effusions are seen here, and,

1 again, the very impressive lymph nodes of this  
2 disease.

3 I will just skip through some of these.

4 Of course, this is the brain. This is the normal  
5 brain, and this is the brain of the patient who has  
6 succumbed to anthrax, showing the hemorrhagic  
7 process that takes place.

8 There is an effective vaccine that is  
9 licensed for the prevention of anthrax, and that  
10 vaccine is known as AVA, as we call it, or  
11 Biothrax, as it has been renamed. The vaccine is  
12 given in a primary dosing scheme of six doses, with  
13 three doses being given two weeks apart over four  
14 weeks, and three additional doses are given six  
15 months apart at six months, twelve months and  
16 eighteen months.

17 We, in our studies of the vaccine, wanted  
18 to see if we could improve upon both if we could  
19 decrease the number of doses and what we will refer  
20 to as the priming doses and also we could decrease  
21 the number of later secondary doses from three to  
22 two in an effort to get the primary series down to  
23 a total of four doses of over 18 months.

24 Before we get into those studies, I would  
25 like to just remind you that Brachman, et al., did



1 do an efficacy trial in the '50s of a precursor  
2 vaccine and that this vaccine did show a  
3 92.5-percent efficacy rate against cutaneous and  
4 inhalational anthrax.

5           Just discussing the background work, two  
6 of the dose reduction, route change pilot studies,  
7 I will go through briefly some specimization data.  
8 These data were collected in a passive mode; that  
9 is, patients who showed up to the specimization  
10 clinic as a matter of course for--these were  
11 at-risk individuals who work in the bio containment  
12 laboratories, as well as maintenance workers who  
13 have to maintain the facility.

14           Like any passive study, there are some  
15 advantages and disadvantages. The results of the  
16 study is in your handout. I should say that  
17 apparently these slides did not make your handout,  
18 for some reason. I am told by the planners that  
19 they will be mailed to you after the conference.

20           In terms of which adverse events were  
21 noticed in the specimization group, there were no  
22 differences in the systemic adverse events as  
23 reported by either age or ethnic group. However,  
24 we did see a significant gender difference, and  
25 that is compared to males, females had a higher

1 incidence of headache, malaise and fever and a few  
2 others compare it to males. In terms of local  
3 reaction, females had markedly elevated increase  
4 incidence of induration erythema and tenderness at  
5 the injection site.

6           We also looked to see if, having received  
7 a dose of vaccine and having had a reaction to it,  
8 if you were more likely to have a reaction if you  
9 received a subsequent dose of the vaccine. What  
10 this data showed is that using a logistic  
11 regression model, controlling for lot and gender,  
12 since we know that those do play a role, we did see  
13 that there is a difference, that there is some  
14 predictive value to having had prior erythema and  
15 induration as a way of predicting whether or not  
16 the same reactions would occur to the next  
17 injection.

18           In the odds ratio, there were 13, but  
19 again, in this study, most of the injection site  
20 reaction were followed by injections in which there  
21 was no prior reaction. So that makes this not that  
22 great as a predictor.

23           So we concluded this from the SRP study  
24 that despite this being a passive self-reported  
25 study with some limitations, that we did notice

1 some differences in the reaction rate. In terms of  
2 gender and in terms of age, we also notice a lot  
3 difference. In terms of looking at the serologic  
4 response, we did a survey of the specimization  
5 clinic looking for individuals.

6 By the way, the hypothesis was that IgG  
7 antibody response of individuals who received a  
8 second dose of AVA at intervals greater than two  
9 weeks showed so an increase as the interval  
10 increases. So, in other words, as the interval  
11 between the first and second dose increased from  
12 two weeks to three weeks to four weeks, we should  
13 see an increase in the seroconversion rate, as well  
14 as an increase in the maximum titer at peak. In  
15 fact, we did two studies to look at that effect.

16 We did one study in which we looked two  
17 weeks after the second dose of the vaccine,  
18 regardless of when the second dose was  
19 administered. So this is a constant time from the  
20 second dose. We also did a study looking at a  
21 constant time from the first dose, and in this  
22 particular instance, that was about 49 days. We  
23 used an immunocapture ELISA assay to analyze that,  
24 and that was previously described in a different  
25 report. In this study, we showed that if we

1 increased the intervals from two, three to four  
2 weeks between the first and second doses, this  
3 shows the number of individuals. The  
4 seroconversion rate was 90 to 100 percent in this  
5 case. Geometric mean titer ranged from 450 to  
6 1860. Notice that the geometric mean titer was  
7 three to four times as much in the three- and  
8 four-week group compared to the two-week group.

9           The second one, which we look two weeks  
10 from the second dose, two, three and four weeks  
11 between the first two doses, this column shows the  
12 number of people, the geometric mean titer. Again,  
13 the geometric mean titer was three to four times  
14 higher than the individuals who were three or four  
15 weeks late for that second shot, and the  
16 seroconversion rate increased from about 50 percent  
17 to 100 percent from two weeks to four weeks. So  
18 that our hypothesis was verified here.

19           We decided then, using this data; i.e.,  
20 knowing that individuals who reported for the  
21 second dose at two, three or four weeks, at three  
22 or four weeks were higher than those who reported  
23 at the second week, and we also used the fact that  
24 females had a higher reaction rate than did males.  
25 We also knew at that time that in animals, that one

1 or doses protected the animals, and we know that  
2 the anthrax vaccine is the only licensed vaccine  
3 for human use and that contains aluminum hydroxide  
4 or an aluminum-containing compound that is given  
5 subcutaneously. All other vaccines containing  
6 aluminum compounds are given IM.

7           So we decided to look to see if giving the  
8 vaccine IM to humans decreased the reaction rate,  
9 but yet was as immunogenic as the subcutaneous  
10 route. We did that looking at a dose-reduction  
11 route change study.

12           In this study, since no one has studied a  
13 single dose before, we decided to look at a single  
14 dose of vaccine given either SQ or IM. Two doses  
15 of the vaccine given two weeks apart, SQ or IM, and  
16 two doses given four weeks apart, SQ IM, and the  
17 control group given all six doses over 18 months  
18 subcutaneously. We did not do an IM group in this  
19 study because the objective at that time was to  
20 look at a reduced dose. Some of us, there was a  
21 lot of debate because some of us wanted to look at  
22 the IM route as well because it could have panned  
23 out that IM route could have been safer, and that's  
24 all that we--but not as immunogenic, but there were  
25 those who felt differently. So, in any event, we

1 did not do an IM route using all six doses.

2           One can see here that, again, the schedule  
3 of the route and the number of individuals ranged  
4 from 22 to 28 and the mean age from 32 to 35. The  
5 assay in this case was a validated direct ELISA.  
6 We used the peak anti-PA IgG concentration and the  
7 seroconversion rate at peak to spore as a positive  
8 when needed an IgG concentration of at least 25  
9 micrograms per milliliter or greater or a titer of  
10 1- to 200 or greater. We looked at a random sample  
11 of 10 percent of individuals were looked at in a  
12 validated toxin neutralization assay. These are  
13 the results.

14           The control group had a very nice response  
15 with over 400 micrograms of anti-PA IgG per  
16 milliliter. The single-dose groups did not do very  
17 well. However, the groups that received two doses  
18 two weeks apart did fairly well, reaching about 150  
19 or 200 micrograms per milliliter, and the 0-4  
20 group, as we predicted, did quite well, did as well  
21 as three doses over four weeks. So, again, two  
22 doses over four weeks, versus three doses over four  
23 weeks, and they have the same geometric mean titer  
24 at peak. The peak in this case was at six weeks.

25           PARTICIPANT: [Off microphone.]

1 [Inaudible.]

2 DR. PITTMAN: Thank you very much, in case  
3 this slide is not very clear.

4 So that these two routes and schedules  
5 were in a known inferiority test were noninferior  
6 to the control group.

7 Now one of the things I like to point out  
8 here. Notice before in the background data, the  
9 serologic data, we have noticed that the four-week  
10 group had about three or four times as much  
11 antibody at peak as the two-week group, and that is  
12 verified in this particular study. Again, if you  
13 compare routes, 0-2 SQ, 04 SQ, three times as much.  
14 Similarly, for the IM route at 0-2 and 0-4, it has  
15 about four times as much antibody, which confirmed  
16 the previous--so this prospective study confirmed  
17 the retrospective analyses.

18 If we look then at the response rate,  
19 seroconversion rate, that was 100 percent for the 0  
20 to 4 group, and it was 96 to 100 percent for the  
21 0-2 and the 0-4 groups. Now the single individuals  
22 in these two groups did have antibody. They had a  
23 small amount of antibody. However, it was not  
24 enough to reach the 25 micrograms per milliliter  
25 required of this validated test. Nevertheless,

1 they did all have antibody.

2           Since they have not reached the  
3 25-micrograms-per-milliliter level, we consider  
4 them as nonresponders by this test, by this  
5 validated test. This is shown graphically in this  
6 slide. Again, the log antibody concentration  
7 versus time in weeks. This line represents the  
8 0-to-4 group, the three-dose group. This line  
9 represents the 0-4 SQ group, with this line  
10 representing the 0-4 IM group.

11           Now, at peak, again, in a noninferiority  
12 test, there is no difference, and that was true for  
13 the duration of this study, for the entire four  
14 weeks after peak. However, there was a  
15 statistically significant difference between Weeks  
16 3 and 5, between to 0-4 groups IM or SQ and the 0  
17 to 4, and that is of course because they did not  
18 receive a dose at two weeks, but after that they  
19 are all the same.

20           Also, females had a higher titer, had a  
21 higher antibody concentration all along this route,  
22 but that did not reach statistical significance.  
23 This shows a correlation between the ELISA and the  
24 toxin neutralization, that there is a nice  
25 correlation there.



1           I will just be very brief here. This is  
2 just to show that IGM is produced in these  
3 individuals who are given AVA.

4           If we turn our attention now to symptoms,  
5 there was no difference between IM and SQ in  
6 systemic symptoms when the vac--either IM or SQ in  
7 systemic symptoms, as we can see here by these P  
8 values. However, when we look at the injection  
9 site reaction, such as tenderness, subcutaneous  
10 nodules, erythema, induration and warm, comparing  
11 IM versus SQ, we do see a significant difference in  
12 the rate of the reactions.

13           For subcutaneous nodules, there were none  
14 in the IM group. There were no SQ nodules in the  
15 IM group. Whereas, in this combined group, there  
16 was about 40 percent had subcutaneous nodules.  
17 Similarly, for erythema and induration. Even the  
18 rate of tenderness, tenderness was a little bit  
19 less in the IM group. I am not going to put a lot  
20 of value on that.

21           Now, seeing that the SQ group had such a  
22 high reaction rate, we looked at the usual  
23 demographics to see if there was a reason for that.  
24 When we looked at sex and age, we do not see a  
25 difference. However, when we stratified based upon

1 gender, we did see a tremendous difference. So  
2 this slide shows the subcutaneous route stratified  
3 by gender. Here we see that for subcutaneous  
4 nodules, males had about 24 percent. Whereas,  
5 females had 63 percent--so three times the rate of  
6 subcutaneous nodules. Similarly, for erythema and  
7 even worse for induration.

8           Now, if we look at the entire six-dose  
9 series, these numbers increased to 70 to 80 percent  
10 for subcutaneous nodules. I would say, though,  
11 that all of these reactions, including subcutaneous  
12 nodules last a few--except subcutaneous  
13 nodules--last for two to three days, they  
14 disappear, and the patient is perfectly well.

15           The subcutaneous nodule may last for  
16 several weeks and occasionally for a few months.  
17 We have seen in specimization that the subcutaneous  
18 nodules lasted as long as six months. However, the  
19 subcutaneous nodule does not cause any symptoms in  
20 patients. They just simply know that they are  
21 there, and ignore them and go on about their work.

22           This slide is just to show that there is a  
23 correlation between the antibody level and adverse  
24 events at the injection site. This was even the  
25 case when we included the IM group. So, if we

1 lumped them all together, we saw a difference.  
2 Now, if we knock out this IM group, this difference  
3 becomes much more striking than what we see on this  
4 slide. The correlation becomes much more striking.

5           So that this study showed quite  
6 conclusively that without any reduction in the  
7 immune response or in the immune readiness, since  
8 we are in the military, we like to use those kinds  
9 of terms, without significant reduction in immune  
10 readiness, there is a significant reduction in  
11 local adverse events to AVA when the vaccine is  
12 administered by the IM route or even when the  
13 interval between the first two doses SQ is  
14 increased from two weeks to four weeks. The IM  
15 route is the route for all other  
16 aluminum-containing compounds and that a large  
17 pivotal study is required for the FDA to allow a  
18 supplement to the licensure for a route in  
19 dose-reduction change.

20           I would say that this study, the pilot  
21 study, was funded by JPL, and in our discussion  
22 with the FDA back in '95/'96, the plan was to go  
23 straight ahead from this pilot study and do a  
24 pivotal study. However, the JPL, in its wisdom,  
25 decided not to fund the study beyond that point.

1     However, the Congress did fund FDA to the tune of  
2     \$20 million per year for five or seven years to do  
3     that particular study. We hope that they will  
4     vaccinate their first patient soon.

5             This shows the six-dose schedule. If we  
6     look at, again, the log IgG concentration versus  
7     time in weeks, and you saw this part of the curve  
8     before, if you then give the boost at six months,  
9     there is a robust anamnestic response. The  
10    antibody decreases over time. You give the next  
11    dose at 12 months. There is another great  
12    response. It decreases a little bit. Notice that  
13    there is a difference in the slope of these two  
14    lines, and then at the 18-month dose, there is  
15    still a response. Notice that the trough steadily  
16    increases, and we think that at some point that a  
17    plateau is reached in this trough, and we are doing  
18    a study to look at that.

19            This study gets into the question of  
20    whether or not--currently, as the vaccine is  
21    licensed, annual boosts are required if an  
22    individual remains within an at-risk area. We  
23    think that there might be a better way to do that  
24    and that the anthrax vaccine, in some conditions,  
25    in some circumstances, could be treated just like

1 all other vaccines, and that is that you prime a  
2 person, and then you give interval boosts.

3           Well, the Fort Bragg study, in essence,  
4 kind of gave us some supporting data to suggest  
5 that that is possible. In this case, we took  
6 individuals who were vaccinated during Desert  
7 Shield/Desert Storm for both anthrax and botulinum  
8 toxoid. We decided to offer to bring them together  
9 to draw blood--well, this was done by informed  
10 consent and all--to draw blood and offered them a  
11 booster dose of the vaccine, and this is the result  
12 of that study.

13           It turns out that some individuals had  
14 one, two or three doses, dependent upon when they  
15 received the vaccine during that particular war.  
16 Since there was an abrupt end to hostilities, it  
17 was felt that there was no need to continue with  
18 the vaccination. So that some individuals received  
19 one dose, some received two and others received  
20 three doses of the vaccine. These are the results  
21 from that study. Again, since this was an older  
22 study, we used this as titer, and we used the older  
23 immunocapture ELISA, not the validated direct  
24 ELISA.

25           I will just go straight to this slide.

1 Again, this is the reciprocal of the anti-PA IgG  
2 concentration, and this is the number of doses  
3 given during Desert Storm. Again, these people  
4 were given a booster. This is the pre-boost titer,  
5 pre-boost titer, pre-boost titer, pre-boost titer,  
6 and the post-boost titer. One can see that there  
7 is a dramatic increase in the titer before and  
8 after. But interestingly, though, many of these  
9 individuals did have titer consisting, even after  
10 two years after having received either one two or  
11 three doses of the vaccine. So that antibodies do  
12 persist over a long period of time.

13           As we can see here, even the group, and we  
14 would not think of considering troops immunized if  
15 they received only one dose, but even the one-dose  
16 group responded in an anamnestic manner.

17           So the Bragg study did show that antibody  
18 persists for up to two years after receiving one,  
19 two or three doses, and that one can give these  
20 individuals a boost and get a fantastic, robust  
21 anamnestic response.

22           I just want to say one word about the use  
23 of anti-AVA plasma. One other useful purpose for  
24 individuals who are immunized against AVA is that  
25 their plasma can directly be used to help patients

1 who have serious anthrax disease or, for that  
2 matter, not-so-serious anthrax disease, and it  
3 might be better to give, if one is considering  
4 giving anti-AVA plasma, to give it earlier, rather  
5 than after it is too late. Also, it is being  
6 collected, as the laboratory reagent.

7           In an agreement with CDC, NIH and  
8 USAMRIID, we are beginning this week to collect  
9 plasma that would be available to be used in case  
10 of an emergency. We will collect a larger amount  
11 that we hope to process and to purify  
12 immunoglobulin that will be able to be used. But  
13 in the meantime, it is our hope that the plasma can  
14 tide us over until the purified immunoglobulin  
15 becomes available. This would be used under IV.

16           So there are still some interesting  
17 clinical questions that need to be answered, and I  
18 am getting close to the end. Again, the Congress  
19 did fund CDC to perform the confirmatory pivotal  
20 study looking for a dose-reduction route change.  
21 So that the CDC will also look at reducing the  
22 number of doses from six to four doses over 18  
23 months and will also look at giving booster doses  
24 at various intervals, so that we will hopefully be  
25 able to decrease the number of boosters and the

1 frequency of boosters in these individuals.

2           As you know, by now, over 500,000 troops  
3 have received the vaccine, and one question is  
4 whether or not it is safe. Once the CDC's pivotal  
5 study confirms the pilot findings so that it is  
6 okay to change the hundreds of thousands of troops  
7 who have received the SQ to the IM route, we would  
8 like to do some study to show that it is safe,  
9 although, empirically, I think all of us would  
10 agree that there is no reason why it shouldn't be  
11 safe, but we would like to provide the FDA with  
12 some data to show that that is the case.

13           One thing that needs to be looked at is  
14 why is it that females have such a high reaction  
15 rate compared to males when this vaccine is given  
16 subcutaneously and not enough work has been done to  
17 look at that particular question. Again, we are  
18 looking at whether the trough peaks or not.

19           The question of sustained versus interval  
20 boosting is something that needs to be looked at,  
21 and, of course, the long-term safety of this  
22 vaccine. We are currently doing a study at  
23 USAMRIID, in which we will study specimization  
24 participants who have received the vaccine up to 30  
25 or more years to see if there is any adverse effect



1 on them from having received the vaccine.

2           There are some other interesting titers  
3 that need to be looked at as well, epitope mapping,  
4 cytokine profiling and determine which, if any, HLA  
5 genotypes or haplotypes are responsible for immune  
6 response and also for adverse events. Those will be  
7 interesting studies to do.

8           Of course, most interesting for  
9 individuals in the military, as well as the  
10 civilian population, is the utility of anti-PA  
11 plasma in treatment of AVA disease. So we think  
12 that there may be a role, but we do need some  
13 laboratory and animal evidence to support that.

14           Thank you very much.

15           [Applause.]

16           DR. BURNS: Thank you very much.

17           Our next speaker is Les Baillie. He is  
18 with the Ministry of Defense in the U.K., and he is  
19 now currently at the University of Maryland. He is  
20 going to tell us about the mouse model of anthrax.

21           DR. BAILLIE: Thank you very much.

22           Just to clarify who I am and what I'm  
23 doing standing here talking to you guys, my  
24 affiliation is really the U.K. Ministry of Defense.  
25 I'm on a sabbatical with the University of

1 Maryland. I've come up here to save the world, and  
2 what I'm going to do is talk to you about some work  
3 that we've done looking at the mouse model, in terms  
4 of a model for looking at evaluating anthrax  
5 vaccines and trying to understand some of the  
6 issues around the disease itself.

7           Why use the mouse? Well, the mouse is  
8 small and furry, and we can use lots of them.  
9 Humans are small and furry, but we're not allowed  
10 to use lots of them, so we need to use animal  
11 models.

12           The mouse has been used for over 100 years  
13 in anthrax research. It is susceptible to disease  
14 by a variety of routes, including the aerosol  
15 route. We can use statistically significant  
16 numbers, so we can power our experiments. The  
17 immune system of the mouse has been well  
18 characterized, in terms of the availability of  
19 reagents, and look-across studies have been carried  
20 out with humans. So that is quite useful.

21           The mouse response to vaccination with the  
22 U.S. and the U.K. vaccines, which are fundamentally  
23 the same products in terms of they are made  
24 slightly differently by using different starting  
25 principles.

1           As Art has mentioned already, the mouse  
2 macrophage has been used extensively to study the  
3 effects of the lethal toxin on other agents, and  
4 the mouse has been used to generate monoclonal  
5 antibodies, which are specific against PA, the  
6 primary immunogen of the current vaccine.

7           Indeed, we have used the mouse model to  
8 T-cell map, T-cell epitope map PA, and I might  
9 mention that later.

10           The point is what is known about the mouse  
11 model? Now the problem with trying to mine the  
12 literature is that everyone has used different  
13 mice, they've used different methods of challenge,  
14 they've used different anthrax strains, and so  
15 they've all got different results. So it is very  
16 difficult to cull all of that data and come up with  
17 a common perception of the mouse model.

18           The mouse can be infected by a variety of  
19 routes, but the organism cannot cross unbroken  
20 skin. So you need to have some form of  
21 introduction into the mouse injected and  
22 subcutaneous routes have been used as, indeed, has  
23 aerosol challenge.

24           Indeed, the majority of workers have used  
25 injected-challenged models. Now the LD 50s for

1 these different routes of delivery vary for the  
2 same organism. The IM LD 50 is not the same as a  
3 SQ.

4 Inbred mice have been used extensively to  
5 study the reaction to anthrax and to the animals,  
6 and inbred models have their limitations, as will  
7 become obvious later. But it is the aerosol route  
8 of challenge which is of interest to ourselves, in  
9 terms of bioterrorism, and also in terms of the  
10 military applications.

11 The bottom slide gives you some idea of  
12 the difference in the infected dose and the  
13 different rates of delivery. As you can see, you  
14 require 800 times more spores to affect a mouse via  
15 the aerosol route.

16 Again, looking at the limited data  
17 available in literature concerning the pathology of  
18 the disease in mice, we can see that the inhaled  
19 form of anthrax in mouse is very similar to that  
20 seen in guinea pigs. Spores are taken out by  
21 alveolar macrophages, as Art has described already,  
22 and the spores germinate inside the macrophage  
23 relatively rapidly. They then go on to cause  
24 systemic disease, with organisms being found in the  
25 lungs during the later stage, probably as a

1 consequence of septicemic contamination.

2           Nothing much really to talk about in terms  
3 of, in fact, one of the characteristics of anthrax  
4 infection in mice, and in guinea pigs, and in most  
5 animal systems is a massive total bacteremia. This  
6 is where the toxin issue comes in, in terms of  
7 treatment.

8           Time to death can vary, but is usually  
9 three to seven days, depending on the mouse and the  
10 kind of strain.

11           Numerous attempts have been made to  
12 develop reproducible aerosol models for the mouse,  
13 including studies of our own. A variety of inbred  
14 mouse strains have been assessed using the Ames  
15 Porton strain, let's call it that. This strain was  
16 originally acquired from USAMRIID, and indeed has  
17 been sequenced. Indeed, this is the basis of the  
18 genome sequence, which we sponsored, and there's a  
19 very nice paper coming out soon talking about the  
20 strain.

21           Work is in progress to develop an aerosol  
22 challenge model. We are interested in aerosol  
23 protection against anthrax, and indeed we have a  
24 very active Porton looking at working out a model  
25 system which will allow us to challenge

1 reproducibility mice with an aerosol. Indeed, we  
2 have one such study using an outbred strain of  
3 mice, a Porton, called the Porton outbred strain.  
4 In one study, we can kill these animals with an  
5 aerosol, which is nice.

6           Let's go back to the inbred mouse issue.  
7 A lot of this work was done by Sue Welkos of  
8 USAMRIID, and they found that you can divide inbred  
9 mice up into a group of susceptible, intermediate  
10 and resistant. Now what is interesting is that  
11 these mice differ, and why do they differ?

12           Well, as Art alluded to earlier, it  
13 appears that the capsule is a much more important  
14 phagocytic characteristic than the toxic. You can  
15 challenge mice with capsule-positive, but  
16 toxin-negative organisms, and they will kill  
17 vaccinated animals. You don't see that in  
18 primates. It is very unlikely you see that in  
19 humans. It is a facet of the mouse.

20           Saying that, we have selected a  
21 susceptible strain of mouse, the A/J mouse, which  
22 we have used extensively, and we published on  
23 recently two papers in Infection and Immunity last  
24 month and this month, describing our work with this  
25 mouse model system.

1           The mouse is given the attenuated strain,  
2 lacks C5I80 [ph.], but is complemented efficiently,  
3 but it does die reproducibly.

4           Again, trolling back through the work in  
5 terms of the susceptibility to anthrax and the  
6 different responses you see with mice, the  
7 different vaccine formulations, we have seen that  
8 if you give mice only alum-based vaccines, you  
9 don't see as good of protection as you see with  
10 Ribi. Now Ribi-based vaccine is a TH1-based  
11 vaccine, and for some reason, you get better  
12 protection in a mass.

13           You also find that if you use the Vollum  
14 1B strain, which is the original U.K. weapon  
15 strain, you can actually protect the mice, but if  
16 you use the Porton Ames strain, you cannot. So we  
17 are seeing strain-to-strain variation, but we are  
18 also seeing variation in the route of delivery of  
19 PA to the immune system in terms of protection.

20           We do know from the primate work carried  
21 out at USAMRIID that if you give alum-based  
22 vaccines plus PA, you get total protection in  
23 monkeys. I would suggest that we are more closely  
24 related to the primate than we are to the mouse,  
25 but the mouse is useful in terms of at least giving

1 us some data and giving us an animal model system  
2 which allow us to ask big questions about our  
3 vaccine candidates.

4           So what is the utility of the mouse?

5 Well, it should be obvious to a lot of us in the  
6 audience that the mouse allows us to do wide-range  
7 studies. It allows us to look at different immune  
8 formulations that we are interested in. The DNA  
9 vaccination work is of interest to a number in the  
10 audience, I know. At Porton, we have we looked at  
11 using microencapsulation as a delivery system, and  
12 again I would point your attention to this month's  
13 I&I for review of that work.

14           We have been using the system to generate  
15 monoclonal antibodies, as have Steve and others  
16 from USAMRIID, for therapy. Recently, we have  
17 actually T-cell-mapped PA in treating haplotypes of  
18 mice, and we are hoping that this data will give us  
19 some help, in terms of developing better vaccines.

20           So the mouse as a potency assay, and when  
21 I say potency assay, I mean an assay for measuring  
22 the amount of biologically active PA in a vaccine.  
23 Work carried out at Porton has shown that we get a  
24 nice-spaced response curve with recombinant PA, as  
25 you can see here. We can protect this model if we



1 want to against a challenge with the STI strain,  
2 which remember that is the Russian human live spore  
3 vaccine strain. We can do that with  
4 reproducibility. And we have shown that anti-PA  
5 antibodies from these animals give passive  
6 protection.

7           So, after that brief gallop, what are our  
8 conclusions? At least on the efficacy side, there  
9 is no, as yet, validated aerosol challenge model,  
10 and this is a key drawback of the mouse model in  
11 terms of developing a model system which is going  
12 to give us results, which shall directly read  
13 across to humans. We need to have an aerosol  
14 challenge model.

15           Other factors, other than the toxin, may  
16 contribute towards virulence in mice, and Art has  
17 alluded to this already. As I mentioned, the  
18 capsule is more important than the toxin in a  
19 mouse, but also there's some data from Steve's lab  
20 that suggests that there are proteases and other  
21 chromosomally encoded factors which are important  
22 to virulence in the mouse. Again, I stress in the  
23 mouse.

24           Mice do respond well to protective  
25 antigen, and they may have a role to play as this

1 potency assay, in terms of assaying new lots of  
2 vaccine and getting some idea of the immunogenicity  
3 of vaccine formulations.

4           The last one, again, finally, that once  
5 more that the A/J mouse is a good model to look at  
6 as a potency assay, but work is still needed to be  
7 done with it, and it is going to be an efficacy  
8 model.

9           On that, I shall finish. Thank you.

10           [Applause.]

11           DR. BURNS: Our next speaker is Gary  
12 Zaucha from Walter Reed. He is going to tell us  
13 about the pathology of the disease in various  
14 animal models.

15           LTC ZAUCHA: I was billed to talk about  
16 guinea pigs, besides rabbits and monkeys, but that  
17 is not going to happen. I'm just going to confine  
18 my talk to rabbits and rhesus monkeys.

19           I am currently assigned to Walter Reed,  
20 but everything I have to present today is from  
21 information I collected while at USAMRIID. This is  
22 all aerosol-challenge information.

23           The animals were obtained from, oh, maybe  
24 about 10 or so different protocols. It included LD  
25 50 studies, different vaccine efficacy studies,

1 correlate immunity studies and even pest transfer  
2 study. Most of the challenges were with Ames, but  
3 there were also challenges with a number of  
4 different, more virulent strains.

5 I am going to start out with just  
6 nonvaccinated control animal data. These rabbits  
7 were, like I say, nonvaccinated. About half of  
8 them were exposed to Ames, the other half were  
9 exposed to different strains from various parts of  
10 the world.

11 In the rabbit, at least, I saw no  
12 differences in the pathology dependent on the  
13 strain of exposure. The only thing we saw was that  
14 the more virulent strains resulted in death within  
15 one to two days post-exposure, while the Ames, the  
16 average was about two to three days post-exposure.

17 In the rhesus monkey model, again, the  
18 majority of the animals were exposed to the Ames.  
19 There was also a fair number of Vollum 1B and just  
20 a couple of the more virulent strains.

21 Lesions between the Ames and Vollum  
22 animals were similar. The other two strains, I  
23 only had two animals per strain, so you can't  
24 really draw much from the numbers, but both of the  
25 animals exposed to Namibia developed meningitis and

1 both of the animals exposed to the Turkish strain  
2 had a much more marked hemorrhagic component to the  
3 pulmonary lesions.

4           Now the pathogenesis has been reviewed  
5 pretty well already. The lungs serve as a portal  
6 of entry, not as a primary focus of infection. The  
7 organisms are transported by pulmonary macrophages  
8 to mediastinal nodes, where they germinate and  
9 proliferate, and eventually enter the systemic  
10 circulation through the thoracic duct.

11           The principal lesions, whether it is in  
12 rhesus monkey, rabbit or human, are hemorrhage,  
13 edema and necrosis, with a variable, but usually  
14 limited, leukocytic infiltration. Most cases  
15 develop a septicemic disease, with a high degree of  
16 bacteremia and disseminate the lesions. Further on  
17 in the talk, I will discuss what I term  
18 nonsepticemic disease. It is not absolutely  
19 nonsepticemic, but it is different from this type  
20 of situation.

21           Target tissues are primarily lymphoid  
22 organs. The mediastinal lymph nodes service the  
23 primary focus of infection. Once the disease goes  
24 septicemic, the spleen is affected in virtually all  
25 cases. There is also high incidence of lesions in

1 mesenteric nodes.

2           In the lungs, the primary lesions are  
3 edema and also some degree of hemorrhage. While  
4 pneumonia is unusual, there is some evidence to  
5 indicate that in humans, as well as rhesus monkeys,  
6 that in cases that do develop pneumonia, it may be  
7 influenced by preexisting pulmonary lesions. In  
8 other cases, there's also some evidence that when  
9 pneumonia does develop, it can be from secondary  
10 hematogenous development, rather than from the  
11 primary pulmonary exposure.

12           Lesions are also common in the GI tract,  
13 even with aerosol exposure. It tends to occur in  
14 sites that are also rich in lymphoid tissues.

15           Finally, the brain is a somewhat common  
16 site of lesions. This is where there is one  
17 difference in the pathology between the species.  
18 The rabbits tend to have a noninflammatory CNS  
19 lesion. The rhesus monkey, CNS lesion is much more  
20 separative, inflammatory, and it is also more  
21 common, which is more similar to what we see in  
22 humans.

23           I hope you can make this out. Let me just  
24 point out a few things. First, in the lungs, this  
25 column is human findings that I obtained from the

1 literature. I was able to put together about 72  
2 cases of inhalational anthrax from various case  
3 reports in humans that had at least sufficient  
4 pathology information in the reports. This column  
5 is rabbit data generated at USAMRIID and rhesus  
6 monkey data from USAMRIID.

7           By far, across the line, the most  
8 significant lesion is pulmonary edema. One  
9 difference in humans is that there's approximately  
10 about 30 percent of the human cases had natural  
11 pneumonia, whereas, rabbits and rhesus monkeys are  
12 about half of that.

13           Lesions in the mediastinum, also very  
14 common. They were less so in the rhesus monkey,  
15 but this may be influenced by the duration of  
16 infection. It was shown by I think Gleiser in  
17 earlier studies that rhesus monkeys that tended to  
18 live longer through antibiotic interventions tended  
19 to develop more hemorrhagic and more pronounced  
20 mediastinitis.

21           Intrathoracic lymph nodes are affected  
22 across the board in a high percentage of cases.  
23 This line here is CNS lesions in the brain. Human  
24 and rhesus monkey are very similar. About 50  
25 percent of inhalational cases develop CNS lesion.

1 A majority of those, this middle line, 38 percent  
2 were inflammatory in humans, while only 14 percent  
3 are just basic edema and hemorrhage. It is similar  
4 in a rhesus monkey, while the rabbit, only 24  
5 percent had CNS lesions, and all of those in these  
6 naive rabbits were just simply hemorrhage and edema  
7 without any inflammation.

8           One thing to note in this table, also, is  
9 that the mean survival post-exposure in the rabbit  
10 was 2.1 days. In the rhesus monkey, it is 4.8  
11 days. The human is 4.7 days, but this is  
12 post-onset of clinical signs. It was basically  
13 impossible to determine the exact time of exposure  
14 in these human case reports. Colonel Friedlander  
15 pointed out I think in Sverdlovsk cases that the  
16 incubation period was actually up to about 16 days  
17 in people. So you are looking at maybe 20 days  
18 post-exposure, as opposed to a very rapid time  
19 course in these animal models.

20           I think the time post-exposure does  
21 influence the lesions of the rabbits, with a mean  
22 survival of only 2.1 days, had minimum inflammatory  
23 changes. Rhesus monkey, with a longer survival  
24 period, had an increased incidence of inflammation,  
25 CNS signs, pulmonary and hepatic changes. These

1 changes become more pronounced in animals that have  
2 longer survival time.

3           This is the spleen of a rabbit exposed to  
4 Ames. Let me just jump to a higher magnification.  
5 This is the white pulp, and there is extensive  
6 lymphoid death going on here. Morphologic changes  
7 are suggestive of apoptosis, but there is really no  
8 definitive study to determine that at this point.

9           The red pulp is characterized by extensive  
10 aggregates of fibrin. I hope you can make out  
11 aggregates of bacilli right here. There's also  
12 some infiltration by heterophils.

13           This is the lung of a rabbit, and it shows  
14 just the simple edema alveolar spaces filled with  
15 this pale eosinophilic fluid. There is really no  
16 information going on, and most rabbits the  
17 hemorrhage was not really too pronounced.

18           This is from the lumen of a pulmonary  
19 artery in one of these rabbits, just to show the  
20 high degree of bacteremia in these animals at  
21 death.

22           This is from a rhesus monkey. Again, the  
23 most profound change is filling of the alveolar  
24 spaces with this eosinophilic edema fluid. Like  
25 most cases, this one is pretty much devoid of any



1 inflammatory component. There is a fair degree of  
2 hemorrhage, though, when compared to the rabbit.

3           This is another case from a rhesus monkey,  
4 which shows primarily pulmonary edema, some  
5 hemorrhage. The thing to note is that the bronchus  
6 is really normal. There is no primary bronchial  
7 lesion. That was determined quite some time ago.  
8 All of the activity seems to be going out more in  
9 the alveolar spaces.

10           This high magnification of this same  
11 animal does show some infiltration by neutrophils  
12 within alveolar spaces, also within alveolar septa.  
13 This particular animal has a mild degree of  
14 interstitial pneumonia, and the interstitial  
15 pattern is suggestive of a hematogenous origin for  
16 pneumonia, as opposed to bronch pneumonia. That is  
17 more typical of inhalation of other organisms.

18           Now this is one of the more severe cases  
19 of pneumonia in a rhesus monkey. Again, the  
20 bronchus is pretty much spared, but the alveoli are  
21 just flooded with inflammatory exudate, hemorrhage,  
22 and I think there is a higher mag here showing this  
23 supportive character of this exudate mixed with  
24 large numbers of bacilli and hemorrhage.

25           This is a mediastinum from a rabbit. This

1 section here is the mediastinal lymph node--what  
2 remains of it. There is some remnants of lymphoid  
3 tissue right here, but the rest of this has  
4 undergone complete necrosis, depletion of lymphoid  
5 elements. There's large amounts of fibrin and  
6 hemorrhage. The origin of mediastinitis seems to  
7 be spread from lymph node involvement. In this  
8 case, you can see lesions extending out into the  
9 surrounding mediastinum.

10           This is higher magnification showing not  
11 only large numbers of bacilli, lymphoid depletion,  
12 but there is an arterial here that's undergone  
13 fibrinoid vascular necrosis, which is pretty common  
14 in lymphoid tissues, and I have also seen it in  
15 quite a few of the brains.

16           This is from a rhesus monkey demonstrating  
17 extensive tracheal lymph node involvement or  
18 bronchial lymph node involvement, while the  
19 bronchus itself is, at this point, untouched, which  
20 again this reinforces the pathogenesis that the  
21 lymph node is the primary focus of infection and  
22 other involvement of airways and lung is more  
23 secondary.

24           This is just another lymph node from a  
25 rhesus monkey showing severe lymphoid necrosis and

1 depletion with extension of the lesion into the  
2 surrounding mediastinum that you see here and over  
3 here.

4           This is the brain of a rabbit. As I said,  
5 the rabbits tend to have just a simple hemorrhagic  
6 lesion in the brain without much inflammation.  
7 This happens to be cerebellum, the central lesion  
8 right here. And at higher magnification, you can  
9 see that there is hemorrhage, large numbers of  
10 bacilli, but there is really no accompanying  
11 inflammatory infiltrate. Now that is in contrast  
12 to what's seen in the rhesus monkey and also what's  
13 more commonly seen in humans. The meninges here  
14 are markedly thickened with hemorrhagic and  
15 inflammatory exudate. At higher magnification, you  
16 can see the separative nature, large numbers of  
17 neutrophils, hemorrhage and also large numbers of  
18 bacilli in this meningeal exudate.

19           This is a section of kidney from a rabbit.  
20 This lesion was probably not too important in the  
21 overall pathogenesis, but it was very common to see  
22 scattered tubules within renal cortex that have  
23 undergone degeneration and necrosis with  
24 intertubular hemorrhage. This was not readily  
25 apparent in the rhesus monkey. So that is one

1 difference, but it was a relatively minor finding  
2 in the rabbit.

3           This is an adrenal gland from a rabbit. A  
4 very common finding was hemorrhages, in this case  
5 just multi-focal hemorrhages within the adrenal  
6 cortex, but very many of these animals the adrenal  
7 gland was really obliterated by hemorrhage. There  
8 is the adrenal medulla here. The cortex is running  
9 out the capsule here, and that gland, I would have  
10 to say, is probably not functioning.

11           The rhesus monkeys and humans had a  
12 similar incidence of hepatitis. This happens to be  
13 a rhesus monkey with a focus of hepatocellular  
14 necrosis.

15           As I said, lesions in the GI tract tended  
16 to focus on the lymphoid ridge areas. This is the  
17 cecal appendix of a rabbit. These, the large  
18 lymphoid domes are normal. Out here in the center,  
19 there is a lymph follicle that has undergone severe  
20 lymphoid depletion and necrosis at a higher  
21 magnification. Again, there is typical large  
22 numbers of bacilli and mixed with the necrotic  
23 cellular debris. Not much in the way of  
24 inflammation, though.

25           This is a sacculus rotundas, which is

1 similar in structure to the cecal appendix in the  
2 rabbit--just another lymphoid area of the GI tract,  
3 with a similar finding. At high mag, again,  
4 showing just large numbers of bacilli that are  
5 characteristic in these lesions.

6           This is a section of colon from a rhesus  
7 monkey, just to show a similar change. There is a  
8 lymphoid follicle here that has undergone necrosis  
9 depletion. The epithelium is eroded away in this  
10 case. At higher magnification, though, in the  
11 rhesus monkey, there is a more significant  
12 inflammatory component to the lesion, mostly  
13 neutrophils.

14           Finally, this is a section of bone marrow  
15 from the rhesus monkey. This is a common lesion,  
16 but probably not all significant in the death of an  
17 animal, but there were frequently necrosis and  
18 depletion of marrow elements.

19           This table is just to give a little more  
20 detail of the influence of survival time on lesion  
21 incidents. Now the rabbits--I should say the first  
22 half of my talk isn't even in your notebook. This  
23 table, I doubt, is in there, but the rabbit data is  
24 the same from the first table.

25           The rhesus monkey data is based on time to

1 death from Day 3 out to Day 8. As lesions or as  
2 time course progresses, there is a gradual increase  
3 in the incidence of mediastinal lesions from Day 3  
4 out to Day 6 or Day 7. What happens out here in  
5 Day 8 is a little unusual. It is what I term a  
6 more nonsepticemic case of anthrax. I will get  
7 into it a little bit more later. But in these  
8 animals, they may only have just a transient  
9 bacteremia. They don't develop the same  
10 disseminated lesions. The bacteria seems to see  
11 the brain, and they all die of meningitis.

12           So there is also an increase in incidence  
13 in the brain lesion from only 14 percent at Day 3  
14 to 100 percent in the longer survival animals.  
15 There is also a shift from a noninflammatory  
16 lesion, where none of these animals exhibited any  
17 inflammation similar to the rabbit's, but as you  
18 increase in time, lesion becomes more inflammatory,  
19 more separative.

20           Now the next set of slides I have are from  
21 animals that were afforded protective immunity  
22 through vaccination--I believe all vaccinated with  
23 AVA. Some were given two full-strength doses, some  
24 were given dilutions of AVA. They were challenged  
25 with Ames or some with other virulent strains.

1           The findings in these animals are limited  
2 to the lungs. They did not seem to develop any  
3 septicemic changes. While these are survivors,  
4 they were euthanized at the end of a 28-day  
5 observation period. Lesions, in most cases, were  
6 minimal to mild, really not clinically significant.  
7 These animals were clinically normal. I only  
8 examined a single rhesus monkey that happened to  
9 die of other causes, after surviving anthrax  
10 challenge, and there were no lesions attributable  
11 to anthrax in that animal.

12           So this is the lung of a rabbit immunized  
13 with AVA, challenged, euthanized 28 days later.  
14 The ones I have photographs of are more dramatic,  
15 more severely affected animals. Most animals, the  
16 changes are really minimal, but just so you get the  
17 point across, there are aggregates of lymphocytes  
18 scattered throughout the alveolar areas.  
19 Perivascular inflammation is very common. This is  
20 a bronchial up here, bronchiolar epithelium. There  
21 is quite a significant alveolitis in this animal,  
22 thickening of alveolar septa, infiltration by  
23 lymphocytes, heterophils, macrophages.

24           These lipid histiocytic aggregates were  
25 relatively common. Macrophages, multinucleic giant

1 cells and lymphocytes. I did immunohistochemistry  
2 on these mildly affected cases and immuno against  
3 *Bacillus anthracis*, and these were generally  
4 negative.

5           Vasculitis was not uncommon. This is the  
6 wall of a pulmonary artery. You can subintimal  
7 infiltrations of lymphocytes and similar  
8 infiltrates in the tunica media and out here in the  
9 adventitia.

10           Now, of all of those animals, I forget how  
11 many, maybe 50 to 60 animals I examined, two of  
12 these did have what I called a pneumonia. In this  
13 animal, it was limited to just the apex of a lung  
14 lobe. Here, you see that the normal alveolar  
15 architecture has been obliterated by cellular  
16 inflammatory infiltrates, large numbers of  
17 macrophages. This was the worst of the two  
18 animals, and it has a large pyogranuloma, the  
19 central core of necrotic granulocytes surrounded by  
20 macrophages, fibroplasia, aggregates of lymphocytes  
21 out here.

22           Immunohistochemistry on this  
23 animal--first, let me go to a higher mag. One  
24 thing I noticed on H&A was macrophages filled with  
25 some type of intracytoplasmic foreign debris. With



1 immunohistochemistry against *Bacillus anthracis*, it  
2 is clear that all of that intra-histiocytic debris  
3 is remnants of infection.

4           A higher magnification from the same  
5 animal, most of it is just fragments, but you can  
6 see there are discernable bacilli, but these  
7 animals were culture negative. What I think is  
8 going on is that they did develop a local pulmonary  
9 infection following exposure. Never became  
10 septicemic. They were able to overcome the  
11 infection, but there wasn't a proliferation of the  
12 organism in this animal's lungs to cause  
13 significant inflammation, and inflammation  
14 continues against what I think are nonviable  
15 remnants of the organism.

16           Now that brings me to one other set of  
17 animals. These animals, rabbits and monkeys, were  
18 vaccinated or provided with immune sera against  
19 anthrax, but die from the disease anyway. Some of  
20 these animals were given dilutions of AVA. Some of  
21 the animals, the rhesus monkeys were given  
22 dilutions of AVA or some were given a different  
23 experimental PA vaccine. A few animals were given  
24 the full dose--well, actually, just two  
25 injections--of AVA, exposed to virulent strains of

1 anthrax and died.

2           What is seen in these animals is, first of  
3 all, an increased survival time. There is a marked  
4 increase in the inflammatory component of the  
5 lesions, particular in the CNS, and they tend to  
6 develop what I term nonsepticemic disease. Now  
7 there had to be some degree of septicemia  
8 bacteremia somewhere along the line for these  
9 animals to get meningitis, but, histologically,  
10 these animals really had a very limited bacteremia,  
11 and lesions tend to be localized, either to the  
12 lungs or the brain.

13           What I saw in rabbits was these animals  
14 developed severe parenteral hemorrhagic pneumonia  
15 and mediastinitis, as opposed to nonvaccinates who  
16 just developed pulmonary edema.

17           One thing I noted the pneumonia that  
18 developed in these rabbits is similar to what was  
19 described in about 25 percent of the Sverdlovsk  
20 cases, where they termed it large focal pneumonia.  
21 It is also similar to the type of pneumonia seen in  
22 resistant species exposed to aerosols of Bacillus  
23 anthracis.

24           This table is just to provide more detail  
25 on the--

1 PARTICIPANT: [Off microphone.]

2 [Inaudible.]

3 LTC ZAUCHA: Okay, I'll finish up in a  
4 minute.

5 This is a rabbit, with only partial  
6 protection, and you can note the severe  
7 inflammatory pleuritis in this animal. There is a  
8 marked separative component to the inflammation,  
9 large numbers of bacilli. And then  
10 immunohistochemistry demonstrate that those bacilli  
11 are Bacillus anthracis.

12 This is the mediastinum of a rabbit that  
13 had only partial protection, died of anthrax, and  
14 again it's a marked inflammatory component, quite a  
15 bit of fibrosis.

16 This is the lung of a rabbit similarly  
17 affected. You can see the severe parenteral  
18 hemorrhagic pneumonia. The perivascular lymphatics  
19 are markedly dilated, filled with exudate. The  
20 bronchials are filled with exudate. Higher  
21 magnification showing the inflammatory component  
22 within the alveolar spaces, similar exudate within  
23 the bronchials. There is a severe vasculitis in  
24 these animals.

25 This is a Giemsa stain of a pulmonary

1 lymphatic showing the lumen filled with  
2 macrophages, lymphocytes, some granulocytes and  
3 scattered organisms.

4           Let me skip over some of this. This is  
5 the brain of a rabbit that, again, was immunized,  
6 but died of anthrax, and you can note the marked  
7 inflammatory component within this, as compared to  
8 just a strict hemorrhage and bacteria seen earlier.  
9 There is severe perivascular cuffing. There is  
10 also the fibrinoid vascular necrosis that I showed  
11 earlier and similar findings in the meninges of  
12 such rabbits.

13           This is just a close-up of the exudate.  
14 Again, that shows what is really similar to what we  
15 saw in the rhesus monkey with separative  
16 inflammation, large numbers of bacilli.

17           So, finally, to separate septicemic versus  
18 nonsepticemic anthrax. The septicemic disease,  
19 these animals developed severe bacteremia,  
20 disseminated to lesions. There is just limited  
21 inflammation with or without meningitis. These  
22 animals I believe die very rapidly to  
23 toxemia-induced cytokine cascade and shock.

24           This is as opposed to nonsepticemic  
25 anthrax, which has a more protracted time course.

1 The infection is more localized to rhesus monkeys,  
2 primarily the brain. Rabbits can be brain or  
3 lungs. There is a marked inflammatory component,  
4 and death is probably due to a more localized  
5 effect, either respiratory failure or CNS  
6 depression.

7           So, to summarize, the pathology appears to  
8 be dependent on the balance between host  
9 susceptibility or immune status, and the virulence  
10 or doses of challenge. Highly susceptible naive  
11 rabbits, there is rapid death, septicemic disease,  
12 noninflammatory hemorrhagic lesions.

13           The rhesus monkeys appear to be a little  
14 more resistant. They have a longer time course.  
15 They still develop, the majority of cases developed  
16 septicemic disease. There is an increased  
17 inflammatory component and an increased incidence  
18 of meningitis, more similar to humans.

19           Animals given partial protection, they  
20 even have a more protracted time course. They tend  
21 to develop nonsepticemic disease--lesions localized  
22 to the brain, lungs. Bacteremia is very low level  
23 or transient. There is a high incidence of  
24 meningitis.

25           Finally, the more resistant host, and it

1 includes a dog and swine, where they, after aerosol  
2 exposure, lesions are strictly confined to the  
3 lungs. There is no septicemia at all. And then  
4 also fully protected immune animals, they survived  
5 with little or no residual changes to the lungs.

6 That's all.

7 [Applause.]

8 DR. BURNS: Our next speaker is Louise  
9 Pitt from USAMRIID, and she is going to tell us  
10 about the immune response in several animal models.

11 DR. PITT: Well, good morning. I will be  
12 as quick as possible because I know everybody is  
13 starving.

14 The talk this morning is going to be  
15 basically in three parts. I am going to give a  
16 very brief overview of the animal models that have  
17 been used commonly in the laboratory for different  
18 vaccine efficacy studies. I will then move on and  
19 focus on the rabbit and the nonhuman primate and  
20 show some of the vaccine efficacy data that we have  
21 obtained in the lab at USAMRIID, and then talk  
22 about our approach to developing in vitro  
23 correlates.

24 This is a list of the principal models  
25 that have been used in the laboratories for vaccine

1 efficacy studies during the last century. I won't  
2 focus much on the mouse because Les already covered  
3 it. Just to point out and emphasize what Les was  
4 saying, that the capsule seems to be incredibly  
5 important in some of these mouse strains, and when  
6 the mice are immunized with the licensed vaccine,  
7 although they get a very high anti-PA titer, they  
8 are not protected when challenged. However, when  
9 the PA is delivered in a different platform, in  
10 this case, a bacterial platform, and the mice, the  
11 PA, the A/J mice, although get a high titer, again,  
12 they are not protected, but in the CBA/J mouse, you  
13 can get protection.

14           In the rat, the rat was used in the 1940s  
15 for vaccine efficacy studies. However, the rate  
16 appears to be fairly resistant to infection, and  
17 immunization doesn't really make much difference,  
18 and the rat model is usually used more for toxin  
19 challenges than for spore challenges.

20           Hamsters are very susceptible and have  
21 been used extensively in the Russian laboratories.  
22 This is their rodent model of choice, and they did  
23 recently publish a paper suggesting that in the  
24 hamster, they can get breakthrough of vaccines upon  
25 challenge. Pat Fellows in our lab did a study

1 looking at the hamster model, and this table shows  
2 that when vaccinated with the licensed vaccine,  
3 whether it is two doses or three doses, although  
4 they get a very good anti-PA IgG titer, there is no  
5 protection at all against a spore challenge.

6           Now the guinea pig has been used  
7 extensively. Ever since it has become available as  
8 a laboratory animal, it was the rodent model of  
9 choice for anthrax studies, both in the U.K. and in  
10 the U.S.

11           Of course, the guinea pig is susceptible  
12 to the spore infection. It seems to be fairly  
13 resistant to toxin, but again it has been used  
14 extensively to characterize the pathogenesis, as we  
15 know it today, to elucidate the role of the toxin.  
16 When immunized with a vaccine like our licensed  
17 vaccine, which is adjuvanted with aluminum, it  
18 gives partial protection to minimal protection, at  
19 best.

20           Now the rabbit, again, historically has  
21 been used throughout the century for vaccine  
22 efficacy, both in Russia, the U.K., and the U.S.  
23 In fact, it was the model of choice prior to the  
24 guinea pig becoming available. Rabbits are very  
25 susceptible to anthrax. They are sensitive to



1 toxin, and when immunized with either the licensed  
2 vaccine or recombinant PA combined with aluminum,  
3 we can get complete protection against both a  
4 parenteral challenge and against aerosol  
5 challenges.

6           And we have found that the vaccine  
7 efficacy in the rabbit is predictive of what occurs  
8 in the macaque.

9           So we come to the nonhuman primate models,  
10 the rhesus macaque, which is accepted as the best  
11 model of inhalational anthrax, where there have  
12 been extensive studies ranging from the 1940s to  
13 the present, where we have shown that both the  
14 licensed vaccine and recombinant PA plus aluminum  
15 gives complete protection against inhalational  
16 anthrax.

17           I will point out that, although all of the  
18 studies carried out at USAMRIID since 1990 to  
19 present have used the rhesus macaque, that a large  
20 majority of the studies that were carried out in  
21 the '50s and '60s was done with cynomolgus monkeys.  
22 In fact, they were used interchangeably for the  
23 anthrax study.

24           So, to move on to looking at the guinea  
25 pig, the rabbit, and the rhesus macaque, this is a

1 comparison of the LD 50 studies. This is a study  
2 that was carried out at USAMRIID under the same  
3 conditions, using the same spores, using the same  
4 aerosol conditions, with a mass median aerosol  
5 diameter of one micron, which means it's a single  
6 spore aerosol, and this is to show that looking at  
7 these three animal models, under similar conditions  
8 of exposure, that the LD 50 is very similar for  
9 these three animal models.

10           This is just a table showing you some of  
11 the efficacy data we have in the rabbit model.  
12 This is against the licensed vaccine. Again,  
13 complete protection against both aerosol and  
14 subcutaneous challenge.

15           This is a table showing, again, some of  
16 the efficacy data we have with the rhesus macaque.  
17 Again, with the licensed vaccine showing the  
18 protection, even out to 100 weeks, following two  
19 doses of the vaccine, against a very significant  
20 aerosol challenge.

21           This next study is looking at recombinant  
22 PA compared to the licensed vaccine in the three  
23 animal models: the guinea pig, the rabbit, and the  
24 macaque. Again, it was two doses of vaccine, and  
25 the animals were challenged with the Ames.

1           This shows you that in the guinea pig  
2 vaccinated with the licensed vaccine, you get poor,  
3 minimal protection, 20 percent. Whereas, you get  
4 90 to 100 percent in the rabbit and the rhesus.  
5 And then looking at the different doses of 55 and  
6 .5 recombinant PA with aluminum, you get extremely  
7 good protection in both the rabbit and the rhesus.  
8 You start to see a dose titration down in the .5  
9 microgram in the rabbit, but in the guinea pig  
10 there is a fairly flat line. There is no obvious  
11 difference between the groups, regardless of the  
12 dose of PA.

13           This the anti-PA IgG response from that  
14 study, showing a titration effect. The 5 and the  
15 50 micrograms really gave a similar response in  
16 this study. What is of interest is the animals  
17 were challenged at the 16-week time point, and you  
18 can see host challenge, the rise in anti-PA IgG  
19 titer. Of interest here is that it is an inverted  
20 response, that the lower dose that you got by  
21 immunization, the .5 micrograms gave you the least  
22 immune response prior to challenge, but upon  
23 challenge, it gives the highest post-exposure  
24 response.

25           This is the anti-PA IgG response in rhesus

1 macaques, again, showing you that titration, as the  
2 dose drops, you get a drop in the immune response.  
3 This is another study in rhesus, comparing  
4 recombinant PA with AVA, again, showing the immune  
5 response. And the animals in this study were  
6 challenged at 112 days, and this is to show you  
7 that in the rhesus macaque, as well, there is a  
8 fairly decent response to PA, post-challenge, which  
9 is obvious at three to five days post-challenge.

10 I put this slide in here to show, and to  
11 emphasize, that guinea pigs, when immunized with an  
12 aluminum-adjuvanted vaccine, you do not get  
13 protection against a spore challenge. However,  
14 when PA is presented with a different adjuvant, in  
15 this case, MPL, you can get complete protection or  
16 excellent protection in the guinea pig model.

17 Now, moving on quickly to our approach to  
18 in vitro correlative immunity that we developed in  
19 the rabbit inhalational anthrax model. Our  
20 approach to doing this, because we get such  
21 excellent protection with full doses of the  
22 licensed vaccine, our approach was to dilute the  
23 vaccine down so as that we would have some  
24 nonsurvivors in the study, so that we would be able  
25 to compare the response of the nonsurvivors to the

1 response of the survivors and come up with a  
2 correlate.

3           So the study design was very simple. We  
4 diluted down the vaccine, gave two doses. We bled  
5 the animals prior to challenge, and in this case we  
6 focused on the humeral immune response, looking at  
7 anti-PA IgG and the toxin-neutralizing antibodies.

8           As you can see, as we diluted down the  
9 vaccine, we got a fairly nice titration in survival  
10 in the animals. We can also show a titration in  
11 the anti-PA IgG response, both at six weeks, which  
12 is at peak, the titer--that's two weeks after the  
13 second dose--and at ten weeks, which is the time of  
14 challenge, and the TNA gives a similar pattern.

15           This is just showing you each individual  
16 graph, with concentration of anti-PA IgG versus the  
17 dilution they received, and the open circles are  
18 the dead animals, and the closed diamonds are the  
19 animals that survived. Statistically, this is  
20 extremely significant.

21           We then repeated this study with a second  
22 dose of the licensed vaccine and came up with the  
23 same conclusions, and this study has, in fact, been  
24 published recently.

25           We then went on, of course, to look at

1 recombinant PA plus alhydrogel. This is the work  
2 of Steve Little doing a similar study to see if  
3 recombinant PA also would give the same correlate  
4 of immunity. The design is fairly similar. In  
5 this study, this is a one-dose, rather than the  
6 two-dose that we gave of the licensed vaccine. The  
7 animals challenged at week four, that's four weeks  
8 after the one dose that they got.

9           This is the results to date, showing that  
10 as you titrate down the dose of the recombinant PA,  
11 you get a nice titration in the number of  
12 survivors, and you also get a good titration in the  
13 amount of antibody, and there is indeed a very  
14 strong correlation between the levels of anti-PA  
15 IgG and survival. This is just the individual  
16 animal's graph to show you the pattern.

17           So, in summary, in terms of the in vitro  
18 correlate in the rabbit model of inhalational  
19 anthrax, we feel that we have shown that antibody  
20 levels to PA, both at the peak and at time of  
21 challenge, have shown to be significant predictors  
22 of survival. At this point, with recombinant PA  
23 plus alhydrogel vaccine, right now we have shown  
24 that one dose of vaccine correlates with  
25 protection, and studies are ongoing right now with

1 the two doses of the recombinant PA to verify that  
2 will be the same.

3           We did look at the nonhuman primates and  
4 looking at doing a dilution study there too. This  
5 was just a pilot study with very small numbers of  
6 animals to see if we would get the same pattern in  
7 the nonhuman primate, as we had done in the rabbit.  
8 As I said, this was a very small study.  
9 Insufficient animals to actually come to any  
10 statistical conclusions, but we did get, we chose  
11 doses of the licensed vaccine from 1 in 12.5 to 1  
12 in 100. We gave them two doses and challenged six  
13 weeks later.

14           We did get a nice titration in the IgG  
15 response, the TNA, and we also looked at lymphocyte  
16 proliferation indices. We also got a titration  
17 survival, but these results are inconclusive  
18 because we did not have a large number of animals  
19 to come to a statistical significance.

20           We then went on to say we have shown that  
21 antibody to PA is a correlate and can predict  
22 survival, but how good is it in the passive  
23 transfer? Can the antibody actually passively  
24 protect against the inhalational anthrax?

25           So, first of all, we made some

1 convalescent sera, and we made some immune sera,  
2 both against the licensed vaccine in the immune  
3 sera and against recombinant PA.

4           In the first study, we did intradermal  
5 challenges, using the spores Vollum 1B and the  
6 anti-sera was introduced intraperitoneally. We  
7 started off with the convalescent sera. This,  
8 again, was just a pilot study to see what we would  
9 get. We had three animals that received the  
10 convalescent sera and one control. As you can see,  
11 all survived the challenge, while the control died.

12           We then went on and looked at the immune  
13 sera that was raised with the licensed vaccine and  
14 again got similar results. This was, again, with  
15 an intradermal challenge against Vollum 1B.

16           We then went on to look at subcutaneous  
17 challenge of passive transfer. These studies were  
18 done under contract with Battelle. The design was  
19 similar, except the challenge was subcutaneously  
20 with Ames spores this time, and the challenge dose  
21 was 100 LD 50.

22           We initially looked at the immune sera  
23 from animals that had been immunized with the  
24 licensed vaccine. As you can see, the line in blue  
25 is the animal that died; whereas, all of the others



1 survived. The animal that died was one that got  
2 only one dose of the anti-sera at time zero.

3 We then went on and looked at the immune  
4 sera raised with the rPA. So this is anti-sera to  
5 rPA, again, against a sub-Q challenge, 100 LD 50.  
6 The lines in blue and green are the animals that  
7 died; whereas, all of the others survived.

8 We then went on to do a study against an  
9 aerosol challenge, which is what we were wanting to  
10 do all of the time. The challenge dose was 205 LD  
11 50s with Ames. This is a study that has just been  
12 completed. So I don't have any of the IgG data  
13 levels of antibody on board, but the results were  
14 very good. We killed 10 controls, and all of our  
15 test animals survived against a 205 LD  
16 50-challenge.

17 So, in conclusion here, we used the  
18 rabbits and the rhesus macaque as our chosen animal  
19 models to look at vaccine efficacy. We have based  
20 this decision on the pathology of the disease, as  
21 we know it today, and the response to vaccination.  
22 And we have demonstrated that anti-PA antibodies do  
23 correlate with protection of inhalational anthrax,  
24 and we have demonstrated that the anti-PA  
25 antibodies can, in fact, protect.

1           In conclusion, I would like to thank  
2 everyone who has contributed to this work. I would  
3 especially like to thank Steve Little, Bruce Ivins  
4 and Pat Fellows for all of their work and  
5 dedication over the years that have made most of  
6 this work possible.

7           Thank you.

8           [Applause.]

9           DR. BURNS: Okay. I know we're a little  
10 late for lunch, but I think it's important to take  
11 a little bit of time for discussion. Again, could  
12 I remind you, if you have a question or a comment,  
13 please use the microphone and identify yourself.

14           PARTICIPANT: [Off microphone.]

15 [Inaudible.]

16           DR. ALVING: Carl Alving, WRAIR.

17           As Colonel Pittman pointed out, I don't  
18 believe there are any other vaccines in which  
19 aluminum salts are used subcutaneously. Why were  
20 they originally used for the anthrax vaccine?

21           [Laughter.]

22           MR. ALVING: That's really directed  
23 towards the regulatory people here probably.

24           DR. ROBBINS: I can only give you a  
25 negative answer. I tried very hard to find out

1 what--

2 DR. BURNS: Can you tell us who you are.

3 DR. ROBBINS: Robbins of the National  
4 Institutes of Health.

5 I tried very hard to find out why that  
6 immunization schedule was used by George Wright and  
7 Milt Cusis [ph.] many years ago, but there is no  
8 reference, and there is no explanation for it, nor  
9 is there any explanation why they used it  
10 subcutaneously and intramuscularly.

11 I did want to say one thing about your  
12 presentation, and that is it confirms what has been  
13 done with diphtheria and tetanus toxin for many  
14 years in humans of all ages, that increasing the  
15 interval, increases the amount of antibody. But  
16 what is not commonly appreciated is that there are  
17 several studies, including one done at the  
18 Massachusetts Public Health Laboratories years ago  
19 to say that reimmunization with nonadjuvanted  
20 aqueous solutions of toxoid makes more antibody  
21 than when the adjuvant is used a second time.

22 I suspect what happened is they were  
23 trying to induce antibodies as quickly as possible  
24 with that schedule, and it turned out to be  
25 incorrect. But it might be worthwhile to take a

1 look to see if altering the use of adjuvants and  
2 dosage might be able to give you high levels of  
3 antibody quicker.

4 DR. FRIEDLANDER: Art Friedlander,  
5 USAMRIID.

6 I would just like to add a couple of  
7 comments in reference to the question about the  
8 dosage schedule and the route. As best I can tell,  
9 and there is no one around yet who can really  
10 answer the question. There are statements that are  
11 made in the literature that say that it is based  
12 upon animal experiments. Now there are other  
13 vaccines that were also given subcutaneously at  
14 that time.

15 The other point I think to keep in mind,  
16 when we look back and think why were these people  
17 so ignorant or at least we think they were, is that  
18 when you look at the immune response, in terms of  
19 the titers, the point that John says is apparent;  
20 that is to say, yes, it is true that the titer will  
21 increase the longer the dose, but during that first  
22 six-week period, you are much better off to have a  
23 0-2-4 schedule than a 0-4 schedule.

24 So, while we think this was a long  
25 immunization schedule, if you wanted to induce

1 rapid immunity with such a vaccine, this may have  
2 been the best way to do it.

3 DR. SIBER: George Siber, Wyeth.

4 I wanted to just probe Dr. Pitt a little  
5 bit more on the choice of animal model. Obviously,  
6 rabbits and macaques are somewhat cumbersome as a  
7 workhorse animal model for routine use. My  
8 understanding of the reason why mouse might not be  
9 optimal is the capsule as a virulence factor.  
10 However, if you use nonencapsulated strains, you  
11 end up having a model where the main virulence  
12 factor would be PA. If that is what the vaccine  
13 is, that's what the model needs to address.

14 So my question is are there other reasons  
15 why mice could not be made into an adequate model  
16 here?

17 DR. PITT: I don't believe so. We have  
18 gone back more closely and looked at the different  
19 strains of animal models, not so much for vaccine  
20 efficacy yet, but in terms of using it as a  
21 screening model for antibiotics. We have, in fact,  
22 developed aerosol model for four different strains  
23 of mice recently and are using it right now, as we  
24 speak, as a model to screen antibiotics, in fact,  
25 using a virulent strain, not the Sterne strain. We

1 are using Ames, and it works very well.

2           So I believe if we start looking much more  
3 closely at the different strains of mouse, as Les  
4 was suggesting, that you might very well come up  
5 with a mouse model that would be adequate for  
6 screening, but I believe you still need to go to a  
7 more relevant model to make sure that you haven't  
8 missed something I guess would be the way to say  
9 that.

10           DR. BURNS: Could I follow up on that and  
11 just ask this panel of experts what is your  
12 consensus on what the best animal models are? If  
13 you were going to use one to get the efficacy data  
14 that you needed for humans, would they be, as  
15 Louise has suggested, a nonhuman primate and then,  
16 secondly, rabbit? Do you have any other thoughts  
17 on that?

18           DR. BAILLIE: I think I agree with Louise.  
19 I think that we need to have a nonhuman primate in  
20 there somewhere and probably the rhesus macaque.  
21 As things done at the moment, the rabbit seems to  
22 be the best model, in terms of looking at aerosol  
23 challenge and in terms of breed across to human.  
24 So they would be my choice models, but it's not up  
25 to me.

1 DR. DANLEY: Dave Danley, with the Joint  
2 Vaccine Acquisition Program.

3 I was interested in a comment that you  
4 made that cynomolgus monkeys were also used back in  
5 the '50s and '60s. Now, with the shortage of  
6 rhesus that we've got, what is the perception about  
7 going back to a more available nonhuman primate  
8 model so that we can get the work done potentially  
9 faster?

10 DR. PITT: Well, as I mentioned, the  
11 cynomolgus was used very extensively in the '50s  
12 and '60s. In fact, all Brachman studies were done  
13 with the cynomolgus monkey. I think it would just  
14 need to have some minor development to look at the  
15 pathology and to determine what the LD 50 is  
16 compared to using the new strains, et cetera, but I  
17 believe a lot of the old pathology was done on the  
18 cynomolgus monkey as well. So they were used  
19 interchangeably. So it's a possibility, for sure.

20 DR. ROBBINS: Robbins, NIH.

21 The purpose of a vaccine is to prevent a  
22 disease. Therefore, looking at the disease process  
23 can be distractive, distractive from the purpose of  
24 trying to predict whether a vaccine will be  
25 effective. I think there is an overwhelming amount

1 of evidence that serum IgG PA antibody with  
2 neutralizing activity will prevent the disease.  
3 There are limitations in human experience, but the  
4 AVA vaccine, which only induces PA antibody to any  
5 degree, has not had a breakthrough even though it's  
6 been used in high-risk populations for over a third  
7 of a century.

8           It's an AVA vaccine. So, therefore,  
9 attention should be directed at the very best way  
10 of reliably predicting the ability of a vaccine to  
11 elicit PA antibody. What is missing, I think, is  
12 some sort of consistency in evaluating these  
13 vaccines in animals. I don't think there's two  
14 studies in which the amount of antigen, the route  
15 of antigen, the vaccine strain, the challenge  
16 strain, have ever been used in the same way. It's  
17 very confusing to draw conclusions from this.

18           Just remember that the control assay for  
19 AVA was protection against lethal challenge in  
20 guinea pigs that have now been discounted. I think  
21 we should spend more attention to characterizing  
22 the protein antigen as a physical chemical entity  
23 and by reliably measuring its ability to induce  
24 antibodies.

25           DR. BURNS: Any comments? Anybody else?



1           PARTICIPANT: [Off microphone.] I want to  
2 respond to [inaudible].

3           [Laughter.]

4           PARTICIPANT: There have been 68 monkeys  
5 that have been vaccinated with recombinant PA,  
6 essentially the same recombinant PA, and 64 of them  
7 have survived challenge.

8           There are now, you have heard, studies  
9 with recombinant PA being tested in the rabbit  
10 model. Again, well-characterized product. So,  
11 while it is true that AVA has its problems, in  
12 terms of differences in lots, I think we do now  
13 have a database with recombinant PA well  
14 characterized, in terms of the amount and its  
15 physical characteristics, that will give us the  
16 answer, and is giving us the answer, as to what  
17 titers, for example, are going to be predictive of  
18 survival.

19           DR. TAUB: Floyd Taub, LifeTime  
20 Pharmaceuticals.

21           In other models, broad spectrum of immune  
22 stimulants or some co-stimulatory molecules have  
23 been used to enhance immune response in total, and  
24 in some cases, antibody response. I was wondering  
25 if there's any experience how those types of agents

1 work in the models that you have been describing  
2 this morning, whether one or another has shown  
3 results with those broad-spectrum immuno stimulants  
4 or co-stimulatory-type strategies using the vector  
5 models?

6 DR. PITT: Are you talking about things  
7 like CPG?

8 DR. TAUB: CPG. We use one called beta LT  
9 as being general stimulants. The B-7 or other  
10 co-stimulatory molecules might have been tested  
11 with some other plasmids.

12 DR. PITT: I don't know of any studies.  
13 Do you, Les?

14 I know CPG has been looked at, yes.

15 DR. BAILLIE: We have done some  
16 preliminary work with DNA vaccines, looking at  
17 optimizing the PA to enhance CPG motifs, but that  
18 is all preliminary work. I don't know anyone out  
19 there that has done it yet. That is not to say  
20 people aren't thinking about it.

21 DR. BURNS: I think we're going to have to  
22 stop there if we want to eat lunch today.

23 Lunch is just right out here. I am told  
24 it is just right out here, and you will see it  
25 right away.

1                   Try to get--let's make it 1:10, okay?

2                   [Whereupon, at 12:11 p.m., the proceedings

3 were adjourned, to continue at 1:10 p.m., the same

4 day.]



1 working on next-generation vaccines. Many of it  
2 will probably be recombinant PA vaccines, and some  
3 of the data you will be hearing about this  
4 afternoon and some of the approaches will involve  
5 some of the AVA and CAMR products, which are  
6 nonpurified vaccine. So it's one complexity to be  
7 tuned into.

8           Again, for the afternoon session, I will  
9 just go through the issues that we asked the  
10 speakers to discuss, which are listed here, and we  
11 asked them to discuss the following:

12           We asked them to describe studies that  
13 either are being conducted or should be conducted  
14 in animals and the data that should be collected in  
15 these studies; we asked them to describe the  
16 clinical studies that are being conducted or should  
17 be conducted and the data collected from those  
18 studies; and then, three, to discuss how the data  
19 from the animal and human studies could be utilized  
20 to develop a surrogate marker of human protection;  
21 then we also asked them to describe how the data  
22 could be used to determine a human-immunizing  
23 dosing schedule; and, finally to be prepared to  
24 discuss some of the pitfalls or limitations to the  
25 approach being discussed.

1           Without anything else, I will have the  
2 first speaker of the session, who is Dr. Drusilla  
3 Burns from CBER.

4           DR. BURNS: Thanks, Bruce.

5           To start out, I'd like to go back to the  
6 proposed animal rule that I discussed a little bit  
7 earlier this morning, and I want to go over the  
8 requirements, again, that I went over this morning  
9 and see where we stand on these in relation to  
10 anthrax vaccines and new anthrax vaccines that  
11 might utilize this rule, as far as efficacy data is  
12 concerned.

13           The first requirement in this proposed  
14 rule, and again let me emphasize this is only a  
15 proposed rule. This isn't the final rule, so it  
16 could change.

17           [Pause to repair Dr. Burns' microphone.]

18           DR. BURNS: I think that we do know that  
19 the organism is, that the spores are taken up by  
20 macrophages. The organism then germinates, grows  
21 to high levels in the bloodstream and produces a  
22 lot of the toxin. There is some evidence to  
23 suggest that neutralization of the toxin would go a  
24 long way to prevent the disease, but I think we  
25 need a little bit more work to pin that down

1 exactly.

2           Secondly, the second requirement is that  
3 there is independent substantiation of the effect  
4 in multiple animal species. We heard a lot about  
5 animal models this morning, and I am looking  
6 forward to the discussion in the panel session on  
7 what the appropriate animal models would be or do  
8 we have appropriate models to move forward using  
9 this rule.

10           Thirdly, the animal study endpoint must be  
11 plainly related to the desired benefit in humans,  
12 which is generally the enhancement of survival or  
13 prevention of major morbidity. In the case of  
14 anthrax, I think what we have to or are concerned  
15 about, if it is used as an agent of bioterrorism or  
16 a biological warfare agent, it will probably be  
17 dispersed in the air. Therefore, I would imagine  
18 what we'd be interested in looking at in the animal  
19 model is a challenge with aerosolized spores and  
20 survival of the animals that are vaccinated.

21           Finally, the last requirement, and I think  
22 this is perhaps the most difficult one to get our  
23 hands around, and this is really the subject of  
24 this next session, the data or information on the  
25 kinetics and pharmacodynamics of the product or

1 other relevant data or information in animals and  
2 humans allows the selection of an infective dose in  
3 humans.

4           Now this rule was written very broadly to  
5 cover drugs and biologics. If it were written  
6 simply to cover anthrax vaccines, I think it would  
7 say the data or information on the immune response  
8 elicited by the vaccine allows selection of an  
9 effective dose in humans.

10           So the question really is what is the  
11 protective immune response and how do we show what  
12 that immune response is?

13           So I have come up with a possible strategy  
14 for doing this, and this is only one possible  
15 approach, and there are several approaches, and you  
16 are going to hear about some of them this  
17 afternoon. I really am just putting this forward  
18 as a basis to start discussion more than anything  
19 else.

20           First, we would need to evaluate efficacy  
21 in appropriate animal models, and we need to  
22 determine the type of immune response, and the  
23 magnitude of that response that is protective in  
24 animals.

25           Then we need to translate that immune



1 response, the animal immune response, to that of  
2 the human response. In that way, we can estimate  
3 the magnitude of the immune response that would  
4 protect humans and, finally, evaluate  
5 immunogenicity in humans to determine the number of  
6 people that would respond in such a way that they  
7 would be protected. That way you could come up  
8 with efficacy.

9           So how might we go about this, really?  
10 And what I am going to do is take the situation or  
11 take the simplest case, and that is that  
12 neutralizing antibodies to PA are protective. Now  
13 that is, I think, an assumption. There is some  
14 good data to suggest that is going to be the case,  
15 but I don't think we have really pinned that down,  
16 and we'd have to do that in the experiments that we  
17 designed.

18           So, since I am starting with that  
19 assumption, I think you also have to be careful in  
20 designing these experiments that you have to take  
21 samples such that you could look at a variety of  
22 immune responses, just in case antibodies aren't  
23 the correlate, you could look at other immune  
24 responses to see if they are the correlate.

25           So I'd start with immunization studies,

1 and determine antibody levels in the animal that  
2 protect against aerosol challenge. This would be  
3 done relatively simply. I would give different  
4 doses of vaccine and look at the antibody response,  
5 and I should get a dose response curve, as is shown  
6 here.

7           And then after challenge of the animals,  
8 you could monitor survival and death. If it  
9 actually does correlate, if antibodies do correlate  
10 with survival, then you should get a level of  
11 antibodies above which all of the animals survive,  
12 and that would be the animal correlate of  
13 protection.

14           Once you have that, you need to compare  
15 the quality of animal antibodies to that of human  
16 antibodies. So, if we are looking at neutralizing  
17 antibodies, how could we do that? Well, one  
18 possibility is to just look at the amount of animal  
19 antibody needed to neutralize a certain quantity of  
20 the toxin and compare that to human antibodies.  
21 That way we can translate the animal antibodies  
22 into human antibodies, and then using the above  
23 information, estimate the quantity of human  
24 antibodies that are necessary for protection.

25           A second way of getting a correlate of

1 protection and ultimately getting at the surrogate  
2 marker protection would be passive immunization  
3 studies. I think these are actually going to be a  
4 very good way to look at whether antibodies are  
5 indeed the correlate because you are looking at  
6 antibodies alone and not the rest of the immune  
7 system.

8           So passively immunize with animals with  
9 human antibodies and determine the level that  
10 protects the animals from challenge. In that way,  
11 you could estimate the magnitude of the human  
12 antibody response that would provide protection in  
13 humans.

14           Now I think passive immunization studies  
15 would give us a maximum level of antibody that is  
16 needed for protection; that is, the maximum amount  
17 of antibody that has to be there at the time of  
18 challenge.

19           It would be interesting to compare the  
20 results from the passive immunization studies with  
21 those of the active immunization studies. If it  
22 takes more antibodies to protect in the passive  
23 immunization studies than it does in the active  
24 immunization studies, then the possibility exists  
25 that memory or boosting plays a role.

1           Therefore, we need to look at that, need  
2 to if, indeed, you get a booster response upon  
3 challenge, and you don't have to have the  
4 antibodies there at the very time of challenge, but  
5 they come up very rapidly after and help protect,  
6 then we'd need to evaluate the kinetics of the  
7 boost response in animals and compare those  
8 kinetics to the kinetics of the booster response in  
9 humans that we see in the clinic just to make sure  
10 that the kinetics of the response in the animals is  
11 similar to that of humans.

12           So I would just conclude by saying I think  
13 that--oh, I'm sorry. We need to do the human  
14 studies, of course, and that would be to determine  
15 antibody levels attained after immunization with  
16 the vaccine in humans and the proportion of  
17 individuals that respond to the vaccine.

18           We probably also want to do assessments of  
19 the rate of antibody decline over time. With these  
20 data, we would be able to estimate the efficacy of  
21 the product in humans simply by determining the  
22 percent responders that we have, and we could also  
23 get an idea of the duration of efficacy by looking  
24 at the rate of antibody decline over time.

25           So I would suggest that three types of

1 studies are needed: Active immunization studies in  
2 animals, passive immunization studies in animals  
3 and, finally, human immunogenicity studies. I  
4 think, with these data, we would be able to get a  
5 handle on whether neutralizing antibodies to PA are  
6 indeed a surrogate marker protection in humans.

7 Thank you.

8 [Applause.]

9 DR. MEADE: Thanks, Drusilla. I think we  
10 will hold questions to the end of the session.

11 Our next speaker is Dr. Conrad Quinn, who  
12 is now based on at the CDC, and he will be  
13 describing some studies done at CDC and their  
14 approach to developing correlates of protection for  
15 anthrax vaccine.

16 DR. QUINN: Good afternoon, everybody. It  
17 is a pleasure to be here this afternoon to tell you  
18 about the CDC Anthrax Vaccine Research Program and  
19 its integrated study in determining correlates of  
20 protection in macaques and surrogate markers of  
21 protection in humans, if all works out.

22 Before I start, I'd like to introduce my  
23 colleague, Brian Plikaytis, from the Biostatistics  
24 and Information Management Branch at CDC, National  
25 Center for Infectious Disease. Brian's group will

1 play an integral part in the design and the  
2 analysis of the correlates of protection studies  
3 which I will tell you about this afternoon.

4           In 2000, the Institute of Medicine  
5 instituted a committee to prepare a report on a  
6 congressional mandate on the safety and efficacy of  
7 AVA in humans. AVA is currently the only licensed  
8 anthrax vaccine available in the U.S., as you all  
9 know.

10           This committee concluded that AVA, as  
11 licensed, is effective in humans protecting against  
12 anthrax. It is reasonably safe when used according  
13 to the label, but studies are needed to establish a  
14 quantitative correlation of protection levels and  
15 antibodies in animals and humans after immunization  
16 and correlates of protection in animal models can  
17 be used to test the efficacy of AVA, as well as of  
18 new vaccines. So we are trying to set the stage  
19 here not only for an analysis of AVA, but other  
20 second- and third-generation anthrax vaccines that  
21 are in development at different stages.

22           As we have heard this morning, and as we  
23 know probably as a collective anthrax research  
24 interest, there are a variety of key hurdles in  
25 anthrax vaccine research and implementation. In

1 the context of AVA, its ability to prevent  
2 inhalational anthrax in humans is unknown.  
3 Although the Brachman study of the 1960s used  
4 inhalational anthrax cases as the denominator, the  
5 numbers were actually too small to come to a firm  
6 conclusion about inhalation protection.

7           Surrogate markers for protection in  
8 animals and humans remain undefined, despite the  
9 extensive work that has been done over the last 50  
10 or even more years. A clear correlate, perhaps  
11 with the exception of the rabbit model and the  
12 excellent data we heard this morning, a clear  
13 correlate still remains to be defined for humans.

14           The role of PA versus the other antigens  
15 and AVA are still to be defined. We know the PA is  
16 the central protective component, but work done  
17 using lethal factor and the DNA vaccines that  
18 Darrell Galloway has implemented indicate that  
19 lethal factor may also have a protective role in  
20 this vaccine. We know that AVA vaccines do not  
21 always respond to lethal factor, but nonetheless it  
22 is a component of the vaccine and merits  
23 investigation at some level.

24           The duration of immunity following AVA  
25 vaccination is unknown at this time. And, finally,

1 but not exclusively, the schedule and route of  
2 administration may be suboptimal for AVA. As we  
3 head from Phil Pittman this morning, the pilot  
4 study done at USAMRIID indicates that we may be  
5 able to reduce the number of doses and to change  
6 the rate of administration without affecting  
7 antigenicity.

8           The USAMRIID study which was conducted  
9 between 1996 and 1999 and the report which  
10 published or made available in 2000 demonstrated  
11 this. The Pittman, et al., showed that the peak  
12 antibody levels, using intramuscular doses at zero  
13 and four weeks, were not inferior to the  
14 0-to-4-week sub-Q regimen at the 6-month level or  
15 post the third jab.

16           Unfortunately, again, as Phil told us this  
17 morning, there was insufficient statistical power  
18 to support a label change at that time. This led  
19 the U.S. House and Senate to recognize that  
20 additional studies needed to be done, and this  
21 Senate recommendation, congressional  
22 recommendation, forms the basis of the CDC Anthrax  
23 Vaccine Research Program, and the objectives were  
24 quite specific.

25           The study should determine the risk



1 factors for adverse events using AVA; it should  
2 determine immunological correlates of protection on  
3 vaccine efficacy in humans; and we should optimize  
4 the vaccination schedule and its administration in  
5 humans to assure efficacy; and that this should be  
6 a collaborative project between the Department of  
7 Health and Human Services and the Department of  
8 Defense.

9           So the CDC Anthrax Vaccine Research  
10 Program, which I am here to tell you about, falls  
11 into three major categories:

12           An AVA human clinical trial which is  
13 multi-center, double-blinded, randomized and  
14 placebo controlled. Our target is to enroll over  
15 1,500 participants. The endpoints of the study  
16 will be based on noninferiority of the immune  
17 response, and I will tell you more about that in  
18 the next few slides. Our target is to reduce the  
19 dose and change the rate of administration, and  
20 there are also additional substudies, including an  
21 analysis of progesterone across AVA recipients and  
22 the HLA typing. There is also an immune correlates  
23 of protection study which I will also tell you  
24 about.

25           The immune correlates of protection study

1 in humans is integrated with our primate study,  
2 which involves AVA dose ranging and challenge in  
3 rhesus macaques. Our objective here is to study  
4 the effect of dose on survival versus challenge  
5 time post-vaccination and to determine, within the  
6 limits of the study, what is the duration of  
7 protection in macaques.

8 This is integrated with an in vitro study  
9 of samples generated from both Part A and Part B,  
10 as we call them, to determine the immune correlates  
11 of protection in primates and to try and use this  
12 information to determine surrogate markers of  
13 protection in humans.

14 This is the design of the human study. It  
15 falls into six groups. Here we have the licensed  
16 regimen of 0-4 and then 6 months, 12 months and  
17 then boosters. Here we have the comparator by the  
18 IM route and subsequent routes, where we drop one  
19 dose, the two-week, two doses, three doses, and  
20 here we have our control groups with IM and sub-Q  
21 administration of saline.

22 The study primary endpoints are to  
23 demonstrate noninferiority of the anti-PA IgG  
24 antibody levels by ELISA. This will be expressed  
25 by the geometric mean concentration between study

1 groups and also to demonstrate, as a co-primary  
2 endpoint, a four-fold elevation in anti-PA titer  
3 that is not inferior to the licensed regimen.

4           These quantitative analyses of antibody  
5 will be corroborated by using a functional assay,  
6 the toxin neutralization assay, which was developed  
7 at USAMRIID, and we have adopted it for this study.  
8 The endpoints for this assay will be expressed as  
9 the effective serum dilution, giving 50-percent  
10 neutralization, and this is similar to the output  
11 that was generated in the Pittman study and allows  
12 us to bridge to that study. We will also be  
13 reporting the IgG neutralization concentration and  
14 titers.

15           The relevance of these endpoints, as we  
16 have heard this morning probably in more detail  
17 than I need to go into here, is that PA is the  
18 central protective component. Anti-PA antibody has  
19 a precedence as the protection correlate, and the  
20 toxin neutralization is a measure of function such  
21 that we can compare the functional capability of  
22 changing the route from a sub-Q to IM. We would  
23 hope to see no inferiority in terms of functional  
24 antibody generation.

25           The ELISA that we used for determining our

1 endpoints are based on standard curve in triplicate  
2 and our test serum in duplicate. The assay is  
3 specific and sensitive, with a diagnostic  
4 specificity of 95 percent, diagnostic sensitivity  
5 of 98 percent, and these are our ability to detect  
6 false negatives and true positives, respectively.  
7 Its sensitivity, in terms of detection, its minimum  
8 detectable concentration is .06 micrograms per ml.  
9 Its reliable detection limit, as calculated from  
10 the 95-percent confidence intervals around the  
11 bottom of the curve, is .09 micrograms per ml, and  
12 we have adopted this as our lower limit of  
13 quantitation.

14           The reactivity threshold, based on  
15 analysis of nearly 300 negative controlled serum  
16 from the [?] Hanes[?] collection, indicates that 3  
17 micrograms per ml is the reactivity threshold and  
18 that this is the upper 95-percent confidence  
19 interval of our negative control group.

20           The goodness of fit used here for  
21 comparative purposes, realize that this is not a  
22 linear relationship, is .99, and the range of  
23 quantitation of the standard curve is, effectively,  
24 the whole standard curve from .06 to 1.7 micrograms  
25 per ml anti-PA IgG.

1           In terms of its reproducibility, the assay  
2 is precise with an intra-assay precision of less  
3 than 10 percent and interpreted precision of less  
4 than 20 percent. Its accuracy in terms of how  
5 effectively it returns QC sera of known  
6 concentration are given here for the 3QC that we  
7 use.

8           The Toxin Neutralization Assay, again, is  
9 based on a 7-point standard curve in triplicate.  
10 These numbers, the data here represents where we  
11 were in our validation process at the end of March,  
12 and these numbers are slightly updated now,  
13 certainly, in terms of the N number tested.

14           But, effectively, we again, similar to the  
15 ELISA, we have a standard curve, which is sigmoid,  
16 and we have a 4-parameter logistic model to it.  
17 Its goodness of fit R-squared is .99. Our  
18 effective concentration range between these three  
19 points is 1.7 to .43. Its reproducibility here is  
20 very good, its precision, and its intra-assay  
21 precision and interpreted precision are very good  
22 for biological assays. Although not as sensitive  
23 as the ELISA, it does have very comfortable lower  
24 limits of detection and lower limits of  
25 quantitation. So those are our endpoints for the

1 clinical study.

2           To summarize the clinical part then, our  
3 objectives are dose reduction, a change of rate of  
4 administration. There are six study groups, and  
5 our target recruitment is 1,560 participants. It  
6 is a 42-month duration.

7           Our primary endpoints are based on anti-PA  
8 IgG responses, and we have our interim analysis at  
9 seven months after the end of the enrollment  
10 procedure, which effectively will be about 16  
11 months into the study itself.

12           The substudy groups, which I only briefly  
13 mentioned, progesterone analysis and HLA typing,  
14 are done by our participating study sites. The  
15 correlates of protection is being done at CDC and  
16 in collaboration with contractors at the Emory  
17 Vaccine Center, Emory University and the Battelle  
18 Memorial Institute. This is integrated with a  
19 nonhuman primate study, which I am going to tell  
20 you more about now.

21           This primate study, NHP, the nonhuman  
22 primates, the rationale is that AVA efficacy in  
23 humans cannot be directly tested. The rhesus  
24 macaque, as we head again this morning, is accepted  
25 as a good representative model for inhalational

1 anthrax in primates, and we hope to be able to  
2 apply the "Animal Rule." We realize that it is not  
3 yet approved or implemented, but we hope by the  
4 time the study is completed or we come to do our  
5 analysis, that we will at least be able to use it  
6 to some extent for extrapolating to humans.  
7 Obviously, for identifying correlates in macaques,  
8 we will have that information readily.

9           The objectives of the primate study are to  
10 identify the correlates at 12, 24 and 36 months  
11 into the vaccination regimen. We want to  
12 extrapolate these correlate markers for protection  
13 in humans, and we hope to use this information,  
14 again, if the "Animal Rule" is available, to  
15 support proposed changes in AVA administration and  
16 dosing, particularly when it comes to the booster  
17 doses.

18           The methods that we are employing are to  
19 modulate the primate immune response by using dose  
20 variation, dilutions of the human dose of AVA.  
21 Starting with the human, 1 in 5, 1 in 10, 1 in 20,  
22 and 1 in 40 dilutions, and using saline controls.

23           We are giving them three intramuscular  
24 injections at 0, 4, and 26 weeks, and we are going  
25 to challenge them at 6, 24, and 36 months after

1 their third vaccination.

2           We are going to use the combined  
3 information here from challenge and immunological  
4 profiling to build our model of predicting survival  
5 in the macaque. And then we anticipate applying  
6 this relationship to the human clinical study, in  
7 which we will be taking parallel samples to predict  
8 protective status of human vaccinees.

9           The direct relationship between the two  
10 studies are shown here. Where the regimens  
11 parallel each other, are the target primary series  
12 in humans of 0, 4 and 6 months IM.

13           The timing of the challenges in the  
14 macaques will parallel vaccination points of  
15 boosters in the human study groups, and the timing  
16 of the blood draws in both cohorts, the human  
17 cohort and the primate cohort correspond.

18           So our first assumption, our first  
19 precedent for the study is that we can modulate the  
20 immune response in macaques using different  
21 dilutions of AVA. This slide shows that using the  
22 anti-PA IgG response, this is indeed the case. In  
23 fact, it's a rather textbook example of  
24 immunomodulation based on humoral responses at this  
25 time.



1           Here we have the controls in yellow along  
2 the bottom, and at the top in blue we have the  
3 human dose responses. They are jobbed at 0 weeks,  
4 2 weeks and at 26 weeks, and we have a peak here at  
5 8 weeks, which then drops not exactly to  
6 background, but to very low levels, and then  
7 responds very, very quickly after the third  
8 vaccination.

9           The kinetics of this response is very  
10 similar between all groups. We get a graded  
11 response. The others are hidden in here. But we  
12 can see human, 1 in 5, 1 in 20, 1 in 40 and 1 in  
13 controls.

14           This is important because selection of the  
15 dilution series was not straightforward. We based  
16 this on discussion with our colleagues at Fort  
17 Detrick and other experts in the macaque field,  
18 including our colleagues at Battelle, and we felt  
19 that it was necessary to try and get a  
20 distribution of survival and death in all of the  
21 test groups selected, rather than all survival or  
22 all death, but of course we have our human dosing  
23 here for the top end of the spectrum.

24           We also felt it necessary to try and give  
25 them sufficient vaccine that we would be able to

1 measure our immune response, so that we would have  
2 something to build our model with.

3           If we look at the TNA responses in the  
4 same groups over the same time point, we see a very  
5 similar profile, 0, 2 and 26. Again, a peak at  
6 about 8 weeks, receding to background, and then a  
7 very rapid response after the third jab, and a very  
8 high response.

9           If we go back here to the ELISA, we can  
10 see we're getting 1.3 milligrams per ml. These are  
11 the geometric mean concentrations of the groups.  
12 There is distribution within each group, but the  
13 geometric mean concentration here was over 1  
14 milligram per ml.

15           So, although we can demonstrate some level  
16 of immune modulation in the macaques based on their  
17 antibody responses, the actual survival is unknown,  
18 but we would predict that over time, after their  
19 vaccinations, we get a grading of survival, and  
20 this is what we hope to establish.

21           To make maximal use of this gradation and  
22 mixed response, we have built a very flexible  
23 model, which we built on expert advice and external  
24 consultancy, that we believe gives us a level of  
25 flexibility to maximize our statistical power and

1 the number of animals that we use, while minimizing  
2 the number of animals that we need to use. Our  
3 target is to have 105 macaques on study by the end  
4 of the program.

5           So last year we vaccinated five groups:  
6 Undiluted human, 1 in 5, 10, 20 and 40.

7           We have just recently begun our first  
8 challenge schedule, starting with the 1 in 20  
9 groups, and we have allowed ourselves three  
10 scenarios to be data driven and dependent on  
11 outcome.

12           In scenario, where survival is low, we  
13 then have a series of precision points and actions  
14 which allow us to maximize the use of our animals.

15           Similarly, in scenario two, where the  
16 response is intermediate, which is actually the  
17 target response, 50/50 distribution, we also have a  
18 series of precision- and data-driven processes to  
19 take us through the end of the study. We will be  
20 using macaques that are on study at Battelle and  
21 also under vaccine study and immune profiling at  
22 the Emory Vaccine Center in Atlanta for this.

23           Scenario three is based on good survival  
24 at all levels, and this determines how many animals  
25 get carried forward and when they will be

1 challenged.

2           To build our model of efficacy, we need to  
3 have immune response analyses and generate the  
4 variables that will be put into the model together  
5 with survival.

6           We are coming up to some changes in the  
7 slides that are not in the handouts. If anybody  
8 wants them, just e-mail me. They were important,  
9 but we made them late.

10           Our output variables, in terms of  
11 measuring the humoral immune response are that we  
12 will determine the nature of the anti-PA response  
13 across all of the study groups and post-challenge  
14 in the macaques. We will monitor changes in the  
15 nature of that response over time and  
16 post-challenge. We will look at the neutralization  
17 efficacy of the humoral response and the  
18 contribution of other responses, other than PA, to  
19 neutralization and bacterial clearance. We do this  
20 because we recognize that although PA is probably  
21 the primary protective component and immunogen in  
22 the vaccine, other protein antigens in that complex  
23 mixture may contribute, at some level, be it  
24 positively or negatively, to the effect of AVA.

25           We are also going to look at the cellular

1 immune response. This, again, is through our  
2 collaborators and contractors. The output  
3 variables here will be cytokine profiles for The  
4 cells and macrophage activation during vaccination.  
5 We want to know when protection is acquired, at  
6 least in the context of CD4 memory cell priming and  
7 immune competence.

8           When is B-cell memory established? How  
9 long do these B cells circulate and survive? When  
10 do they differentiate and when are they put down  
11 into the bone marrow, and how long do they last  
12 when they are there?

13           We also want to look at the relevance of  
14 the Th bias, which we think will inform vaccine  
15 design, and also the performance of the vaccine  
16 regimens by comparing between groups.

17           So we hope to use this information or we  
18 intend to use this information to build a model of  
19 predicting the immune status of humans and  
20 macaques, starting with the macaques and then  
21 extrapolating.

22           We are not tying ourselves down to any one  
23 approach, and we intend to use a variety of  
24 approaches, both established mechanisms, such as  
25 logistical discriminant analysis, cluster analysis,

1 but other exploratory techniques, and Brian is much  
2 more informed about this than me, and he will take  
3 all of the questions on the statistics.

4           We'll use logistic discriminant analysis  
5 as our placeholder and as our example. This model  
6 will list a series of assay endpoints or variables  
7 to survival, and we will use this information to  
8 construct a discriminant function from the results  
9 using a formula such as this, where we will  
10 calculate an immunologic score for the vaccinees.

11           We will select this information to give us  
12 the greatest discriminating power. We will then  
13 correlate the immunologic score for all subjects  
14 and plug it into the model, such as the  
15 discriminant cutoff, and placed to either maximize  
16 sensitivity or specificity. In this study, we want  
17 to optimize sensitivity, and we will set that to 95  
18 percent.

19           Our model will look something like this,  
20 where we have our population that were vaccinated  
21 and survived challenge, our population that failed  
22 challenge, and this is our discriminant cutoff. We  
23 will hope to use our immunologic score to predict  
24 where a person falls along this axis.

25           What the model will actually look like

1 when it is built is that we will have a probability  
2 of survival against the immunological score for  
3 individuals. In the ideal world, where, and if we  
4 take, for example, three of our dilution groups,  
5 the 1 in 10, 1 in 20, and 1 in 40, six months after  
6 vaccination, we would ideally like to see a  
7 distribution like this, where we have a whole bunch  
8 of animals. Zero is death, one is survival. There  
9 is nothing in between.

10           A whole bunch of animals at the low  
11 vaccination group all dead; in the middle  
12 vaccination group, a nice distribution between  
13 survival and killing; and in the higher vaccination  
14 concentrations, everybody survives. That's what  
15 we'd love to see. It gives us a nice step function  
16 so anybody we plug into this model, if their  
17 immunologic score falls over here, we know they are  
18 protected. That is the ideal.

19           In reality, though, what we anticipate,  
20 and what we are actually finding it will look like,  
21 is something like this, where we have distributions  
22 of death and survival across the groups with,  
23 again, the ones getting the least vaccine clustered  
24 down here, and the ones getting the most vaccine  
25 clustered up here, and that allows us to build a

1 sigmoid curve, where we have, in this example, I  
2 have split them into quartiles, where we have  
3 percentage per quartile, allowing us to predict,  
4 probably in more realistic terms, where an  
5 individual might fall as a part of the group.

6           So how does this correlate to the human  
7 study? While we assume that the nonhuman primate  
8 immunogenicity and survival curve can be used to  
9 predict protection in humans, we are very aware of  
10 the differences in the immune systems between the  
11 macaques and the humans, as far as is published,  
12 and that is just something we have to deal with.

13           We then intend to apply our discriminant  
14 function to the Immune Correlates Protection  
15 clinical trial data from humans and see where they  
16 fit on this model and examine how vaccinated and  
17 unvaccinated individuals can be scored. We will  
18 then hope to use this information where they fit in  
19 the model, what is their predictive survival, to  
20 convey information of when does protection start  
21 and to how long does it last.

22           So, to summarize our NHP study, we know at  
23 this point, one year into the study, that vaccine  
24 dose-dilutions can elicit variations, at least in  
25 the humoral immune response; we have started



1 challenging with bacillus anthracis at Battelle;  
2 and we are correlating the primary out of that  
3 immune response with our serological data. It is  
4 too preliminary to present at this meeting, so I  
5 won't say any more about it; we are 14 months into  
6 this study, and we have a 42-month study duration.

7           So, just to finish off by giving an  
8 overview of what we consider to be a very  
9 integrated study, we have our human clinical trial  
10 and our macaque dose-ranging and immunogenicity  
11 study. Both of these studies will give us humoral  
12 and cellular immune profiles in macaques and  
13 humans.

14           We will use the macaque study to determine  
15 survival against virulent challenge. We will use  
16 the combination of cellular and humoral immune  
17 profiles and virulent challenge to build our immune  
18 competency model and hopefully identify immune  
19 correlates of protection.

20           We then hope to apply the "Animal Rule" to  
21 correlate the human responses with the monkey  
22 responses and identify human surrogate markers of  
23 protection. That should effectively close the  
24 communication loop back to our human clinical trial  
25 and monkey study.

1           I would just like to finish by identifying  
2   the key players in this at CDC: Brad Perkins, who  
3   is the principal investigator; Nina Marano, who is  
4   the project coordinator; Dave Ashford and Jairam  
5   Lingappa, who are the technical leads for the  
6   macaque study; our colleagues at NIP; George  
7   Carlone, who has been a terrific consultant in this  
8   whole process; Brian and Tom, who are the  
9   statisticians; John Stamper, data manager; Jennifer  
10  de Pietra, technical assistant; and the lab team  
11  who does the serology.

12           Our collaborators and contractors  
13  external: The Emory Vaccine Center and Emory  
14  University in Georgia, Professor Rafi Ahmed and Bob  
15  Mittler; Battelle Memorial Institute, we have Dave  
16  Robinson, Jim Estep, Bob Hunt, Andrew Phipps, and  
17  initially we had Herb Bresler. Also,  
18  subcontractors at OSU and CAMR.

19           Finally, but not least, our collaborators  
20  in the government and the primary study sites--I  
21  see some of the PIs are here today--AVIP, USAMRIID,  
22  NIH, FDA, our study coordinator sites, BioPort for  
23  the vaccine, and TRI are our CROs, contract  
24  research organizations.

25           So that is it. I would be to try and take

1 any questions you may have.

2 [Applause.]

3 DR. MEADE: Thanks for that excellent  
4 presentation.

5 I think we will move next to our next  
6 speaker. Again, we have changed the order a little  
7 bit. The next speaker will be Andrew Phipps, who  
8 will describe the work being done on correlates of  
9 protection at Battelle Laboratories.

10 DR. PHIPPS: I'd like to thank the  
11 organizers for inviting me to speak. Without  
12 wasting any more time, I will get started.

13 I'd like to begin by talking about our  
14 rationale behind the study. The rhesus macaque is  
15 an accepted in vivo test system for modeling human  
16 immunologic responses following vaccination, and  
17 the several different speakers talked about this  
18 morning, it is also an accepted model for looking  
19 at the pathogenesis following inhalational exposure  
20 to Bacillus anthracis spores, and therefore was  
21 chosen to be studied in our Part B, nonhuman  
22 primate trial study.

23 Our overall experimental objective was to  
24 characterize the cellular and humoral immune  
25 responses at the molecular, cellular and whole-body

1 levels in individuals vaccinated with the anthrax  
2 vaccine absorbed. That was AVA by BioPort  
3 Corporation. It is also to look at those same  
4 parameters in our cohort of rhesus macaques.

5           This cartoon depicts a very simplified  
6 version of the study design, where we have  
7 vaccinations that are parallel between the human  
8 trial study and the primate trial study, with  
9 challenges occurring at various points--12, 30 or  
10 42 months following vaccination, and those  
11 correlate with booster doses in the human cohort.

12           We also have coordination between the  
13 blood draws between the two groups. As Conrad  
14 mentioned, this is infinitely more complicated by  
15 the decision tree and the fact that we have various  
16 dosing regimes and routes of administration.  
17 However, I'd like to just point out that we do have  
18 integration between the two studies, and that  
19 allows us to compare our parameters across the  
20 human trial study and the primate trial study.

21           Conrad also showed this slide previously,  
22 that we needed to modulate the immune response in  
23 our rhesus macaques, and after much discussion  
24 decided on using this dilution scheme of the  
25 vaccine with the idea of hitting approximately

1 50-percent survival in the 1 to 20 vaccine dilution  
2 group, with having greater survival in the 1 to 10  
3 and slightly less survival at the 1 to 40, and that  
4 those would shift at 30 and 42 months such that we  
5 could evaluate our parameters in relationship to  
6 survival. I won't spend any more time talking  
7 about this, as Conrad has already covered it.

8 I would like to spend most of the time  
9 talking about the immunologic markers that were  
10 chosen and how we plan to evaluate those and our  
11 rationale behind them. As we go through those,  
12 I'll discuss them briefly and then go back and talk  
13 about the methodologies and the rationale.

14 We are looking at patterns of cytokine  
15 mRNA synthesis and cytokine secretion by T cells  
16 following protective antigen stimulation in vitro.  
17 We are also looking at proliferative responses by  
18 protective antigen-specific T cells in vitro.

19 We are looking at anti-PA, anti-LF and  
20 anti-EF immunoglobulin profiles, toxin-neutralizing  
21 and opsono-phagocytic antibody activity.

22 More specifically, for our cytokine  
23 response profiles, we are making a determination of  
24 mRNA and/or protein levels of the TH-1 cytokines,  
25 gamma interferon and IL-2; of the TH-2 cytokines,

1 IL-4 and IL-6 in humans; and nonhuman primate PBMCs  
2 following stimulation with PA.

3           We are also making a determination of mRNA  
4 and/or protein levels of IL-1 beta and TNF alpha  
5 cytokines that are characteristic of macrophage  
6 activation. Our rationale for choosing those  
7 cytokines is that the TH-2 cytokine production is  
8 critical for the formation of immunity to  
9 extracellular pathogens and toxins. The TH-1  
10 cytokine production is critical for the formation  
11 of immunity to intracellular pathogens, and that  
12 macrophage activation is often required for  
13 effective license of intracellular bacterial  
14 pathogens.

15           As was mentioned earlier today, the  
16 adjuvant can play a big role in modulating the TH-1  
17 versus TH-2 response, and therefore we are also  
18 gaining information about that type of behavior of  
19 aluminum hydroxide in both rhesus macaques and  
20 humans.

21           We are looking at T-cell proliferation,  
22 and we are doing that by tritiated thymidine uptake  
23 of PA-stimulated cells in both AVA-vaccinated  
24 subjects and NHPs, as compared to our placebo or  
25 naive control groups.

1           In vitro proliferative response is giving  
2   us an indirect measure of the increasing frequency  
3   of PA-specific T helper cells in vivo. The  
4   proliferative response is independent of  
5   functionality. When I say that, we look at  
6   proliferation as a measure of DNA synthesis, and  
7   that doesn't relate necessarily back to the  
8   functionality of those T helper cells, but because  
9   we have profiled the cytokine response, we can look  
10  at that in relationship to the TH-1 versus TH-2.

11           As Conrad mentioned, modulation of the  
12  T-cell proliferation also occurred with our vaccine  
13  dilutions that we chose.

14           Is there a pointer?

15           At time zero, we had--this is a log  
16  simulation index and the time in weeks. At time  
17  zero everyone was below what we would consider to  
18  be a positive cutoff. Following the first  
19  immunization, you can see that we had modulation  
20  of--and this is a geometric mean of the vaccine  
21  dilution group, modulation of the stimulation  
22  index, and following the second immunization at  
23  four weeks we have the undiluted at the top, the  
24  1:5, 1:10, 1:20, 1:40, and then we have the saline  
25  control group at the bottom. This is a little bit

1 misleading in that I have connected these two  
2 points along here. This would be following the  
3 third immunization at six months, and in actuality,  
4 we most likely would have seen a decline in  
5 stimulation index following with a return with the  
6 third immunization. But I went ahead and connected  
7 these, although this is probably not the case  
8 because there's no--currently there are no time  
9 points reflecting this period.

10           Following the third immunization, we saw a  
11 merger of all of the vaccine dilution groups such  
12 that there really is no statistically significant  
13 difference probably between the vaccine dilution  
14 groups and that this has held steady out to the  
15 point that I've shown on this figure.

16           We're also looking at total antibody ELISA  
17 as an assessment of the levels of antibodies or IgG  
18 (IgG subclasses 1-4, IgA, IgE, and IgM) to the AVA  
19 components PA, EF, and LF by ELISA, and we're also  
20 making an assessment of avidity by looking at  
21 high-avidity antibodies by ELISA and assignment of  
22 avidity indices to serum samples.

23           We chose to do this because  
24 antigen-specific immunoglobulin plays a critical  
25 role in the protective immune response to



1 pathogenic organisms and toxins following  
2 vaccination. And as we heard earlier today, we're  
3 very interested in the anti-PA, IgG response along  
4 with defining subclasses, and we're also looking at  
5 IgA, E, and M in addition to antibodies against EF  
6 and LF.

7           We know that affinity maturation and  
8 isotype switching occurs following repeated  
9 immunizations, and changes in the avidity of  
10 antibody attachment may also play a role in  
11 protection.

12           It's necessary to look at the  
13 functionality of that antibody. It's not enough to  
14 have it recognize the antigen in a format of an  
15 ELISA or Western blot, but we need to know or  
16 determine its ability to neutralize the activity of  
17 PA. So we're looking at relative magnitude,  
18 nature, and toxin-neutralizing efficacy of antibody  
19 responses to both PA83 and PA63 conformers. And it  
20 was pointed out this morning by Steve Leppla in his  
21 diagrams that there are multiple points along the  
22 pathway of intoxication where antibody can play a  
23 role. And by looking at the ability of antibody to  
24 act both on PA83 and PA63, we can determine where  
25 in this pathway antibody may be important in

1 neutralizing the effects of the binary toxin  
2 system.

3           We're also able to dissect the  
4 neutralizing activity of serum antibody responses  
5 to EF and LF utilizing assays that measure  
6 individual enzymatic activities of the EF and LF or  
7 antibodies that can neutralize the adenylate  
8 cyclase and MEK-1 endopeptidase.

9           We need to do this because AVA antiserum  
10 to neutralize anthrax lethal toxin at different  
11 stages in the intoxication process is important to  
12 understanding how the immune response relates back  
13 to protection, and that toxin neutralization has  
14 been demonstrated to correlate with protection in  
15 both rodent and rabbit models of anthrax.

16           We're also looking at the ability of  
17 antibody to--or its involvement in opsonophagocytosis, so  
18 we're making a measurement of  
19 opsonophagocytic antibodies using differentiated  
20 tissue culture or tumor cell lines as effector  
21 cells. We're looking at fluorescently labeled  
22 vegetative cells, and we're also looking at  
23 PA-coated fluorescent microparticles in conjunction  
24 with the differentiated cells. And I think there's  
25 some words missing on the slide there.

1           We need to evaluate the ability of  
2 anti-AVA antiserum to promote PA- or other  
3 antigen-dependent clearance of capsulated Bacillus  
4 anthracis. And, again, this links back to a  
5 complete understanding of the immune response and  
6 how that's related to protection.

7           I won't spend much time talking about  
8 this, as Conrad covered it in detail, but we are  
9 working with the CDC to develop models that would  
10 allow us to construct a discriminate function from  
11 the results of these parameters such that  
12 Conrad--excuse me here. Conrad mentioned that  
13 we're building an immunogenicity score using a  
14 combination of parameters of variables with  
15 coefficients up to the number of variables in the  
16 model, and from that we can come up with a  
17 discrimination cutoff where we look at survive  
18 challenge and failed challenge versus the  
19 immunologic score.

20           That brings me to the end of my  
21 presentation. I'd like to acknowledge the Centers  
22 for Disease Control and Prevention, specifically  
23 Dr. Bradley Perkins, Dr. Nina Marano, Dr. David  
24 Ashford, Dr. Jairam Lingappa, Dr. George Carlone,  
25 and Dr. Conrad Quinn.

1           I'd also like to acknowledge the  
2 individuals who have worked with me at Battelle  
3 Memorial Institute on this study: Dr. Carol  
4 Sabourin, Dr. April Brys, Jim Estep, Robert Hunt,  
5 and Roy Barnewall. Then I'd also like to mention  
6 those individuals who have worked also on this  
7 project as subcontractors to Battelle Memorial  
8 Institute: Dr. Lawrence Mathes and Dr. Kate Hayes  
9 at the Ohio State University; and Dr. Andrew  
10 Robinson, Dr. Nigel Silman, Ms. Moya Burrage, and  
11 also Dr. Matt Wictome at the Centre for Applied  
12 Microbiology and Research.

13           Thank you very much.

14           [Applause.]

15           DR. MEADE: Thank you, and I noticed we  
16 are--I should thank the speakers. Now we're back  
17 to being on schedule. So in addition to excellent  
18 presentations, we're back on schedule, so thanks  
19 very much.

20           Again, the last speaker for this session  
21 is Dr. Bassam Hallis from CAMR, who will talk about  
22 the work being done at CAMR on correlates. And,  
23 again, I think he is the one in his group who has  
24 come the farthest, so we really appreciate their  
25 efforts. I think we'll have an opportunity to

1 learn about the other vaccines being used from  
2 their group. So thank you very much.

3 DR. HALLIS: Thank you. I'd like to thank  
4 the organizers for giving me the opportunity to  
5 come and talk to you about some of the work that  
6 we've been doing in the U.K. at CAMR to try and  
7 understand the U.K. anthrax vaccine.

8 The U.K. anthrax vaccine has been licensed  
9 and produced at CAMR and been available for human  
10 use since the early 1960s. The vaccine is given in  
11 0.5 ml doses given intramuscularly, and these are  
12 given--three doses are given within three weeks  
13 intervals at 0, 3, and 6 weeks, and these are  
14 followed by a six-month dose given after the third  
15 one. Finally, boosters are given annually.

16 As part of the commitment really at CAMR  
17 for continued production of the anthrax vaccine and  
18 in order to answer a number of regulatory issues,  
19 we started a program of research to try and  
20 understand the composition of the anthrax vaccine.  
21 We wanted to know what's in the vaccine and--what's  
22 in the vaccine, as well as--this is the first phase  
23 for work we're doing now trying to understand the  
24 composition of the vaccine. Once that is  
25 completed, what we aim to do, use that information,

1 again, from here to try and understand which of  
2 these components that are present in the vaccine  
3 are contributing to protective efficacy of the  
4 vaccine. We also wanted to know can correlates of  
5 protection be measured in our vaccine and which of  
6 these components that are present in vaccine,  
7 again, are contributing to the reactogenicity of  
8 it.

9           As we answer these three questions, we  
10 wanted to move on and see can any information and  
11 data generated from these pieces of work inform us  
12 into the development on either second-generation or  
13 third-generation vaccines.

14           So the first thing we wanted to do, in  
15 order to start answering this question and look  
16 into the composition of our vaccine, we went  
17 through a number of small activities starting with  
18 developing an extraction method to allow the  
19 proteins to be available and analysis to take  
20 place. The next stage was to develop a number of  
21 specific reagents which we then use in order to  
22 develop specific assays that we employ in order to  
23 look at the composition of the vaccine. And in the  
24 notes that were handed out this morning from the  
25 meeting last December, we have detailed method of

1 the assays. The principal assays and their  
2 application are in the notes, so I won't get into  
3 that.

4           As we developed these assays in order to  
5 answer this question, which is the composition of  
6 AVP, really more relevant to this meeting we then  
7 went and modified all the assays in a way so rather  
8 than look directly and measure for the component,  
9 so we could use these specific assays as we would  
10 modify them in order to move on from the  
11 composition and really try and understand and  
12 answer these questions here. So a number of these  
13 small activities, going to the first one is the  
14 reagent provision, and, again, I won't get into  
15 much detail on this, but we developed a number of  
16 expression systems that allows the production,  
17 expression, and purification of the three toxin  
18 components.

19           We also acquired a clearance given to us  
20 from the Institute of Pasteur that allowed us to  
21 produce the S-Layer proteins, both SAP and EA1.

22           The standard chromatography technique  
23 that's been published really for a number of years  
24 were used to purify these components, and then we  
25 use this purified antigen to develop and product

1 high-titer polyclonal antibodies produced in rabbits  
2 and guinea pigs, and we also produced other  
3 additional reagent that are required, and I'll  
4 point them out in a little while, for really the  
5 performance of the functional assays that we have.

6           So the variations were developed and a  
7 number of assays were developed. To start with,  
8 sensitive and quantitative in vitro assays, both  
9 immunological and functional assays were developed.  
10 These allowed us to quantify the immunoreactive  
11 toxin components and the S-Layer proteins and the  
12 functional assays allows us to measure the  
13 functionality of each of these toxin components  
14 individually, and, again, the two lethal toxins.

15           The assays have been applied to support  
16 the continued anthrax vaccine manufacture in the  
17 U.K., and now we're moving on to investigate the  
18 immune response of these components in vaccinees.

19           I'm going to show just very quick examples  
20 of applying these assays directly to really define  
21 the composition of the vaccine. And here the first  
22 one is applying the direct ELISA--the antigen ELISA  
23 to monitor and measure the amount of PA and LF in  
24 five recently produced batches of AVP in the U.K.,  
25 and from these data we find that the amount of PA



1 per intramuscular dose varies between 0.6 to 1.1  
2 microgram. These are per dose. As in the case for  
3 LF, we're talking about a third of that actually  
4 was present for LF. There is roughly about 0.2 to  
5 about 0.4 microgram per dose of LF.

6           The next set of assays we applied to  
7 monitor the composition of the vaccine is the cell  
8 lysis assay. This is a standard macrophage cell  
9 lysis assay, which what we used for--in a typical  
10 example here we have--this is here just purified PA  
11 mixed with LF to form lethal toxin, and we add each  
12 of our vaccine samples like in the case here to see  
13 how much lethal toxin present and how much actively  
14 lethal toxin we have in the vaccine. That sample  
15 then is spiked with known amount of either PA or LF  
16 in order to try and distinguish if we don't have  
17 activity, whether due to one or both of the  
18 components.

19           What I want to do from now really, point  
20 out that although this assay is being used as it's  
21 presented now in the next few assays, to directly  
22 measure the functionality of the toxin component.  
23 All these assays have been modified to allow us to  
24 monitor the ability of antibodies to neutralize the  
25 functionality of these various components and the

1 toxins as well, and I'll come into that in a little  
2 while.

3           The next set of assays applying the  
4 endopeptidase assay to monitor and measure whether  
5 the LF that's present in our vaccine, whether it is  
6 active or not, whether it can maintain its  
7 endopeptidase activity or not, and here we have an  
8 example of two different batches showing  
9 maintaining their endopeptidase activity.

10           The other five assays is to monitor and  
11 measure the adenylate cyclase activity of EF in  
12 extracted vaccine, and, again, here really we're  
13 looking at adenylate cyclase activity of two  
14 different batches. And in a while I'll move on to  
15 show how these assays have been modified to assess  
16 the antibodies in vaccinees.

17           So with regard to the composition of the  
18 vaccine, what we have done, we've applied a number  
19 of immunological and functional assays in order to  
20 characterize our vaccine and support manufacture.

21           We then went on as well as this, and  
22 actually we started, initiated applying these  
23 assays in the QC really in hoping that include  
24 these assays eventually as part of the batch  
25 release of the licensed product--as part of the

1 batch release of the product. Sorry.

2           And, finally, the assays formats, as I  
3 said, have been modified now to allow us to assess  
4 the immune response in vaccinees--in vaccinees  
5 certainly for the diagnosis of infection, but as  
6 well as that in animal models.

7           So the first type of assays that we went  
8 on and formatted are the directed antibody assays.  
9 These assays have actually been developed,  
10 reformatted to measure a range of immunoglobulins  
11 from total IgG and IgG subclasses, IgM, IgA, and  
12 IgE, against all the five principal components.  
13 These are the three toxin components and the two  
14 S-Layer proteins in vaccinees and clinical anthrax  
15 cases and in animal studies, as well as the assays  
16 that other people alluded to, is actually to  
17 look--we're looking at IgG avidity in vaccinees and  
18 applying standard TNA assays to see what the  
19 ability of antibodies to neutralize lethal toxin.

20           Here I've got an example of using the  
21 ELISA to measure antibody titer. This is whole IgG  
22 against PA and LF and EF here in a rabbit that's  
23 been vaccinated with a U.K. vaccine.

24           This is another example of applying these  
25 assays to monitor the antibody response against PA

1 and LF in this case in a cutaneous anthrax case in  
2 the U.K., and this is actually just applying it a  
3 few weeks after--a couple of weeks after symptoms  
4 and all the way to a couple of months, and, again,  
5 going back really a few months later.

6           Immune responses in a U.K. vaccine have  
7 also been monitored using these antibody ELISAs,  
8 and in here really looking--just to give you an  
9 example, looking at an antibody response, this is  
10 an anti-PA IgG and this is anti-EF IgG in a  
11 vaccinated person, as well as monitoring whole IgG  
12 against PA, LF, and EF. As I mentioned, we've got  
13 the various IgG subclasses, and in here we have  
14 this assay showing an anti-PA IgG-1 and anti-PA  
15 IgG-4 in two vaccinated individuals, and third on  
16 the bottom is the negative control.

17           As well as measuring and monitoring the  
18 total antibody response between subclasses of IgG,  
19 we just apply a standard avidity assay to look at  
20 avidity, and this is really ability of the  
21 antibodies to still--to bind in the presence of  
22 different concentrations of thiocyanate, really  
23 standard antibody avidity assay.

24           As well as these, and you've seen some of  
25 these examples a number of times today of using

1 turning around, modifying the macrophage cell lysis  
2 assay to use--to turn it into a TNA assay to really  
3 assess the ability of antisera to neutralize the  
4 lethal toxin in macrophage cell lysis assay.

5           So having done all these and applied them,  
6 we're moving on now to say the correlates of  
7 protection as a general slide really probably can  
8 be defined as "a biological response determined by  
9 laboratory analysis or by clinical measure, that is  
10 predictive of clinical protection." So one could  
11 determine immune responses in terms of measuring Ig  
12 or CMI, and compare the immune responses in  
13 relation to protection afforded by vaccine in Phase  
14 III clinical trials and maybe in animal models if  
15 Phase III clinical trials are not possible, like in  
16 these cases.

17           In this case here, what do we know from  
18 human work? We've heard a lot really this morning  
19 and this afternoon between what know in both human  
20 and animal. But with regard to human work, we know  
21 that effective licensed anthrax vaccines contain or  
22 produce either PA, LF, and EF, and other cell  
23 components. So we know really certainly that  
24 effective licensed vaccines produce or contain all  
25 of these PA, LF, EF, and other components, mainly

1 the S-Layer proteins, Sap, and EA1.

2           We know certainly that vaccines induce  
3 antibody, antibody response to these components to  
4 all these three, certainly least to these five  
5 components.

6           We know that antibodies to these antigens  
7 are present in convalescent sera as well.

8           With regard to what know from animal work,  
9 we know that PA alone can protect in animal models,  
10 and we know that anti-PA antibodies are associated  
11 with protection.

12           I have to actually say that we don't  
13 really know enough as yet to say that there is a  
14 direct measure on correlation between the  
15 protection and really specific anti-PA titer or  
16 certainly not in human.

17           Components other than PA certainly  
18 contribute to protection from a number of work, and  
19 you heard about the work that's being done in  
20 Galloway's group.

21           And, finally, it's likely that different  
22 animal models are likely to identify different  
23 correlates of protection.

24           What we're proposing to do in the U.K. is  
25 actually U.K. clinical study to run a proposed

1 U.S.-U.K. bridging study actually to that of the  
2 CDC AVRP study. And the idea is to run a U.K.  
3 clinical study by doing only in human but not using  
4 NHP challenge.

5           We intend to carry on using U.K.  
6 vaccination schedule using the same route,  
7 intramuscular route, and using the same schedule  
8 that is applied now.

9           We want to actually try and determine  
10 immune responses, again, in terms of Ig and CMI,  
11 using the AVRP assays, and by this stage these  
12 assays have been fully characterized, actually  
13 fully validated, and actually will give us a good  
14 linkage really, bridging between the two vaccines.  
15 By monitoring total Ig responses to PA, LF, and EF,  
16 by measuring avidity, neutralization and opsonic  
17 antibodies, and Dru and Conrad actually talked in  
18 detail about the various assays.

19           We want to compare immune responses  
20 between the AVA and the AVP and see how these two  
21 vaccines really compare. And we wanted to try and  
22 look at correlates of protection determined by  
23 comparison to AVRP NHP immune responses at the  
24 stage they become available.

25           So, in summary, really what we've got,

1 we've got a range of immunological and functional  
2 assays that are currently being used to  
3 characterize the U.K. vaccine and really trying to  
4 define the composition of that vaccine. We'll also  
5 apply--modify these assays to allow us to move from  
6 measuring directly the actual components in the  
7 vaccine to really assess the immune response in  
8 vaccinees and for diagnosis against these various  
9 components. And correlates of protection for the  
10 U.K anthrax vaccine will be determined through a  
11 planning immune response study in vaccinees,  
12 bridging to the CDC AVRVP study. And, finally, the  
13 assays could be applied to really hopefully a lot  
14 of next-generation formats of anthrax vaccine as  
15 they become available.

16 I'd just like to really finish by  
17 acknowledging the U.K. Department of Health and the  
18 Medical Supplies Agency, part of the Ministry of  
19 Defense, for supporting all this work and various  
20 colleagues at CAMR and all throughout CAMR between  
21 Research, Manufacturing, and QC Divisions, and a  
22 number of collaborators for their generous gifts  
23 for various mutants and clones and reagents.

24 Thank you.

25 [Applause.]



x DR. MEADE: Good. Well, again, thanks for  
1

2 these excellent presentations. Amazingly, if  
3 you'll notice the clock, right on time. So we can  
4 open up for some discussion. I will remind  
5 everyone to introduce themselves and give their  
6 name and affiliation when they ask a question.  
7 Feel free to start the discussion.

8 DR. ROBBINS: My name is Robbins at the  
9 NIH. I have a question for Dr. Quinn and a  
10 question for Dr. Hallis.

11 Dr. Quinn, could you comment about the  
12 effect of reducing the aluminum content as well as  
13 the antigen content when you dilute the vaccine?  
14 Because, as you know, the concentration of aluminum  
15 within an injection has an important effect upon  
16 the immunogenicity.

17 Dr. Hallis, I'm under the impression from  
18 Ternbill's (ph) work that the U.K. vaccine only  
19 induces antibodies to LF after prolonged  
20 immunization and not in most cases to the EF, and  
21 at least for the human vaccine, it's very hard to  
22 show antibodies to anything but PA even after  
23 prolonged immunization. I was a little surprised  
24 by your comments.

25 DR. QUINN: Shall I answer question one

1 first?

2           We thought long and hard about balancing  
3 the aluminum content and adjusting it, as you say,  
4 because it does have--aluminum on its own, in its  
5 own way will have immune-modulating effects. But  
6 after much discussion with our collaborators and  
7 those who have to actually perform the assays, we  
8 decided that the risk of introducing more variables  
9 was greater than the risk of keeping the--adjusting  
10 the aluminum.

11           DR. ROBBINS: But if you were to use  
12 purified PA, you would have to keep the aluminum  
13 constant with various dosage of protein, so the two  
14 results may not be comparable.

15           DR. QUINN: That is absolutely correct.  
16 Again, it was something that we have--the amount of  
17 discussion and scrutiny that the study has been  
18 under since it started has brought these points to  
19 the fore several times. With the recombinant PA  
20 vaccine, you have that opportunity to mix and match  
21 as you see fit and within your study design. With  
22 AVA, which is pre-manufactured and purchased, we  
23 don't always have that opportunity. But I take  
24 your point, and we have discussed that.

25           DR. HALLIS: In our experience, certainly

1 the antibody response to LF appears to be that at  
2 an early stage in vaccinees, certainly in a number  
3 clinical cases where we have sera samples even from  
4 early stages, we also see a measurable and a really  
5 good, high antibody titer against LF as well.

6 DR. ROBBINS: At least in Ternbill's  
7 articles, which is the only one that I know that  
8 are published, there was little LF and hardly any  
9 EF produced by the American vaccine. Now, the  
10 English vaccine does not produce EF, only in a few  
11 cases after prolonged immunization, the EF, and the  
12 LF is a variable response. It really looks like  
13 it's the same vaccine as ours. They're PA  
14 vaccines.

15 MR. : Actually, I think you'll  
16 find that the U.K. vaccine does stimulate LF  
17 antibodies, and I have a good number of [inaudible  
18 - off microphone].

19 DR. HALLIS: Certainly that--

20 DR. ROBBINS: But it's not published.

21 MR. : [inaudible].

22 DR. HALLIS: We have actually studied the  
23 composition of a huge number of vaccine batches,  
24 and they all consistently contain LF in the amount  
25 I showed really up to certainly 0.5 of a microgram,

1 if not more than that as well. And most of them  
2 contain EF, but to a much lower amount.

3 MR. : I'd just like to  
4 compliment the investigators at the CDC for the  
5 design of the monkey and human studies, because I  
6 think they'll yield an enormous amount of valuable  
7 immunologic information relating the macaque  
8 response to the human.

9 I wondered, though, whether you've  
10 considered what Drusilla suggested, which is direct  
11 passive protection experiments of your monkeys with  
12 human serum maybe obtained by pheresis to draw that  
13 link very directly.

14 DR. QUINN: We have indeed considered  
15 that, and there are so many things that we would  
16 like to do, but we had to draw the line based on  
17 our resources and our capabilities and our funding.  
18 And that study we hope will be done, but not as  
19 part of the AVRP but as part of the immunoglobulin  
20 for therapy study. We would hope to do exactly  
21 that, and that study is in the planning and  
22 implementation stages, and I think Phil Pittman  
23 alluded this morning that recruitment for  
24 plasmapheresis is ready to start. So we would hope  
25 that will be part of that study.

1                   MR.                   : Will you be pheresing the  
2 same volunteers that you have in the study you've  
3 described?

4                   DR. QUINN: Phil, do we have an answer for  
5 that?

6                   DR. PITTMAN: Negative. These will be  
7 individuals who have been immunized (?) and have  
8 received the anthrax vaccine as part of our (?)  
9 immunization program.

10                  MR.                   : Another comment is that I  
11 think you have an opportunity--and maybe, again,  
12 you're doing this to evaluate the anamnestic  
13 response in real time. And although that's not the  
14 primary thing that we're asking for, it certainly  
15 would be nice to know if anamnestic responses might  
16 contribute to protection in people whose titer has  
17 fallen off. Are you drawing samples at early time  
18 points with your booster doses to look for  
19 neutralizing activity and how quickly it comes up?

20                  DR. QUINN: Yes, we are indeed. Bryan,  
21 did you want to comment on the timing of those  
22 doses--or draws?

23                  As part of the booster kinetic studies  
24 we're taking samples, I believe--and I stand to be  
25 corrected--at three days, five days, seven days, 14

1 and 30 days after each--after the six-month and  
2 after the 18-month boosts, and we will study the  
3 onset and the magnitude and duration of our  
4 kinetics response.

5 DR.. BABCOCK: Six-month dose, 30-month  
6 dose, and 42-month dose.

7 DR. QUINN: Six months, 30 and 42.  
8 Janiine is the PA at the Walter Reed Army Institute  
9 of Research on one of the human study arms. Again,  
10 Janiine?

11 DR. BABCOCK: There will be a range, but  
12 basically all the people will be randomized--

13 DR. MEADE: You probably should speak in  
14 the microphone.

15 DR. BABCOCK: Basically the people will be  
16 randomized into three groups, Groups A, B, and C.  
17 Group A will give their kinetic sample in the  
18 first--days 3 to--I think it's days 3 to 8. B will  
19 give it after the six-month dose from 8 to 11 or  
20 something. And then it's 11 to 14. Then the  
21 groups switch after the 30-month dose, and they  
22 switch again. So we're getting a continuous range  
23 so there will be a continuous range of samples from  
24 3 to 15 days afterwards and then again at 30 days.

25 DR. QUINN: Thanks, Janiine.

1           A point here is that we are not taking  
2 discrete time points and maximizing the number of  
3 those time points. Our objective is to build the  
4 full curve of the response, so we're taking  
5 multiple readings over multiple days.

6           DR. BURNS: I just wanted to interject a  
7 quick question as a follow-up to George's on the  
8 passive immunization studies. You are talking  
9 about using sera from individuals who've been  
10 immunized with AVA. Have you given consideration  
11 to using sera from individuals immunized with  
12 recombinant PA so you don't have a more complex  
13 sera but, rather, it's antibodies to a single  
14 protein that might give you information for the  
15 next-generation vaccine.

16           DR. QUINN: That would be nice to do, but  
17 within the context of the immunoglobulin trial,  
18 it's, again, not feasible because the licensed  
19 product is AVA. But what we are finding--and we've  
20 heard it several times this morning--is that the  
21 predominant antibody response in AVA sera--anti-AVA  
22 sera is PA directed. We have very few lethal  
23 factor responders. We have no edema factor  
24 responders. And when we correlate the IgG  
25 neutralizing concentration in the TNA to the IgG

1 concentration in the ELISA, we get a very, very  
2 good concentration coming back. So it's something  
3 that would be nice to do, but we're not set up to  
4 do it yet.

5 MS. : On your proposed studies  
6 with the cytokine profiling, there was--is this not  
7 on? There. Okay. I just wasn't close enough.  
8 Your proposed studies with the cytokine profiling,  
9 there's a group that I've come in contact with  
10 recently that are doing real-time PCR on a number  
11 of cytokines, and they've been doing these on  
12 clinical samples for quite some time with  
13 rheumatoid arthritis patients. And I think that in  
14 terms of the experience my lab has had in real time  
15 and also looking at micro arrays, the system that  
16 they have is really nicely standardized and would  
17 probably be very useful in your system.

18 I don't know what you've already made  
19 arrangements on that, but I can give you more  
20 information on it.

21 But then the other thing I wanted to  
22 mention is in terms of the adenylate cyclase  
23 activity that's associated with--you were the one  
24 that mentioned that, weren't you? I'm not--I  
25 suddenly can't remember who it was.



1 DR. PHIPPS: Yes, we both did.

2 MS. : It was you, yes. In our  
3 micro array studies, we've also picked up a number  
4 of other genes that are regulating adenylate  
5 cyclase, and that might be something interesting  
6 for you to look at, too.

7 DR. PHIPPS: Thank you very much for the  
8 comment.

9 DR. ALVING: Carl Alving, WRAIR. I just  
10 wanted to weigh in on the aluminum question that  
11 John Robbins raised before. It's my understanding  
12 that the aluminum has to absorb the antigen, and so  
13 if you just put aluminum in without absorbing the  
14 antigen, then you might not have--I mean, you  
15 may--you might not take advantage of the depo  
16 effect of the aluminum.

17 If you put more aluminum in with the same  
18 amount of antigen, when you diluted the antigen  
19 out, you might get greater absorption.

20 So I would say that to take advantage of  
21 the depo effect, it's perfectly appropriate to  
22 simply dilute the aluminum along with the antigen  
23 as well, because I don't think the aluminum is--it  
24 may have effects independently of the depo effect,  
25 but you're going to alter the depo effect

1 dramatically if you change the aluminum.

2 MR. : Carl, if you reduce the  
3 aluminum, you reduce the response. If you reduce  
4 the aluminum, you reduce the response to the  
5 antigen.

6 DR. QUINN: Could I interject? That was  
7 actually one of our objectives in the primate  
8 study, to reduce the immune response. And after  
9 much discussion--and this point did come up several  
10 times--we thought that it was a higher priority to  
11 maintain the antigen-adjuvant ratio rather than to  
12 balance the amount of adjuvant we weren't giving.  
13 So, yes, we are trying to modulate the immune  
14 response here, and it was one of the objectives.

15 DR. ALVING: Just to add to that, if you  
16 increased the aluminum but didn't increase the  
17 antigen, you--

18 MR. : You get a higher  
19 response.

20 DR. ALVING: You do?

21 MR. : Yes.

22 MR. GOLDING: I'm Basil Golding with the  
23 FDA. I'm very curious about the functional  
24 activity you notice in your IVP regarding EF and LF  
25 in your assays, and I have two questions related to

1 that. One is: I would assume that--and, you know,  
2 I haven't seen people injected with the IVP so I  
3 don't know. I would assume that there is some  
4 reaction, some local reaction if you have the  
5 toxins in your vaccine. And my question is how  
6 much of a local reaction do you get and how do you  
7 know how much EF and LF you want to be in there in  
8 order to make this a safe vaccine.

9           But the second question is more  
10 theoretical, and that is, if you have EF and LF and  
11 you have a local reaction, I would assume that that  
12 causes inflammation at least of cytokines and would  
13 influence the immune response probably in a  
14 positive way. And I don't know how much--you know,  
15 you're talking about batch-to-batch control, and  
16 that may turn out to be important also in terms of  
17 efficacy of the vaccine.

18           DR. HALLIS: With regard to your question  
19 on how much we want to have LF and EF, this is  
20 another story. What we're looking now, not  
21 optimize the components in terms of composition.  
22 We're looking to see what's in the vaccine. The  
23 vaccine certainly contains the three components.  
24 We believe the way the vaccine is actually--or the  
25 components precipitated on the aluminum does not

1 allow the toxins to be formed, and that's what is  
2 stopping side effects and toxicity from the  
3 vaccine.

4 MR. GOLDING: And in terms of local  
5 reaction, you think that--so you don't think that  
6 there's any inflammation related to it because the  
7 formulation prevents any effect, is what you're  
8 saying.

9 DR. HALLIS: Yeah.

10 MS. POLONIS: Hi, Vicky Polonis--

11 MR. GOLDING: Excuse me, a minor question.  
12 Don't you use formalin?

13 DR. HALLIS: No, our vaccine does not  
14 contain formalin.

15 MS. POLONIS: Vicky Polonis from the Henry  
16 Jackson Foundation. In terms of Dr. Burns'  
17 suggestions for comparison of immunogenicity in  
18 animal models versus human vaccinees, I wondered,  
19 has anyone done one-year epitope mapping studies  
20 using PA, for example, the interterminal region  
21 thought to elicit the neutralizing antibodies using  
22 technologies like the Geisen (ph) pep-scanning  
23 method? Because it would be interesting to note if  
24 the pattern and magnitude of epitope reactivity in  
25 human sera versus animal sera in any of the animal

1 models is similar or different. And is it thought  
2 that linear epitopes do play a role in  
3 neutralization? Or is it known to be  
4 confirmational antibody dependent? Can someone  
5 address that?

6 DR. QUINN: Your answer is on the way down  
7 the steps.

8 DR. BAILLIE: Yes, I must say that we  
9 actually epitope-mapped PA in a variety of mouse  
10 models, and we are keen to look at the human immune  
11 response by taking T-cells from immunized  
12 individuals and seeing if they respond to the same  
13 epitopes. I'd be really keen to look at these  
14 epitopes in terms of whether we see different  
15 responses in different individuals based around  
16 their T-cell responses. So, yes, there are plans  
17 to do it.

18 I also know people have been looking at  
19 confirmational epitopes and there's a lot of work  
20 at USAMRIID trying to work out where (?) binds  
21 and (?) bond.

22 In terms of the third part of the  
23 question, there's a lot of interest in the main  
24 four in terms of the antibodies that bind to it and  
25 work going on to devise antibodies which would bind

1 to that region to neutralize.

2 DR. MEADE: Does anyone else on the panel  
3 wish to add to that?

4 DR. QUINN: As part of the CDC study,  
5 we'll also be doing CD mapping with Raffi Ahmed  
6 (ph) who's a world-renowned leader in this area of  
7 HIV research. So there's a lot of people doing  
8 this now.

9 DR. MEADE: I guess I have one additional  
10 question. I think studies were proposed looking at  
11 opsonophagocytosis. Is there any evidence for the  
12 particular types of antibodies that would be sort  
13 of phago-(?) --opsonophagocytic? Any evidence,  
14 for example, that PA would contribute in that way  
15 or do any other--the character and nature of the  
16 antibodies?

17 DR. QUINN: Who was the question to,  
18 Bruce?

19 DR. MEADE: I'm asking if anybody  
20 who's--if there's any evidence, any data yet coming  
21 from any of the studies yet that would speak to  
22 that.

23 DR. QUINN: Not from the CDC study at this  
24 stage. Art alluded to this sort of effect this  
25 morning.

1 [Inaudible comment off microphone.]

2 DR. MEADE: Okay. Well, good. If there  
3 are no more questions, I think we're on time.  
4 Again, I think thank the speakers for a very  
5 excellent presentation.

6 [Applause.]

7 DR. MEADE: We're to gather back here at 3  
8 o'clock for our panel discussion. I think we've  
9 set the stage for hopefully a very interesting  
10 discussion beginning at 3:00.

11 [Recess.]

12 PANEL DISCUSSION: HOW DO WE DEMONSTRATE EFFICACY  
13 OF ANTHRAX VACCINE?

14 DR. McINNES: Thank you very much. We're  
15 going to move into the final session this  
16 afternoon, which is a panel discussion, and we have  
17 four panel members who I would like to introduce to  
18 you: Dr. Emil Gotschlich on the left-hand side;  
19 Dr. Arthur Friedlander, who you heard this morning;  
20 Dr. Erik Hewlett, University of Virginia; and Dr.  
21 George Siber from Wyeth. All four have had many  
22 years of experience in a variety of vaccines and  
23 being called upon many times to think about  
24 difficult and challenging problems and propose  
25 interesting solutions to them.

1           And in thinking about this panel and how  
2 we might structure it, Drusilla and I had talked a  
3 little bit about how the day would go and how we  
4 should end up, and it's very clear that she would  
5 like to have independent input from the committee  
6 and from the panel on some of these questions, and  
7 she really would very much like to have input from  
8 all of the participants here in the room who have  
9 thoughts about these topics.

10           We're going to do our best to get a  
11 microphone to use should you indicate that you  
12 would like to speak. It is rather a vertical room,  
13 and when you stand up you have the feeling you are  
14 going to fall forward. So I do understand. If  
15 you'd like a microphone brought to you, we will do  
16 the best we can to accommodate that.

17           The first question that is posed to the  
18 panel is: Which animal models or models best  
19 approximate the human disease and the human immune  
20 response? And we certainly heard some of that this  
21 morning, and I'm going to pass that to the panel,  
22 whether there are comments, and perhaps, Dr.  
23 Friedlander, I could ask you to respond first on  
24 this.

25           DR. FRIEDLANDER: I think my feelings on



1 this subject are already in practice, that is, I've  
2 made my decisions, and the evidence for that is the  
3 approach that we've taken over the last ten years  
4 now in terms of studying anthrax, this particular  
5 infectious disease. And while some of it was  
6 clearly just, frankly, intuitive, some of it was  
7 based upon observations in the literature. And  
8 that is, as you've heard, that at least  
9 pathologically, not immunologically, the primate,  
10 the non-human primate most closely approximates the  
11 pathologic findings that are found in the human  
12 population. And that was basically the consensus  
13 of opinion of a previous generation of  
14 pathologists.

15           And I think it's been substantiated in  
16 terms of the unfortunate opportunity to have looked  
17 at some of the human pathology again. But that's  
18 just from the perspective of the pathology, and  
19 that's the non-human primate.

20           In terms of the immune response, which is  
21 really the other side of the coin that you're  
22 looking at when you're trying to understand the  
23 mechanism of immunity, there I think the question  
24 could be put out to the broader community. I'm not  
25 an immunologist, but intuitively, one feels that

1 we're closer to a non-human primate than to a mouse  
2 or a guinea pig or a rabbit. And at least in my  
3 discussions with other primatologists, I think  
4 that's a reasonable assumption, and someone would  
5 have to make a case otherwise.

6           Now, one question that does come up is  
7 which non-human primate, and that was addressed a  
8 little bit in some of the questions about the  
9 non-availability of the rhesus macaque. I raise  
10 that point because we know for other infections  
11 that there clearly are differences in terms of  
12 different species of non-human primates. Whether  
13 that's the case or not, I really don't know. As  
14 Louise mentioned, years ago the cynomologous monkey  
15 was used to a large extent in many studies.

16           So to end my answer, I think the best  
17 model to date is a non-human primate. I would say  
18 that, if anything, humans appear on the basis of  
19 pathology to perhaps be somewhat more resistant  
20 than the rhesus macaque--more resistant--and that  
21 one can, in fact, garner--develop a spectrum of  
22 sensitivity to the disease, where the rabbit is  
23 more sensitive, dies more quickly than a non-human  
24 primate than the human, I believe.

25           In terms of other models, again, we

1 have--I think one could make--we stayed away from  
2 the mouse for the reasons that you've heard, but I  
3 think the point that was made, that someone made, I  
4 think it was a reasonable one, and I happen to  
5 agree. If it's a PA-based vaccine, one could make  
6 a case for the mouse as a screening as opposed to  
7 rabbit. We chose the rabbit, again, because it had  
8 been used in the past, because it is predictive of  
9 the vaccine-induced immunity in the macaque. So  
10 that's the reason that we came down with the rabbit  
11 and the non-human primate.

12 DR. McINNES: Dr. Siber, do you have any  
13 comments?

14 DR. SIBER: Well, mostly I'm reflecting  
15 what I've heard today, but I would just say this:  
16 I think what we're trying to do is ask the question  
17 of what the nature of the immunity is that will  
18 protect, and we want to mimic as close as possibly  
19 the human situation in the absence of humans as  
20 opposed to a release test for a vaccine, which is  
21 very different.

22 And, therefore, I guess what we've heard  
23 is the aerosol challenge is the most difficult to  
24 protect against. It has the greatest mortality and  
25 morbidity and is the most likely threat to us. And

1 so I think the model has to be an aerosol model,  
2 and we also know, I think--or I think we know that  
3 of all the models we've looked at, the primates are  
4 the closest to us in terms of the physiology of the  
5 toxin working, although we haven't seen a lot of  
6 specific data that many of the animals different  
7 that much in that regard. But certainly macaques  
8 would be a good choice for that.

9           So I would vote with primates.

10           DR. McINNES: Dr. Hewlett?

11           DR. HEWLETT: Thank you. I have a couple  
12 of questions that I'd like to pose along with  
13 making an answer. The first is in the context of  
14 thinking about the animal model and the guidelines  
15 that Drusilla provided for us in the proposed rule,  
16 there wasn't mention of feasibility in terms of  
17 acquisition, availability of animals. As part of  
18 that, there was a suggestion that more than one  
19 animal model could or should be used, if that's  
20 possible. And I wonder about the consideration of  
21 looking at, in light of what Dr. Friedlander said,  
22 the relationship between several of the  
23 representative models, the rabbit and the non-human  
24 primate and the human, to the extent that we have  
25 data in the human, to use a validation--use the

1 non-human primate to the extent that we can and  
2 need to, but then in the context that George Siber  
3 just brought up, for control testing and release,  
4 to be able to fall back on a rabbit or some animal  
5 that is not quite so hard to come by and not so  
6 problematic in terms of acceptance of its use.

7           Now, the other part that I think is  
8 important, we don't have the criterion up here.  
9 The other thing that Drusilla mentioned was  
10 reasonably well understood pathophysiologic  
11 mechanism of the toxicity of the substance to be  
12 protected against. And we have come down to the  
13 fact that we're talking about the toxins, EF and LF  
14 and PA. I'm still concerned about the capsule and  
15 what the capsule might be doing in some animals,  
16 and I don't think that we know about humans.

17           But in light of that, we haven't talked  
18 very much--I'm not convinced that we know a lot  
19 about the pathophysiology. We have made some  
20 assumptions in the past based on reports in the  
21 literature on release of cytokines, a story that  
22 makes reasonable sense. But I'm not sure--we  
23 certainly haven't seen those data today, and I'm  
24 not convinced as to what the sequence of events is  
25 and what role the cytokines play.

1           I know that that can make a big difference  
2 from one animal system to another in making the  
3 comparisons. If we're just talking about up-front  
4 protecting against PA binding and binding of LF or  
5 EF to PA, I think that's a lot easier. But the  
6 downstream pathophysiology is also important, and I  
7 think if we just focus on PA, we're going to be  
8 neglecting that.

9           So I do agree that the non-human primate  
10 is very important and probably the best to be used,  
11 but I would like to have a backup, some  
12 correlations with another animal that could be used  
13 more easily in the long term.

14           DR. McINNES: So the derivation of a work  
15 horse animal for all the studies with the nice  
16 correlation to the non-human primate and then to  
17 humans. We do have work horse.

18           Emil, any comment?

19           DR. GOTSCHLICH: I have two comments. One  
20 is that I must make a disclaimer. I am a member of  
21 an IOM committee that is reviewing the CDC program  
22 which you have heard presented this afternoon by  
23 Dr. Quinn and also by--I'm afraid I already forgot  
24 the name of the gentleman from Battelle. And,  
25 therefore, anything that I say this afternoon about

1 those two programs is my personal opinion and not  
2 the opinion of the IOM. Louise, are you satisfied?

3 Very good.

4 I think that anything important about the  
5 animal models has already been said. The data that  
6 was presented by Dr. Louise Pitt was, I think, very  
7 convincing about the applicability of the rabbit  
8 and the non-human primate model. The only thing  
9 that may not have been mentioned yet this afternoon  
10 and needs to be mentioned by somebody like myself  
11 who is not yet used to the fact that money is  
12 absolutely no object is that one should keep in  
13 mind that rabbits are a hell of a lot cheaper than  
14 monkeys.

15 DR. FRIEDLANDER: Can I just add a comment  
16 to what Erik said?

17 DR. McINNES: Yes, go ahead.

18 DR. FRIEDLANDER: I would hope that the  
19 presentation I gave this morning, if anything, said  
20 we know much less than what know about this  
21 disease. We know about the toxin because it's  
22 easy--it's easy--it's easy to do in vitro  
23 experiments. It's very, very hard to do in vivo  
24 experiments. And, you know, this toxin is not very  
25 potent in a primate in terms of lethality. We're

1 talking milligram quantities to kill a primate.  
2 That's a lot of toxin. That's not to say the toxin  
3 is not important in its pathogenesis. But I think  
4 there's more that we don't know than what we do  
5 know, and I think we're very, very fortunate,  
6 though, for a PA-based vaccine, extraordinarily  
7 fortunate, to have a functional assay. If we  
8 didn't, we'd be in more trouble. I think that  
9 offers us really a hope that we can actually pull  
10 this off.

11 DR. McINNES: Thank you.

12 Are there comments from the floor  
13 regarding the animal model best approximating human  
14 disease? Yes, please, sir?

15 MR. : Are non-human primates  
16 susceptible to infection with toxin-negative?

17 DR. FRIEDLANDER: You know, we've been  
18 talking about doing that experiment for a long  
19 time. I've been thinking of that for a long time.  
20 I don't know the answer to that.

21 The presumption--I don't know any data  
22 about it. The presumption is that it's going to be  
23 attenuated and essentially avirulent, as it is in  
24 the guinea pig.

25 DR. McINNES: Dr. Alving?



1           DR. ALVING: Carl Alving from WRAIR. I  
2 would say that the question as it's phrased is  
3 perhaps--may be changed a little bit. Instead of  
4 saying which animal models best approximate the  
5 human disease, the animal model is not necessarily  
6 supposed to precisely reflect the human disease for  
7 certain types of regulatory actions that might be  
8 taken.

9           For example, if you were simply to change  
10 from IM to--from sub-cu to IM or to change the  
11 number of doses, it appears to me that the animal  
12 model should merely reflect the antibody titer and  
13 not--you wouldn't need to know all of the  
14 pathophysiology and all of the other issues.  
15 However, if you're going to change the vaccine  
16 radically in some way to go to a different antigen  
17 or attack a different part of the disease process,  
18 then it might be more appropriate.

19           But I think we are already  
20 assuming--correct me if I'm wrong--that the present  
21 anthrax vaccine actually works and that it is  
22 protective. So we already have a protective  
23 vaccine, so we already have the best animal model,  
24 which is the human. And so the human is inducing  
25 antibodies, and so it seems to me that simply for

1 small changes in the vaccine that could change the  
2 regulatory issues involved, simply a rabbit would  
3 be good enough or a non-human primate.

4 DR. McINNES: And you're speaking  
5 specifically about AVA now?

6 DR. ALVING: Yes, only about AVA. Now,  
7 for other vaccines, that may also hold true, but  
8 we're talking about AVA here.

9 DR. McINNES: All right. Any other  
10 comments? Yes, please, sir?

11 MR. GIRI: My name is Lallan Giri, and I'm  
12 from BioPort Corporation. I think in a situation  
13 like this, it's always a good idea to have some  
14 input from the vaccine manufacturer, and that's why  
15 I thought I would make this attempt. I think one  
16 of the panel members has already echoed it, and I  
17 would like to say that definitely it's no secret  
18 now that many manufacturers have been forced out of  
19 the vaccine manufacturing business as a result of  
20 the cost of development and manufacturing and cost  
21 of compliance. So I certainly sincerely hope that  
22 as time goes along, we will learn enough from the  
23 comparative study of the rabbit as well as rhesus  
24 macaque, the non-human primate, and it will be not  
25 too long before we can definitely make a switch to

1 a less expensive animal model, yet a model that can  
2 definitely assure the efficacy, safety, and the  
3 potency of the anthrax vaccine.

4 Thank you very much.

5 DR. McINNES: Thank you.

6 Yes, please?

7 MR. BALADY: Mike Balady, JPO. Dr. Siber,  
8 I agreed with your comment concerning the aerosol  
9 being the most stringent case, but I think that in  
10 the climate we have today, with the general public  
11 having concerns about not just the aerosol but  
12 including the cutaneous form, we need to address  
13 that here, too, in the forum.

14 How are we going to do that with our  
15 animal models? How should that be addressed?

16 DR. SIBER: I guess my question would  
17 be--and I would ask the experts--whether the amount  
18 of antibody that will protect against aerosol would  
19 be expected with great confidence to also protect  
20 against cutaneous and GI challenges.

21 What I've heard, I think I've heard, is  
22 certainly that those are gentler challenges, if  
23 that's the right term, and that you would certainly  
24 expect that. But maybe you need to do an  
25 experiment or two to nail it down and convince

1 yourself of that.

2 DR. FRIEDLANDER: If I may--

3 DR. McINNES: Dr. Friedlander?

4 DR. FRIEDLANDER: Just a comment. I think  
5 the overwhelming concern here is still--remains  
6 inhalational anthrax. Cutaneous anthrax is readily  
7 identified now--I mean, that's not to deny that  
8 it's a concern.

9 But in regard to the other point, I think  
10 it's fair--there's not any data except in the  
11 guinea pig--

12 MR. BALADY: But you have to relate it  
13 back to the animal rule. Remember what we went  
14 through earlier. The animal rule says it has to be  
15 as good as the current vaccine, and you have to  
16 have the indications that the current vaccine has.  
17 So when this work has to be done, I think the  
18 expectation from the agency, and including the  
19 public, will be that you will have shown  
20 experimentation with any new vaccine that will  
21 equal the current vaccine. And the indication is  
22 for cutaneous.

23 So, I mean, you can't--

24 DR. FRIEDLANDER: Say what--

25 MR. BALADY: The indication is for

1 anthrax--

2 DR. FRIEDLANDER: No, it's not.

3 MR. BALADY: It's not for aerosol.

4 DR. FRIEDLANDER: It's for anthrax.

5 MR. BALADY: For anthrax. Well--

6 DR. FRIEDLANDER: Exposure to anthrax  
7 spores.

8 MR. BALADY: Well, it includes the  
9 cutaneous form.

10 DR. FRIEDLANDER: Not just cutaneous.

11 MR. BALADY: It includes the cutaneous  
12 form.

13 DR. FRIEDLANDER: Yes.

14 MR. BALADY: Therefore, the expectation is  
15 that this new vaccine, whatever it would be, should  
16 have that indication also, and it hasn't been  
17 addressed in these discussions.

18 I agree with you that the aerosol is the  
19 most important.

20 DR. FRIEDLANDER: Okay. I would just say  
21 in reference to what we know about the guinea pig,  
22 yes, that it's certainly more difficult to protect  
23 against an aerosol challenge. On the other hand,  
24 remember, this disease is a disease that occurs in  
25 the mediastinum, at least we think it does. And so

1 where the vaccine works, of course, is not so  
2 clear. I mean, how the vaccine works is not so  
3 clear, this one or any other, yet. But it's closer  
4 to a systemic infection or, if you will, an  
5 inoculation in the mediastinum as opposed to the  
6 skin than a pneumonia, which at least  
7 pathologically it seems that way. So any  
8 vaccine--you'd have to demonstrate it, but any  
9 vaccine that protects against aerosol and  
10 mediastinitis you would--that would protect against  
11 cutaneous disease.

12 DR. McINNES: Dr. Robbins?

13 DR. ROBBINS: If a person is exposed--

14 DR. McINNES: Microphone, please.

15 DR. ROBBINS: Excuse me. If an individual  
16 is exposed, or an animal, really, is exposed to an  
17 inhalation of anthrax, we presume from the animal  
18 experiments he will not be protected by vaccine  
19 alone. The animal work shows that if you are  
20 exposed within a day the vaccine has no effect. No  
21 effect.

22 Now, my interpretation of that is if you  
23 don't kill the inoculum of an organism and you  
24 allow it to grow, you haven't got a vaccine. So  
25 worrying about what the organism does in the

1 mediastinum and in the lymph nodes is a non  
2 sequitur if you're studying how to predict the  
3 vaccine is going to work.

4           The best information is, in animals, that  
5 antibodies to PA alone will protect, and in humans,  
6 the information is limited. The only good clinical  
7 study we have shows that it protects against  
8 cutaneous anthrax 92 percent efficacy and it was 5  
9 and 0 against inhalation. Not enough for  
10 statistical significance, but no breakthroughs.

11           So that if the purpose is to design a  
12 program to predict whether a new anthrax vaccine  
13 will work composed of PA, what you want to do is  
14 make a reliable measure of how much PA antibody  
15 that vaccine makes, presumably after a full course  
16 of immunization and a defined period. Animal  
17 models may be important for therapy, but if you  
18 have the disease, you haven't got a vaccine. The  
19 vaccine is designed to prevent the disease. It  
20 prevents it by serum antibody. Is anyone here  
21 advocating having a new vaccine for anthrax that  
22 doesn't make at least as much antibody as AVA?

23           DR. FRIEDLANDER: Well, I'm not quite sure  
24 of the point you're making, John. Clearly,  
25 antibody is the mechanism of protection. How

1 exactly it works I think remains to be determined.  
2 There's evidence that, in fact, it does not prevent  
3 infection but that the organism replicates upon  
4 challenge in an experimental animal. It does not,  
5 therefore, prevent uptake, nor does it prevent  
6 outgrowth. The--

7 DR. ROBBINS: It prevents anthrax.

8 DR. FRIEDLANDER: It prevents the disease,  
9 right. And this is limited data. That's all. So,  
10 yeah, I certainly agree that antibody is the way to  
11 go. I have no illusions whatsoever that you will  
12 ever license a vaccine that induces an inferior  
13 mean response to that which occurs with the current  
14 licensed product.

15 DR. McINNES: Good. Two other pieces that  
16 came from this discussion, before we move on--I  
17 will get to you--is the clear understanding that we  
18 do need to know more about pathogenesis, but not at  
19 the expense of waiting to push the vaccine, because  
20 that is an urgent need right now; and perhaps to  
21 try to characterize--finish the studies  
22 characterizing cynomologous to allow for additional  
23 access to primates which will be needed for some of  
24 these studies.

25 Yes, one more comment; then we'll move to



1 the next question.

2 MR. : Well, if antibody does  
3 not prevent at least the initial phase of in vivo  
4 replication of the organism, then obviously it is  
5 protecting the organism or certain parts of it to  
6 the extent that the immune system, either innate or  
7 specific in amount of response. So have there been  
8 any studies of passively immunized animals who are  
9 challenged in the presence of specific immune  
10 lesions if you have a macrophage-depleted animal,  
11 if you have an animal with anti-TNF, to focus in on  
12 what immune mechanisms are allowed to clear  
13 infection if they're preserved long enough by  
14 immune status or by antibody to come into function?

15 DR. McINNES: Does anybody from the panel  
16 wish to comment?

17 DR. FRIEDLANDER: No such experiment has  
18 been done other than for in an intoxication model  
19 where there was an experiment done with depletion  
20 of macrophages. But no such experiments have been  
21 done. I suspect they'll be coming on down the  
22 road.

23 DR. McINNES: Dr. Gotschlich?

24 DR. GOTSCHLICH: I actually would like to  
25 go back to the question that Dr. Robbins raised and

1 actually for once respectfully disagree with him.

2 I do not think that the standard for the  
3 future vaccine is the amount of anti-PA antibody  
4 that the current AVA vaccine produces. It is  
5 really actually astronomical. And in people--there  
6 is no other vaccine in which we produce over a  
7 milligram of antibody.

8 I think that the future of the PA vaccine  
9 will rest in figuring out what actual amounts of  
10 antibodies are required and trying to achieve this  
11 rather than trying to achieve what the AVA  
12 currently does.

13 DR. McINNES: Yes, ma'am?

14 MS. WIMER-MACKIN: Yes, Susan Wimer-Mackin  
15 with LigoCyte Pharmaceuticals. I'm fairly new to  
16 the field, so I certainly don't know everything  
17 about this. But it's always seemed strange to me  
18 that all the protection has been correlated with  
19 merely survival of the disease. Obviously, in  
20 humans that's not necessarily going to be  
21 acceptable and maybe comments on--should we be  
22 looking at morbidity in these animals?

23 DR. FRIEDLANDER: Point number one is  
24 survival. Number two is the ability to turn the  
25 television on. And I don't mean to be--

1 [Laughter.]

2 DR. FRIEDLANDER: I don't mean to be  
3 superficial about that. I mean, we're talking  
4 about a disease that otherwise is invariably fatal  
5 if untreated and unrecognized. So I don't--the  
6 answer to your question is yes, certainly. They're  
7 very difficult experiments to do. The experiments  
8 were not--the experiments that we've done to date  
9 other than for the last series were not really  
10 designed to address that question specifically.

11 We do know that the animals in  
12 general--you know, they were not moribund. They  
13 were not lying in their cages. But they were not  
14 designed to measure the physical and psychological  
15 activity of the animals. They could so be  
16 designed. But I think our first step is to show  
17 that we've got--in any new vaccine that we've got  
18 significant survival, certainly. If we can get  
19 there, then I think we're well on our way.

20 DR. McINNES: Yes, Erik?

21 DR. HEWLETT: Let me make one final point.  
22 I want to echo George Siber's compliment to Dr.  
23 Quinn and his colleagues in the study that they  
24 have designed. I think this particular question is  
25 going to be very well served by the results from

1 that very elaborate trial in terms of the  
2 relationships for correlation and a lot of the  
3 mechanisms along the way.

4 DR. McINNES: All right. Thank you.

5 We're going to move to the second question, which  
6 has five subparts to it. So, moving right along,  
7 what types of studies will be needed to identify  
8 correlates of protection and to validate a  
9 surrogate marker of protection for anthrax vaccines  
10 in humans? And correlates about which we are very  
11 certain.

12 Comment on the need for active and/or  
13 passive immunization studies in animals and how  
14 such studies might be designed.

15 Now, today we heard several talks that  
16 talked about the role of active immunization and  
17 passive immunization studies, relationships of  
18 human studies to animal studies, and the specific  
19 design I don't think we had much detail about, but  
20 I wondered if the panel would like to comment on,  
21 first of all, the need for these studies, the  
22 relevance, and what they might contribute, and  
23 whether you had any insights on design issues that  
24 might be taken into consideration.

25 DR. GOTSCHLICH: I think that actually the

1 passive immunization studies have not received  
2 proper discussion this afternoon except by Drusilla  
3 Burns herself. And, essentially, everything that  
4 I'm going to say really repeats what she said, but  
5 in slightly different words.

6 I think a passive immunization study has  
7 the great virtue of making absolutely certain that  
8 what you're doing is you're using human antibody  
9 without any complications, without any  
10 immunological memory, and determining the amount of  
11 antibody that is required to produce the  
12 protection.

13 It may very well be, as she pointed out, a  
14 higher level than you may see in an active  
15 immunization status, but that isn't really quite  
16 the point. The point is it will give you a level  
17 that you need to know. You need to know it for a  
18 number of reasons. You need to know it, first of  
19 all, because you want to know it as an upper limit.  
20 You need to know it also very much for the  
21 challenge of how to deal with post-exposure  
22 vaccination, because you need to know how much  
23 antibody at least has to be there before you can  
24 remove the antibiotics.

25 So I think it really does require a higher

1 priority than it has received this afternoon.

2 DR. HEWLETT: I think the issue of passive  
3 immunization also is very important, and I wonder  
4 about--I agree with George, the issue of possible  
5 challenge of animals with human serum. Drusilla  
6 mentioned in her criteria again evaluation of the  
7 quality of the antibody response. And I was trying  
8 to think what that means other than neutralization  
9 per unit of antibody, per unit of ELISA antibody.  
10 But the other way that quality can be evaluated is  
11 exactly in the in vivo setting.

12 I know George has experience with passive  
13 immunization in pertussis studies in the past, and  
14 I wondered whether in that context you ever used  
15 the human sera that were being given to humans in  
16 animals to see whether--what the effect was. You  
17 might comment on that.

18 DR. SIBER: Yes, Erik's referring to  
19 studies with a pertussis immunoglobulin which we  
20 investigated, and, yes, they were extensively  
21 looked at in the aerosol challenge model of mice  
22 and shown to produce protection in that, and that  
23 published, I think, in I&I. And that's very  
24 useful.

25 Maybe I can make a comment on the question

1 also, which is I guess the active experiments--and  
2 Louise Pitt showed us very elegant examples of how  
3 they can be used in an aerosol challenge model to  
4 establish what levels are associated with  
5 protection and what levels are not, and perhaps  
6 some intermediate levels. So you generate the kind  
7 of curves, the S-shaped curves of antibody level  
8 versus protection.

9           It would seem to me that the prime purpose  
10 of this whole experiment as we're talking about is  
11 to establish levels that we can extend to humans,  
12 and I think the first step is to get IgG class  
13 antibody concentrations in macaques from active  
14 immunization that are associated with protection  
15 and Coxson neutralization titers or concentrations  
16 associated with protection, and look at later to  
17 dose of the challenge to do that.

18           Then the trick is how do you draw the link  
19 to humans who are being immunized with the same  
20 vaccine, and I believe that's where we absolutely  
21 have to do the passive experiment, to take the  
22 human antibody, put it into macaques, and see if  
23 the amount the macaques need of human circulating  
24 antibody is hopefully similar or identical--make it  
25 simple--as their own. If it is, I think you then

1 have a very strong link to conclude that humans  
2 with that amount of antibody would also be  
3 protected.

4 I think that the other things that are  
5 being done are very interesting scientifically,  
6 like affinity measurements in support of neut(?)  
7 activity or subclass or class and so forth and  
8 we'll learn a lot. But I think they are secondary  
9 players to the primary ones of neut(?) titers in  
10 IgG.

11 The reason I feel strongly about IgG is  
12 that ultimately a lot of work has to be done, and I  
13 think in general it's easier for different labs to  
14 reproduce an IgG ELISA than it is a functional  
15 assay, although I must say the CDC data on  
16 variability of their neut(?) is incredible. It  
17 knocks your socks off if they're that precise. But  
18 I don't think every lab can do that.

19 DR. McINNES: Yes, Art?

20 DR. FRIEDLANDER: There are a couple of  
21 points I guess I'd make.

22 One, I alluded to this before, and that  
23 is, I think we have--we're very fortunate to have a  
24 functional assay, and the way we've looked at this  
25 is--or one of the ways we've looked at this is, at



1 least in vitro, we don't think that the FC  
2 receptor--FC portion of the immunoglobulin molecule  
3 has anything to do with the toxin neutralization.

4           What that does is it allows you to compare  
5 across species the functionality of the  
6 immunoglobulin, which otherwise is almost  
7 impossible to do, one, because of primate--and I  
8 don't know what the current status is, but it's  
9 still probably not very good in terms of  
10 immunoglobulin classes. That's something somebody  
11 ought to find somebody to do. And then what does  
12 that mean in terms of total IgG of whatever  
13 isotope, in a rabbit, in a cyno, in a macaque, in a  
14 human, in a mouse?

15           So what we've sort of tried to establish  
16 over the years is a functional ratio between toxin  
17 neutralization and quantitative IgG, for example,  
18 or whatever class. But it's the functional assay.

19           So what the approach, I think, is  
20 potentially most useful--and we don't know the  
21 answer to this yet--is how does an equivalent  
22 toxin-neutralizing antibody level from human,  
23 macaque, rabbit, mouse, guinea pig function in a  
24 given species of animal, in a passive protection  
25 model.

1           If they function at an equivalent level,  
2   that is, ten toxin-neutralizing units of human,  
3   rabbit, guinea pig, functions in a guinea pig the  
4   same way, or a rabbit, whatever, or a mouse, then  
5   that says a couple of things. It says the FC  
6   portion has nothing to do with how it's working,  
7   which tells you something about how it's working  
8   because if it's opsonic, it may well be working  
9   that way. And, two, it gives you now a direct path  
10  to humans to take human toxin-neutralizing antibody  
11  and show that it functionally in a second animal  
12  works the same way as a primate.

13           Now you can do this passive protection  
14  study and say I get a certain level of antibody and  
15  it protects against X number of spores. If you can  
16  demonstrate cross-species equivalence, then I think  
17  you're home free.

18           DR. McINNES: Comments from the floor on  
19  this point about the need for active and/or passive  
20  immunization studies in animals and any thoughts on  
21  how they might be designed?

22           DR. FRIEDLANDER: One other thing I wanted  
23  to say, and I'd be interested to hear what other  
24  people say, too. This business about active--the  
25  equivalent protection you get using active versus

1 passive immunization, once you establish what level  
2 of circulating antibody protects against a given  
3 challenge by active immunization, if that's  
4 equivalent to what you see with passive  
5 immunization, that says one thing. If it's not  
6 equivalent, it says quite another thing. And there  
7 is some anecdotal evidence, anecdotal in the sense  
8 that some primates were protected when we could  
9 just about barely measure any antibody, and at  
10 levels that you would think would not protect at  
11 all.

12 DR. MCINNES: In an active immunization.

13 DR. FRIEDLANDER: Yes, in an  
14 active--animals were protected actively who had  
15 circulating antibody levels that were just barely  
16 protective, which suggests again, as someone  
17 pointed out--and that's what we've always sort of  
18 thought, is that the anamnestic response--this is  
19 an acute infection, but, still, that the anamnestic  
20 response contributes to some of the immunity. And  
21 that raises, again, the question I think Emil was  
22 mentioning, or implied perhaps, is that the level  
23 that you see with passive protection--and you don't  
24 know that until you do the experiment, but the  
25 level that you see with passive protection may be

1 much higher than what you really need.

2           And so that opens the question as to how  
3 do you approach that, and I think the first thing  
4 is to do the experiments and see whether--what the  
5 relationship is between levels conferred by active  
6 versus passive protection.

7           DR. McINNES: All right. Thank you.

8           Yes, please?

9           MR. KENNEY: Rick Kenney with IOMAI. I  
10 appreciate the utility of the qualitative nature  
11 and comparison with the passive protection  
12 experiments. I've done a lot of this type of  
13 experiments in monkeys and have looked at the  
14 different models with other systems. But I get  
15 troubled when we start talking about quantitative  
16 comparisons because the cross-species differences  
17 and the pathophysiology may be fairly important,  
18 and I was wondering if the panel could comment on  
19 that. The way--the different way that the monkeys  
20 will respond to a toxin challenge or to a spore  
21 challenge may be quite different in a quantitative  
22 sense than the way that the humans will.

23           DR. McINNES: We'll see if something  
24 emerges on that point.

25           Please go ahead.

1                   MR. ADAMOVICZ: Yes, Jeff Adamovicz from  
2 USAMRIID. My question was related and, in fact,  
3 what I wanted to do was get Dr. Friedlander to  
4 expand on his comments related to the passive  
5 antibody studies, specifically in light of, say,  
6 the assumption that perhaps PA is somehow  
7 associated with the spore and that, for instance,  
8 anti-PA antibody is important in clearing the  
9 spore, not necessarily in preventing intoxication.

10                   In that case, you could imagine that the  
11 FC portion would be important, and then, in fact,  
12 you would assume, you would have to be very careful  
13 in the animal model that you chose to do these  
14 passive studies principally for the reasons that  
15 were just mentioned, the differences in the  
16 pathophysiology.

17                   Can you address that?

18                   DR. FRIEDLANDER: I think you have to do  
19 the experiment and ask that question, and, two, you  
20 could compare--there's be two fragments, for  
21 example, to the intact immunoglobulin, and that  
22 will, in fact, help understand how the antibody is  
23 protective. I mean, if it functions  
24 equivalently--I mean, if it were just toxin  
25 neutralization--well, I'm not so sure that the FC

1 portion might not have anything to do with it. It  
2 might. Still, but it might help understand exactly  
3 how the antibody's working.

4 I mean, I think the compelling thought is  
5 it's working by neutralizing the toxin. But it's  
6 also important, I think, to do that, to ask the  
7 question--as I said before, to try to answer the  
8 question as to the species functionality. Because  
9 if it's the case that they're equivalent, it makes  
10 it, I think, a much more compelling argument than  
11 to be able to measure antibody in humans and be  
12 more confident that what you're measuring is  
13 predictive of protection, because you're using this  
14 in another species. It's a heterologous system  
15 still. And there may be subtle differences even  
16 though they both protect, monkey serum and rabbit  
17 serum protect in a guinea pig or a rabbit, it  
18 still--there still may be subtle differences. And  
19 it would be much--it would be nice if it turned out  
20 not to be the case, that they were equiv--the same  
21 level of protective in a rabbit, whether it was  
22 rabbit, human, guinea pig, gave equivalent  
23 protection.

24 DR. SIBER: On that same point, I think we  
25 shouldn't expect too much of these correlates in

1 terms of their levels of precision, i.e., plus or  
2 minus twofold. I think that's just--we don't have  
3 data at that level. And the fact of the matter is  
4 that even with antibody systems where we do require  
5 FC function, the animal experiments of protective  
6 levels often come out--the passive ones--rather  
7 similar to what we estimate as human protective  
8 levels. The example I'm thinking of Hemophilus  
9 Type B where Dr. Robbins estimated, and Dr.  
10 Schneerson, a level of 0.15 microgram as being the  
11 amount of passive antibody that's necessary in  
12 gammaglobulinemic children to protect them from  
13 HiB. And in the infant rat experiments, when we  
14 used human antibodies to protect them, obviously  
15 we're requiring FC function for bactericidal  
16 activity. We came up with essentially the same  
17 value.

18 I think it's going to turn out somewhat  
19 similar for pneumo where we're within two- to  
20 four-fold in animal passive protection and humans.  
21 So I think it's--there is FC function. I'm sure  
22 there's complexities and subtle differences, but it  
23 may be close enough for what we're trying to  
24 achieve.

25 DR. McINNES: Yes, sir?

1                   MR.                   : Perhaps one possibility  
2 for addressing the question is to finish the answer  
3 to this part (b), part (c)--part (a), excuse me, is  
4 how would the studies be designed, and perhaps  
5 George has already done these, so perhaps could  
6 speak to it. Do you challenge the animal and then  
7 add the serum to them, in other words, to shorten  
8 the time frame for an immune response to the  
9 antibodies? Or is it something that you give to  
10 the animal first and then--

11                   DR. SIBER: You're asking the passive  
12 immunization study?

13                   MR.                   : Yes, yes.

14                   DR. SIBER: Well, just off the top of my  
15 head, without having given it a lot of thought, I  
16 guess if you had enough plasma or immunoglobulin  
17 purified from the donors--or from the subjects who  
18 were actively immunized, what you would like to do  
19 is achieve in macaques levels of antibody around  
20 what you expect, have already estimated as the  
21 protective level by neutralization with the human  
22 serum and ask whether you see a similar protection  
23 on a challenge, let's say, a day later. You don't  
24 want to have a long interval between passive  
25 immunization and a challenge for a number of



1 reasons. One is that antibody will start to wane,  
2 and also because if there is an immune response to  
3 that antibody, you will start to see accelerated  
4 decay, typically after about a week in passive  
5 experiments. So you want to do the challenge  
6 fairly shortly after the passive immunization.

7 MR. : Why wouldn't it be the  
8 other way around? Because in the clinic, the  
9 person that's been exposed to the bacteria has been  
10 exposed to the bacteria for a number of hours or  
11 days, and you're going to--

12 DR. McINNES: So you're talking about a  
13 post-exposure scenario.

14 MR. : But isn't that something  
15 that's the end result of this, is to have a therapy  
16 like that? So you're just talking about potency  
17 then?

18 DR. SIBER: Right.

19 MR. : Well, then, why not say  
20 in vitro? What is wrong with the quantitation that  
21 you would gain from an in vitro assay that's  
22 different from what you would do in vivo? Why  
23 wouldn't the quantitation in an in vitro assay be  
24 much more successful--

25 DR. SIBER: Well, that's what your neut

1 already is. Your neut is already an in vitro  
2 comparison of neutralizing activity of the toxin in  
3 vitro. And I think what we're asking for is  
4 something a little bit more closer to the real-life  
5 situation of what's going on in vivo. And  
6 obviously we chose monkey because of the reason  
7 that was suggested from someone up there, that how  
8 do you know that the hosts are similar and don't  
9 have different sensitivities to the toxin. Well,  
10 the best we can do on that is to pick something  
11 that's physiologically as close as we know.

12 MR. : But you are introducing  
13 the immune response of that animal to the whole  
14 neutralization of that antibody, and that  
15 complicates the quantitation, I would think, from  
16 animal to animal.

17 DR. SIBER: Not in the space of the  
18 experiment--of a passive experiment. It shouldn't  
19 last more than a few days. There won't be an  
20 immune response to the foreign antibody in that  
21 time.

22 MR. : You give the organism  
23 enough time to actually mount an infection, or are  
24 you just killing it so fast that it's really not a  
25 good test?

1                   MR.                   : You want to kill it  
2 immediately.

3                   MR.                   : But is that a fair test  
4 of the antibody response.

5                   MR.                   : If you don't kill it  
6 immediately, you get anthrax. You're not testing  
7 immune response. You're testing the level of  
8 antibody that can kill [inaudible].

9                   MR.                   : I think we're very  
10 focused on only one limb of the immune response,  
11 the antibody, because for years we've had good  
12 tools to measure antibody. If you look at  
13 Listeria, tularemia, other infections which start  
14 as infections within macrophages, if you prime an  
15 animal--at least a mouse with BCG and activate  
16 macrophages via the TH1 mechanisms, they clear that  
17 infection with no antibody.

18                   I'm just curious whether in the case of  
19 anthrax it's been investigated whether in vitro  
20 gamma interferon prime macrophage can control  
21 rather than be permissive for the replication of  
22 the organism, or whether potentially CPGs or gamma  
23 interferon or BCG can stop the replication by  
24 taking advantage of the TH1 cytokines in activating  
25 macrophages.

1           I'm not sure that the protection that Dr.  
2 Pitt shows after antibody levels have waned, when  
3 she did her challenges, is purely an anamnestic  
4 response. It may also be a reflection that a TH1  
5 response can activate macrophages and clear the  
6 infection at a very early phase.

7           DR. FRIEDLANDER: I think we don't know  
8 the answer to that completely. I would say this is  
9 an aluminum adjuvant that provides protection at  
10 two years. I don't think there is--and other  
11 people can address this question here. Again, I'm  
12 not an immunologist. It does not induce a good TH1  
13 response. You do not protect against tuberculosis  
14 or Listeria with an aluminum adjuvant. That in  
15 itself, in addition to the passive protection, I  
16 think argues to my mind--and please stand up and  
17 punch holes in it--that this is an  
18 antibody-mediated vaccine.

19           MR.           : That could be why the MPL  
20 adjuvant provides perhaps better protection in some  
21 cases, by--

22           DR. FRIEDLANDER: I'm not saying that you  
23 couldn't generate--the other thing about MPL is it  
24 induces an extraordinary antibody response. An  
25 extraordinary antibody response above what aluminum

1 hydroxide does.

2           But in reference to non-specific  
3 stimulants, there are people there who have been  
4 studying CPG. As you well know, BCG and about  
5 everything else you can put in, including albumin,  
6 can protect against not just facultative  
7 intracellular organisms but against extracellular  
8 organisms. So that in itself is not evidence if  
9 you get protection with other non-specific  
10 stimulants.

11           But there is an experiment that has been  
12 done with CPG.

13           DR. McINNES: I'm going to move on to the  
14 second question, which is to comment on how  
15 correlates of protection derived from animal  
16 studies might be translated into a surrogate marker  
17 of protection in humans. It's my impression that  
18 we've actually really covered this. Does the panel  
19 agree? Are there any other points you would like  
20 to raise on point (b)? Emil?

21           DR. GOTSCHLICH: No.

22           DR. McINNES: Okay.

23           [Laughter.]

24           DR. McINNES: I will move to the floor.

25 Any participants who feel they--yes, please?

1 MS. : [inaudible].

2 DR. McINNES: The microphone.

3 MS. : I am very new to this  
4 field, but I am a microbiologist, and I wonder if  
5 the panel or the CDC group and other knowledgeable  
6 people in the audience could respond to the--it's  
7 not coming through? Can you hear me now?--to the  
8 issue of the defined inoculum. So what I'm hearing  
9 is a lot of very good scientists proposing animal  
10 studies where the inoculum in terms of the number  
11 of spores that are delivered in these animal models  
12 is frightfully well designed in terms of the number  
13 of LD50 units. And we're talking now about  
14 correlating these animal studies and their  
15 immunological parameters to protection in humans  
16 where we're envisaging in a worst-case scenario a  
17 bioterrorist event.

18 I'm just wondering if people have  
19 contemplated that the dosage that needs to be given  
20 in these animal studies has got to correlate to the  
21 wide variety of spores that might be encountered  
22 actually in a bioterrorist outbreak. Again, ten  
23 LD50 units sounds like a lot, but has someone  
24 thought about the number--and, again, this harkens  
25 back to the dreadful discussions that have gone on

1 in the newspapers about the terrible failure to  
2 predict, in fact, susceptibility to the disease,  
3 the statements, again, that it would take 8,000  
4 spores and that people--

5 DR. GOTSCHLICH: I'm happy to see that you  
6 have moved on to point (c) because perhaps that way  
7 I can catch my 6 o'clock Metroliner.

8 [Laughter.]

9 DR. GOTSCHLICH: I think the issue of the  
10 spore--of the challenge or the dose that should be  
11 used for challenge is a very, very important one.  
12 But I think the issue there is one that actually I  
13 don't know very much about, but that our military  
14 colleagues and people who have concerned themselves  
15 with this issue on a large--for many years should  
16 really respond to.

17 What is the likely exposure in a military  
18 situation? I think that for us to aim at the  
19 extremely unusual circumstance that occurred  
20 recently in the bioterrorist attack as the most  
21 likely, most probable challenge, and the kind of  
22 thing that we need to be able to design a vaccine  
23 to prevent may be trying to shoot too high. I  
24 would think that it would be useful to know what  
25 the military thinks in terms of what the usual

1 challenge is that they might encounter.

2 DR. FRIEDLANDER: There is a  
3 document--Colonel Danley, is there not?

4 COLONEL DANLEY: Yes, sir.

5 [Laughter.]

6 DR. HEWLETT: I would like to add to that  
7 question, see if we can get any further along with  
8 this. It seems to me in the studies that were  
9 discussed, we were somewhere in the vicinity of 200  
10 LD50s. I recall numbers above and below that. And  
11 I wonder if in the setting of the animal challenge  
12 studies in which there was a level of protection  
13 that was effective against that number of LD50s,  
14 what happens if you double that or triple that? Is  
15 there a relationship between the level of immune  
16 response that has been elicited and the level of  
17 challenge organism against which there is  
18 protection?

19 DR. McINNES: Good point. Anyone wish to  
20 comment on that?

21 DR. FRIEDLANDER: That is a good point.  
22 There was--there have not been very many studies  
23 done to answer that question. There was a study  
24 done in the guinea pig by somebody sitting in the  
25 audience that looked at--this is in the guinea pig



1 model, which is a little different, but it wasn't a  
2 strict linear, so there was a vaccine  
3 inoculation--if I get this wrong--Bruce, do you  
4 want to address this? I think it was 10, 100,  
5 1,000 LD50s, and the differences were not that  
6 dramatic. It was easier to protect against  
7 10--Bruce, fill in the numbers.

8 MR. IVINS: Bruce Ivins, USAMRIID. Yes, I  
9 think that probably the guinea pig isn't the  
10 better--isn't the best model, but in the monkeys we  
11 found that, you know, if you're protected at 100  
12 LD50s, you're protected at 1,000 LD50s, too, and  
13 it's not that, you know, there's some sigmoidal  
14 curve, and that protection is protection. And you  
15 either are or you aren't protected, and so it's  
16 not, well, if you're, you know, 100 or 200, then,  
17 you know, you're only half as protected, or 400 and  
18 so forth.

19 So I think we usually use, oh,  
20 approximately in studies now about 100 LD50s, and  
21 it would be my supposition that if we get, you  
22 know, 90 to 100 percent protection with a  
23 particular vaccine in a rabbit or a macaque, 100  
24 LD50 challenge dose, we'd probably get virtually  
25 the same thing with 1,000 LD50s, too.

1           So in the guinea pig, we see some drop-off  
2 in protection as challenge goes up. I don't think  
3 we're going to see that with the macaques because  
4 two years, as Dr. Friedlander said, two years,  
5 1,000 LD50s, animals which have been--macaques  
6 which have been given doses of vaccine at zero and  
7 two weeks, seven out of eight were completely  
8 protected.

9           DR. GOTSCHLICH: Could I make another  
10 comment, please?

11          DR. McINNES: Yes, please.

12          DR. GOTSCHLICH: I was very pleased to  
13 hear that Colonel Danley does know what the most  
14 likely challenge dose is that we need to know in  
15 order to design a vaccine for protection of the  
16 military. And I would be very happy for him to  
17 contribute this knowledge to us and tell us what it  
18 is because, otherwise, we really can't design a  
19 military vaccine.

20          COLONEL DANLEY: You're going to put me on  
21 the spot, huh?

22          DR. GOTSCHLICH: Right.

23          COLONEL DANLEY: Well, in light of what's  
24 happened with the bioterrorist threat--and I don't  
25 know much about the characteristics of the anthrax

1 that were in the letters except what I read in the  
2 newspaper--I'm getting the feeling that someone has  
3 discovered a way to make anthrax less like an  
4 infectious disease and more like a toxic chemical,  
5 which is to say something that's easily dispersed  
6 that exposure is a rate times time or a dose times  
7 time phenomenon, which means that given in a  
8 building, if you're there for eight hours a day and  
9 you have a small amount of organisms in the air,  
10 and you get exposed to 1,000 LD50s, is that the  
11 same as getting 1,000 LD50s in one fell swoop?

12 Now, in listening to the nature of the  
13 discussions about immunity or protection against  
14 anthrax, I'm kind of reminded of chemical warfare  
15 agents. And right now we had--there were some  
16 studies that defined the LD50 for nerve gas, and I  
17 think John Wade's here in the room, who did  
18 excellent work in that area. We're going back and  
19 relooking at that number, and we're relooking at  
20 that number because, it turns out, if you get  
21 exposed to small amounts of nerve agent over a  
22 longer period of time, it's not the same as getting  
23 exposed to a bolus of agent.

24 So I can give you a number, if I could  
25 remember it, on which to protect--800 LD50s,

1 something like that. But does that really mean the  
2 same if you're getting it in one big bolus versus  
3 something over an eight-hour period of time where  
4 you body has a chance to clear the organisms? I  
5 don't know. And we are doing studies along that  
6 line in infectious diseases, like we are in the  
7 defense side. So I'm not sure that a single number  
8 is going to give you the answer you want.

9           Now, quite frankly, I look at vaccines as  
10 a part of a system, and we will vaccinate our  
11 forces to give them an optimal level of protection.  
12 I firmly believe that if our forces are exposed to  
13 anthrax, they will be put on antibiotics to give  
14 them additional protection, because it's not a  
15 feet-up and feet-down situation when you're dealing  
16 with our forces. In fact, chronically ill  
17 individuals are a greater drain on the resources  
18 that we have than individuals who die.

19           So it's a very complicated issue, and not  
20 one that I'm really knowledgeable enough to speak  
21 to, because right now in the Department of Defense  
22 we're looking at that issue of what does it mean to  
23 be hit with a biological attack, and what does it  
24 mean in terms of our ability to function in a  
25 theater of operation.

1                   Does that help?

2                   DR. McINNES: Yes. Thank you very much  
3 for sharing that. I think it has raised the issue  
4 then of a continuous exposure over a longer period  
5 of time than perhaps we had heretofore thought  
6 about in challenge situations, and that really does  
7 bring another facet to the thinking.

8                   Anyone wish to comment? Yes?

9                   DR. HEWLETT: Obviously, I'm not an  
10 anthrax expert at all. I come to this, as do many  
11 of--some of the other people in the room, from the  
12 field of working on pertussis, in which the  
13 circumstances are rather the opposite of what we're  
14 dealing with here. There is pertussis in the  
15 population, and it's easier to do some of the  
16 studies on humans in the population that are  
17 getting pertussis and look at protection than it is  
18 in animal systems because there aren't any very  
19 good ones for the study of--that's analogous to the  
20 disease process.

21                   In thinking through the circumstances that  
22 we're facing here of the Brachman study in which  
23 there weren't quite enough cases to tell for sure  
24 about the protection with this vaccine and the  
25 circumstances that we're facing at the present

1 time, I don't want to be presumptuous because I  
2 know in terms of preparedness that many of you have  
3 already thought of this; but in thinking about the  
4 pertussis antibody decay curves and some of the  
5 data we've been looking at today, obviously there  
6 are many--the members of the military are getting  
7 anthrax vaccine. They're getting boosted  
8 continuously, and probably you have already  
9 generated a population antibody decay curve so that  
10 you can--if the unfortunate circumstance occurs in  
11 which there is a challenge like this, you will be  
12 able to tell something in retrospect even about the  
13 protectedness, the ability of this vaccine to  
14 protect simply by looking at those data and getting  
15 an estimate of what it is that has been--the level  
16 of challenge that has occurred.

17 DR. McINNES: Dr. Robbins?

18 DR. ROBBINS: When Dr. Grady worked on  
19 AVA, they did experiments in cattle and another  
20 animal species where they tried to measure how long  
21 the vaccine-induced protection would last. And I'm  
22 getting a little old now, but it's start waning  
23 after two years, quite reliably. I think after two  
24 and a half years it goes down to very low levels.  
25 I think the animals were given four injections of

1 vaccine.

2           The reason I have been thinking about this  
3 is what Emil said. It is surprising how much  
4 antibody this vaccine makes compared to the others.  
5 If you take a look at mice who were injected with  
6 just a tenth of a human dose of--a comparable human  
7 dose of PA, they had precipitating antibody in  
8 their serum. And, in fact, antibodies to PA were  
9 detected as recent as 20 years ago by just doing  
10 immuno-diffusion analysis because it precipitated  
11 so easily.

12           I don't know if we have any data on humans  
13 that were vaccinated and then were kept in contact  
14 with anthrax through their occupation or perhaps  
15 working in areas where there's action to find out  
16 how long after vaccination that antibody level  
17 remained protective. Really, all we could say is  
18 that where people were exposed and were vaccinated,  
19 they never got disease. We know it works. The  
20 animals would suggest that when the levels go down  
21 that perhaps the antibody is no longer at a  
22 sufficient level to protect.

23           If you--I don't like to look at the  
24 disease because my point is if you have a disease,  
25 you haven't got a vaccine. But it is remarkable

1    how much bacteria and how much antigen there are in  
2    patients who are sick. Remarkable. I mean, you  
3    can almost use pleural fluid as a source of antigen  
4    for making a vaccine. That's how much is there.

5                I think we may need a lot of antibody for  
6    this pathogen, and this pathogen is really not like  
7    any other pathogen that invades us, maybe with the  
8    exception of tetanus. It infects anything. It  
9    infects all mammals. It infects even some--

10               DR. FRIEDLANDER: Well, there are others  
11    that infect--

12               DR. ROBBINS: But mostly--nothing--I mean,  
13    meningococcus only infects humans.

14               DR. FRIEDLANDER: This is a zoonotic  
15    disease. The other zoonotic diseases also infect a  
16    wide spectrum of--

17               DR. ROBBINS: But in humans, human  
18    pathogens.

19               DR. FRIEDLANDER: Tularemia, brucella.

20               DR. McINNES: We're going to move on--

21               DR. SIBER: Pam, may I just say one other  
22    thing?

23               DR. McINNES: Yes.

24               DR. SIBER: As a practical matter to take  
25    away, I just wonder whether it would--it doesn't



1 make a lot of sense to use the kind of model that  
2 CDC is using in the current evaluation, the macaque  
3 model, and do a careful dose-ranging study with a  
4 quick exposure, and even go as high as the levels  
5 that Emil says we may not be able to protect against,  
6 like what you might get from sniffing an envelope,  
7 just to understand what the dose response curve  
8 looks like. And you may find it's quite flat, as  
9 you were suggesting, Art, and then you would take a  
10 lot of assurance away from that that you've  
11 protected against a very wide range of doses.

12           Again, experimentally, we can express the  
13 issue of a single massive exposure versus a  
14 continuous ongoing expose in the macaque model  
15 also, and that would be nice to know. But those  
16 are side experiments to complement the information  
17 that's coming from that experiment.

18           DR. FRIEDLANDER: Just one point, and  
19 maybe it didn't come across in some of the  
20 presentations. This vaccine is protective against  
21 probably 1,000 LD50s in two doses. That's a full  
22 human dose.

23           DR. McINNES: Moving on to point number  
24 four, what additional studies might be needed to  
25 demonstrate efficacy in a post-exposure scenario

1 versus a pre-exposure scenario? So pre-exposure  
2 being a proposed prophylactic regimen as is used in  
3 the military and might be used for some high-risk  
4 populations, for example, postal workers, versus a  
5 post-exposure scenario where exposure to organisms,  
6 presumably placed on antibiotic therapy, what do we  
7 want to know about use of the vaccine in that  
8 scenario in order for you to withdraw the  
9 antibiotic therapy? So I move to the panel for  
10 some thoughts about pre-exposure versus  
11 post-exposure and what source of levels of conflict  
12 we might want to know about the behavior of the  
13 vaccine in both of those.

14 DR. FRIEDLANDER: I don't mean to dominate  
15 this, but I'm the only one who's worked with  
16 anthrax, right? You never cultured the organism?  
17 I have, Bruce, right. Okay.

18 [Laughter.]

19 DR. FRIEDLANDER: This is also on the  
20 table now and being discussed, and that creates, I  
21 think, a different set of circumstances. The  
22 issues there are slightly different, related but  
23 slightly different. You're talking here about  
24 trying to--I would assume--develop an immune  
25 response as rapidly as you could. This zero-four

1 regimen then may be off the table? It's something  
2 you need to--that needs to be--with the current  
3 vaccine or one similar to it.

4           Obviously, the design of that experiment  
5 needs to be fleshed out, but it would be asking the  
6 question how long do you have to be on antibiotics  
7 after you've been immunized in a post-exposure  
8 mode. And there is some historical data to suggest  
9 that period may be quite short. But that also is a  
10 function of the inoculum, very much so there. So I  
11 think that's one of the parameters that needs to be  
12 put on the table. Rapidity of onset is now  
13 probably the prime factor in such a design of a  
14 vaccine, and, you know, there are various ways of  
15 thinking about designing the experiment.

16           DR. HEWLETT: You're talking about active  
17 immunization, but it seems to me if you believe the  
18 magnitude--the contribution of toxin to this  
19 disease process, there should be at least some  
20 consideration given to passive immunization. Where  
21 does that stand in this whole thing?

22           DR. FRIEDLANDER: Again, I think that's  
23 been alluded to. There's a program underway to  
24 develop an IV/IG using the immunized service  
25 members to develop a product and evaluate it and so

1 on.

2           There are two scenarios, therapeutic  
3 scenarios post-exposure, one that--or three, I  
4 guess, that active/passive immunization plus  
5 antibiotics--think about that one, but for  
6 sure--and versus active antibiotic versus passive  
7 antibiotic, and so on and so forth.

8           DR. MCINNES: Any comments from the floor?  
9 Yes, please?

10           DR. BABCOCK: I'm Janiine Babcock from  
11 WRAIR. In December, I had the pleasure of being  
12 invited to the CDC to participate in a colloquium,  
13 and I was part of the post-exposure prophylaxis  
14 group. And several of the physicians who were  
15 there--well, our task was to propose what studies  
16 we felt needed to be a national priority, and our  
17 group was supposed to work on post-exposure  
18 prophylaxis. And at that time we outlined a  
19 basically fairly extensive five-arm animal study  
20 that addressed the concern that the physicians in  
21 this group had about the persistence of viable  
22 spores beyond the 30-day or the 60-day window that  
23 antibiotics were being proposed at that time. And  
24 at that time the question was were we going to  
25 offer vaccine to the postal workers and the people

1 in the Hart Building.

2           We mocked out, I think, a very good set of  
3 studies where basically monkeys were going to  
4 be--would be challenged. They would be started on  
5 a vaccine regimen. They would also be given  
6 antibiotic doses. We proposed different LD50  
7 levels to change the amount of spores. And then we  
8 proposed that the monkeys be sacrificed at various  
9 times out, because I think there are a few studies  
10 where even out to 100 days, I think, in one animal  
11 there have been viable spores found, but the animal  
12 was fine and was well at the time of euthanasia and  
13 necropsy, but nobody really knows.

14           We do know that the spores can stay  
15 dormant. We don't know--they certainly don't  
16 synchronize when they germinate, and we have no  
17 idea how far that goes out and what is the  
18 pathologic significance post-exposure.

19           Unfortunately, this plan was put aside  
20 because it was felt to be impractical because there  
21 are no monkeys to do the study. And it was  
22 discarded as not feasible or possible.

23           DR. McINNES: Dr. Robbins?

24           DR. ROBBINS: I realize that the  
25 Department of Defense has an important central role

1 in this program, but if we're going to immunize, we  
2 have babies, young children, and they're not being  
3 mentioned, and the problems that they pose. I  
4 think in consideration of future studies of  
5 vaccines, attention should be drawn to that.

6 DR. BABCOCK: I'm also a pediatrician, as  
7 was the other physician in my panel, and we also  
8 drew up proposed pediatric studies with a modified  
9 dose and a regimen, and those have been proposed  
10 through the CDC, and I believe they're going to be  
11 funded through NIH and hopefully actually might  
12 work through the anthrax vaccine research program.

13 DR. McINNES: Thank you very much.  
14 Any other comments? Yes?

15 DR. SIBER: I'm still confused about the  
16 issue of whether anamnestic response is likely to  
17 be important so that one can interpret the waning  
18 immunity levels that I guess are seen with this  
19 antibody.

20 Art Friedlander mentioned data from  
21 Sverdlovsk where the mean time to presentation, as  
22 I remember, in humans was 16 days. And then  
23 there's another piece of data we heard from the  
24 clinical review that the mean time from  
25 presentation to death in humans is 4.7 days, as I

1 remember.

2                   Now, do we know whether in Sverdlovsk that  
3 16-day period was all post-exposure, or were there  
4 ongoing exposures? Was there incubation going on?  
5 Were there events going on where a low level of  
6 antibody might have basically inhibited the  
7 process? Do we know anything about that?

8                   DR. FRIEDLANDER: I'm glad you asked that  
9 question. I'll answer quickly so Emil can go.

10                   [Laughter.]

11                   DR. FRIEDLANDER: Oh, he's already missed  
12 the train? All right. Now I'll relax.

13                   A lot has been said about Sverdlovsk, but  
14 unless somebody's got information that we don't  
15 have, I think you can disregard a good part of  
16 that. First of all, these people lied to us for  
17 ten years. Secondly, there's no data in that  
18 report. There's no data that's believable. We  
19 don't know whether they got antibiotics. We don't  
20 know whether they got anaserm (ph), at what time.  
21 There were little hints that they did at some point  
22 in time. The details are just not there.

23                   This idea that there is a prolonged  
24 incubation period I think is suspect--except under  
25 the circumstance in which intervention has

1 occurred; that is to say--and we don't have a lot  
2 of data--except for one statement in a comment to a  
3 published article--not in the article. The only  
4 evidence of prolonged incubation period in  
5 inhalational anthrax is with animals that have been  
6 treated with antibiotics. In our--that is, to  
7 suppress the spores that are going to germinate in  
8 the first week, whatever it is.

9           There's one statement of an incubation  
10 period of 98 days. No primary data whatsoever.  
11 The Sverdlovsk data does say that the incubation  
12 period is, whatever it is, you know, 16 days or  
13 something, but we don't know what happened to those  
14 people. The primate data suggests that is not the  
15 case, the rhesus macaque primate data.

16           So I don't know the answer to that, but  
17 I'm very suspicious of any of the data that has  
18 come forth to date about Sverdlovsk other than the  
19 pathology. There's information there about  
20 survivors. No basis, zero basis that these people  
21 has anthrax. No clinical--no hard data, no  
22 culture, no pathology, no radiology. Interviews.  
23 That's nine cases in a city of, I don't know, a  
24 million people who said they had anthrax.

25           DR. McINNES: To close the loop on the



1 anamnestic issue, I think Drusilla had proposed as  
2 part of the strategy assessing memory in animals  
3 and evaluating booster kinetics. And so I presume  
4 the panel endorses that approach for the  
5 evaluation.

6 All right. The train, Emil. We're moving  
7 on to (e). If antibodies to protective antigen do  
8 not correlate with protection, what other  
9 approaches might be taken?

10 DR. SIBER: Could you rephrase that and  
11 say "even if protective antigen correlates with  
12 protection"?

13 DR. McINNES: I think that's a different  
14 question. It's sort of a depressing question,  
15 Drusilla, that protective antigen will be--but if  
16 not, what other approaches might be taken?

17 DR. SIBER: To prevent Art from answering  
18 this question, I'm going to try.

19 It seems to me that the other virulence  
20 factor we were told about was capsule, and we also  
21 heard about situations where people who are partly  
22 immune don't seem to get the septicemic form of the  
23 disease but, rather, get a more chronic disease,  
24 which looks an awful lot like encapsulated  
25 bacterial infectious disease. The meninges, you

1 get meningitis. And so one wonders whether a  
2 capsule or conjugate or some sort of cancer-based  
3 vaccines could be sort of the complement to the  
4 toxoid or toxin vaccine and also give you sort of a  
5 safety net in case you have waning immunity,  
6 because that likely would have some of the features  
7 that we know and love about conjugates, which is to  
8 provide that anamnestic response and protection  
9 after exposure. And you get that very early.  
10 That's really an area that deserves investigation,  
11 not to hold up the initial vaccine in any way.

12 DR. McINNES: All right. Any other  
13 comments?

14 DR. HEWLETT: I do have a question. The  
15 issue that was brought up about the activated  
16 macrophage and whether that works, Art dismissed  
17 that on the basis of the fact that that's not how  
18 the vaccine is working, and that may be the case.

19 But I'm interested in whether anyone has  
20 information on whether or not activated macrophage  
21 by one form or another does, in fact, not tolerate  
22 the germination or the survival or proliferation of  
23 organisms intracellularly.

24 DR. McINNES: Anyone from the floor wish  
25 to comment on that, add anything to it?

1 DR. GOTTSCHLICH: I'll only say the  
2 following: As far as I remember, this has been  
3 done, but I can't quote you chapter and verse. It  
4 kills activated macrophages just as well as the  
5 other ones. But I can't quote you chapter and  
6 verse.

7 DR. FRIEDLANDER: There is an  
8 experiment--Bruce, why don't you make a comment  
9 about CPG? This is in vivo, and there's ongoing  
10 work with in vitro.

11 MR. IVINS: We've looked at the ability of  
12 CBG oligonucleotides to offer either non-specific  
13 or specific protection against spore challenge  
14 in--let's see, we've got mice, guinea pigs, we're  
15 going to do rabbits, and monkeys have been done.

16 We find non-specific protection in mice.  
17 We find some augmentation of specific protection in  
18 guinea pigs. That is in combination with vaccine,  
19 either the human--the currently licensed human  
20 vaccine or with recombinant PA aluminum hydroxide  
21 vaccine. And these studies, incidentally, are  
22 taken--or have been done in collaboration with Dr.  
23 Dennis Kleinman. In rhesus macaques, the oligos,  
24 the CPG oligos, we haven't done any challenge  
25 experiments, but they enhance the antibody titers

1 to PA and the titers stay higher for a longer  
2 period of time. And we're about to go into rabbits  
3 this summer.

4 DR. MCINNES: Thank you. I would--

5 DR. FRIEDLANDER: Can I ask a question?  
6 Again, to the immunologists here, because we've  
7 sort of struggled with this question a few years  
8 ago. If it's not antibody, is there any test of  
9 cell-mediated immunity or any other immunity other  
10 than antibody that one could conceive of as being a  
11 quantitative test to use as a correlate of  
12 immunity? Other than antibody level. To license a  
13 vaccine.

14 DR. MCINNES: Your challenge has--yes,  
15 Emil?

16 DR. GOTSCHLICH: I really think that's a  
17 wonderful question, but it's totally inappropriate  
18 for what we know about this disease. There's--

19 DR. FRIEDLANDER: I agree.

20 [Laughter.]

21 DR. GOTSCHLICH: Then don't ask it.

22 DR. FRIEDLANDER: No, no, but there are a  
23 lot of people--

24 DR. MCINNES: He was being provocative.

25 DR. GOTSCHLICH: Actually, I think it's an

1 important issue that should be briefly discussed.  
2 People get very, very misled with this TH1, TH2  
3 adjuvants, et cetera, into believing that TH1 means  
4 cell-mediated immunity. It doesn't mean that at  
5 all. It simply means it's a different response to  
6 the antigen.

7           It does, in fact, have a higher  
8 probability of raising cytotoxic T-lymphocytes, but  
9 they have nothing to do with this disease as far as  
10 we know. This is a disease where the immunity is  
11 clearly antibody-mediated, and there is no evidence  
12 from any of the--as a matter of fact, it's notable  
13 that nobody's even mentioned lymphocyte transfer  
14 experiments today. There is no evidence that any  
15 of the lymphocyte transfer experiments have worked.

16           DR. McINNES: A nice clean ending.

17           I want to thank Drs. Gotschlich,  
18 Friedlander, Hewlett, and Siber very much for their  
19 thoughtful--

20           [Applause.]

21           DR. McINNES: Thank you very much. And  
22 I'll pass the meeting back to Colonel Danley.

23           COLONEL DANLEY: Before we leave, I want  
24 to thank all the participants for their excellent  
25 presentations. I want to thank Dr. VanDeVerg, Dr.

1 Burns, Dr. Goldstein for organizing the meeting. I  
2 want to thank the CAMR contractors and the SAIC  
3 contractors for organizing the meeting. But most  
4 of all, I want to thank you and I want to say  
5 something about you, the audience here, in terms of  
6 the following statement: We need a better vaccine.  
7 In this audience right now, there is a remarkable  
8 diversity of individuals from different  
9 organizations, and all of you have heard the phrase  
10 "we need a better vaccine."

11 For the people giving that vaccine, such  
12 as Colonels Randolph and Grabenstein, as part of  
13 the anthrax vaccine program, their better vaccine  
14 is a vaccine that has no side effects. They're not  
15 worried so much right now about protecting forces.  
16 What they're fighting every day are the complaints  
17 that the current vaccine is unsafe. So their  
18 better vaccine has no side effects.

19 But the manufacturers who are here, your  
20 better vaccine might be a vaccine that's easier to  
21 produce, has a better return on investment, most  
22 importantly, something that doesn't slime your  
23 company's name that reflects poorly upon the people  
24 that are working to try to make a better vaccine.

25 For the FDA, a better vaccine is one that

1 has the data that says this vaccine is clearly  
2 better than that vaccine, and I think that's what  
3 the FDA was trying to find out today. What should  
4 that data look like?

5           And for the scientists who are here that  
6 really form the basis for all of our work, I'm  
7 afraid that a better vaccine is the one that I  
8 invented, as in "My vaccine is better than your  
9 vaccine."

10           But, clearly, our nation is asking for a  
11 better vaccine, and that word "better vaccine"  
12 encompasses all of our areas of expertise.

13           I think this meeting has been very, very  
14 successful in defining what that better vaccine is,  
15 but I would remind you that the enemy in this room  
16 right now we wear on our wrist. It is time. We  
17 don't have all the time in the world. One of two  
18 things will happen: either someone will discover  
19 that anthrax is a great way to terrorize a nation  
20 and our nation will be looking for that better  
21 vaccine; or someone will decide that anthrax is not  
22 a good way to terrorize and we'll never see it  
23 again, and the efforts that we're putting out will  
24 be lost to the next problem that our nation has to  
25 face. The funding will decline, the interest will

1 wane, and the problems won't be solved.

2           So there is a sense of urgency that we  
3 have to take away from this meeting to accomplish  
4 that goal of making a better vaccine.

5           I thank you all for participating.

6           [Applause.]

7           [Whereupon, at 4:33 p.m., the meeting was  
8 adjourned.]

9

- - -