

UNITED STATES OF AMERICA

+ + + + +

DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

NONPRESCRIPTION DRUGS ADVISORY COMMITTEE

ARTHRITIS ADVISORY COMMITTEE

WITH REPRESENTATION FROM THE

PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS

ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

Tuesday, July 15, 1997

+ + + + +

The Advisory Committee met in Versailles  
III and IV of the Bethesda Holiday Inn, at 812 0  
Wisconsin Avenue, Bethesda, Maryland, at 8:30 a.m. ,  
Ralph D'Agostino, Ph.D., Chairman, presiding.

PRESENT:

RALPH D'AGOSTINO, Ph.D., Chairman  
ANDREA G. NEAL, DMD, MPH, Executive Secretary  
GEORGE A. BLEWITT, M.D., NDAC  
ERIC P. BRASS, M.D., Ph.D., NDAC  
KATHLEEN HAMILTON, NDAC  
CAGE JOHNSON, M.D., NDAC  
MARY ANNE KODA-KIMBLE, Ph.D., NDAC  
PATRICIA A. McGRATH, Ph.D., NDAC  
LYNN McKINLEY-GRANT, M.D., NDAC  
BETH L. SLINGLUFF, A.N.P., NDAC  
THEODORE G. TONG, Pharm.D., NDAC  
STEVEN B. ABRAMSON, M.D., AAC  
DAVID T. FELSON, M.D., M.P.H., AAC  
HARVINDER S. LUTHRA, M.D., AAC  
LEONA M. MALONE, AAC  
FRANK PUCINO, JR., Pharm.D., AAC  
LEE S. SIMON, M.D., AAC  
HAROLD P. ADAMS, JR., M.D., PCNSAC  
DAVID A. DRACHMAN, M.D., PCNSAC  
SID GILMAN, M.D., PCNSAC  
JUSTIN A. ZIVIN, M.D., Ph.D., PCNSAC  
SEYMOUR DIAMOND, M.D., Guest Expert  
DEBRA L. BOWEN, M.D., FDA  
WILEY CHAMBERS, M.D., FDA  
KAREN J. LECHTER, J.D., Ph.D., FDA  
MICHAEL WEINTRAUB, M.D., FDA  
RUDOLPH M. WIDMARK, M.D., FDA

ALSO PRESENT:

SION A. BONEY, Bristol-Myers  
HOWARD HOFFMAN, M.D., Bristol-Myers  
RICHARD LIPTON, M.D., Bristol-Myers  
REBECCA BURKHOLDER, J.D.  
ELIZABETH LODER, M.D.  
EILEEN McGRATH, J.D., C.A.E.  
JERRY MILLER  
LESLIE R. WOLFE  
TARA ROLSTAD

## A-G-E-N-D-A

	<u>Page No.</u>
Call to Order, Introductions, <b>RALPH B. D'AGOSTINO, Ph.D.</b> , Chairman Nonprescription Drugs Advisory Committee	4
Meeting Statement, <b>ANDREA NEAL, D.M.D., M.P.H.</b> , Executive Secretary	7
Opening Comments	
<b>MICHAEL WEINTRAUB, M.D.</b> , Director, Office of Drug Evaluation V	9
<b>WILEY A. CHAMBERS, M.D.</b> , Acting Director Division of Anti-Inflammatory, Analgesic, and Ophthalmic Drug Products	17
Open Public Hearing	
<b>LESLIE WOLFE, Ph.D.</b> , Center for Women Policy Studies	20
<b>REBECCA BURKHOLDER</b> , National Consumers League	23
<b>ELIZABETH LODER, M.D.</b> , The American Council on Headache Education	27
<b>JERRY MILLER</b> , Wellness Councils of America	30
<b>EILEEN McGRATH</b> , American Medical Women's Association, Inc.	32
Bristol-Myers Presentation and Questions	35
FDA Presentation and Questions	
<b>RUDOLPH M. WIDMARK, M.D.</b> , Medical Officer Division of Anti-Inflammatory, Analgesic, and Ophthalmic Drug Products	132
<b>KAREN J. LECHTER, J.D., Ph.D.</b> Division of Drug Marketing, Advertising, and Communications	145
Charge to the Committee	158
<b>DEBRA L. BOWEN, M.D.</b> , Director Division of Over-the-Counter Drug Products	
Discussion and Questions	159

P-R-O-C-E-E-D-I-N-G-S

(8:40 a.m.)

CHAIRMAN D'AGOSTINO: My name is Ralph D'Agostino, and I'm the Chair of the Nonprescription Drug Advisory Committee, and I will be chairing today's meeting. This is a meeting of the Nonprescription Drugs Advisory Committee and the Arthritis Drugs Advisory Committee, with representation from the Peripheral and Central Nervous Systems Drug Advisory Committee. Our agenda today is on Excedrin Extra Strength.

I'm going to now ask the members of the committees to introduce themselves and to speak into the microphone so that the transcriber can make sure that all the mics are working and can be heard. Let's start with George, would you want to begin the introductions?

DOCTOR BLEWITT: Yes. I'm George Blewitt. I'm the Industry Representative for the Nonprescription Drugs Advisory Committee.

DOCTOR MCKINLEY-GRANT: I'm Lynn McKinley-Grant. I'm an Associate Professor Dermatology at George Washington University and Washington Hospital Center.

DOCTOR SIMON: Hi, I'm Lee Simon. I'm

1 from the Beth Israel Deaconess Medical Center i n  
2 Boston, and I'm a visiting person from the Arthritis  
3 Advisory Committee.

4 DOCTOR LUTHRA: I'm Harvey Lut hra. I'm a  
5 Rheumatologist at the Mayo Clinic.

6 MS. HAMILTON: Kathleen Hamilton, th e  
7 Consumer Representative to the Nonprescription Drugs  
8 Advisory Committee.

9 MS. SLINGLUFF: Beth Slingluff, Carondele t  
10 Health Care, with the Nonprescription Drugs Advisory  
11 Committee.

12 MS. MALONE: Leona Malone, Consumer Re p  
13 for the Arthritis Drugs Advisory.

14 MR. TONG: Good morning. I'd Ted Ton .  
15 I'm Professor of Pharmacy, Pharmacology and Toxicolog y  
16 at the University of Arizona, and I'm a member of the  
17 Nonprescription Drugs Advisory Committee.

18 DOCTOR DRACHMAN: Good morning , I'm David  
19 Drachman, Chairman of Neurology at U. Mass Medica l  
20 Center , and on the Peripheral and Central Nervou s  
21 System Advisory Committee.

22 DOCTOR FELSON: I'm David Felson. I'm fro m  
23 Boston University and a Rheumatologist, and I com e  
24 from the Arthritis Advisory Committee.

25 EXECUTIVE SECRETARY NEAL: Andrea Neal ,

1 Executive Secretary to the Nonprescription Drug s  
2 Advisory Committee.

3 CHAIRMAN D'AGOSTINO: Ralph D'Agostin o  
4 from Boston University.

5 DOCTOR BRASS: Eric Brass, Harbor-UCL A  
6 Medical Center, Nonprescription Drugs.

7 DOCTOR McGRATH: Patricia McGrath ,  
8 University of Western Ontario, Nonprescription Drugs.

9 DOCTOR ZIVIN: Justin Zivin, University o f  
10 California, San Diego, from the Peripheral and Centra l  
11 Nervous System Committee.

12 DOCTOR GILMAN: Sid Gilman, De partment of  
13 Neurology, University of Michigan, from the Periphera l  
14 and Central Nervous System Drugs Advisory Committee.

15 MS. KODA-KIMBLE: Mary Anne Koda-Kimble,  
16 Department of Clinical Pharmacy, University o f  
17 California at San Francisco.

18 DOCTOR JOHNSON: Cage Johnson, University  
19 of Southern California, Nonprescription Drugs.

20 DOCTOR DIAMOND: Seymour Diamond, th e  
21 Diamond Headache Clinic in Chicago, Guest Expert.

22 DOCTOR BOWEN: Debra Bowen, Di rector, OTC  
23 Drugs.

24 DOCTOR WEINTRAUB: Mike Weintraub, FDA.

25 DOCTOR CHAMBERS: Wiley Chambers, Divisio n

1 of Anti-Inflammatory, Analgesic, and Ophthalmic Drug  
2 Products.

3 CHAIRMAN D'AGOSTINO: Thank you.

4 The screech that was in the mic seems to  
5 have worked its way out, so I presume that's all right  
6 now.

7 The next item will be the meeting  
8 statement by Andrea Neal.

9 EXECUTIVE SECRETARY NEAL: The following  
10 announcement addresses the issue of conflict of  
11 interest with regard to this meeting and is made a  
12 part of the record to preclude even the appearance of  
13 such at this meeting.

14 Based on the submitted agenda for the  
15 meeting, and all financial records reported by the  
16 committee participants, it has been determined that  
17 all interests and firms regulated by the Center for  
18 Drug Evaluation and Research which have been reported  
19 by the participants present no potential for an  
20 appearance of a conflict of interest at this meeting,  
21 with the following exception.

22 In accordance with 18 U.S. Code 208(b)(3),  
23 full waivers have been granted to Doctor Ralph  
24 D'Agostino and Doctor David Felson. A copy of these  
25 waiver statements may be obtained by submitting a

1 written request to FDA's Freedom of Information  
2 Office, Room 12A-30 of the Parklawn Building.

3 We would also like to note for the record  
4 that Doctor Harvinder Luthra's employer, the Mayo  
5 Clinic, has an interest in American Home Products, the  
6 parent company of firms which manufacture competing  
7 products to Excedrin. Although this does not  
8 constitute a financial interest in the particular  
9 matter, it could create the appearance of a conflict.  
10 However, it has been determined that it is in the  
11 Agency's best interest to have Doctor Luthra  
12 participate in the committee's discussions concerning  
13 Excedrin.

14 In the event that the discussions involve  
15 any other products or firms not already on the agenda  
16 for which an FDA participant has a financial interest,  
17 the participants are aware of the need to exclude  
18 themselves from such involvement and their exclusion  
19 will be noted for the record.

20 With respect to all other participants, we  
21 ask in the interest of fairness that they address any  
22 current or previous financial involvement with any  
23 firm whose products they wish to comment upon.

24 CHAIRMAN D'AGOSTINO: Thank you.

25 We have two opening comments. Michael



1 Weintraub?

2 DOCTOR WEINTRAUB: Good morning .  
3 Actually, as one of the people responsible for all of  
4 you coming here, I feel very honored and reall y  
5 thankful that you were all able to make it.

6 I'm going to be discussing a couple o f  
7 things, I don't want anybody to laugh, but I'm going  
8 to discuss some -- history of migraine headache and a  
9 little bit about caffeine as well, and, as I said, I  
10 don't want anybody to laugh.

11 Now, migraine headaches has been reall y  
12 very well studied by the Agency and by a number o f  
13 committees for the government. The appropriateness o f  
14 a migraine headache indication for OTC analgesic drug  
15 products was evaluated by first the National Academy  
16 of Sciences, National Research Council, Drug Efficacy  
17 Study Group, the Panel on Drugs for Pain Relief, and  
18 the Advisory Panel on OTC Internal Analgesic and Anti -  
19 rheumatic Drug Products.

20 In the Federal Register of April 5, 1967,  
21 the Agency published a proposed statement of polic y  
22 and changes in the warning statements for OTC systemi c  
23 analgesics. In that proposal, the Agency include d  
24 migraine in the list of conditions that it believe d  
25 could not be accurately diagnosed by the consumer ,

1 safely treated without medical diagnosis and th e  
2 supervision of a physician, and conditions in which an  
3 OTC systemic analgesic wouldn't be regarded as useful .

4 Now, that was a conclusion dra wn in 1967,  
5 and we know that things change with time, and that's  
6 one of the aspects of the presentation today, and of  
7 the medication today, that we want you to comment and  
8 think about.

9 The recommendations of the Pain Relie f  
10 Panel, which is the National Academy of Science s  
11 Research Council, said on the effectiveness of an OTC  
12 buffered analgesic product containing phenacetin i n  
13 those days, Aspirin and caffeine, indicated for th e  
14 pain of mild migraine, were published in the Federal  
15 Register of April 20, 1972, and I want you to note, i t  
16 was the pain of mild migraine.

17 The panel found the combinatio n  
18 ineffective for this indicatio n. It also objected to  
19 the use of the migraine indication of an OTC product  
20 based on its belief that migraine is a seriou s  
21 condition which requires medical advice and diagnosis .  
22 So, in '72 we still hadn't really changed ou r  
23 attitude.

24 The migraine indication has also bee n  
25 evaluated by the Analgesic Panel under the OTC Dru g

1 Review. The panel's evaluation of label claims fo r  
2 the OTC analgesic products submitted to it wer e  
3 published in the Federal Regis ter of July, 1977. The  
4 panel found migraine to be an unacceptable claim for  
5 OTC analgesics containing Aspirin and classified the  
6 indication in Category II, which in those days was no t  
7 generally recognized as safe and effective.

8 The indication was classified with a  
9 number of other labeling indications that the pane l  
10 found to be examples of drug u se directions that were  
11 unsupported by the scientific data or soun d  
12 theoretical reasoning.

13 They also said that the modifyin g  
14 adjectives associating with pain with various physica l  
15 conditions, or disease entitie s, or specific physical  
16 sites, was not appropriate.

17 That's basically where we have been for a  
18 number of years, for more than 20 years. We stil l  
19 have to -- one of the things y ou are going to have to  
20 do is view the mild migraine syndrome again and make  
21 a statement, because, in fact, since we already have  
22 a negative statement on the books from an advisor y  
23 committee, we have three negative statements in a  
24 sense, we are going to need you to tell us if th e  
25 record is correct or not, at least to advise us an d

1 present some information that we can deal with to tell  
2 us whether or not a consumer can make the diagnosis,  
3 whether or not it needs a physician to adjust the drug  
4 treatment, or whether the person may make an error in  
5 the diagnosis which could result in an important  
6 problem in the future.

7 Now I'm going to turn to caffeine for a  
8 moment. The use of caffeine in OTC drug products has  
9 been evaluated again, really, very much in depth, by  
10 four OTC advisory review panels. With the exception  
11 of the use of caffeine in OTC weight control products,  
12 where it was taken out of the weight control products,  
13 the panels have found caffeine to be safe for a  
14 variety of OTC uses, including its use as an OTC  
15 analgesic adjuvant and as a stimulant.

16 The Advisory Review Panel on OTC sedative,  
17 tranquilizer and sleep aid products, and that was  
18 called the Stimulant Panel, concluded that caffeine  
19 was safe for use in OTC stimulant products at a dose  
20 of 100 to 200 milligrams, and they limited the use to  
21 every three to four hours or a 1600 milligram per day  
22 daily dose. These conclusions were published in the  
23 Federal Register on December 8, 1975.

24 In the Federal Register of July, 1988,  
25 July 8, 1988, the Analgesic Panel stated it is

1 conclusion, caffeine was safe for use as an OTC C  
2 analgesic adjuvant at a single adult dose of 6 5  
3 milligrams, not to exceed 600 milligrams in 24 hours.

4 The Agency published a final rule for OTC  
5 stimulant products in the Federal Register of February  
6 29, 1988. In that final rule, caffeine was included  
7 as a monograph ingredient at the panel's recommended  
8 dose, which was 65, not to exceed 600 milligrams in 2 4  
9 hours, and caffeine products were required to bear a  
10 caffeine-specific warning. And, that caffeine -  
11 specific warning reads as follows: "The recommended  
12 dose of this product contains about as much caffeine  
13 as a cup of coffee. Limit the use of caffeine -  
14 containing medications, foods or beverages while  
15 taking this product, because too much caffeine may  
16 cause nervousness, irritability, sleeplessness and  
17 occasionally rapid heart beat."

18 Based on the panel's recommendations that  
19 OTC stimulant product should not be used by children  
20 under 12 years of age, the final rule also required  
21 the additional warning, "do not give to children under  
22 12 years of age."

23 1988 was a relatively active year for the  
24 Federal Register and our documents on caffeine ,  
25 because on November 16, 1988, we published another

1 document in the Federal Register. The Agency  
2 responded to a comment noting that the recommended  
3 dose for caffeine as an OTC stimulant and analgesic  
4 adjuvant were inconsistent.

5 The comment requested an increase of the  
6 recommended caffeine dose for use as an OTC adjuvant  
7 from 65 to 150 milligrams per single dose. The Agency  
8 at that time, in 1988, decided to agree with the  
9 comment, and noted that the 150 milligrams was well  
10 within the range, dose range, for OTC stimulant  
11 products.

12 Subsequently, the Agency informed industry  
13 about caffeine's potential role in analgesic  
14 associated nephropathy. That was at a public meeting  
15 held on February 16, 1989. The basis for this  
16 discussion was proprietary animal toxicity data for an  
17 analgesic and caffeine combination submitted as part  
18 of an investigation on a new drug application. Thus,  
19 the toxicity data were presented in a closed session  
20 of the Arthritis Advisory Committee, and the end  
21 result was that the committee was not overly concerned  
22 by the data, and concluded that more safety  
23 information on the combination was needed.

24 On April 8, 1993, five years later, the  
25 Agency's Nonprescription Drugs Advisory Committee met

1 to consider caffeine's use as an analgesic adjuvant.  
2 The animal data were presented to the committee i n  
3 closed session. The committee concluded that 6 5  
4 milligrams of caffeine is an effective analgesi c  
5 adjuvant when combined in a one-to-ten ratio, and that  
6 was done in public, however.

7 The Nonprescription Drugs Advisor y  
8 Committee further concluded th at up to 130 milligrams  
9 of caffeine was an effective analgesic adjuvant when  
10 used with a combination of acetaminophen and Aspirin  
11 up to a single total analgesic dose of 100 0  
12 milligrams.

13 As you know, the Agency's view is tha t  
14 Aspirin and acetaminophen can be considered the same  
15 drug for analgesic purposes. That's something we've  
16 held for a number of years. H owever, based on safety  
17 concerns about the caffeine-sensitive segment of the  
18 population, the NDAC recommended that OTC analgesics  
19 containing 65 or more milligrams of caffeine shoul d  
20 bear the caffeine and child wa rnings required for OTC  
21 stimulant products. The Nonprescription Drug s  
22 Advisory Committee also recommended that the fron t  
23 panel of such products, the principal display panel,  
24 should include the statement, "Contains caffeine."

25 After considering the recommendations of

1 the Nonprescription Drugs Advisory Committee, the  
2 Office of OTC Drug Evaluation, in those days it was an  
3 office, now a division, tentatively concluded that  
4 caffeine could be considered a safe and effective OTC  
5 analgesic adjuvant when combined with Aspirin or the  
6 combination of Aspirin and acetaminophen.

7 However, based on safety concerns, the  
8 office issued a feedback letter to industry in April,  
9 1995, concluding only that the minimum effective dose  
10 of caffeine, which would be 65 milligrams, should be  
11 permitted in a single dose, and agreeing with the  
12 recommendations made by the Nonprescription Drugs  
13 Advisory Committee for the labeling of these OTC drug  
14 products.

15 And, that brings us to where we stand  
16 today.

17 I've gone through this in a fair amount of  
18 detail because we are going to need your opinion also  
19 on caffeine as an analgesic adjuvant, although that  
20 really isn't one of the main questions before you  
21 today. But, I do think we are given by these  
22 committees, by your predecessors so to speak, a dual  
23 message. The message is that caffeine is an analgesic  
24 adjuvant, but that the dose is not exactly clear, it  
25 can be as low as 65 milligrams, that may be a dose



1 that's too low for analgesic adjuvancy, or just on the  
2 borderline of effectiveness for analgesic adjuvancy,  
3 or that it should be the same as the stimulant dose.  
4 So, we have those two sort of cards on the table  
5 already.

6 However, and that's why I've given you  
7 this sort of detailed background, I think as both the  
8 presentations unfold today, and as the questions are  
9 presented to you, I think you'll see where we are  
10 trying to go and what kinds of advice we need from the  
11 committees.

12 Thank you very much.

13 CHAIRMAN D'AGOSTINO: Thank you, Michael.

14 Doctor Chambers is now going to make  
15 opening comments also.

16 DOCTOR CHAMBERS: Thank you.

17 On behalf of the various reviewing  
18 divisions, I would like to welcome you all and thank  
19 you for attending this session.

20 As you can see from the past couple days  
21 and including tomorrow, there's a mix and match of a  
22 number of different advisory committees or portions of  
23 advisory committees as we discuss different issues.  
24 This review, this NDA, also has a joint or co-review  
25 in progress. That review consists of components from

1 the Division of Over-The-Counter Drug Products and  
2 members of the Division of Anti-Inflammatory Analgesic  
3 and Ophthalmic Drug Products. The different aspects  
4 of the NDA review have been divided up within the two  
5 different divisions, so we have individual reviewers  
6 from each of these two groups providing reviews and  
7 sharing them between the two different divisions, and  
8 we are attempting to do that also with the advisory  
9 committees as we try and share the different comments  
10 among different groups.

11 One of the components that we will not be  
12 discussing at all today involves the chemistry review.  
13 That is, as any other NDA product that's reviewed,  
14 there is chemistry manufacturing information, most of  
15 that is confidential information. Any aspects of that  
16 review will be shared with the sponsor as it gets  
17 completed, but in general the expertise of this group  
18 is not of the chemistry and manufacturing, so we do  
19 not generally bring those issues before you. That  
20 does not mean that if this group were to recommend  
21 approval of the product that it would appear tomorrow,  
22 those chemistry issues, if there are any, and I'm not  
23 saying there necessarily are, would need to be  
24 resolved, but you can rest assured that those will all  
25 be taken care of prior to any final approval, but

1 that's a separate issue.

2 In general, my tendency has been to bring  
3 scientific disagreements to advisory committees, and  
4 my other kind of caveat to this opening discussion is  
5 that I want to say that that's not the case in this  
6 particular instance. The scientific reviews that have  
7 been done, as you'll see from our presentation, are in  
8 substantial agreement with the sponsor, as far as what  
9 the data shows. There may be minor disagreements as  
10 far as individual numbers of a couple different  
11 patients, but we do not believe that they contribute  
12 to the overall findings and conclusions of the study.

13 What we are, in fact, asking you to  
14 discuss are some of the general policy issues that  
15 Doctor Weintraub alluded to in his opening remarks.

16 As we go through, if there are any  
17 questions of any of the different review divisions,  
18 please feel free to ask them and we will try and get  
19 the appropriate comments back to you in a timely  
20 fashion.

21 Again, thank you.

22 CHAIRMAN D'AGOSTINO: Thank you.

23 We'll now move directly to the open public  
24 hearing. There have been five individuals who have  
25 come forth and asked to make statements in the open

1 public hearing segment. I'm going to follow th e  
2 agenda as it was included in the outside material, th e  
3 material you can pick up outsi de. First we are going  
4 to hear from the Center of Wom en Policy Studies, then  
5 the National Consumer League, then the America n  
6 Council on Headaches, then the Wellness Council o f  
7 America, and the American Medical Women's Association .  
8 Each of these speakers have been given an allocate d  
9 time, and I ask that they stick and adhere to tha t  
10 time. They can use either the podium or one of th e  
11 mics on the floor, whichever they find mor e  
12 convenient.

13 We'll start with Leslie Wolfe from th e  
14 Center for Women Policy Studies. Leslie.

15 Also, please make sure that you state you r  
16 name for the transcriber, your affiliation, and wh o  
17 you are representing at this particular meeting.

18 DOCTOR WOLFE: My name is Lesl ie R. Wolfe  
19 and I am the President of the Center for Women Policy  
20 Studies in Washington, D.C. The Center is a national ,  
21 independent, multiethnic feminist policy researc h  
22 institute, which was founded in 1972. We hav e  
23 conducted research on both women's health decisio n  
24 making and also on workplace issues for women o f  
25 color, both of which suggest the importance of thi s

1 issue because about 75 percent of persons who suffer  
2 migraine are women. I have a staff member who suffer s  
3 really bad migraines, so that's my only persona l  
4 experience, thank heavens, and it is debilitating for  
5 her.

6 Many women, however, do not work at th e  
7 Center for Women Policy Studies, and they feel the y  
8 must hide their suffering from such conditions a s  
9 migraine, for fear that they may not be treate d  
10 seriously by co-workers, that their illness may not be  
11 taken seriously by co-workers or by supervisors. We  
12 are also aware at the Center of data that indicat e  
13 that headache pain, including migraine, is one of the  
14 leading causes of lost work time in this country.

15 Several of the biological triggers t o  
16 migraine are, in fact, unique to women, relating t o  
17 hormonal changes that are connected to pregnancy ,  
18 child birth, menstruation, men opause, which most non-  
19 women do not ever experience. And, all of these i n  
20 women can be connected to the onset of a migraine.

21 For women such as my staff member ,  
22 migraine headache pain can make life unbearable in the  
23 workplace, at home or in the community, and she is on e  
24 of 17 million American women.

25 In addition, the Center's research o n

1 women's health decision making, which included focus  
2 groups, literature review and a national survey, found  
3 that women, regardless of race, ethnicity, age, or  
4 income level, want to feel in control of their health  
5 care, but they want to do it in partnership with their  
6 physicians. Women also respond well, as we did  
7 message testing, they responded well to thoughtful and  
8 respectful health care messages that first reflected  
9 the realities of their lives, and also reflected their  
10 roles as health care decision makers for themselves  
11 and their families.

12 The data that you are reviewing today  
13 contains important health information that we believe  
14 can help women manage their health and their work and  
15 family lives far better. Given this information,  
16 given respectful and complete messages about it, and  
17 given effective over-the-counter medications for  
18 conditions such as migraine, we are certain that women  
19 will be able to effectively manage their pain.

20 We are especially heartened by the  
21 possibility that women will have access to relatively  
22 low-cost medication, effective in short-term dosage,  
23 for this extremely pressing problem.

24 We recommend that these committees urge  
25 the FDA to move quickly to approve labeling and public

1 education programs that will raise awareness o f  
2 migraine among the general public, help the m  
3 understand the effect migraine has on women, I think  
4 specifically employers need to understand that, and t o  
5 include in the labeling specific dosing informatio n  
6 for migraine. We think this will make a major benefi t  
7 for women in the workplace and in their homes.

8 Thank you.

9 CHAIRMAN D'AGOSTINO: Thank you.

10 The next speaker is from the Nationa l  
11 Consumers League, Rebecca Burkholder.

12 MS. BURKHOLDER: Good morning. I' m  
13 Rebecca Burkholder with the Na tional Consumers League  
14 in Washington, D.C., and I'm here on behalf of th e  
15 National Consumers League. The League is a National  
16 non-profit consumer organizati on that has represented  
17 consumers and workers in the marketplace and workplac e  
18 for almost 100 years. Assuring that consumers ca n  
19 purchase safe and effective medication is a primar y  
20 concern to our organization.

21 I would like to inform the com mittee that  
22 Bristol-Myers-Squibb was one of 93 contributors to th e  
23 League's health care conference this year. Thei r  
24 contribution amounted to 0.7 percent of our annua l  
25 operating budget.

1           The League supports adding an indication  
2           for migraine headache to the label of Extra Strength  
3           Excedrin.    Informing consumers that Excedrin i s  
4           effective for migraines will provide guidance to the  
5           23 million Americans suffering from migrain e  
6           headaches.   Helping consumers manage migraine pai n  
7           will improve the quality of their life at home, a s  
8           well as at work.

9           Educating consumers on managing migraine  
10          pain is important in this age when consumers ar e  
11          assuming greater responsibilit y for their own health.  
12          As more consumers self treat with over-the-counte r  
13          products, they need guidance on which pain medication s  
14          work for which ailments.   It is important fo r  
15          consumers to know that there are different kinds o f  
16          headache pain and different levels of treatment fo r  
17          headache pain.

18          Indicating on the label that Excedrin is  
19          effective for migraine headaches will provid e  
20          consumers with helpful information on understandin g  
21          and differentiating among pain medications.   Studies  
22          conducted by the manufacturer show Excedrin to b e  
23          effective in alleviating migraine headache pain.   Thi s  
24          information should be conveyed to the consumer.

25          Because Excedrin is an OTC drug, th e



1 information on the label may be the only information  
2 consumers will receive about the product. Therefore,  
3 the fact that Excedrin is effective for migraine s  
4 should be included on the label.

5 The League does not believe consumers wil l  
6 misuse Excedrin if it is indicated for migraines. Th e  
7 label will clearly instruct consumers to consult a  
8 doctor before use if the migraine is accompanied b y  
9 vomiting or if it is so severe bedrest is required .  
10 Consumers are also instructed to consult a docto r  
11 after use if headache pain continues or worsens.

12 Informing consumers that Excedrin i s  
13 effective for migraine pain will directly affect the  
14 quality of life for the 23 million Americans sufferin g  
15 from migraines. Every year, migraine pain cost s  
16 society at least \$5 billion in lost productivity and  
17 270 lost work days for every 1,000 workers.

18 In addition, migraine has its highes t  
19 prevalence in individuals between the ages of 25 and  
20 55, the peak years of productivity.

21 Migraine pain also disrupts life outside  
22 of the workplace, causing strain in relationships ,  
23 missed family time, sleep disruption, loneliness and  
24 frustration. Providing information which help s  
25 consumers self treat for migraine pain is economical

1 for both the consumer and the greater society. Th e  
2 average cost to the consumer f or the over-the-counter  
3 purchase of a bottle of 50 pills to treat a headache  
4 is estimated at \$5.00. The total cost to the consume r  
5 for a visit to the doctor and filling a prescription  
6 for 50 pills is estimated at \$34.00. Because th e  
7 majority of migraine sufferers have never bee n  
8 diagnosed by a physician, an OTC migraine indication  
9 would be of particular benefit to those consumers.

10 While the League supports the new migrain e  
11 indication for Excedrin, it is also encouraged as a  
12 manufacturer to continue educa ting consumers on types  
13 of headaches and how to prevent and treat headaches.  
14 Common triggers of headaches include stress at home or  
15 work, certain foods and hormonal fluctuations. As the  
16 increasing use of technology speeds up the pace o f  
17 life at home and at work, managing stress become s  
18 difficult. Continued consumer education on how t o  
19 reduce or handle stress is important in preventing th e  
20 onset of headaches.

21 In conclusion, we would hope that an OTC  
22 label containing an indication for migraines would be  
23 in large enough type size for the consumer to rea d  
24 without getting a headache.

25 The warnings informing consumers when to

1 consult a doctor regarding migraine pain are useless  
2 unless they can actually be read by the consumer.

3 Thank you for providing this opportunity  
4 for the League to present our views on this important  
5 issue to the FDA.

6 CHAIRMAN D'AGOSTINO: Thank you.

7 The next speaker is from the American  
8 Council on Headache.

9 DOCTOR LODER: Good morning. My name is  
10 Doctor Elizabeth Loder, and I am the Director of the  
11 Headache Management Program and the In-Patient Pain  
12 Management Program at the Spaulding Hospital in  
13 Boston.

14 I'm here today as a member of the  
15 governing body of the American Council for Headache  
16 Education, otherwise known as ACHE. This is a non-  
17 profit, physician/patient partnership which is  
18 dedicated to raising awareness, public awareness and  
19 professional awareness, of migraine as a treatable  
20 biologically-based illness.

21 The American Council for Headache  
22 Education receives revenues from its patient  
23 newsletter, from contributions from pharmaceutical  
24 companies, unrestricted educational grants, among them  
25 Bristol-Myers-Squibb, the makers of Excedrin.

1           We believe that migraine headache is a  
2 very important, under-recognized and under-treat  
3 public health problem, and as you've heard it affects  
4 anywhere between 23 to 25 million Americans. And, for  
5 those people who are migraine sufferers, migraine, as  
6 you have heard and will hear, can be a very important  
7 problem. It's estimated, as you have also heard, tha  
8 it accounts for approximately 5.7 million lost o  
9 reduced productivity work days per year, and tha  
10 turns into an enormous societal and economic burden,  
11 which as you've also heard primarily falls on people  
12 in otherwise productive years of their lives.

13           Many of these migraine sufferers ar  
14 already using over-the-counter medications, sometimes  
15 inappropriately, and many of them lack access t  
16 affordable health care.

17           My experience as a practicing clinician,  
18 whose patients are, I think, reflective of migrain  
19 sufferers in general, has shown that they alread  
20 self-medicate with over-the-counter medications, but  
21 that they often lack information about what they are  
22 treating, what the other treatment options might be,  
23 and what types of symptoms mig ht require a visit to a  
24 physician rather than self-medication.

25           Labeling and public education efforts on

1 the appropriate use of effective over-the-counter  
2 medications for migraine pain are, therefore, of great  
3 interest to organizations such as the American Council  
4 for Headache Education, and we believe they are key  
5 aspects of the proper management of migraine headache  
6 pain.

7 An OTC indication would allow access to  
8 directions for appropriate use and access to  
9 education, regarding therapeutic alternative options  
10 and professional counsel. Appropriate dosing  
11 instructions based on the results of well-controlled  
12 trials would be an important step in leading to the  
13 appropriate use of over-the-counter products.

14 The data you will see today we believe, if  
15 provided to consumers, can be an important step in  
16 encouraging this appropriate use of over-the-counter  
17 medications. Labeling that recommends short-term use  
18 can be an extremely effective tool in encouraging  
19 appropriate use of over-the-counter medications.

20 The physician and patient members of the  
21 American Council for Headache Education strongly  
22 believe that consumer education is the key to  
23 appropriate recognition and treatment of migraine, and  
24 we encourage the committee to support measures which  
25 would increase access to this information and

1 information about therapeutic options, and increas e  
2 the flow of information to migraine sufferers i n  
3 general.

4 Thank you very much.

5 CHAIRMAN D'AGOSTINO: Thank you.

6 The next speaker is Mr. Jerry Miller from  
7 the Wellness Councils of America.

8 MR. MILLER: Good morning. My name i s  
9 Jerry Miller, and I'm speaking on behalf of th e  
10 Wellness Councils of America, or WELCOA.

11 WELCOA is a national, non-profi t  
12 membership organization dedicated to promotin g  
13 healthier lifestyles for all Americans, especiall y  
14 through health promotion at the workplace. Created in  
15 1985, WELCOA today has a membership of 14 wellnes s  
16 councils across the country an d 2,500 companies, both  
17 large and small, representing over 2 million workers.

18 Headache and migraine, in part icular, can  
19 significantly impact the quali ty of life for headache  
20 sufferers, both at work and at home. Because th e  
21 prevalence of migraine is highest among individuals i n  
22 their most productive years of life, ages 35 to 45 ,  
23 the impact of this condition in the workplace i s  
24 substantial. In fact, headach e is one of the leading  
25 causes of absenteeism and lost productivity in th e

1 workplace, and migraine contributes significantly to  
2 the economic consequences.

3 There are an estimated 270 work days lost  
4 annually for every 1,000 people who suffer from  
5 migraine. Lost productivity due to migraine in the  
6 U.S.A. is estimated at \$6.5 billion going up to \$17.2  
7 billion. In addition, migraines are responsible for  
8 \$1.3 billion in lost wages annually.

9 In today's work environment, employee  
10 productivity and individual contributions are more  
11 important than ever. Currently, the majority of  
12 migraine sufferers use over-the-counter medicines and  
13 very often they use these medications in the  
14 workplace. Access to new, effective and affordable  
15 treatments is critical. We support efforts that will  
16 help educate sufferers about the appropriate use of  
17 these products.

18 WELCOA is committed to providing health  
19 information and education that can increase  
20 productivity, decrease absenteeism and provide a  
21 healthy corporate environment. Therefore, we believe  
22 it is important that the committees strongly consider  
23 new treatment alternatives that will expand  
24 therapeutic options, as well as public education and  
25 health promotion programs for migraine sufferers in

1 the workplace and beyond.

2 Thank you very much.

3 CHAIRMAN D'AGOSTINO: Thank you.

4 The next speaker is Eileen McGrath from  
5 the American Medical Women's Association.

6 MS. McGRATH: Good morning. My name is  
7 Eileen McGrath, I'm Executive Director of the American  
8 Medical Women's Association. AMWA represents more  
9 than 11,000 women physicians and medical students. We  
10 were founded in 1915, and our organization seeks to  
11 further the personal and professional development of  
12 women physicians and medical students, and to advocate  
13 on women's health issues.

14 AMWA receives a variety of support from  
15 industry, and in 1995 received an unrestricted  
16 educational grant for advanced curriculum in women's  
17 health from Bristol-Myers. Currently, AMWA receives  
18 less than one half of one percent of our budget as  
19 support for our foundation from Bristol-Myers.

20 The American Medical Women's Association  
21 is submitting this statement in support of the  
22 application of Excedrin ES to be approved as an over-  
23 the-counter medication for mild to moderate migraine.  
24 As we have a particular interest in women's health,  
25 AMWA is concerned about the high incidence of



1 headaches and migraines among women compared to men,  
2 and you've heard that that ratio is 3:1.

3 We feel strongly that the very real  
4 problem of migraines in American women requires new  
5 treatment options, particularly, over-the-counter  
6 treatment options. AMWA fully supports increased  
7 exposure of the public and the medical profession to  
8 educational information through advertising and the  
9 availability of safe over-the-counter products to  
10 increase awareness about migraines.

11 Migraines affect the lives of more than 20  
12 million Americans each year. An estimated one in six  
13 women are affected by the serious, often debilitating  
14 disease. Not only are women disproportionately  
15 affected, but the disease has a greater impact on  
16 their lives, and, particularly, women have reported  
17 that their professional development and career and  
18 family responsibilities have been affected by  
19 migraine.

20 The currently available treatments for  
21 migraine, while effective in many patients, may at  
22 times have unwanted side effects. A middle ground is  
23 needed for treatment, which improves patient symptoms,  
24 enabling a return to functional status, and has  
25 potentially fewer side effects.

1           There is a gap in our armamentarium o f  
2 medicine with fewer side effects. This gap would be  
3 filled by approval of this OTC medication for mild to  
4 moderate migraine.

5           It is important that patients take a n  
6 active role by seeking a medical diagnosis an d  
7 complying with treatment and follow-up. The use o f  
8 over-the-counter therapy, such as Excedrin ES, offers  
9 patients a desirable option for the treatment o f  
10 migraines, but should be made in partnership wit h  
11 their physicians, and I think the labeling would take  
12 care of advising patients when they should consul t  
13 with their physicians.

14           Many women are unaware of the highe r  
15 prevalence of migraine among women, and consequently  
16 may not take their condition seriously and may no t  
17 seek treatment. The American Medical Women' s  
18 Association believes that the availability of Excedri n  
19 ES over the counter will help educate women and me n  
20 about this condition through its accompanyin g  
21 advertising campaign, and will lead women and men to  
22 take notice and action against this frequently ignore d  
23 condition.

24           The American Medical Women's Association  
25 strongly supports additional research into migraines

1 and other conditions that disproportionately affect  
2 women. In order to develop the most efficacious  
3 treatment, additional research is needed for a better  
4 clinical understanding of the role of hormones in  
5 migraine.

6 We support the application of Excedrin ES  
7 and feel that its introduction and accompanying  
8 advertising will increase awareness of migraines and  
9 provide women with more treatment options.

10 Thank you.

11 CHAIRMAN D'AGOSTINO: Thank you.

12 We'll move now directly to the  
13 presentation by Bristol-Myers. Doctor Howard Hoffman  
14 is going to lead the presentation. It will consist of  
15 three speakers, and then a summary by Doctor Hoffman.

16 I would like to ask the panel members, the  
17 Advisory Committee members and consultants, not to ask  
18 questions while the speakers are making their  
19 presentation, unless it's a major point of  
20 clarification. I've been asked by the speakers, and  
21 I agree with it, that it would be best if we heard the  
22 presentations and then saved our questions until after  
23 the presentations.

24 Doctor Howard Hoffman.

25 DOCTOR HOFFMAN: Good morning. Thank you ,

1 Doctor D'Agostino, members of the Nonprescriptio n  
2 Drugs, Arthritis and Peripheral and Central Nervou s  
3 System Drugs Advisory Committees, Doctor Weintraub ,  
4 Doctor Bowen, Doctor Chambers, and representative s  
5 from the Agency, I'm Doctor Ho ward Hoffman, Executive  
6 Medical Director for Bristol-Myers products. It's a  
7 pleasure for me and my colleag ues to be here today to  
8 present and discuss with you information and data in  
9 support of the proposed use of OTC Excedrin Extr a  
10 Strength in the treatment of migraine headache pain.

11 Our presentation will take approximately  
12 75 minutes. We have allocated 15 minutes fo r  
13 questions, and request that you hold your question s  
14 until after the conclusion of this presentation.

15 Please note that a number appears in the  
16 lower right-hand corner of the slides for you r  
17 reference.

18 CHAIRMAN D'AGOSTINO: Excuse me, is that  
19 bold enough, can people read that?

20 DOCTOR HOFFMAN: Yes, it could be a littl e  
21 darker.

22 The purpose of today's meeting is t o  
23 present data supporting the efficacy and safety o f  
24 Excedrin ES for the relief of migraine headache pain,  
25 and to request endorsement by this committee fo r

1 regulatory action to broaden t he Excedrin ES headache  
2 labeling to include the relief of migraine headach e  
3 pain.

4 The specific regulatory action for OT C  
5 Excedrin ES is to change the OTC headache indication  
6 from the current one, which is for temporary relief o f  
7 the pain associated with headache, to temporary relie f  
8 of the pain associated with headache, includin g  
9 migraine headache pain.

10 There would be no change in the dosing an d  
11 dosing interval, as per monograph, and Excedri n  
12 contains 500 milligrams Aspirin in the two tablets ,  
13 500 milligrams acetaminophen and 130 milligrams o f  
14 caffeine. Additionally, warnings for use in headache ,  
15 including migraine, will be added, these would includ e  
16 see your doctor before use if headache is accompanied  
17 by vomiting, and see your doctor before use i f  
18 headache is incapacitating or requiring bedrest .  
19 These will be discussed later in the meeting as well.

20 This is the agenda for our mee ting, we'll  
21 be giving you a brief introduction of our subject ,  
22 Doctor Richard Lipton, Professor of Neurology at the  
23 Albert Einstein College of Medicine, and Co-Director  
24 of the Headache Unit there, as well as our principal  
25 investigator for one of our studies, will b e

1 discussing the background and clinical study results.  
2 Mr. Sion Boney, President of Bristol-Myers Products,  
3 will be discussing the label comprehension study and  
4 education programs, and I will be giving some  
5 concluding remarks. At that time, I will -- both me  
6 and Doctor Lipton will be available for comments and  
7 questions.

8 We have a number of consultants who have  
9 worked with us on this project and are available for  
10 questions during this presentation. Doctor William  
11 Beaver, Professor Emeritus of Pharmacology,  
12 Anesthesiology, Georgetown University School of  
13 Medicine; Donald Dalessio, Senior Consultant in  
14 Neurology, Scripps Clinic, La Jolla, California, Past  
15 President, American Association for the Study of  
16 Headache, and the Past President of the National  
17 Headache Foundation; Elizabeth Delzell, Professor  
18 Epidemiology at the University of Alabama; Joseph  
19 Izzo, Professor of Medicine and Pharmacology at SUNY  
20 at Buffalo; John Edmeads, Professor of Medicine and  
21 Neurology at the University of Toronto; Michael  
22 Gallagher, Director of the University Headache Center,  
23 Professor and Vice Dean, the University School of  
24 Dentistry of New Jersey, School of Osteopathic  
25 Medicine, Secretary, National Headache Foundation and

1 Chairman of the National Headache Foundation Headache  
2 Certification Board, also the Chair of the AAS H  
3 Medical Curriculum Committee.

4 Additionally, Gene Laska, Director of  
5 Statistical Science and Epidemiology at the Nathan  
6 Kline Institute for Psychiatry; Alan Leviton,  
7 Professor Neurology, Harvard Medical School, Director  
8 of the Neuroepidemiological Unit; Charles O'Brien,  
9 Professor and Vice Chairman of Psychiatry, University  
10 of Pennsylvania; Marcus Reidenberg, Head, Division of  
11 Pharmacology, Cornell University Medical Center; Lori  
12 Rice, Associate Dean for External Affairs, School of  
13 Pharmacy, University of California at San Francisco;  
14 and Doctor Rick Schnellmann, Professor of Pharmacology  
15 and Toxicology, University of Arkansas.

16 We are here to talk about an important  
17 therapeutic option for migraine sufferers. Migraine  
18 headache pain indication for an OTC analgesic would  
19 offer a meaningful therapeutic option to consumers.  
20 As we've heard, migraine is a prevalent condition  
21 affecting 23 to 25 million Americans.

22 The majority of these migraine sufferers,  
23 above 62 percent, treat with OTC analgesics off label.  
24 OTC analgesics are recommended in treatment  
25 guidelines, but what's missing at the present? Right

1 now, there is no approved OTC medication for th e  
2 treatment of migraine headache pain, and one tha t  
3 would provide safe, proven, widely accessible ,  
4 inexpensive treatment options, and appropriat e  
5 information on dosings and warnings. During thi s  
6 presentation, we will be talki ng about our program to  
7 address these issues.

8 Doctor Weintraub, in his presentation ,  
9 talked about headache as a well-established OT C  
10 indication and its history, th e fact that by 1977 FDA  
11 had recognized headache as an appropriate OT C  
12 indication, though, obviously, it was used prior t o  
13 that time for headache. It was clear from th e  
14 regulatory discussions that headache was self -  
15 recognizable, acute and self-l imited, and appropriate  
16 as an OTC indication.

17 Similarly, as Doctor Weintraub mentioned,  
18 migraine headache pain was excluded or carved out of  
19 the OTC indication at that time, and clearly there wa s  
20 not a lot of information about migraine.

21 What's changed since then? Between 1988  
22 and 1991, the IHS classifications provided tools for  
23 new research. New diagnostic criteria wer e  
24 established, and this led to improved diagnosis o f  
25 headache and facilitated clini cal and epidemiological



1 research, and this allowed us to get additiona l  
2 information on what might be an appropriate populatio n  
3 for OTC use.

4 This epidemiological research found a  
5 spectrum of migraine populatio n appropriate for OTCs.  
6 As I stated, based on IHS criteria, a number o f  
7 studies were performed, it was found that migrain e  
8 affects 23 to 25 million Americans, that migraine is  
9 a heterogeneous disorder with a wide range of pai n  
10 severity and functional disability.

11 Prior to this time, physicians frequently  
12 felt that migraine patients we re the most severe kind  
13 that would have attacks for several days on end, b e  
14 sort of tucked away in a dark room, but here we go t  
15 more information about the entire spectrum o f  
16 migraine, and the fact that there were patients with  
17 mild disease, medium disease, and certainly the more  
18 severe disease.

19 Additionally, in the surveys of wha t  
20 patients were using to treat their migraines, it was  
21 found that greater than 60 percent of migrain e  
22 sufferers treat with OTC analg esics and, indeed, many  
23 migraine sufferers had never consulted a physician fo r  
24 treatment.

25 Based on some of these new thoughts in th e

1 evolution of migraine and thinking about migraine as  
2 a possible OTC indication, we thought about programs  
3 to address this, and for us we addressed the issue of  
4 why Excedrin ES for the relief of migraine headach e  
5 pain.

6 Excedrin is an OTC analgesic that has been  
7 marketed for greater than 19 years in its current  
8 formulation, with over 30 billion tablets sold. Its  
9 efficacy has been well established in a number of pain  
10 models, and especially relevant to this subject, the  
11 tension headache model.

12 Caffeine is a proven analgesic adjuvant  
13 and caffeine is used in Rx migraine medications, thus ,  
14 indicating its potential usefulness for treating  
15 migraine.

16 Additionally, what was important to us was  
17 to look at the safety profile, so that we could decide  
18 on the studies we intended to form.

19 I'm going to show you two large databases  
20 that we looked at before the start of our studies .  
21 The first one is our tension headache type pain relief  
22 studies. This encompassed four large studies with  
23 1,400 patients in the Excedrin group, 700 placebo  
24 patients. As you can see, the serious adverse  
25 experiences, there were none reported in these

1 studies. The adverse events that were seen in these  
2 studies are the type that are seen with analgesic s  
3 containing Aspirin and caffeine. As you can see ,  
4 abdominal pain was one, dyspnea and nausea in th e  
5 digestive system, and in the n ervous system dizziness  
6 and nervousness, things that w ould not be unexpected.  
7 But, overall, the safety experience was excellent, an d  
8 the type of adverse events were mild and self-limitin g  
9 in these tension headache trials.

10 I'm next going to show you som e more data  
11 from our post-marketing experience. This covers a  
12 period from 1984 to April of 1997, and through tha t  
13 time period 17.8 billion tablets were sold. Onc e  
14 again, in this time period there were 2,396 reports,  
15 2,427 events, and only 12 seri ous events. There were  
16 no deaths reported to Bristol-Myers as well.

17 This is the additional information on tha t  
18 database with adverse events with greater than on e  
19 percent by body system, again, a denominator of 17.8  
20 billion tablets sold, and 2,427 events. Again, they  
21 cluster in the areas where we would expect them to be ,  
22 in the digestive system, likel y secondary to Aspirin,  
23 the nervous system, likely sec ondary to caffeine, and  
24 then a scattering of other adverse events.

25 I think overall, here are our seriou s

1 adverse events from that trial, the 12 cases that I  
2 mentioned, again, syncope in three, allergic reaction s  
3 in two, GI bleeds in two, abdominal pain in one, two  
4 reactions unidentified, and an esophageal ulcer from  
5 a lodged tablet, and one intestinal obstruction .  
6 Again, once again demonstrating a very good safet y  
7 profile.

8 With this information in hand, and th e  
9 additional information we had from the epidemiologica l  
10 studies, we talked to headache specialists ,  
11 investigators and the FDA about what would compose th e  
12 appropriate program to study Excedrin ES for the OTC  
13 treatment of migraine headache pain.

14 The issues that we brought up were th e  
15 need to do three single-dose, double-blind ,  
16 randomized, placebo-controlled, parallel grou p  
17 studies, to look at the efficacy. What was ver y  
18 important was that we confirm that patients studie d  
19 had IHS diagnosis of migraine and the treat e d  
20 headaches were migraines, to be certain that th e  
21 patients actually had migraine, and that we study a  
22 spectrum of migraine patients typical of a population  
23 likely to use OTC analgesics for relief of migrain e  
24 headache pain and do appropriate label comprehension  
25 and education programs.

1           The FDA questions that we will be  
2 addressing today are the following:

3           1.     Is the pain of migraine an appropriate OTC  
4 indication?

5           2.     Has the applicant provided adequate  
6 evidence, clinical studies, to support the  
7 effectiveness of Excedrin ES in an OTC migraine  
8 population?

9           3.     Has the applicant provided adequate  
10 information to support the safe use of Excedrin ES in  
11 an OTC migraine population, and provide other labeling  
12 recommendations?

13           It's now my great pleasure to introduce  
14 Doctor Richard Lipton, Professor of Neurology ,  
15 Epidemiology and Social Medicine at the Albert  
16 Einstein College of Medicine, Co-Director of the  
17 Montefiore Headache Unit in New York. Doctor Lipton  
18 is an expert in this area, and has been involved in  
19 the key epidemiological studies done during the past  
20 decade on this subject.

21           DOCTOR LIPTON: Good morning. Over the  
22 next 30 minutes or so, I'd like to review three  
23 different areas with you. The first is why migraine  
24 headache pain is an appropriate OTC condition. The  
25 second is the rationale for treating migraine headach e

1 pain with an OTC analgesic, and the third is to review  
2 the data that was gathered in the three clinical  
3 trials previously alluded to.

4 As you've heard already, the International  
5 Headache Society provided a classification and  
6 diagnostic system for migraine and other headache  
7 disorders in 1988, and that classification system  
8 represented a major step forward for the field.  
9 First, it provided operational diagnostic criteria,  
10 which allowed uniform diagnosis, both in clinical  
11 practice and research, and it also facilitated  
12 epidemiologic research by providing a case definition  
13 for the symptom-based condition, and also by providing  
14 a method for standardizing diagnosis in clinical  
15 trials.

16 The IHS classification for primary  
17 headaches recognizes four groups of primary headache,  
18 three of which are the most important groups, those  
19 being migraine, tension-type headache and cluster,  
20 and, of course, today we are focusing on migraine  
21 headache. Although the IHS recognizes seven subtypes  
22 of migraine, the two that are most important in the  
23 population and two subtypes of migraine that were the  
24 focus of this clinical trials program, namely,  
25 migraine with aura and migraine without aura.

1           Migraine with aura is the condition that  
2 was formerly called classical migraine. It's a form  
3 of aura that's preceded by various kinds of symptoms,  
4 most often a visual display consisting of positive  
5 features like sparkling lights or zig-zag lines, and  
6 sometimes negative features such as visual loss as  
7 well.

8           Migraine without aura is the more common  
9 form of migraine, and in fact represents about 80  
10 percent of migraine in the population.

11           There are a number of characteristics of  
12 migraine that support the notion that it may be an  
13 appropriate OTC indication. First, migraine attacks  
14 are episodic and self-limited. The median duration of  
15 untreated attacks in the population is 24 hours.  
16 Second, attacks are relatively infrequent. The median  
17 frequency of attacks in the population is about once  
18 per month, and 75 percent of people with migraine in  
19 the population experience three or fewer attacks per  
20 month.

21           Migraine without aura is defined both by  
22 pain features and associated symptoms, and the pain  
23 features that are used by the IHS to define migraine  
24 without aura are the unilateral location of pain, the  
25 pulsatile quality of pain, moderate or severe pain

1 intensity and aggravation obtained by routine physical  
2 activity. According to the IHS, two out of four of  
3 those features needs to be present to make the  
4 diagnosis of migraine without aura.

5 Associated features are also required, and  
6 the associated features that are used to define  
7 migraine are nausea and/or vomiting, or the presence  
8 of photophobia and phonophobia , and only one of those  
9 two features is required to define migraine .  
10 Photophobia and phonophobia are given less weight in  
11 the sense that both features are required to define  
12 the condition.

13 Epidemiologic studies have clarified the  
14 potential role for over-the-counter treatment of  
15 migraine. Certainly, one major strength of these  
16 studies is that facilitate the identification of  
17 migraine sufferers in the general population, using  
18 systematic methods, whether or not people are seeking  
19 care. These studies have helped clarify the  
20 prevalence and distribution of disease, and you've  
21 already heard a fair amount about that. These studies  
22 demonstrate that migraine produces a spectrum of pain ,  
23 and a spectrum of disability, and as a corollary I  
24 would suggest that those differences in pain and  
25 disability may imply differences in treatment need.



1           Finally, by evaluating and identifyin g  
2 migraine sufferers in the population, independent of  
3 whether or not they were seeking care, that makes it  
4 possible to assess patterns of health care utilizatio n  
5 and get a handle on how people are treating migraine,  
6 not just in the doctor's office, but in the community .

7           Now, you've heard already that migraine i s  
8 a highly prevalent condition affecting roughly 17. 6  
9 percent of American women and roughly six percent of  
10 American men. This slide, fro m the American Migraine  
11 Study, illustrates the one-year period prevalence of  
12 migraine as a function of age. What you see is that  
13 in all post-pubital ages migraine is more common i n  
14 women than in men, and that prevalence, as you'v e  
15 heard already, peaks between the ages of 25 and 55 ,  
16 during the peak productive years.

17           You've heard already that migrain e  
18 produces a spectrum of headache pain. Here we asked  
19 a population sample of migraine sufferers to rat e  
20 their average pain on a scale from zero to ten, where  
21 zero was no pain at all and te n was pain as bad as it  
22 can be, and what you see is that there's a fairly wid e  
23 distribution of pain, though t he majority of migraine  
24 sufferers have pain that they rate above five, and so  
25 the distribution is somewhat right skewed.

1           When we look at the distribution o f  
2           disability, an interesting picture emerges. Here w e  
3           asked a population sample of migraine sufferers ho w  
4           they were affected by their headaches usually or o n  
5           the average, and what you see is that a third o f  
6           migraine sufferers reported that they were severel y  
7           disabled, they required bedrest. Now, that mos t  
8           disabled fraction of migraine sufferers i s  
9           demonstrably more likely to consult physicians fo r  
10          headache, and also demonstrably more likely to consul t  
11          headache specialists.

12           Prior to the conduct of thes e  
13          epidemiologic studies, much of our understanding o f  
14          migraine was actually defined by the more disable d  
15          segment, though certainly some patients with mild to  
16          moderate disability consult as well.

17           The largest group of migraine sufferer s  
18          fell into the mild to moderate disability group, 5 0  
19          percent of men and 52 percent of women fell into that  
20          group, and there's a group of -- a small group o f  
21          migraine sufferers, 11 to 15 p ercent of men and women  
22          respectively, who reported no disability at all.

23           When we examine patterns of medica l  
24          consultation for migraine an interesting pictur e  
25          emerges. Here the entire circle on these pie charts

1 represents a population sample of 321 men with  
2 migraine or 1,385 women with migraine, and we asked  
3 these people who met IHS criteria for migraine whether  
4 they had consulted a doctor for headache, and if they  
5 had consulted when they last consulted.

6 The current consulter group consists of  
7 people who have consulted a physician specifically for  
8 headache within the last year, and you see the 12  
9 percent of men and 17 percent of women have  
10 specifically consulted a doctor for headache in the  
11 last year. There's a large group that we have called  
12 lapsed consulters here. These are individuals who  
13 have consulted for headache at some point, but not  
14 within the last year, and that group comprises 45  
15 percent of men and 51 percent of women. There was also  
16 a substantial fraction of never consulters, 43 percent  
17 of men and 32 percent of women never consulted a  
18 physician for headache at all, and, of course, we are  
19 talking here about men and women with migraine.

20 Now, when you look at the lapsed consulter  
21 and never consulter group, and you ask them why they  
22 are not seeking care, a very common reason, in fact,  
23 the single most common reason they give, is that they  
24 are taking over-the-counter medications which they  
25 find beneficial. You know, I also want to add ,

1 however, that there is certainly a disabled segment of  
2 migraine sufferers, and among the lapsed consulting  
3 and never consulting group there is clearly a group  
4 that would benefit from medical care for whom over-  
5 the-counter medications would not be the most  
6 appropriate treatment.

7 Current patterns of medication use mirror  
8 in many respects current patterns of consultation .  
9 Here we are looking at first a group that takes no  
10 medication at all, roughly five percent of men ,  
11 roughly three percent of women take no medication at  
12 all for their headaches. The vast majority of people  
13 with migraine do use medication to manage their pain.

14 Two thirds of men manage their migraine  
15 headache pain with over-the-counter medications to the  
16 exclusion of prescription drugs, and roughly 57  
17 percent of women use over-the-counter medications to  
18 manage their migraine to the exclusion of prescription  
19 drugs. There's a substantial group, 28 percent of men  
20 and 40 percent of women, who use prescription drugs,  
21 but roughly half of the people in those groups also  
22 use over-the-counter medications.

23 So, the picture that emerges from the  
24 population-based studies is that migraine is a  
25 condition where self-treatment with over-the-counter

1 medications is the norm, not the exception.

2 What then is the rationale for the OTC  
3 treatment of migraine headache pain? Well, as we have  
4 said already, headache pain, including migraine  
5 headache pain, is symptomatic and self-recognizable,  
6 obviously, the way we know that a person has a  
7 headache is because they report their painful  
8 experience. These attacks of migraine are acute,  
9 relatively short lived and self-limited. The attacks  
10 are episodic as well.

11 Migraine headache pain is commonly treated  
12 with over-the-counter analgesics, and, in fact, as  
13 I've said already, over-the-counter analgesics is the  
14 major mode of treatment in the United States.

15 There's a spectrum of migraine headache  
16 pain and disability for which over-the-counter  
17 analgesics appear appropriate, both based on the range  
18 of pain and disability that we see in population  
19 samples, and based on self-report of use of OTC  
20 medications.

21 Finally, I want to call to your attention  
22 the fact that migraine is an OTC indication in the  
23 United Kingdom. Migraine has been on the label of OTC  
24 products in the U.K. for over 40 years. There are  
25 more than a dozen marketed products, including two

1 products which are caffeine combination products  
2 containing 65 milligrams of caffeine per tablet, and  
3 in that 40-year experience no product has ever been  
4 withdrawn from the market for safety reasons.

5 Why Excedrin for migraine headache pain?  
6 Well, efficacy of Excedrin is established in various  
7 pain models, most importantly in tension-type  
8 headache, so we know this is a medication that works  
9 at least for one kind of headache. Excedrin is already  
10 widely used for migraine headache pain. In fact, an  
11 AASH/Gallup survey, AASH being the American  
12 Association for the Study of Headache, an AASH/Gallup  
13 survey conducted in 1995 showed that roughly six  
14 percent of migraineurs in the United States currently  
15 use Excedrin ES as either their first or second choice  
16 treatment for migraine, so this is a treatment that's  
17 already being used.

18 Caffeine is a proven analgesic adjuvant in  
19 a variety of pain models. Caffeine certainly has a  
20 heritage as an ingredient in prescription medications,  
21 including Fiorinal, Fioricet, Esgic, Cafergot and so  
22 forth.

23 Finally, as Doctor Hoffman showed you,  
24 Excedrin is a drug with an excellent and predictable  
25 safety profile, making it an attractive choice.

1           There were a number of considerations in  
2     designing the clinical trials program. The overall  
3     program consisted of three single-dose, placebo  
4     controlled, randomized, double-blind, parallel group  
5     studies, and these were the three pivotal efficacy  
6     studies that I'll summarize for you in a moment. The  
7     design of these studies is consistent with the design  
8     that was used for approved medications for migraine on  
9     the prescription side, most notably Sumatriptan, but  
10    also it's quite similar to the follow-up design for  
11    many of the triptan drugs that are currently in  
12    development.

13           The design is similar to the single-dose  
14    analgesic studies that may be more familiar to some of  
15    you, and finally, the study was conducted using IHS  
16    guidelines, both for diagnosing migraine, as well as  
17    with awareness of and largely following the IHS  
18    guidelines for migraine clinical trials that were  
19    published in 1991.

20           There were a number of considerations in  
21    developing this program. One of the key issues was  
22    ensuring that the study included an appropriate study  
23    population for the OTC treatment of migraine. One  
24    objective was to include migraine sufferers who would  
25    be likely to use an OTC analgesic for migraine

1 headache pain, if migraine headache pain was on th e  
2 label.

3 We made the judgment that it would be mos t  
4 appropriate to exclude the most severely disable d  
5 segment of migraine sufferers from this study fo r  
6 really two reasons. One reason is that the mos t  
7 disabled sufferers might not b e appropriately treated  
8 with over-the-counter medications, and the secon d  
9 reason was, going into this program we didn't kno w  
10 what level of efficacy we would see, and it actually  
11 seemed somewhat unethical to me to study a severel y  
12 disabled segment with a product whose efficacy wa s  
13 uncertain.

14 Another consideration was to carefull y  
15 document the diagnosis of migraine in the patients ,  
16 and also to document the treat ed attack was migraine.  
17 The reason that's an issue is that people wit h  
18 migraine sometimes experience headache attacks tha t  
19 would be better classified as tension-type headach e  
20 attacks and we wanted to be very careful to trea t  
21 attacks that were, in fact, migraine.

22 The program also included a labe l  
23 development comprehension stud y, which Mr. Boney will  
24 discuss following this presentation.

25 The clinical trials program consisted of



1 three independent studies, named 840, 841 and 842 .  
2 The objective of all three studies was to assess the  
3 safety and efficacy of Excedrin ES in alleviatin g  
4 acute migraine headache pain.

5 The study 840 was a single center study,  
6 and I was the principal investigator of that study .  
7 Studies 841 and 842 were multi-center studies.

8 This map summarizes the sites included in  
9 the study, and you see that the program included broa d  
10 geographic representation of t he major regions of the  
11 United States.

12 A couple of comments o n  
13 inclusion/exclusion criteria, which are detailed i n  
14 your briefing books. Subjects had to meet IH S  
15 criteria for migraine with aura or migraine withou t  
16 aura. Attack frequency was required to be on e  
17 migraine attack every two months to six migrain e  
18 attacks per month, with moderate to severe headach e  
19 pain during the previous year. The reason for thi s  
20 frequency consideration was that we wanted to ge t  
21 people with attacks that were frequent enough so that  
22 they would be likely to treat in the treatment window ,  
23 but also we wanted to get as representative a group o f  
24 migraine sufferers as possible.

25 We excluded from study two importan t

1 groups. We excluded individuals who vomited more than  
2 20 percent of the time, on the grounds that they may  
3 not be able to absorb an oral medication, and we also  
4 excluded people who usually were incapacitated by  
5 their attacks, parenthetically defined as so  
6 incapacitated as to require bedrest.

7 The study was conducted in four phases ,  
8 which I'll review one at a time, a screening phase, a  
9 patient selection phase, an out-patient treatment  
10 phase, and then a follow-up visit after treatment.

11 The objective of the screening phase was  
12 to obtain a broad spectrum of subjects for whom an OTC  
13 analgesic might be appropriate, and we used two  
14 methods, a population-based recruiting method, which  
15 I'll describe further in a second, which is a novel  
16 method, and also traditional office practice  
17 recruitment where study investigators enrolled their  
18 patients from their practice who were eligible and  
19 also patients identified by advertising.

20 The objective of the population-based  
21 recruiting method was essentially to use epidemiologic  
22 methods to identify a representative sample of  
23 migraine sufferers independent of consulting status.  
24 And, essentially, what we did was use the phone  
25 interview methods that we had developed and validated

1 for purposes of epidemiologic research to conduct  
2 focused screening and recruiting of subjects in a  
3 geographic area close to our clinical trial center in  
4 Towson, Maryland. We deliberately located our clinic  
5 in a demographically diverse area of Towson, which we  
6 chose based on reviewing Census data.

7 We used random digit dialing to contact  
8 households that were within a ten to 15-minute drive  
9 of our clinic. We administered a validated telephone  
10 interview to screen for migraine, and when we  
11 identified individuals who potentially had migraine we  
12 conducted a follow-up recruiting interview, and in  
13 that interview we validated or confirmed the features  
14 of migraine in most cases, and also ran a number of  
15 protocol-specific inclusion/exclusion criteria.

16 We then identified potentially eligible  
17 subjects to make a clinic visit, and proceeded as one  
18 would using ordinary clinical trial methods.

19 In the selection phase, and this is the  
20 initial visit now, an IHS migraine diagnosis was made  
21 by a neurologist or headache specialist using a semi-  
22 structured interview. The semi-structured interview  
23 was designed to ensure that the clinician touched all  
24 the critical diagnostic bases for assigning an IHS  
25 diagnosis of migraine. The semi-structured format

1 also allowed the clinician to ask any follow-up  
2 questions or probes they deemed appropriate to ensure  
3 that the information that was obtained was of optimal  
4 diagnostic validity.

5           Subjects were educated to ensure that the  
6 treated attack was a migraine headache and the  
7 features of that attack were reported in the study  
8 diary, so that a post-treatment determination could be  
9 made by the study investigators, and subjects were  
10 also educated to complete the self-reporting diary in  
11 which they described the response to their headache  
12 treatment.

13           In the treatment phase, patients took two  
14 tablets of Excedrin ES or placebo. An effort was made  
15 to ensure that the treated headache was a migraine  
16 headache, and then subjects self-reported their  
17 headache characteristics.

18           On the final visit following treatment,  
19 the diary was reviewed for completeness and the  
20 diagnosis of the treated headache was assessed by the  
21 investigator, who made a judgment about whether or not  
22 the treated attack was migraine.

23           In addition, at the request of the FDA, an  
24 independent neurologist reviewed diagnoses in a sample  
25 of study subjects. This review was intended as a

1 quality check to ensure that the enrolled subject s  
2 were migraine and that the treated headaches wer e  
3 migraine. A random sample of ten percent of the case  
4 report forms were reviewed by John Edmeads, who is in  
5 the room. Doctor Edmeads is a Professor of Neurology  
6 at the University of Toronto, and an acknowledge d  
7 authority on headache diagnosis.

8 Doctor Edmeads made the judgment tha t  
9 every subject enrolled in the study had migraine, and  
10 that the treated headaches were migraine. This, o f  
11 course, was done in a subgroup that had bee n  
12 previously screened by study investigators, and a s  
13 I'll show you in a moment, patients did make som e  
14 errors, although the diagnostic error rate amon g  
15 patients was incredibly low.

16 We looked at a number of outco me measures  
17 that are typical of migraine s tudies. We looked at a  
18 pain intensity measure on a zero to three scale, wher e  
19 zero is no pain and three is severe pain. We looked  
20 at pain relief, nausea, photophobia, phonophobia ,  
21 functional disability, use of rescue medication an d  
22 also had the subject and investigator complete a  
23 global evaluation as well.

24 The primary efficacy endpoints in thi s  
25 study were two. The first was an endpoint calle d

1 responders at two hours. For those of you who don't  
2 work in the headache area this may be an unfamiliar  
3 endpoint. The definition of a responder is that you  
4 have moderate to severe pain at baseline, and  
5 experience a pain reduction to no pain or mild pain at  
6 some point in time, and the time point that was  
7 designated as the primary efficacy time point was two  
8 hours.

9 In addition, we used the Pain Intensity  
10 Difference measure, and again designated two hours as  
11 the time for the primary endpoint assessment, and pain  
12 intensity is defined as baseline pain minus pain  
13 intensity at two hours. So, if you start out at a  
14 pain intensity which is severe or three and go to pain  
15 that's mild or one, that would be a pain intensity  
16 difference of two.

17 Let me review with you the disposition of  
18 randomized subjects, again, three independent studies,  
19 each with over 400 patients. The pooled sample  
20 included 1,357 patients. Of those, 107 did not take  
21 study medication for two reasons. One reason was that  
22 they didn't have a treatment attack within the  
23 protocol window, the other reason was that the  
24 protocol was terminated because enrollment objectives  
25 had been met.

1           A total of 1,250 people took study  
2 medications, and of those 1,247 were included in the  
3 intent-to-treat analysis. Individuals were excluded  
4 from the intent-to-treat analysis only if there was no  
5 baseline or follow-up measurement, so that it was  
6 impossible to derive any estimate of treatment effect.

7           For the efficacy evaluable analysis, an  
8 additional 27 individuals were lost. The major reason  
9 for losing individuals in the efficacy evaluable  
10 analysis was that the treated attack, in the judgment  
11 of the investigator, was not a migraine.

12           The results of the intent-to-treat and the  
13 efficacy evaluable analyses were virtually identical.  
14 I'm going to show you the efficacy evaluable analyses  
15 on the grounds that this includes the set of patients  
16 that were judged by the investigator to have treated  
17 migraine attacks.

18           Looking at the demographic  
19 characteristics, and here I'm showing you pool data,  
20 though the data is broken out by study in the briefing  
21 materials that you were given, looking at the  
22 demographic data we see that the mean age was roughly  
23 37 years. We see that there was a fairly wide range  
24 of ages, though the majority of individuals were in  
25 the middle-life years where migraine prevalence peaks.

1 We see that there was a female preponderance in th e  
2 study population, not dissimilar to the epidemiology  
3 of migraine itself, and in the study overall roughly  
4 ten percent of patients were African American, roughl y  
5 85 percent of patients were Caucasian, and there was  
6 a small group of self-identified Hispanic and Asia n  
7 study participants as well.

8 I want to simply point out that the group s  
9 were comparable in their baseline demographi c  
10 characteristics, suggesting that the randomizatio n  
11 was, indeed, effective.

12 Looking again at migraine headach e  
13 history, we see that a little less than 20 percent of  
14 the sample had migraine with aura, again, similar to  
15 the population of migraine sufferers. We see that the  
16 mean number of headache attacks per month was 2.3 or  
17 2.4. This is a little bit higher than the mean attac k  
18 frequency in the population, and the reason for that  
19 is that we had protocol-specific exclusions tha t  
20 eliminated people with relativ ely infrequent attacks.

21 The pattern of pharmacologic t reatment in  
22 study subjects is of interest here. One to tw o  
23 percent of subjects took no medication at all .  
24 Roughly two thirds of subjects used over-the-counter  
25 medications, to the exclusion of prescription drugs,



1 not dissimilar to the information I showed you for the  
2 general population, 12 percent treated with  
3 prescription drugs only, and roughly a fifth of  
4 subjects treated with the combination of prescription  
5 and over-the-counter medications, and again, there  
6 were no differences in groups treated with active drug  
7 or placebo.

8 Now we are going to look, not at the  
9 baseline characteristics of the population, but at the  
10 baseline characteristics of the treated attack. I was  
11 showing you information that was captured at the  
12 enrollment visit, now I'm going to show you  
13 information that was captured in the headache diary by  
14 the study subjects at home, at the time they treated  
15 their attacks.

16 Again, we see 20 percent -- a little less  
17 than 20 percent of treated attacks were migraine with  
18 aura. We see that roughly 60 percent of patients had  
19 nausea. We see that the combination of photophobia  
20 and phonophobia in the pooled data was slightly over  
21 represented in the placebo treated subjects to a  
22 statistically significant degree. This difference was  
23 not statistically significant for the individual  
24 studies, and the statistical results I'm going to show  
25 you are adjusted for baseline differences in this

1 covariate in any case. Two thirds of the patients had  
2 moderate pain, one third had severe pain.

3           When we look at functional disability ,  
4 recall that we deliberately excluded individuals who  
5 were usually severely disabled by their headaches .  
6 Despite that exclusion, roughly 30 percent of the  
7 sample reported severe functional disability with this  
8 individual attack, even though they weren't usually  
9 disabled, and a small proportion reported that they  
10 were completely incapacitated. So, the subjects  
11 enrolled in the study were not treating trivial  
12 headaches.

13           Let's talk a bit about the primary  
14 efficacy endpoints. The first endpoint is once again  
15 the responder endpoint, which is defined as a  
16 proportion of patients who had moderate or severe pain  
17 at baseline, who had mild pain or no pain at two  
18 hours. We are plotting here the proportion of  
19 patients who responded with Excedrin in yellow ,  
20 placebo in blue, across the three studies and in the  
21 pooled data. And, what you see is that from 56 to 64  
22 percent of patients responded to Excedrin, and 31 to  
23 37 percent of patients responded to placebo, the  
24 effect size here is impressive, differences were  
25 statistically significant in all three studies and, of

1 course, in the pooled data as well.

2 Now, looking at this endpoint across  
3 multiple points in time, and the time points assessed  
4 in the study ranged from 1/2 an hour to six hours, I  
5 want to point out that there is some non-linearity in  
6 the time post-dose curve here, the intent was simply  
7 to make the slide legible. What you see when you look  
8 at this slide in the pooled data is that statistically  
9 significant differences emerged at 1/2 an hour and  
10 were maintained at all time points through to six  
11 hours, and that by six hours the pooled response rate  
12 was 80 percent, and these are cumulative response  
13 rates, by the way, though looking at the hourly  
14 response rates the results are not substantially  
15 different than our highly statistically significant.

16 This summarizes the data for the  
17 individual studies. My intent here is to simply show  
18 you that the data is quite consistent. Results are  
19 statistically significant at all time points at one  
20 hour and thereafter in all three independent studies.

21 Looking at the Pain Intensity Difference  
22 score, and, again, this is pain at baseline minus pain  
23 at two hours, we see substantial and statistically  
24 significant effects in each of the individual studies,  
25 and, once again, in the pooled data as well.

1           Using the same style of presentation, her e  
2 we are going to review the data across all the tim e  
3 points in the study, and once again in the pooled dat a  
4 there was statistically significant difference s  
5 beginning at 1/2 an hour and maintained through al l  
6 six hours of the study.

7           When we look at the individual studies ,  
8 once again we see that statistically significan t  
9 differences are present in all three studies at al l  
10 time points past one hour. The overall Pain Intensit y  
11 Difference in the population-b ased study at six hours  
12 is 1.6 versus 1.3 in the other two studies, bu t  
13 overall the profile of results is strikingl y  
14 consistent.

15           Looking at the secondary endpoints, what  
16 I've plotted here is the effect on functiona l  
17 disability, and what I'm plotting is the proportion o f  
18 subjects with little or no functional disability i n  
19 the pooled data. The fact that the Y intercept is a  
20 20 percent means that at baseline 20 percent of th e  
21 study subjects had little or no functional disability ,  
22 and the increase in this proportion reflects th e  
23 increase in the number of individuals who have n o  
24 disability, i.e., improvement in functional status .  
25 In the pooled data, there are statistically

1 significant differences at one hour and at all points  
2 thereafter.

3 And, if you look at the individual  
4 studies, once again, the pattern of results from study  
5 to study is quite consistent.

6 This is looking at the proportion of  
7 subjects without photophobia. The Y intercept here  
8 indicates that the overwhelming majority of subjects  
9 had photophobia at baseline, and what you see is that  
10 at one hour and all time points thereafter there was  
11 statistically significant improvement in this endpoint  
12 as well. This slide demonstrates, again, that results  
13 were consistent from study to study.

14 Looking at phonophobia, the results are  
15 similar, once again, that statistics there indicate  
16 that there were statistically significant baseline  
17 differences in phonophobia. The test statistics were  
18 done running an ANCOVA, which took into account those  
19 baseline differences so the statistical results are  
20 adjusted for this difference, and, again, there were  
21 significant differences at all time points, and the  
22 effect size is substantial. And, again, examining  
23 phonophobia, the results were quite consistent from  
24 study to study.

25 When you look at nausea, the relatively

1 high Y intercept here reflects the fact that 4 0  
2 percent of patients had no nausea at baseline. Th e  
3 reason for that, I believe, is that we exclude d  
4 patients who usually vomit and so nausea in this grou p  
5 may have been less severe than it would be in a  
6 typical prescription migraine trial. In terms o f  
7 improvement in nausea, statistically significan t  
8 differences emerged at two hours, and are present at  
9 all time points thereafter, but no differences wer e  
10 seen at early time points.

11 And, when we look at the pooled data ,  
12 again, the results are relatively consistent fro m  
13 study to study, though in the population trial s  
14 statistically significant differences didn't emerg e  
15 until three hours.

16 We did a number of subgroup analyses t o  
17 assess the robustness of these results, and also t o  
18 get a handle on whether there were subgroups for whom  
19 this treatment was not effective. The results I' m  
20 going to show you are based on the responder endpoint ,  
21 and we examined gender, race, age, usual method o f  
22 treatment, presence of menstruation at the time o f  
23 baseline treatment, and migraine type.

24 The diary included a question which wa s  
25 simply, you know, do you have your period today, that

1 was asked of people who treated their attack, and if  
2 the woman said yes we considered the headache to be a  
3 menstrually-associated headache.

4 Looking at these subgroup analyses, the  
5 pooled data represents what I hope is now familiar to  
6 you, the 59 percent responder rate at two hours. When  
7 we look at females versus males, there are differences  
8 between active drug and placebo in both gender groups,  
9 and those differences are highly statistically  
10 significant, and the magnitude of effect looks  
11 approximately comparable as well. Looking at  
12 Caucasians and African Americans, the effect is  
13 statistically significant in Caucasians, in African  
14 Americans this difference is not statistically  
15 significant, though the patient group, as you can see,  
16 was relatively small, only 69 patients received active  
17 drug in the pooled data, and the magnitude of the  
18 effect was approximately comparable to other racial  
19 groups.

20 When you look at the three age strata that  
21 we examined, results were statistically significant in  
22 all three age strata.

23 Again, looking at the pooled data, and now  
24 comparing it by treatment status, of those who treated  
25 with over-the-counter medications only there was a

1 statistically significant benefit, of those wh o  
2 treated with any prescription drug, that is ,  
3 prescription drug alone or in combination with OTC ,  
4 again, there was a statistically significant treatmen t  
5 effect.

6 It's interesting to note that there was a  
7 14 percent drop in the placebo response rate in th e  
8 group that used prescription drugs, so that th e  
9 magnitude of the effect size here, the differenc e  
10 between active drug and placebo, is actuall y  
11 impressive.

12 In the group that had menstruation a t  
13 baseline, again, treatment was effective, treatmen t  
14 was effective in people who had migraine without aura ,  
15 as well as individuals who had migraine with aura, and  
16 the one place where I think you see a significan t  
17 diminution in treatment in a subgroup is for th e  
18 migraine with aura group, although the study wasn' t  
19 designed to make this contrast the difference between  
20 the without aura group and the with aura group i s  
21 significant, even though treatment was beneficial in  
22 both groups.

23 Moving on to a summary of adverse events  
24 in the study, overall I think you'll see that the ARE  
25 profile here is strikingly similar to what Docto r



1 Hoffman showed you for the tension-type headach e  
2 studies, in that the side effects in the study wer e  
3 generally mild and self-limite d. Eighteen percent of  
4 Excedrin treated patients and 10.8 percent of placebo  
5 treated patients had one or more adverse experiences  
6 that was a statistically significant difference, and  
7 when we looked at AEs that the study investigato r  
8 judged to be possibly or probably drug attributable,  
9 again, there was statistically significan t  
10 differences. There were no serious advers e  
11 experiences in the entire clinical trials program ,  
12 either for the Excedrin treated patients or th e  
13 placebo treated patients.

14 When we examined AEs by body s ystem, when  
15 the ARE occurred in more than one percent of th e  
16 sample we see that there were -- that 1.6 percent of  
17 the sample had cardiovascular AEs, that five of those  
18 events were tachycardia, again , tachycardia is a well  
19 known side effect of preparations of this kind, and in  
20 every case the tachycardia was of short duration ,  
21 self-limited and did not require specific therapy.

22 There were digestive system symptom s  
23 associated with use of active drug. Nausea was more  
24 common in individuals who received active drug, an d  
25 the proportion of people who had nausea as an ARE is

1 actually similar to what you saw for the tension-type  
2 headache study.

3 It sometimes causes questions about  
4 nausea, you know, nausea as an ARE, in a condition  
5 which is defined in part by the presence of nausea  
6 sometimes raises questions for people. The nausea  
7 here was treatment emergent nausea, or an exacerbation  
8 of nausea following treatment, and, you know, I think  
9 it's a medication side effect.

10 Abdominal pain did not differ in the two  
11 groups. The incidence of vomiting did differ. It's  
12 interesting to note that there was actually more  
13 vomiting in placebo treated patients. It may be that  
14 vomiting is a manifestation of ongoing attack, and  
15 that the higher prevalence of vomiting in placebo  
16 treated patients reflects the absence of the treatment  
17 effect in the placebo treated group.

18 Dizziness and nervousness were more common  
19 in patients treated with Excedrin than in those  
20 treated with placebo.

21 Well, to summarize the results of the  
22 clinical program then, first focusing on the study  
23 population, it's my belief that the methods we used  
24 allowed us to successfully recruit a wide spectrum of  
25 subjects with migraine headache, for whom an OTC

1 analgesic were appropriate.

2 Certainly, the vast majority of subjects  
3 enrolled in the study used OTC medications. Th e  
4 enrolled subjects clearly had a diagnosis of migraine  
5 confirmed by IHS criteria, and further, the treat e d  
6 attacks were migraine.

7 The majority of subjects were alread y  
8 treating their migraine headaches with OTC analgesics ,  
9 65 percent with OTCs alone, and 21 percent with OTCs  
10 in combination with prescription drugs.

11 In terms of efficacy, Excedrin ES was an  
12 effective treatment for the relief of migrain e  
13 headache pain. There were significant differences ,  
14 both on the responder endpoints and the Pain Intensit y  
15 Difference endpoint, not only at two hours, which was  
16 the time point we designated a s our primary endpoint,  
17 but at all time points from one hour to six hours.

18 In addition to that, Excedrin wa s  
19 effective in improving the symptoms associated wit h  
20 migraine, including functional disability, nausea ,  
21 photophobia and phonophobia.

22 In terms of safety in the cont ext of this  
23 clinical program, Excedrin was safe and wel l  
24 tolerated. There were no seri ous adverse experiences  
25 reported at all. The adverse experiences that wer e

1 reported were mild, self-limited, and similar to both  
2 prior clinical trials and the sorts of symptoms that  
3 occurred in the post-marketing surveillance database.

4 So, in summary then, I think these three  
5 studies provided consistent evidence of the safety and  
6 efficacy of Excedrin in the treatment of migraine  
7 headache pain.

8 I'd now like to introduce Sion Boney, who  
9 is President of Bristol-Myers Products. He's going to  
10 discuss with you the label comprehension study, and  
11 some educational programs.

12 MR. BONEY: Thank you, Richard.

13 Good morning. We are obviously very  
14 excited by the results of the clinical trials which  
15 Doctor Lipton has just presented to you, affirming  
16 Excedrin's safety and efficacy in migraine headaches.

17 We believe Excedrin can play a very  
18 important role in the lives of many of the 23 million  
19 Americans who suffer from migraine headaches, and who  
20 often turn to OTC pain relievers as an initial line of  
21 relief.

22 Since what we are proposing to you today  
23 amounts to a specific change on the label of Excedrin,  
24 to include now the relief of migraine headache pain in  
25 addition to the headache indication that is already on

1 the label, and a corresponding warning about when to  
2 see a doctor if pain is particularly severe, since  
3 that is what we are proposing, the Agency has asked us  
4 to study consumers' ability to understand this label  
5 change by measuring their comprehension of key label  
6 messages on the new label, as well as on our existing  
7 label, to make sure that in the absolute there's a  
8 high level of understanding and that the understandin g  
9 is as effective in the new label as with the current  
10 label.

11 So, that's why we did the label  
12 comprehension study. I'll present in about five  
13 minutes a summary of that study to you, and then I'd  
14 like to spend just a few minutes after that talkin g  
15 about our headache education programs directed a t  
16 consumers and professionals which we have conducte d  
17 for many years, which we're very proud of.

18 The objective of the label comprehension  
19 program and study was to ensure that the new us e  
20 warning is effectively communicated, that you should  
21 see your doctor if pain is so severe that you require  
22 bedrest, and secondarily, to ensure that the othe r  
23 warnings on the current label of Excedrin are no t  
24 diminished when you add the migraine indication an d  
25 the new warning.

1           This I know is difficult to see, but I  
2           just wanted to show you, this is the current label of  
3           Excedrin. This is the label that would change with  
4           the migraine indication, and the way we tested it was  
5           in the drug facts format, which as you know is part of  
6           the new FDA regulations that we'll be moving to, and  
7           we support that format. So, we tested the current  
8           label and drug facts. This is the indications  
9           section, and the only change is the addition of -- you  
10          see that the uses currently are for temporary relief  
11          of pain associated with headache and the other  
12          symptoms, and it changes to for temporary relief of  
13          pain associated with headache, including migraine  
14          headache. The change in the warning is, this is the  
15          section of the warning that exists on the current  
16          label, and on the test label we've added for headache,  
17          including migraine headache, that's accompanied by  
18          vomiting, you should see your doctor before you use  
19          it, and for headache, including migraine headache, is  
20          so severe that you require bedrest, that you should  
21          see your doctor before using the product, if that is  
22          your type of pain.

23                 In addition, we wanted to ensure that the  
24          current warnings on Excedrin are not diminished when  
25          you add this new indication, and that, again, is to

1 see your doctor if your headache, including migraine,  
2 is accompanied by vomiting, to ask your doctor after  
3 use if the symptoms, including headache pain, continue  
4 or worsen, or if new or unexpected symptoms occur.

5 The methodology was, we did the study  
6 among migraine headache sufferers. These were self-  
7 reported sufferers of migraine over the past five  
8 years. Forty percent of those in the study had  
9 actually been diagnosed by a physician with migraine  
10 during this time. These were done in malls, in 32  
11 locations dispersed across the country. There were  
12 906 subjects, 748 random stratified by age and gender,  
13 and we added 158 supplemental subjects who were high  
14 school non-graduates. This is typically the sensitive  
15 area when you are measuring label comprehension, is by  
16 education. We wanted to supplement with a robust  
17 sample of non-high school grads to ensure that the  
18 communication was consistent regardless of education  
19 level.

20 Five hundred and 76 saw the test label,  
21 340 saw the control. This was an open label study,  
22 meaning that just as in real life the person had the  
23 label in front of them when the questions were being  
24 asked of them, and open-ended, as well as closed-ended  
25 questions were asked. Open-ended questions were when

1 the interviewer asked a question and records whatever  
2 response is given unprompted, and closed-ended  
3 questions, which are always asked afterwards, were  
4 multiple choice in nature.

5 And so, to the results. First off, the  
6 new use warning is effectively communicated. The  
7 question, if your headache or migraine headache is so  
8 severe that you require bedrest, what do you do? The  
9 correct answer is to ask a doctor before use, and as  
10 you can see, whether on an open-ended basis or a  
11 closed-ended basis the scores were quite high in the  
12 absolute, which was the desirable outcome. Almost  
13 nine out of ten subjects clearly understood to see a  
14 doctor if their pain was so severe, and that was what  
15 we would have hoped for.

16 This result was true whether you were  
17 looking at high school grads or high school non-grads,  
18 same question, very high levels of comprehension in  
19 the absolute to this important warning.

20 Now, looking at the current headache  
21 warnings, which we want to make sure were not  
22 diminished, if a headache or migraine headache is  
23 accompanied by vomiting, what do you do? Again, the  
24 correct answer is to ask a doctor before use, and here  
25 you see, both on an open-ended basis and a closed -



1 ended basis the scores also were quite high, and the  
2 desired outcome here is to attain a high score in the  
3 absolute, as well as to ensure that the test label ,  
4 the new label, communicates as effectively as the  
5 current label. And, as you can see, that was achieved ,  
6 and that is true whether you are looking at high h  
7 school graduates or high school non-graduates, high h  
8 levels of comprehension, no difference.

9 The other warnings, if the symptoms s  
10 continue or worsen, or if new or unexpected symptoms  
11 occur, the correct answer is, ask a doctor after use,  
12 and regardless of open-end or closed-end for both of  
13 these questions, symptoms continue or worsen, or new  
14 or unexpected symptoms occur, again, very high levels  
15 of communication on this, both in the absolute and for  
16 the new label versus the current label, and this ,  
17 again, was true whether you are looking at high school l  
18 grads or high school non-grads, high levels o f  
19 absolute comprehension and new versus control.

20 For the purpose of this presentation, in  
21 the interest of time, we have shown you just the data  
22 for the total population, as well as broken out by  
23 education level. We have lots of data broken out by  
24 other sub-populations, by race and gender, income e  
25 levels and so forth. We'd be happy to share any o f

1 this information with you. I can tell you that when  
2 you look across all the subgroups there are n o  
3 important differences in communication among any o f  
4 them, it is consistently high across all the differen t  
5 sub-populations.

6 So, in conclusion, the new use warning is  
7 effectively communicated. The addition of th e  
8 migraine headache indication and the new use warning  
9 does not diminish the communication or th e  
10 understanding of the other warnings that are already  
11 on the label, and comprehension is consistently high  
12 across key demographic groups and education levels.

13 I'd now like to turn just a mi nute to our  
14 education programs. Two points I'd like to make here .  
15 We've been doing these for many years, usually in a  
16 situation like this someone in my position would b e  
17 promising you a lot of education programs that w e  
18 would do if you approve the ne w drug or indication we  
19 were seeking. In this case, these are programs w e  
20 have been running for several years. We hav e  
21 escalated our commitment to them year in and year out ,  
22 and we will continue these programs and continue t o  
23 increase our commitment to them, regardless of whethe r  
24 we get this new indication or not.

25 The other point is that there are a lot o f

1 people who are interested in these programs and the  
2 education they get from them. We have enrolled over  
3 1.6 million consumers into our headache education  
4 program, which we call the Headache Resource Center,  
5 we've enrolled over 45,000 health care professionals,  
6 including over 35,000 physicians, so there is a high  
7 level of interest. We continue to enroll both  
8 consumers and health care professionals into this  
9 program at a rate of over 3,000 calls on average per  
10 week.

11 There are many messages that we  
12 communicate here. Some of the key ones are, the  
13 lifestyle factors affecting headache, we encourage  
14 people to keep a headache diary, to find out what  
15 triggers their headaches, be it certain types of  
16 foods, or allergies, or environmental stresses, lack  
17 of exercise, these sorts of things. We help people to  
18 understand their triggers, to help them prevent future  
19 headaches. We teach them various approaches to  
20 treating headache, starting first with non-  
21 pharmacologic approaches, and if those don't work  
22 pharmacologic approaches. If those are used, the  
23 appropriate dosing of OTC analgesics, all OTC  
24 analgesics, not just our's, when to seek professional  
25 consultation and additional information sources that

1 they can go to.

2 This is a sort of map of all the differen t  
3 programs that are available, which we believ e  
4 encourages safe and effective use of all OT C  
5 medications for headache. The consumer programs are  
6 available to them through adve rtising and other forms  
7 of media. In fact, I noticed in this week's People  
8 magazine we have a full page ad about our Headach e  
9 Resource Center and inviting p eople to enroll and get  
10 educational information about how to diminish thei r  
11 headaches.

12 We send a lot of materials directly t o  
13 people's homes. We have a quarterly newsletter to th e  
14 people who enroll in the program. We send the m  
15 workbooks, headache management pamphlets, samples .  
16 These materials are also available in the store, a s  
17 well as interactive. We have a web site, an 80 0  
18 number, and so forth.

19 As well to professionals, we have man y  
20 different outreach programs, continuing medica l  
21 education, newsletters, sympos ia, et cetera. We have  
22 a popular Allied Health Program, particularly focusin g  
23 on workplace wellness. You heard a lot of comment s  
24 this morning about the problem with headaches an d  
25 migraine headaches in the workplace, and we have a

1 popular program which helps co mpanies work with their  
2 employees to minimize the occu rrence of headaches and  
3 their debilitating nature, which several companie s  
4 have asked us to present to them.

5 We have a managed care program fo r  
6 patients and professionals, pr ograms for pharmacists,  
7 many different materials which physicians can hand ou t  
8 to their patient, a wide range.

9 These are just some examples of some o f  
10 the brochures and newsletters, videos which we mak e  
11 available to encourage safe and effective use of OTC  
12 medicines. We have included in your briefing boo k  
13 which you received from us some examples of th e  
14 educat ion materials, and when we get the labelin g  
15 comments that you have towards the end of the meeting  
16 we would be very happy if you have any comments t o  
17 make about any of the educational materials or an y  
18 suggestions for how to make them better, we woul d  
19 certainly appreciate.

20 These are just examples of some of th e  
21 materials that are made available to professionals an d  
22 some of the ways in which they can receive thes e  
23 materials.

24 So, in conclusion, as I said, this is an  
25 important education program which we've been doing fo r

1 several years. We will continue to do it at a ver y  
2 high level, regardless of whet her we get the approval  
3 we are seeking. The educational programs will b e  
4 expanded to further reinforce physician consultation  
5 when appropriate, to improve the management o f  
6 headache pain, including the migraine headache pain,  
7 by consumers and health care professionals, and t o  
8 continue to address specific information needs o f  
9 headache pain sufferers, including migraine sufferers .

10 Thank you very much for your attention .  
11 I'd now like to ask Doctor Hoffman to come back. We  
12 will do a brief conclusion, and then we'd be delighte d  
13 to take any questions that you have.

14 Thank you.

15 DOCTOR HOFFMAN: Thank you.

16 I would now like to summarize the finding s  
17 of our program and address the FDA questions.

18 Again, just to review, the requeste d  
19 regulatory action is for the O TC Excedrin ES. Please  
20 recall that this is not an Rx to OTC switch, and this  
21 is just an additional indication for Excedrin. And,  
22 specifically, it's to change the OTC headach e  
23 indication from temporary relief of the pai n  
24 associated with headache to temporary relief of th e  
25 pain associated with headache, including migrain e

1 headache pain.

2 The FDA questions:

3 1. Is the pain of migraine an appropriate OTC  
4 indication?

5 2. Has the applicant provided adequate  
6 evidence to support the effectiveness of Excedrin in  
7 an OTC migraine population?

8 3. Has the applicant provided adequate  
9 information to support the safe use in an OTC migraine  
10 population, and provide other labeling  
11 recommendations?

12 The pain of migraine is an appropriate OTC  
13 indication. We discussed this during our  
14 presentation. Headache pain is a long-established OTC  
15 indication, headache pain including migraine headache  
16 pain is symptomatic, self-recognized, acute and self-  
17 limited, and episodic, and certainly appropriate as a  
18 OTC indication.

19 Many migraine sufferers already use OTC  
20 analgesics. As we've mentioned in a number of studies  
21 and surveys, greater than 60 percent use OTC  
22 analgesics.

23 Specifically, OTC Excedrin is a  
24 appropriate treatment option for the consumer with  
25 migraine headache pain. It's effective for the pain

1 of both migraine and tension-type headaches at th e  
2 same dose, so there's no need for a consumer t o  
3 differentiate the headache type. OTC Excedrin ha s  
4 been indicated and used by consumers for relief o f  
5 headache pain for greater than 19 years. The current  
6 labeling, which has been there for a long time ,  
7 successfully communicates key safety and usag e  
8 messages to consumers, and the new labeling that w e  
9 will add will strengthen these warnings.

10 The clinical studies support th e  
11 effectiveness of Excedrin in the OTC migrain e  
12 population. The three clinical trials, 840, 841 and  
13 842, each demonstrated the effectiveness of Excedrin  
14 in the relief of migraine headache pain, as I said, i n  
15 each of the studies, as well as the pooled data.

16 On this slide now, there is the poole d  
17 data for the two prospectively defined primar y  
18 endpoints, and I'm showing you data from the 1/2 hour  
19 point to six hours, with the t wo hour point being the  
20 primary time point. But, as you can see, very robust ,  
21 clear treatment effect for the Excedrin in thi s  
22 population.

23 Of note, this information has bee n  
24 submitted to the -- accepted by the Archives o f  
25 Neurology, and is currently in press.



1           Excedrin was also effective in improving  
2           the symptoms associated with migraine headache in each  
3           study and the pooled data. Doctor Lipton showed you  
4           data on photophobia, phonophobia, nausea, disability,  
5           that was also very positive.

6           We studied a broad spectrum of subjects  
7           for whom OTC analgesics are appropriate. Again,  
8           Doctor Lipton mentioned the recruitment techniques  
9           used and the population recruiting, which helped  
10          enable a group of OTC patients, regardless of  
11          physician consulting status.

12          Additionally, as we said, in any way we  
13          looked at the data, clearly greater than 60 percent of  
14          these patients were using OTC analgesics.

15          Additionally, subjects were IHS diagnosed  
16          migraine sufferers, and the treated headache was a  
17          migraine, again, an important consideration by the FDA  
18          at the start of the program.

19          Clinical studies and post-marketing  
20          surveillance support the safety of Excedrin ES in an  
21          OTC migraine population. We showed you data on the  
22          tension headache model, in our post-marketing  
23          surveillance, as well as in the migraine program.

24          Adverse experiences were mild, self-  
25          limited and similar to that expected from these single

1 components, the Aspirin, caffeine and acetaminophen,  
2 and overall had an excellent profile.

3 With regard to revised labeling, warnings  
4 were strengthened to direct consumers to see a doctor  
5 before use if the headache is accompanied by vomiting  
6 or so severe as to require bedrest. The results of  
7 the label development program demonstrated  
8 comprehension of all major warnings in the test label,  
9 and that the addition of migraine headache pain to the  
10 indication section did not diminish understanding of  
11 the existing warnings.

12 The education program, Mr. Boney has  
13 spoken about this and Bristol-Myers has an ongoing OTC  
14 comprehensive educational program for headache  
15 sufferers, and the headache treated medical community  
16 has already reach 1.6 million consumers and over  
17 46,000 professionals, health care professionals.

18 Bristol-Myers will continue to expand this  
19 program by adopting more programs, to include  
20 responsible treatment of migraine headache pain in an  
21 OTC setting.

22 Finally, conclusion, pain of migraine is  
23 an appropriate OTC indication. Studies 840, 841 and  
24 842 demonstrate the effectiveness of Excedrin in a  
25 population likely to use OTC analgesics to treat

1 migraine headache pain. Clinical studies and post -  
2 marketing surveillance support the safety of Excedrin  
3 as an OTC product. The labeling and educationa l  
4 programs successfully communicate key safety and usag e  
5 messages to consumers.

6 You've heard a number of messages fro m  
7 consumer groups and headache interested consumer s  
8 early in this presentation that underscored th e  
9 importance of treating migraine headache pain an d  
10 providing an option to consumers.

11 Finally, approval of OTC Excedrin ES for  
12 the relief of migraine headache pain would provid e  
13 consumers with a safe, proven effective, widel y  
14 accessible and inexpensive treatment option wit h  
15 comprehensive labeling.

16 Thank you.

17 I'd like to ask Doctor Lipton to join me  
18 for some --

19 CHAIRMAN D'AGOSTINO: What I t hink I will  
20 do, actually, thank you very much for th e  
21 presentation, but this might be a good time for a  
22 break. Let's take a 15-minute break, and please b e  
23 back at 10:45, and we'll begin immediately at 10:4 5  
24 with the questions.

25 (Whereupon, at 10:39 a.m., a r ecess until

1 10:55 a.m.)

2 CHAIRMAN D'AGOSTINO: We've heard th e  
3 presentation from Bristol-Myers, quite complet e  
4 presentation discussing the issue of the OT C  
5 population, the clinical trials, and the labe l  
6 comprehension studies. Now, I'd like to invite th e  
7 committee members, excuse me, I'd like to invite the  
8 committee members to ask questions.

9 Sid, do you want to begin that?

10 DOCTOR GILMAN: Yes. I have two question s  
11 for the sponsor. The first concerns the thre e  
12 studies, the second concerning the labeling of th e  
13 product. Perhaps, I'll just ask one at a time.

14 First, with respect to the thr ee studies.  
15 You mentioned diabetes mellitus and hypertension a s  
16 exclusionary criteria, but I did not see evidence tha t  
17 the patients in these three studies were actuall y  
18 examined with a physical examination or neurological  
19 examination. A particular study, 840, where you did  
20 an epidemiologic study, you did ascertain tha t  
21 patients had a history of headaches that would sugges t  
22 migraine, but did you actually have a physica l  
23 examination to ascertain the l evel of blood pressure,  
24 look for papilledema, and do the rest of th e  
25 neurological exam to be sure you were not dealing wit h

1 secondary headache?

2 DOCTOR LIPTON: Yes, I apologize for not  
3 making that clear. The patients in 840, or 84 0  
4 patients were recruited using the population methods.  
5 In the other studies, 20 perce nt were recruited using  
6 population methods, but once patients arrived in the  
7 clinic every patient had a history taken by a  
8 physician, who did a semi-structured interview, an d  
9 every patient had a complete general medical an d  
10 neurologic examination. And, I'm sorry if I didn' t  
11 make that clear.

12 DOCTOR GILMAN: Well thanks, I didn't see  
13 it in the briefing book either, but I'm glad to know  
14 that.

15 Second question is, as we heard, and I  
16 think most of us know, people with migraine headaches  
17 frequently self-medicate with over-the-counte r  
18 medications, often they will take medication ever y  
19 day, whether or not they have pain, and a well-known  
20 consequence of this kind of behavior is analgesia -  
21 induced chronic daily headaches. There's a larg e  
22 literature about this phenomenon.

23 The sponsor mentions in the labelin g  
24 material, do not use for pain of more than ten days,  
25 unless directed by a doctor. Knowing that patients

1 with migraine and other kinds of headaches commonl y  
2 treat daily, even when they don't have any headache,  
3 have you considered adding an additional warning ,  
4 something to the effect that prolonged use of thi s  
5 product can lead to chronic daily headache?

6 DOCTOR LIPTON: You know, it's certainly  
7 true in clinic-based samples in headaches of specialt y  
8 practice, for example, that a high proportion of the  
9 patients treat headaches every day. For migrain e  
10 sufferers, in particular, in the community that' s  
11 actually not a very common pattern at all.

12 I certainly agree with your point tha t  
13 medication over use needs to be limited, and tha t  
14 medication over use is an issue. You know, my initia l  
15 thought would be that the advi ce on the label, not to  
16 use the medication for more than ten days withou t  
17 physician advice, would be sufficient, but --

18 DOCTOR GILMAN: Well, it says for pai n  
19 more than ten days, it doesn't say, don't use it more  
20 than ten days.

21 DOCTOR LIPTON: I see, so your concern is  
22 that people are simply taking the medication on a  
23 daily basis for absolutely no reason at all.

24 DOCTOR GILMAN: That's frequently th e  
25 case, yes. It's well known, well known among headach e

1 sufferers.

2 DOCTOR LIPTON: Yes, and I guess my  
3 comment would be that I'm not sure that's a common  
4 phenomenon, I think it's not a common phenomenon, but  
5 to the extent that it is an issue, it's an issue for  
6 all OTC analgesics, independent of the addition of  
7 migraine to the label. So, I guess, to the extent  
8 that that's a serious concern, I think it's a generic  
9 concern for all OTC analgesics that should be looked  
10 at.

11 CHAIRMAN D'AGOSTINO: Yes.

12 DOCTOR ZIVIN: In your trials, you  
13 mentioned that you thought it was unethical to treat  
14 patients with severe headache problems, and so these  
15 patients who had severe migraines were excluded from  
16 the protocol. Nevertheless, the label that I saw does  
17 not indicate that you have warned the patients that  
18 for severe headaches that this drug is likely to be  
19 ineffective, or at least was not tested. Can you tell  
20 me a little bit about how you plan to deal with that?

21 DOCTOR LIPTON: Yes, you know, one issue  
22 is what's meant by severe, and, you know, when people  
23 talk about severe migraine they mean one of three  
24 different things. Sometimes they mean severe pain,  
25 and patients with severe pain clearly were treated in

1 the trial, and, in fact, a third of treated attack s  
2 had a baseline intensity that was rated severe across  
3 the entire program. So, in terms of efficacy fo r  
4 severe pain, I think the program demonstrates it.

5 A second thing people sometime s mean when  
6 they talk about severe migrain e is disability, and in  
7 the context of this program the exclusion was fo r  
8 people who usually required be drest, who were usually  
9 so disabled that they required bedrest, and thos e  
10 people were not included in the clinical trial, an d  
11 the label advised individuals who usually requir e  
12 bedrest to not take medication without the advice of  
13 a physician. So, there's a co mpatibility between the  
14 inclusion/exclusion criteria of the study and what's  
15 on the label.

16 The third thing people mean by sever e  
17 sometimes is treatment refractoriness. They mean, yo u  
18 know, I get these migraines, a nd no medication works.  
19 In the context of this particular clinical trial s  
20 program, there was no exclusion based on medicatio n  
21 response at all, just an exclusion based on usuall y  
22 requiring bedrest or vomiting more than 20 percent of  
23 the time.

24 DOCTOR HOFFMAN: Perhaps, if you'd like,  
25 we could show you some of the data on the more severe



1 patients as well.

2 CHAIRMAN D'AGOSTINO: Would you like it?  
3 Why don't we move on. David, do you have a question?

4 DOCTOR DRACHMAN: Yes. You haven't done  
5 the study, but have you looked at the data regarding  
6 ergot or the triptans, and how much more or less  
7 effective Excedrin is vis-à-vis those medicines.

8 DOCTOR LIPTON: Yes, you know, as you  
9 said, this wasn't a comparative trial, so, I mean,  
10 there's no direct way of commenting.

11 In the 12 percent of patients who took  
12 rescue medication, about 30 rescued with Sumatriptan,  
13 and I believe approximately another 30 rescued with  
14 ergots, but, you know, there's no direct comparative  
15 data.

16 You know, I also want to comment that,  
17 because we excluded the most disabled headache  
18 sufferers from this trial, the patient population  
19 included in this study, or these studies, isn't really  
20 comparable to the patient population enrolled in the  
21 Sumatriptan trials. The actual magnitude of the  
22 treatment effect, the difference between active drug  
23 and the placebo, is similar to Sumatriptan, but I  
24 wouldn't want to make an inference about that because  
25 of the difference in patient populations.

1 DOCTOR DRACHMAN: The other issue is that  
2 these people were all known to be migraineurs. Now,  
3 undoubtedly, a lot of the people out there regard  
4 migraine as being a very bad headache, and one of the  
5 things we might worry about is that people with  
6 ruptured aneurism, subdural hematomas, meningitis,  
7 brain tumors, and so on may figure, well now I've got  
8 a real migraine, maybe I'd better try this drug, which  
9 has that as one of the indications.

10 I sort of wondered whether a number of  
11 other warnings might not be included in your labeling.  
12 For example, headaches that are new, that begin after  
13 the age of 40, would be one that one would think  
14 about. Headaches associated with a stiff neck,  
15 headaches that awaken one from sleep or are worse  
16 lying down, and headaches that begin following a head  
17 injury, now that gets to be sort of a medical text,  
18 but one wonders whether you need not include some of  
19 those warnings with the drug.

20 DOCTOR LIPTON: Yes. Certainly, it is an  
21 undesirable outcome of people with secondary headache  
22 disorders, treat with an over-the-counter medication,  
23 and so delay seeking care.

24 I would point out that headache has been  
25 an OTC indication, you know, for many decades, so to

1 a great extent the problem exists already, whether or  
2 not migraine is added to the label, and my view would  
3 be that the incremental risk of adding migraine to the  
4 label is low.

5 You know, if you are saying that -- you  
6 know, and there are, essentially, two ways of dealing  
7 with the issue you raise. One is through public  
8 education, and Mr. Boney has shown you educational  
9 materials that actually contains all of the warnings  
10 that you mentioned and some other ones that you didn't  
11 mention. So, one strategy is through public  
12 education. The second strategy is to actually have  
13 the warnings on the box, and what would be the most  
14 effective method for communicating those messages, you  
15 know, I'm not sure, but I don't think the issue that you  
16 raise is an issue pertinent to OTC headache in  
17 general, and not just OTC migraine.

18 DOCTOR DRACHMAN: Well, yes, but you know  
19 that a year doesn't go by that we don't see several of  
20 these in our emergency room, people with sub - -  
21 hemorrhage who have treated it with Aspirin, that's a  
22 really dangerous way of treating it. So that, one  
23 wonders whether simply by using the word migraine,  
24 which to the lay public may mean a really bad  
25 headache, you are inviting that a little bit more.

1 DOCTOR HOFFMAN: I think you bring up a  
2 very good point, and both Mr. Boney and Richard have  
3 mentioned some of the educational information that's  
4 already ongoing, and actually pretty much mimics a lot  
5 of the things you just said, and I think it's  
6 something that we need to talk about as we get to  
7 labeling and see the relative merit of that compared  
8 to the current labeling.

9 CHAIRMAN D'AGOSTINO: We will have  
10 labeling recommendations, I think that's going to be  
11 quite important.

12 Doctor Brass and then Doctor Diamond.

13 DOCTOR BRASS: I have a couple --

14 DOCTOR DIAMOND: Can I just answer Doctor  
15 Drachman for a minute, please?

16 CHAIRMAN D'AGOSTINO: Please, do, yes.

17 DOCTOR DIAMOND: I don't mean to  
18 interrupt, I'm sorry.

19 CHAIRMAN D'AGOSTINO: No, please do.

20 DOCTOR DIAMOND: Okay.

21 There was a very interesting study about  
22 your first question that was done in Europe by Doctor  
23 Tfelt-Hansen, and he did this study on 421 people.  
24 It's comparable, he used lysine acetylsalicylic acid  
25 and metoclopramide and comparing it with Sumatriptan,

1 and had very effective results with the combination.

2 So, I just want to answer your first question.

3 I've got my own questions to ask Doctor  
4 Lipton and the group, but I just wanted to give you  
5 that information. If you want, I'll be glad to give  
6 you the article, I brought it with me because it  
7 was interesting.

8 CHAIRMAN D'AGOSTINO: Very good.

9 Doctor Brass will ask a question, and then  
10 Doctor Diamond.

11 DOCTOR BRASS: I have one question about  
12 the study, and then a few questions about the OT C  
13 appropriateness.

14 The study question has to do with rescue  
15 medications, and it wasn't clear to me what the  
16 protocol content was for rescue medication, what was  
17 permitted, what was used, and the group differences in  
18 rescue medications.

19 DOCTOR LIPTON: Right, 12 percent -- in  
20 the pooled data, 12 percent of patients treated with  
21 Excedrin, and 28 percent of patients treated with  
22 placebo rescued.

23 We asked patients not to rescue if they  
24 could possibly avoid it prior to the two hour primary  
25 efficacy time point, and, in fact, patients who did

1 rescue prior to that endpoint were excluded from, you  
2 know, the analyses that I showed you.

3 Can I have the back-up slide on rescue  
4 medication, please? This slide actually will  
5 summarize for you by class what rescue medication  
6 people took. And, of course, you know, one issue with  
7 rescue medication is that observation points following  
8 rescue are influenced by the effect of rescue  
9 medication.

10 So, what we are looking at is rescue  
11 medication for OTC products and prescription drugs for  
12 the Excedrin group and the placebo treated group. You  
13 see that the placebo group is much more likely to  
14 rescue, that the majority of patients who rescued,  
15 rescued with over-the-counter products, rather than  
16 prescription drugs, which is compatible with what they  
17 told us, that they usually treat their migraine  
18 headaches with over-the-counter products rather than  
19 prescription drugs. And, OTC analgesics was the  
20 single, most common category, with acetaminophen and  
21 Ibuprofen products being, you know, the most heavily  
22 represented products in that analgesic group.

23 DOCTOR BRASS: Thank you.

24 One of our concerns, one of the issues we  
25 are going to have to deal with is whether patients can

1 self-recognize and self-diagnose this disorder. And,  
2 in that context, I'd be interested in any information  
3 you have on screened failures, particularly people who  
4 presented as saying I have migraine and I'm eligible  
5 for this study, who, in fact, were not for any reason.

6 DOCTOR LIPTON: Okay. First I'd like to  
7 respond to the assumption your question makes, and  
8 then I'd like to respond to your question.

9 In terms of the assumption your question  
10 makes, in my view, you know, migraine being an OTC  
11 indication does not require that consumers are able to  
12 self-diagnose, and my reason for making that statement  
13 is that, you know, currently, people with migraine are  
14 self-treating with over-the-counter medications, and  
15 if someone has migraine and they make a mistake, the  
16 concern is that they have a secondary headache, as  
17 Doctor Drachman suggested. If someone thinks they  
18 have migraine, but they really have tension-type  
19 headache and they take Excedrin, or if they make the  
20 mistake in the other direction, that's a mistake that  
21 does no harm, because if treatment works they are  
22 satisfied, if treatment doesn't work they are directed  
23 to consult their physician.

24 In terms of the accuracy of self-  
25 identified migraine, in this study people were

1 enrolled, not based on self-identifying migraine, but  
2 based on our interviewing them about headache  
3 symptoms, and then assigning an IHS based diagnosis.

4 We have examined, in another study  
5 actually, in the American Migraine Study, the accuracy  
6 of M.D. diagnosis versus IHS diagnosis, and the  
7 accuracy of self-recognition of migraine in the  
8 absence of medical diagnosis.

9 DOCTOR BRASS: No, I understand that, but  
10 I'm specifically interested in the screened failures  
11 in these studies, who the patients, by phone  
12 interview, were thought to be eligible, who on exam  
13 turned out not to be eligible.

14 DOCTOR LIPTON: Okay, yes, I'd be happy to  
15 show you that data. Can I have the back-up slide that  
16 begins with the recruiting interview process?

17 While they are looking for it, though, I  
18 thought your interest was in the accuracy of self-  
19 identified migraine, and in terms of that, you know,  
20 I would suggest, you know, a better data point is  
21 looking at people who said they had migraine but have  
22 never been diagnosed by a doctor, and then the results  
23 of a clinical assessment, and when we've done that we  
24 find that self-identified migraine is relatively  
25 insensitive, the sensitivity is about 40 percent, but



1 the specificity is about 85 percent.

2 And, interestingly, when we compare self-  
3 reported physician diagnosis with IHS diagnosis ,  
4 sensitivity and specificity is about the same.

5 Actually, the slide I'm looking for is the  
6 second of the flow diagrams. I'm sorry that I don't  
7 remember the number.

8 Okay, so this actually summarizes the  
9 population-based recruiting interviews that we did .  
10 It may take us a little longer than it's worth to go  
11 through here, but we completed a total of 50,000 - -  
12 approximately 50,000 screening interviews, w e  
13 identified 4,650 odd subjects who, on preliminar y  
14 first-cut analysis we thought had migraine, of those  
15 1,043 refused further participation, there were 57 9  
16 who moved or whose phones were disconnected, ther e  
17 were 86 we were unable to locate.

18 We then completed recruiting interviews on  
19 2,947 patients, and ended up identifying a stud y  
20 sample of 868 who based on the phone we thought were  
21 eligible for study. Of those, 197 either missed o r  
22 refused to allow us to schedule appointments for them ,  
23 671 actually completed clinic visits, and of those 23 2  
24 screen failed for one reason or another, giving us ou r  
25 enrolled sample of 439 in the population-based study.

1                   And, if you are interested in seeing the  
2 reasons for screen failure, if you could put on slide  
3 237, please.

4                   DOCTOR BRASS: I'd also be interested in  
5 236, as to why the phone interview didn't work.

6                   DOCTOR LIPTON: Okay.

7                   Now, this is a slide that shows the  
8 distribution of recruitment interviews in the 2,947  
9 people who agreed to be interviewed for the study, and  
10 we found them ineligible for low frequency, for  
11 diagnosis in 616 out of the 2,947, because they had  
12 allergic reactions to study medication in 120, because  
13 of health reasons in 220, because of concomitant meds  
14 that were exclusions in 253, and for other reasons,  
15 and of those 509 other reasons the most common were  
16 either that their headaches were severely disabling,  
17 or that they vomited more than 20 percent of the time.

18                   DOCTOR BRASS: Do you know if those 616  
19 patients who were excluded based on diagnosis thought  
20 they had -- stated they had migraines up until that  
21 point?

22                   DOCTOR LIPTON: Well, actually, only -- I  
23 actually don't know the specific number, but it's a  
24 minority of those individuals who were excluded who  
25 believed they had migraine.

1 DOCTOR BRASS: Okay.

2 And, can I ask just a couple very quick  
3 labeling questions. First, I actually agree with  
4 Doctor Gilman's point, that migraine is different,  
5 because it is a chronic condition, where patients may  
6 be viewing the medication in terms of a chronic  
7 condition, whereas, the existing labeling is for  
8 episodic conditions, so I do think the chronic use  
9 issue is a more important one.

10 And, my final comment is, I have serious  
11 concerns about the "label comprehension study"  
12 demonstrating any of the points that it was purported  
13 to demonstrate. If, in fact, the points that you have  
14 added to the label you truly feel are important for  
15 the consumer to understand, then testing their  
16 comprehension of it in a cued, open book exam doesn't  
17 seem to me to be the way to test whether the consumer,  
18 picking up the medication off the shelf, will  
19 understand independently and extract that information.

20 And, I have serious concerns about whether  
21 that test has meaningful parameters.

22 DOCTOR LIPTON: Let me respond first to  
23 the issue of migraine as a chronic disease, or  
24 migraine as an episodic disease. You know, of course,  
25 the most striking manifestations of migraine are the

1 episodic attacks of pain, and, you know, my personal  
2 view is that there's a severe spectrum of migraine  
3 where it's best to conceptualize it as a chronic  
4 disease where the attacks are frequent, where there's  
5 a diminution in quality of life between attacks, but  
6 there's also really a spectrum of migraine where the  
7 attacks are relatively infrequent, they occur one or  
8 several times a month, there aren't disabling  
9 consequences, and the most notable manifestations are  
10 occasional episodic attacks of self-limited pain that  
11 respond to over-the-counter analgesics.

12 So, I guess my view is a little more  
13 complex than your's, though I certainly agree that the  
14 chronic spectrum of migraine exists, you know, that's  
15 I don't think, the spectrum that we're talking about.  
16 The label comprehension may be --

17 MR. BONEY: We simply felt that the most  
18 effective way to do the research was to try to  
19 replicate the real world situation that people are in  
20 when they have questions about the product they are  
21 taking. In the real world, if they have a product  
22 which they are considering using and wondering how to  
23 use it or when to use it, they have the label in front  
24 of them, they consult that label to make a decision as  
25 to whether this is what I should be using now, or

1 should I be doing something else. So, we were simply  
2 trying to replicate as closely as possible what  
3 happens in real life.

4 DOCTOR HOFFMAN: Just one other brief  
5 comment. In terms of the actual labeling requested,  
6 it's for the temporary relief of pain associated with  
7 headache, including migraine headaches. I think your  
8 point is a good one, in terms of the spectrum of  
9 migraine, but we are really talking about that  
10 headache pain.

11 CHAIRMAN D'AGOSTINO: Doctor Diamond, do  
12 you have questions?

13 DOCTOR DIAMOND: Yes, I've got some  
14 questions.

15 Number one, how did you measure your  
16 endpoint, did you use a stop watch, or did you just  
17 ask the patients to time it themselves, or did you use  
18 the Laska method, or what did you use, Richard?

19 DOCTOR LIPTON: Yes, no, there was no --  
20 there was no stop watch, and there was no study clock,  
21 the patients had a diary card, which is actually in  
22 the packet you have in front of you. The time points  
23 for all the assessments were written on top of the  
24 diary card, and the patient was simply trained to  
25 record the assessments at the appropriate time point

1 using a clock that they had at home.

2 DOCTOR DIAMOND: Secondly, do you fee l  
3 that the six hour relief curve that you showed, that  
4 it was due basically to the abortive effect of th e  
5 medicine? These people were having -- you know ,  
6 there's all different degrees of migraine, there are  
7 people that get attacks that last 24 hours, we do kno w  
8 that the non-steroidals can ab ort attacks or cut them  
9 short, and do you feel that the six hours wer e  
10 actually aborted attacks, or d o you think that it was  
11 strictly pain relief that occurred?

12 DOCTOR LIPTON: I don't really have th e  
13 data to make that differentiation. Certainly, for a  
14 number of migraine medications, patients experienc e  
15 pain relief and then develop recurrences subsequently .  
16 That wasn't measured in this study, this study wa s  
17 designed as an acute dosing study.

18 DOCTOR DIAMOND: All right.

19 And, my other concern I go with Docto r  
20 Gilman on, and I'd like to say, you know, the majorit y  
21 of the people that have migraine, and they maybe get  
22 two attacks a month, sometimes you have people wit h  
23 six or eight attacks a month, we who have headach e  
24 clinics, or neurologists who see patients wit h  
25 headache, sometimes see a very skewed population, and

1 we see a great number of people with daily headaches,  
2 the abusers, and all these type of patients.

3 I don't think that we should mix these  
4 type patients with what we are talking about right  
5 now. Doctor Gilman, I appreciate your comments on the  
6 warning, and I think that maybe on the labeling maybe  
7 we should say that if your migraine is over 48 hours,  
8 you should see a physician if your migraine is over 48  
9 hours, because if you are dealing with a migraine that  
10 that's prolonged it's not going to get the benefit  
11 from any continuation of the drug. And, this is my  
12 only objection to the labeling.

13 DOCTOR LIPTON: Yes, I think that's a  
14 well-taken point, and I'm not -- you know, I think the  
15 optimal labeling is something that's going to need to  
16 emerge from discussions, and certainly that's an  
17 important suggestion.

18 You know, just to emphasize the issue that  
19 you raised, which is really an issue of selection  
20 bias, you know, I showed you that most people with  
21 migraine are not currently consulting, but of those  
22 who do consult most people consult in primary care  
23 settings, of consulters ten to 15 percent see  
24 neurologists, only two percent see headache  
25 specialists, so that group of patients who you and I

1 see in our sub-specialty practice does not look like  
2 the headache sufferers in the communities at all. I  
3 mean, I think what you said is really quite important .

4 CHAIRMAN D'AGOSTINO: Any comments over  
5 here?

6 Lynn.

7 DOCTOR MCKINLEY-GRANT: I guess this is in  
8 line a little bit with Doctor Diamond's question, but  
9 I just wanted clarification. Did you look at the  
10 timing of the onset of the headache to when they took  
11 the Excedrin?

12 DOCTOR LIPTON: Yes, the way the study was  
13 designed is that patients were instructed not to take  
14 medication until they had pain that was at least  
15 moderate, and there was also an algorithm on the front  
16 of the diary to make sure that the treated attack was  
17 migraine.

18 The clock started at the time people took  
19 study medication, the time from onset of headache to  
20 taking study medication wasn't measured in this study ,  
21 though in studies that look at earlier treatment the  
22 general pattern is that earlier treatment is even more  
23 effective than waiting until moderate pain with full-  
24 blown migraine symptoms take hold, but that's not  
25 something we measured here.



1 DOCTOR MCKINLEY-GRANT: Okay.

2 Did you have plans to include that in the  
3 education about taking the medicine?

4 DOCTOR LIPTON: That patients should --

5 DOCTOR MCKINLEY-GRANT: That w ho take the  
6 analgesics earlier tend to get a better response, and  
7 it might be the abortive effect of the migraine?

8 DOCTOR HOFFMAN: We have infor mation, you  
9 know, sort of general, very good information abou t  
10 sort of prophylax in terms of headache triggers an d  
11 why people that have migraine, what they should look  
12 out for. I think that's a good suggestion to loo k  
13 into that, that aspect, there may be something in tha t  
14 program already, but make sure that's clear.

15 CHAIRMAN D'AGOSTINO: Mary Anne.

16 MS. KODA-KIMBLE: I wonder if you have an y  
17 data regarding the efficacy of Excedrin ES in migrain e  
18 versus garden variety headache. And, the reason I ask  
19 this question is because, if it's less effective I  
20 would guess that the behavior of the patient would be  
21 to rescue, and you've got a pretty good dose o f  
22 acetaminophen and Aspirin here, and what will the y  
23 rescue with, and what are the risks of rescuing with  
24 a similar medication and over dosing on -- potentiall y  
25 over dosing on Aspiring and/or acetaminophen?

1 DOCTOR LIPTON: Actually, I lost th e  
2 connection between the first h alf of the question and  
3 the second half, I'm sorry.

4 MS. KODA-KIMBLE: The question is, do you  
5 have any evidence -- what is the data regarding th e  
6 efficacy of Excedrin ES in migraine versus garde n  
7 variety headache?

8 DOCTOR LIPTON: Well, yes, I mean, there  
9 are no direct comparative studies, but there have been  
10 well-designed placebo-controlled trials in tension -  
11 type headache which show that Excedrin is a ver y  
12 effective treatment for tension-type headache, an d  
13 those studies were published as a large series b y  
14 Migliardi a couple of years ago. I don't know if we  
15 have back-ups on those or not.

16 But, I mean, there is a compelling body o f  
17 evidence of --

18 MS. KODA-KIMBLE: And, your study did sho w  
19 that there were patients who rescued. I mean, yo u  
20 specifically told them not to rescue, to try not t o  
21 rescue, but the behavior was, is that patients di d  
22 rescue if they didn't respond, correct?

23 DOCTOR LIPTON: Well, yes, you know, firs t  
24 of all, in the context of a clinical trial, of course ,  
25 half the people are getting placebo, so we have t o

1 permit rescue. Twelve percent of the patients treated  
2 with Excedrin took rescue medication. We asked them  
3 to try to wait beyond the two hour assessment point,  
4 because that was the per protocol primary endpoint  
5 time, and almost everyone did.

6 DOCTOR HOFFMAN: Can I just see slide L-6 ,  
7 tension-type headache Pain Intensity Difference, PID  
8 from one to four hours? Okay.

9 This is the tension-type headache studies  
10 that we talked about before, and as you can see there  
11 was efficacy at all those time points.

12 DOCTOR LIPTON: You know, actually, it's  
13 interesting to note that the actual magnitude of the  
14 Pain Intensity Difference at two hours in this model  
15 is quite similar to the magnitude of the Pain  
16 Intensity Difference with migraine, though the placebo  
17 Pain Intensity Difference is higher here, reflecting  
18 the fact that migraine is actually a better pain model  
19 than tension-type headaches.

20 MS. KODA-KIMBLE: I have another question .  
21 You did some epidemiologic studies on  
22 migraineurs, generally?

23 DOCTOR LIPTON: Yes.

24 MS. KODA-KIMBLE: In that particular  
25 study, did you ask about drug taking patterns and

1 behavior in those patients? I know that you said that  
2 they took OTCs, but I'm wondering about Doctor  
3 Gilman's question, how many of them had the habit of  
4 taking over-the-counter medications on a daily basis  
5 or chronically?

6 DOCTOR LIPTON: We actually asked  
7 questions about attack frequency, but we did not ask  
8 questions about frequency of medication taking, so I  
9 don't specifically have data on that point.

10 MS. KODA-KIMBLE: And then, finally, I  
11 wonder whether the company has any data on caffeine  
12 withdrawal headaches, secondary to the chronic use of  
13 Excedrin ES.

14 DOCTOR LIPTON: Well, you know, I mean  
15 there's no question that, you know, caffeine  
16 withdrawal does occur, and there's no question that a  
17 prominent feature of caffeine withdrawal is headache.  
18 I mean, that's probably one of the more common causes  
19 of the so-called weekend headache syndrome, where  
20 people sleep through their morning coffee on Saturday  
21 morning and then awaken with a headache.

22 I'm not aware of any specific data looking  
23 at Excedrin. There are obviously many caffeine  
24 exposures that are a lot more prevalent than Excedrin  
25 in the population.

1 CHAIRMAN D'AGOSTINO: Doctor Luthra.

2 DOCTOR LUTHRA: I wanted to kind of just  
3 follow up on the same theme that we are talking about .  
4 When you say that 2/3s of the patients with migraine  
5 are taking over-the-counter medications for pain  
6 relief, and they are not seeing physicians, to me it  
7 suggests that they are getting adequate relief with  
8 what is available to them. And, it becomes important  
9 to understand what exactly are they using.

10 To sort of come back to the study that you  
11 have done, in the number of patients in the placebo  
12 group did take rescue medicines, did you have any data  
13 on what type of medications, other than the broad  
14 category, you already showed the data that they took  
15 exceeded, but do you know what exceeded did they take and  
16 what percentage of those patients took Excedrin?

17 DOCTOR LIPTON: The data is available in  
18 the OTC group, far and away the most commonly used  
19 exceeded was Ibuprofen, you know, not surprisingly  
20 reflecting patterns of use.

21 There were some patients who took Excedrin  
22 as a back-up medication. I think there were five or  
23 six patients in the study, I can look it up at the  
24 break and give you the information. I don't remember  
25 it precisely.

1 I actually can show you a slide on what  
2 people in the community with migraine are currently  
3 taking for their headaches, based on self-report, if  
4 that's of interest to you.

5 DOCTOR LUTHRA: I think those would be of  
6 interest.

7 DOCTOR LIPTON: Maybe we could find that  
8 back-up slide.

9 You know, the typical way of studying  
10 patterns of medication use is, of course, using IMS or  
11 prescription audits, and that misses OTC use, so the  
12 way we did this was by identifying migraine sufferers,  
13 by interviewing them about their IHS defining  
14 features, and then asking them what they took.

15 DOCTOR HOFFMAN: I think the other point  
16 is, there's readily a lot of use of different OTCs.  
17 We don't know -- whether -- there aren't any well-  
18 controlled programs that evaluate that, and I think  
19 that's a key concern that the patients have, that  
20 therapeutic option.

21 DOCTOR LUTHRA: When your studies were  
22 being designed, was there any consideration given to  
23 having a third arm with another standard analgesic?

24 DOCTOR LIPTON: The most important reason  
25 to do that, I think, would be to demonstrate that the

1 model was sensitive. You know, in the event that we  
2 failed to separate Excedrin from placebo, if we  
3 separated some a triptan from placebo that would  
4 provide us with comfort about the sensitivity of the  
5 model. In this context, I'm not sure that having an  
6 active comparator arm would have added a lot of value,  
7 though comparative studies are now planned now that  
8 there's evidence for efficacy.

9 CHAIRMAN D'AGOSTINO: Doctor Felson and  
10 then Doctor Tong.

11 DOCTOR FELSON: I guess I wanted to sort  
12 of lead in from Harvey's question about use of other  
13 analgesics. It sounds like the other analgesics  
14 people -- OTCs that people are using are GI safer than  
15 what you are proposing, Advil, Tylenol, and I'm  
16 concerned this is a chronic recurrent condition, and  
17 one of the elements of the treatment here is plain  
18 Aspirin, which we know to be among the most dangerous  
19 of non-steroidals in terms of GI side effects.

20 I'm wondering about -- I'm worried about  
21 chronic use of this, and its potential effect on  
22 ulcers and bleeding, and I realize there's a labeling  
23 thing here that says something about that, but I'm  
24 still quite concerned.

25 DOCTOR LIPTON: I mean what you say is

1 true, that the most commonly used -- actually, th e  
2 most commonly used drug for migraine in the Unite d  
3 States is acetaminophen, and various Ibuprofin an d  
4 Aspirin combinations are also widely used. Caffeine  
5 combinations are widely used a s well, it's, you know,  
6 six, seven, eight percent, I don't remember th e  
7 precise number.

8 In terms of -- you know, in terms of the  
9 GI safety --

10 DOCTOR HOFFMAN: Yes, I have one othe r  
11 point, which is, we've got to step back to, obviously ,  
12 Excedrin is an OTC that's been out there for at least  
13 19 years at this formulation, and longer, and has an  
14 excellent safety profile, so I think we have to just  
15 think about this, this isn't a new entity, in terms o f  
16 comfort. Clearly --

17 DOCTOR FELSON: But the truth is --

18 DOCTOR HOFFMAN: -- clearly, there's --

19 DOCTOR FELSON: -- this is a recurren t  
20 chronic condition, as many people have stated, so they  
21 are going to stick the bottle of Excedrin fo r  
22 treatment of their recurrent migraines in thei r  
23 cabinets, and they are going t o continually draw from  
24 that.

25 DOCTOR LIPTON: Let me just make on e



1 comment, and that is that the distribution of attack  
2 frequency as for tension-type headache and migraine e  
3 are actually strikingly similar. So, you know, I' m  
4 not sure -- I'm not sure that in terms of frequency o f  
5 use that migraine poses any incremental risk ove r  
6 tension-type headache in terms of profile. But, you  
7 were going to address GI safety, I think.

8 DOCTOR HOFFMAN: Well, that, too, but ,  
9 again, in these studies there was about two patients  
10 that had 2.4 episodes a month, and the general group  
11 that we are talking about might be even less, so you  
12 remember we are capturing a little more of the severe s  
13 to help in our efficacy trials.

14 DOCTOR LIPTON: Which is more of th e  
15 frequent -- less of the disabled.

16 DOCTOR HOFFMAN: Right. In terms of G I  
17 safety, the data that we've shown you has shown over  
18 the time that we've collected data five GI bleeds tha t  
19 resulted in hospitalization, but no deaths, and ,  
20 again, I think we know about Aspirin, we know abou t  
21 acetaminophen, caffeine, we have a good handle on them  
22 over their OTC use for many years. So, I think w e  
23 have to look at the excellent safety we've seen i n  
24 this condition, and certainly one where there is n o  
25 other approved therapy.

1 DOCTOR FELSON: It's hard to believe that  
2 all of potential GI events were captured by those  
3 reporting, even a small percentage probably. One  
4 might get around this problem by suggesting in the  
5 labeling that people take this medicine with food,  
6 which admittedly may be difficult for some migraine  
7 patients, but that would lower the risk.

8 DOCTOR HOFFMAN: Right now the label talks  
9 about, again, if you've got ulcer disease or if you've  
10 got GI upset, that you should talk to your doctor.  
11 So, there are some of the monograph labels, but those  
12 are great point.

13 CHAIRMAN D'AGOSTINO: Doctor Tong.

14 MR. TONG: In the product information  
15 we've been provided, I see Excedrin Extra Strength  
16 comes tablets, caplets and geltabs. Are you  
17 comfortable with the information you've given us that  
18 it applies to all three forms, or did the study  
19 patients only get the tablets, or did they get all  
20 three?

21 DOCTOR HOFFMAN: There was a biostudy that  
22 linked them. I don't have all the details, but I  
23 could get that for you, but as far as I know a  
24 biostudy was done to link all the information.

25 MR. TONG: Do you feel that there may be

1 differences here that might affect the impact o n  
2 migraine?

3 DOCTOR HOFFMAN: None that --

4 MR. TONG: The rapidity of onset?

5 DOCTOR HOFFMAN: -- not based on ou r  
6 previous biostudies on this product.

7 MR. TONG: In this conversation around th e  
8 table, we've used the word Excedrin, and in the OT C  
9 world we worry about label extensions. We hav e  
10 Excedrin PM. We have Excedrin Aspirin Free, an d  
11 Excedrin Extra Strength, which is the topic of ou r  
12 discussions. How do you approach informing an d  
13 advising patients that these a re different Excedrins?  
14 You have Excedrin Aspirin free related to what Doctor  
15 Felson was asking, we get patients who say, 50 0  
16 milligrams of Tylenol is equal to 250 of acetaminophe n  
17 and Aspirin, and would that be equally effective for  
18 my particular migraine problem?

19 MR. BONEY: It's a good point. This ,  
20 obviously, would only be a label change on Excedri n  
21 Extra Strength, and we do have an Aspirin fre e  
22 Excedrin product which has not been studied. We wou ld  
23 be interested in, perhaps, studying that subsequently ,  
24 but it would be clear as we market the product that i t  
25 would only relate to this formulation, which is th e

1 subject of the discussion this morning.

2 MR. TONG: So, you would say that the  
3 geltabs and the others are all going to have the same  
4 label?

5 MR. BONEY: Oh, yes, we -- when you look  
6 at all the chemical and pharmacokinetic  
7 characteristics of the geltabs, and caplets, and  
8 tablets, we've done, and certainly we can give you the  
9 data on this, that show that they are truly equivalent  
10 and with no differences between them, so, yes, it  
11 would be labeling that would appear on the different  
12 forms of Excedrin Extra Strength, but this labeling  
13 would not apply to Excedrin PM or to Aspirin Free  
14 Excedrin.

15 CHAIRMAN D'AGOSTINO: Lee.

16 DOCTOR SIMON: To expand a little bit  
17 longer, to pursue the issue of active comparators  
18 versus the effectiveness in this particular product,  
19 given the placebo response rate, and given the fact  
20 that you've predicated your presentation on the fact  
21 times have changed and we are better able to classify  
22 patients with these kinds of diseases, that given the  
23 fact that caffeine and Aspirin in this particular  
24 product run certain specific risks associated with  
25 toxicity, that it seems to me that I'm not yet

1 convinced that active comparators, as simple as  
2 Ibuprophen or acetaminophen alone will not give you  
3 equally as good responses with much more safety, and  
4 given the high incidence of the placebo response rate,  
5 which all of us who take care of chronic patients see  
6 in clinical studies, and I'm not surprised about that,  
7 I'm a little concerned that you have not presented any  
8 data about active comparators such as Ibuprophen or  
9 acetaminophen. And, I presume you are going to rely  
10 upon older data that demonstrates that the combination  
11 of acetaminophen, Aspirin and caffeine is a better  
12 effector in migrainous relief or headache relief than  
13 any one of those products alone, although I have to  
14 admit I'm somewhat ignorant of those particular  
15 studies, and I feel a little uncomfortable given the  
16 fact that you've predicated your presentation on this  
17 new way to classify patients, which may make a  
18 difference in patient response compared to studies  
19 from previous times.

20 And, given the toxicity, or potential  
21 thereof, I wonder how you can justify that.

22 DOCTOR LIPTON: Okay. That's kind of a  
23 complicated question. I mean, first let me address  
24 the IHS criteria that were the basis of this study.  
25 The IHS criteria were published in 1988. Since 1988,

1 they've been the basis of virtually every migrain e  
2 clinical trial and virtually every epidemiologic stud y  
3 that's been conducted in the United States and i n  
4 Western Europe. So, our data about Sumatriptan an d  
5 other gold standard migraine drugs are, in fact ,  
6 predicated on this classification system. It's a  
7 classification system for a symptom-based condition,  
8 it admittedly contains some arbitrariness, and there  
9 are individuals who fall on one side or the other of  
10 a boundary, but, you know, it's an incredibly useful  
11 tool, and it's the tool we used.

12 You know, in terms of the need fo r  
13 comparator studies, you know, my take is that thi s  
14 program of research demonstrates that the drug tha t  
15 was studied, or the combinatio n that was studied is a  
16 safe and effective treatment f or migraine. There may  
17 well be other safe and effective treatments in th e  
18 world. I'm certainly interested in seeing data o n  
19 them. I'm not sure that it is the obligation of the  
20 study sponsor to study all of those treatments before  
21 coming forward with this comparative data.

22 You actually had a third question and I  
23 lost track of it. I'm sorry.

24 DOCTOR SIMON: No, what I was reall y  
25 wondering was why an active comparator that may b e

1 potentially safer, based on the constituent parts ,  
2 could have been used to justify the continued use of  
3 the combination under these new criteria, which ar e  
4 appropriately to be used.

5 DOCTOR LIPTON: So, your question i s  
6 really why wasn't the factorial design -- why wasn't  
7 a factorial study conducted.

8 DOCTOR SIMON: Right.

9 DOCTOR LIPTON: You know, I guess th e  
10 answer to that is that, you know, caffeine is a well  
11 known analgesic adjuvant. The re have been, you know,  
12 numerous studies that have demonstrated its efficacy  
13 as an analgesic adjuvant acros s a broad range of pain  
14 models, and in addition to that the focus of thi s  
15 program was on adding a new in dication to a currently  
16 marketed product, so the question that the study aske d  
17 was, is this marketed product that's already used for  
18 tension-type headache, that's already used fo r  
19 migraine in fact, safe and eff ective in migraine, and  
20 the program was designed to answer that narro w  
21 question, based on the established efficacy o f  
22 caffeine as an analgesic adjuv ant among other things.

23 CHAIRMAN D'AGOSTINO: Patricia.

24 DOCTOR McGRATH: Thank you.

25 I'd just like to know, you had presented

1 data on some of the subgroup analyses and I wondered  
2 if you had done those on pain intensity, the tw o  
3 levels, and on frequency at enrollment into the study ,  
4 since it varied from about one attack every two month s  
5 up to under six a month if you had look at difference s  
6 in efficacy as a function of f requency and intensity.

7 DOCTOR LIPTON: Yes, can we have the back -  
8 up slide that shows treatment effects as a function o f  
9 baseline pain intensity?

10 CHAIRMAN D'AGOSTINO: Let me add a third  
11 slide that you might look at. The subjects were not  
12 supposed to be functionally disabled, yet 30 percent  
13 were. It would be nice to see the slides of those who  
14 were not functionally disabled, because that's wha t  
15 your label is supposed to be directing on, and the n  
16 also those that were, how much of the study is being  
17 driven by them.

18 DOCTOR LIPTON: Let me respond to that in  
19 a second. What we are looking at here is a proportio n  
20 of respondents in a group that had moderate pain a t  
21 baseline and in a group that had severe pain a t  
22 baseline. If you look at the two hour time point ,  
23 which is the time point of primary interest, th e  
24 overall response rate was higher in the group wit h  
25 moderate pain than severe pain, though at least part



1 of the reason for that is that this endpoint is  
2 defined as the proportion of patients who make a  
3 transition from severe or moderate pain to mild or no  
4 pain.

5 So, if you have moderate pain and you have  
6 a one point change in your pain scale, that's a  
7 response, whereas, if you have severe pain you need to  
8 have a two point change in your pain scale to have a  
9 response.

10 Nonetheless, what this slide shows is that  
11 active drug was effective in comparison to placebo at  
12 this endpoint at one hour and all time points  
13 thereafter, I believe.

14 In terms of the question about treatment  
15 effects by frequency, I'm not sure if we did that  
16 analysis. I guess we did not do that analysis.

17 In terms of the question about disability,  
18 recall that the exclusion criteria was that we  
19 excluded you from the study if you were usually  
20 severely disabled with your attacks. So, if you were  
21 defined as disabled more than 50 percent of the time,  
22 so that if 48 percent of the time you were severely  
23 disabled you could still be enrolled in the study, and  
24 by chance some proportion of patients who weren't  
25 usually severely disabled, but were sometimes severely

1 disabled, would have severe disability on the treated  
2 attack, and that's what the baseline data reflects.

3 We do have a back-up that looks at  
4 treatment response in a severely disabled subgroup  
5 defined by multiple criteria, and maybe we could show  
6 that slide as well.

7 CHAIRMAN D'AGOSTINO: Do you also have one  
8 in the mild and moderate, though, isn't that what the  
9 label is going to really focus on?

10 DOCTOR LIPTON: Well, actually, the --

11 CHAIRMAN D'AGOSTINO: The claim.

12 DOCTOR LIPTON: -- label, when someone  
13 makes a decision to buy a product to take for their  
14 headache, they are not buying it generally for the  
15 individual headache. So, what the label says is that  
16 if you usually require bedrest don't buy this product  
17 without consulting your doctor. It doesn't say, if on  
18 any particular attack you happen to be disabled don't  
19 take it, and, in fact, the clinical trial included  
20 people with a broad spectrum of disability and the  
21 treatment effects reflect aggregate responses across  
22 that spectrum of disability.

23 Okay, what I was looking for was the back -  
24 up that had the most severely disabled segment. Okay .  
25 This is not exactly what you asked for, but I think

1 it's at least partially responsive. To be included in  
2 this analysis you had to have severe baseline pain  
3 intensity, moderate to severe disability, headache  
4 aggravated by physical activity, nausea, photophobia  
5 and phonophobia, so we were trying to find the most  
6 extreme migraine subgroup we could and look at  
7 treatment effects.

8 What happens is kind of interesting ,  
9 because across the studies the placebo response rate  
10 falls as we select the most disabled segment, but  
11 overall if you look at the pooled data the magnitude  
12 of the treatment response comparing active drug with  
13 placebo is roughly 25 percent, and in the aggregate  
14 data it was roughly 30 percent. That difference is  
15 statistically significant from the pooled data, but  
16 not for the individual studies, and the reason for  
17 that is the small sample size, as you see reflected in  
18 the numbers under the bar graphs.

19 CHAIRMAN D'AGOSTINO: Thank you.

20 I'd like to move on now to the FDA  
21 presentations and thank the Bristol-Myers presenters  
22 for their presentation and for their responses to our  
23 questions.

24 For the FDA, we have two presenters, and  
25 we'll start off with Doctor Widmark, the Medical

1 Officer at the Division of Anti-Inflammatory Analgesic  
2 and Ophthalmic Drug Products.

3 DOCTOR WIDMARK: Doctor D'Agostino,  
4 members of the Advisory Committee, ladies and  
5 gentlemen, most things that have to be said have been  
6 said already by all the previous speakers. So, in  
7 order to make it not boring for you I will deliver  
8 this speech with an accent.

9 (Whereupon, laughter.)

10 CHAIRMAN D'AGOSTINO: And, it will sound  
11 more profound.

12 DOCTOR WIDMARK: For us it was interesting  
13 to find out whether those three studies that had  
14 different investigators, multiple investigators, and  
15 were spread all over the country, as you have seen  
16 from the geographical distribution of the sponsor,  
17 whether those trials resulted in dissimilar patient  
18 populations, study populations, or similar.

19 Here are the three studies which have been  
20 presented already by the sponsor. Study 840, one  
21 investigator, study 841, ten investigators, ten  
22 different investigators on site, and study 842, ten  
23 investigators in nine investigational sites. There  
24 was a diversity of people involved in this, and they  
25 followed all the same protocol.

1                   Now, let's find out the demographics o f  
2 the population in the three different studies.     By the  
3 way, I cannot read that slide, maybe you can, but it' s  
4 too far away for me.

5                   As you can see here, we have g ender, race  
6 and age, and as you can see the distribution i s  
7 amazingly similar, with some s light differences, they  
8 are not identical.

9                   This is already the efficacy, the two hou r  
10 readings, and I have put all the Excedrin treat e d  
11 patients for the three trials on one side and th e  
12 placebo treated patients on the other, and, again, yo u  
13 will find that these numbers are very, very similar.

14 That is a good feeling it gives us, because we kno w  
15 that these protocols were followed the same way in all  
16 the three studies, because at the six hour efficac y  
17 you will find out that there is a high placeb o  
18 response, around 40 percent, that's in all migrain e  
19 trials, in all headache trials you will find a placeb o  
20 response around that high number. You will find ,  
21 again, that similarity persist s even after six yours.

22                   This is a composite of the adverse dru g  
23 reactions that were reported by those patients.

24                   And, if you go through this yo u will find  
25 out that there are a few side effects in the Excedrin

1 ES treatment groups that are characteristic for th e  
2 side effects of Aspirin, aceta minophen, and caffeine.

3 I would not dare to say that this is a  
4 complete safety profile of this drug, it's only on e  
5 dose, and from one dose one cannot get a profile.

6 These are the conclusions. Si nce I can't  
7 see them, but you can read them, you will find ou t  
8 that we agree with the sponsor that they hav e  
9 demonstrated efficacy. What the sponsor did no t  
10 mention, because they probably didn't think of it, is  
11 the fact that I agree with a previous advisor y  
12 committee conclusion that it would be safe to put a  
13 warning on all caffeine-contai ning products, contains  
14 caffeine. That is important, because there are people  
15 out there who just cannot tolerate caffeine.

16 By the way, they cannot tolerate 6 5  
17 milligrams either, so 65 milligrams is not safer for  
18 them than 130. But, those who cannot tolerate should  
19 know without having to read th e fine print, it is all  
20 in fine print on this label, that that medicatio n  
21 contains caffeine.

22 Then, I have another issue which I would  
23 like to bring up, it occurred to me here when I heard  
24 the presentation by Doctor Lipton, and I believe it,  
25 that an untreated migraine attack can last up to 2 4

1 hours, untreated. A treated migraine attack should  
2 not last that long. However, the labeling is for ten  
3 days, for four doses for days, that I don't believe  
4 should be applicable to headaches and migraine in  
5 general.

6 If the medication is taken, not when the  
7 patient has already a full-blown migraine attack, but  
8 at the very beginning, because they know it's coming,  
9 these medications should work, and if they don't they  
10 should immediately go and see a doctor to be diagnosed  
11 what they really have, and if they need -- if they  
12 have real migraine they might need prescription  
13 medication.

14 That's all I have to say, and we --  
15 actually, I recommend approval of this drug for the  
16 indication of pain associated with migraine headache.

17 If you have any questions, please do so.

18 CHAIRMAN D'AGOSTINO: Yes, why don't we  
19 take some questions, if there are any.

20 Let me ask one question about the active  
21 comparator. Would that have been a good idea in the  
22 studies, and do you think the studies are deficient by  
23 not having it?

24 DOCTOR WIDMARK: I don't think so. A  
25 prescription comparator would have been an unequal

1 comparison, like comparing a revolver with a -- rifle .  
2 So, that is not what they could use.

3 They could have used Ibuprofen, but i t  
4 would mean that they are devel oping a drug which is a  
5 competitor's drug, and one can not blame a company for  
6 not being interested of developing a competitor' s  
7 product.

8 CHAIRMAN D'AGOSTINO: Sounds reasonable.

9 DOCTOR WEINTRAUB: Doctor D'Agostino?

10 CHAIRMAN D'AGOSTINO: Yes.

11 DOCTOR WEINTRAUB: I wonder if I could sa y  
12 something.

13 CHAIRMAN D'AGOSTINO: Please, do.

14 DOCTOR WEINTRAUB: One of the beauties of  
15 working at the FDA is that individuals are allowe d  
16 their own opinions, and can argue those opinions .  
17 Unfortunately, I was going to try my Swedish accent s o  
18 I could compete with Rudy, but, you know, in certain  
19 cases we do ask for comparative agents to be teste d  
20 with the drug in question. So, we don't -- in al l  
21 cases it is not true that we don't like comparativ e  
22 agents, and we may even take a competitor's drug, and  
23 sometimes ask for it to be tested against the tes t  
24 agent.

25 So, you know, while Rudy has his ow n



1 opinions here, I just wanted to make it clear that  
2 this is not a standard that we have set for the whole  
3 Agency.

4 CHAIRMAN D'AGOSTINO: Thank you.

5 Are there other questions?

6 DOCTOR McKINLEY-GRANT: I had a question,  
7 I guess it's more information, about the caffeine  
8 warning, which I agree, but about caffeine addiction,  
9 and I know this must have come up, you know, when you  
10 discussed caffeine, but in terms of the caffeine being  
11 in the analgesics, and, you know, the whole thing of  
12 caffeine withdrawal, has there been any discussion  
13 about that?

14 DOCTOR WIDMARK: I believe everything can  
15 be addictive, if you overuse it. Addiction to  
16 caffeine, it exists, I am addicted to caffeine, but I  
17 don't go out there to buy Excedrin Extra Strength, I  
18 go and make a cup of coffee.

19 I don't want to minimize your concerns.  
20 They are, I believe, important and for real, but I  
21 don't have a solution for them. If you have --

22 DOCTOR DIAMOND: You know, abusers will be  
23 abusers, and you'll find them with all kinds of  
24 medicine, as well as caffeine alone. I just want to  
25 mention that in a cup of coffee, depending how big a

1 cup and how strong a cup of coffee you are drinking,  
2 there's anywhere from 80 to 120 milligrams, it varies  
3 that much, in a cup of coffee. So, for somebody who  
4 is doing two cups of coffee and a coke and something  
5 else, they are really doing it.

6 DOCTOR McKINLEY-GRANT: Yes, I guess my --

7 DOCTOR DIAMOND: And, I just want to say  
8 that the people are discussing a self -- we are  
9 talking about self-limited, episodic migraine, we are  
10 not talking about people with daily migraine attacks  
11 here. So, I don't think it really is a concern.

12 DOCTOR McKINLEY-GRANT: -- I guess my  
13 concern was with, you know, Doctor Gilman's comment  
14 about the chronic analgesic headaches, because the  
15 caffeine withdrawal, you know, the patients commonly  
16 will get very severe headaches after caffeine  
17 withdrawal, and it's my ignorance, I don't know how  
18 much caffeine you need to develop caffeine withdrawal  
19 symptoms.

20 CHAIRMAN D'AGOSTINO: Leona, did you have  
21 a question?

22 MS. MALONE: Yes. I just have a point of  
23 confusion from a consumer's viewpoint. Normally, what  
24 I've heard from friends who suffer from migraines,  
25 they have been told not to take caffeine, you know, to

1 avoid anything with caffeine, and here I can see their  
2 confusion as they are reading the label, where it's  
3 directed towards efficacy with migraines, and yet it  
4 has caffeine. So, again, it's the old thing, you are  
5 told to avoid something, and then you are told to take  
6 it.

7 DOCTOR WIDMARK: There was a Doctor Arnold  
8 Friedman at Montefiore Hospital. I believe he was  
9 your teacher. He developed Fiorinal, and his advice  
10 to migraine patients was, you don't really need any  
11 medication, several cups of coffee will take care of  
12 you. He was a very big proponent of just coffee  
13 drinking.

14 I don't know why your friends are advised  
15 to avoid caffeine. There might be a reason, I do not  
16 know.

17 DOCTOR DIAMOND: The National Headache  
18 Foundation, which I'm former Executive Director of,  
19 the warning is, excessive amounts of caffeine, not to  
20 avoid caffeine. Caffeine, you know, historically goes  
21 back to the 1700-1800s, when it first became  
22 discovered people reported its relieving headaches.  
23 But, the excessive use of it is contraindicated  
24 because that's when you start getting the rebound.

25 DOCTOR MCKINLEY-GRANT: Okay.

1 CHAIRMAN D'AGOSTINO: Doctor Gilman.

2 DOCTOR GILMAN: Yes, I had a simila r  
3 response. The reason for that recommendations is so  
4 that people will not experience the rebound headache  
5 that comes when you stop taking caffeine, so fo r  
6 people with chronic headaches we often have them go to  
7 fully decaffeinated coffee very slowly, over a lon g  
8 period of time, then when they are caffeine free they  
9 may well have no headache. If they do have headache,  
10 then taking caffeine is often effective.

11 CHAIRMAN D'AGOSTINO: Justin.

12 DOCTOR ZIVIN: I guess I don't understand  
13 why the caffeine is in the medication in the firs t  
14 place. The sponsors have said that it's an adjuvant,  
15 that's a pretty vague term and I'd like to get a  
16 little bit more specific about that.

17 Specifically, the FDA has suggested that  
18 one reason it might be in ther e is to do something to  
19 cerebral blood flow, but there's no strong evidenc e  
20 that migraine headache is asso ciated with alterations  
21 in cerebral blood flow, or at least the literature is  
22 extremely confusing at this point.

23 The other thing is that it may or may not  
24 have something to do with alterations in absorptio n  
25 rate, and I have no data on that one way or the other .

1 And so, I guess my question is, why is it there, and  
2 if it is there, does it really need to be there?

3 CHAIRMAN D'AGOSTINO: Can someone from the  
4 sponsor respond to that? Please identify yourself.

5 DOCTOR LIPTON: I'm Richard Lipton. You  
6 know, the caffeine -- first of all, the definition of  
7 an analgesic adjuvant is a compound which by itself  
8 doesn't produce analgesia, but which when given in  
9 combination with other analgesics enhances the  
10 analgesic efficacy.

11 And, caffeine, you know, there is a large  
12 literature showing that caffeine is an analgesic  
13 adjuvant, and there's certainly a long tradition of  
14 using caffeine in prescription drugs. You know, my  
15 belief is that the mechanism is not a primary  
16 mechanism on -- a primary effect of absorption. I  
17 mean, Gene Laska and Al Sunshine, who are actually in  
18 the audience, 12 or 13 years ago published a  
19 metaanalysis in JAMA that included over 10,000  
20 patients, showing that the contribution of caffeine  
21 added a relative potency of 40 percent, so the  
22 addition of caffeine to analgesics made it as if you  
23 were giving 40 percent more analgesic, and that's, you  
24 know, what's meant by an analgesic adjuvant.

25 In terms of the specific mechanism in

1 migraine, you know, I would have to say that it isn't  
2 really known, although I would also add that I'm not  
3 sure that the mechanism for non-steroidal anti -  
4 inflammatories, or even the mechanism for Sumatriptan  
5 is known. I mean, there's been substantial progress  
6 in understanding the mechanisms of migraine ,  
7 hypotheses related to neurogenic inflammation, but  
8 those hypotheses have been recently challenged, so  
9 it's hard to specify how a drug works in a condition  
10 whose fundamental mechanism isn't known.

11 DOCTOR ZIVIN: And, I wouldn't argue with  
12 that point, but then the issue is, wouldn't you want  
13 to test the drug with and without the caffeine to see  
14 whether there was any difference, since the caffeine  
15 seems to be the cause of some concern?

16 DOCTOR LIPTON: Well, the rationale for  
17 not doing that was really twofold. One argument is  
18 that caffeine was an established analgesic adjuvant,  
19 there's a huge literature that it shows it's an  
20 analgesic adjuvant across a broad range of pain  
21 models, actually, one study including migraine, and it  
22 seemed unnecessary to reprove that which was  
23 established already. The second issue was, again ,  
24 that this was not -- this is not an Rx to OTC switch,  
25 this is a label extension where the issue is, does a

1 marketed product already approved for other forms of  
2 headache, and already used for migraine, is that  
3 marketed product safe and effective in the treatment  
4 of migraine, and for that reason a factorial study  
5 wasn't done.

6 CHAIRMAN D'AGOSTINO: One last comment  
7 from Doctor Gilman.

8 DOCTOR GILMAN: With respect to Doctor  
9 Zivin's question concerning blood flow in migraine,  
10 there actually are a good deal of data available in  
11 the literature concerning the pathophysiology of a  
12 migraine event. Perhaps, the most dramatic was one  
13 published in the New England Journal of Medicine about  
14 two years ago by Mazziotta at UCLA. This was a  
15 positron emission tomography study showing changes in  
16 cerebral blood flow. There's a lot of information  
17 suggesting that the pathophysiology of migraine is  
18 related to spreading depression, which is a slow  
19 spreading depolarization across the cerebral cortical  
20 mantle, accompanied by big shifts in potassium ion.

21 Along with that, there are changes in  
22 cerebral blood flow that change actually as people's  
23 fortification spectra change, which are visual scotoma  
24 phenomena.

25 And, the Mazziotta study showed a single

1 case studied with repeated blood flow measurement ,  
2 showing that there was change in cerebral blood flow  
3 across cerebral cortex in a distribution and time zone  
4 that suggested spreading depression.

5 So, I think there's a lot of evidence tha t  
6 there are changes in blood flo w with migraine events.

7 CHAIRMAN D'AGOSTINO: Doctor Diamond.

8 DOCTOR DIAMOND: Yes, just one thing .  
9 There have been studies, one by Ward, and one b y  
10 myself, where we, not in migraine, but in tensio n  
11 headache studies, where we did a separate capsule with  
12 caffeine, and we found caffeine, for the first hou r  
13 and a half, as effective as an y of the non-steroidals  
14 in both these studies, where we did this separat e  
15 capsule. So, caffeine does have an effect.

16 DOCTOR ZIVIN: I don't really want to get  
17 into a lengthy discussion of the effects of caffeine  
18 on cerebral blood flow, but the fact is that there's  
19 at least as much literature, if not more, suggesting  
20 no effect, reducing blood flow, or increasing bloo d  
21 flow during migraine headaches, so it's prett y  
22 complicated.

23 DOCTOR DIAMOND: Okay.

24 CHAIRMAN D'AGOSTINO: I'd like to move to  
25 the next speaker. Now we are going to hear from the



1 Division of Drug Marketing, Advertising and  
2 Communications, Karen Lechter of the FDA. Karen is  
3 already up at the podium. Thank you.

4 DOCTOR LECHTER: Good morning. I'm Karen  
5 Lechter with the Division of Drug Marketing ,  
6 Advertising and Communications . This review was done  
7 in conjunction with Doctor Rosemarie Neuner, of the  
8 Over-The-Counter Drug Products Division.

9 I'm going to speak briefly about the Label  
10 Comprehension Study in a little more depth than you've  
11 heard already.

12 The purposes of the Label Comprehension  
13 Study were to determine whether consumers would  
14 understand when to consult a physician when using  
15 Extra Strength Excedrin, whether the information about  
16 use for migraine headaches is sufficiently clear, and  
17 if the addition of the migraine information interfere s  
18 with comprehension of the label.

19 Specifically, the communicatio n  
20 objectives, or the messages th at were tested were the  
21 following: consult a doctor before use if you r  
22 headache is accompanied by vomiting, or if it is s o  
23 severe as to require bedrest. Consultation with a  
24 doctor after use is recommended if symptoms continue  
25 or worsen, or if any new or unexpected symptoms occur .

1 All the participants had used a non -  
2 prescription analgesic in the past six months to treat  
3 a headache and all said that they had suffered from  
4 migraines within the past five years. Forty-one  
5 percent of those had had their migraines diagnosed by  
6 a physician. The proportion of those diagnosed by a  
7 physician increased with age.

8 The protocol did not call for a  
9 confirmation of the migraine diagnosis, either by  
10 confirmatory information from the doctors or by  
11 quizzing the participants as to their knowledge of  
12 different types of headaches to determine if they  
13 could distinguish migraine from other types of  
14 headaches.

15 There were two versions of the labeling.  
16 The front of the package was the same in both cases.  
17 For the new product that you are considering today ,  
18 the uses included headache, including migraine  
19 headache, and in this slide the underlying portions  
20 are found only in the test version of the label, not  
21 in the control version.

22 In the section, ask a doctor before use if  
23 your -- the other two statements appear -- if you  
24 headache, including migraine headache, is accompanied  
25 by vomiting, or your headache, including migraine

1 headache, is so severe you require bedrest. You might  
2 note that the bedrest warning is not included at all  
3 in the control label.

4 The main questionnaire contained five  
5 open-ended questions. These are questions for which  
6 there are no suggested responses, the participant has  
7 to come up with their own answer. For most of the  
8 open-ended questions, there were corresponding closed-  
9 ended questions. These are questions for which there  
10 are choices to choose from when answering, such as  
11 multiple choice or yes/no types of questions.

12 There were seven closed-ended questions  
13 dealing with the communication objectives and one  
14 additional question asking what products had been used  
15 in the past five years. I won't be discussing that  
16 particular question.

17 Mall intercepts were conducted in 32  
18 geographically dispersed locations around the country.  
19 This means that people in the mall were approached to  
20 participate and if they appeared to satisfy the  
21 requirements of the study and were willing to  
22 participate they did become part of the study. This  
23 was supplemented by additional persons in the low  
24 education category to have sufficient numbers for  
25 analysis.

1 Altogether, there were analyses done o n  
2 503 who saw the test label, and 245 who saw th e  
3 control label. I believe these numbers do not includ e  
4 the low education persons.

5 For purposes of analysis, the participant s  
6 were divided into two education groups, those with a  
7 high school education, and those without a high schoo l  
8 education. They were also divided into three ag e  
9 groups, 18 to 34, 35 to 49, and 50 and above .  
10 Participants were asked to read the labeling as though  
11 they were deciding whether or not to purchase th e  
12 product. Then, an interviewer asked them th e  
13 questions on the questionnaire . As some of you noted  
14 earlier, the package was available for them to look a t  
15 during this questioning period.

16 When asked the open-ended question about  
17 the purpose of the product, 89 percent who saw th e  
18 test label and 95 who saw the control label correctly  
19 stated it was for headaches. However, most of these  
20 responses were non-migraine responses. Only 2 3  
21 percent of those who saw the test label responded tha t  
22 migraine was one of the indications.

23 This may have been due to the fact tha t  
24 they considered headache to be a general category and  
25 it wasn't worth mentioning migraine. Perhaps, anothe r

1 type of question, a multiple choice question or a  
2 checklist, might have tapped into the migraine  
3 understanding of the participants a little better.

4 Participants were asked in open-ended  
5 question about what the label says if symptoms  
6 continue or worsen. These results are found at the  
7 top of the slide here. As you can see, 88 and 89  
8 percent of those seeing the label answered correctly  
9 that they should see a doctor.

10 Significantly more of the high school  
11 graduates, 91 percent, provide correct responses here  
12 than the non-graduates at 80 percent. However, this  
13 difference was not found in the corresponding closed-  
14 ended question. The results of that question are  
15 found down here.

16 For the multiple choice question about  
17 what to do if symptoms continue or worsen, again, 88  
18 percent and 85 percent who saw this label correctly  
19 said to ask a doctor.

20 Participants were asked what the label  
21 says to do if new or unexpected symptoms occur. In  
22 the open-ended question, again, 89 and 87 percent  
23 correctly said to see a doctor. For the test label  
24 for this group, the more educated participants scored  
25 better again at 90 percent than the less educated at

1 83 percent, and the youngest group scored worse at 79  
2 percent than the middle-aged group at 93 percent.

3 For the closed-ended question, 91 and 87  
4 percent gave the correct responses. There were no  
5 differences here based on educational level, however,  
6 for the youngest age group who saw the test label,  
7 that's this group, 92 percent gave correct responses,  
8 compared with those who saw the control label in the  
9 youngest age group at 81 percent.

10 Participants were asked what the label  
11 says they should do first when they have a headache or  
12 a migraine that is accompanied by vomiting. For the  
13 test and control labels, the numbers were 86 and 87  
14 percent saying to consult a doctor. There were no  
15 differences here between the high school graduates.  
16 For the test label, fewer in the youngest age group,  
17 81 percent, provided a correct answer than those in  
18 the middle-aged group.

19 For the corresponding multiple choice  
20 question, 89 percent and 91 percent chose the ask a  
21 doctor response. There were no differences here  
22 between education groups or age groups.

23 Apparently then, all the age groups  
24 understood that if these symptoms become more severe  
25 that medical intervention is appropriate.

1 Participants were asked what the label  
2 says to do first if the headache is so severe that  
3 they require bedrest. Now, remember this was not  
4 information that was contained on the control label,  
5 so it's not surprising that there's a significant  
6 difference here on the open-ended question, as well as  
7 on the closed-ended question, and the response is to  
8 consult a doctor.

9 On the open-ended question, fewer high  
10 school graduates, 49 percent, than non-graduates, 61  
11 percent, stated they should consult a doctor.

12 For the control label, the youngest age  
13 group said to consult a doctor less frequently, at 40  
14 percent, than the middle-aged group or the oldest  
15 group, which were at 59 and 60 percent.

16 On the related multiple choice question,  
17 more who saw the test label again said to consult a  
18 doctor than who saw the control label.

19 For the control label, the high school  
20 graduates said they should consult a doctor 63 percent  
21 of the time and 73 percent of the non-graduates.  
22 Technically speaking, for this question on the control  
23 label the correct response on the multiple choice  
24 question was none of the above, because the question  
25 asked, what does the label say you should do if you

1 require bedrest. The label was silent on this issue,  
2 and, therefore, it's not surprising that the high  
3 school graduates actually said more often that none of  
4 the above was the correct choice for the control  
5 label.

6 Participants were asked a closed-ended  
7 question about when, according to the label, they  
8 should not use Excedrin, and their choices were if you  
9 are allergic or have asthma, if you have ulcers or  
10 bleeding problems, both of the above, none of the  
11 above. Both of the above are correct here. There  
12 were no differences between the labels on the  
13 question, approximately 80 percent got this one  
14 correct.

15 This question assesses the participant's  
16 comprehension of the Aspirin monograph warning. You  
17 might note that even those who did not get the both of  
18 the above answer correct, there were some who did get  
19 partially correct responses by answering the other  
20 questions correctly.

21 However, I want to say about this question  
22 and several others that follow, the type of question  
23 may induce what is called a yeah saying bias,  
24 because all of the responses that were available,  
25 except for the none of the above one, could have been



1 partially or totally correct.

2 The next question here asked them when  
3 they should consult a doctor before use. Again, the  
4 responses were correct at about 80 percent. Again,  
5 both of the above was correct. There was a none of  
6 the above choice. All of these other choices were  
7 partially correct. If you look at the numbers who go to  
8 all or some of this correct it goes above 90 percent  
9 again, but again the yeah saying bias may have come  
10 into play here.

11 A similar question was asked, what to ask  
12 a doctor after you have been using Excedrin Extra  
13 Strength. Equal proportions of both labels again,  
14 again around 80 percent, got the both of the above  
15 response correctly. There were more people who go to  
16 partially correct responses, and, again, the none of  
17 the above choice was the only incorrect one. The yeah  
18 saying bias may again have been in play here.

19 Overall, this was a very simple study.  
20 The questions were very straightforward and did not  
21 require interpretation of the label. It measured very  
22 superficial understanding of the label. Responses to  
23 the open-ended questions were supported by similar  
24 questions in the closed-ended group. However, there  
25 are aspects of this questionnaire that may have

1 induced a higher correct response rate. For example,  
2 the fact that four of the open-ended questions, which  
3 were presented one after another, required the same  
4 response, to ask a doctor, may have induced a response  
5 set by which subjects or participants would tend to  
6 say, ask a doctor for any question, regardless of what  
7 the question was.

8 In addition, the closed-ended questions  
9 contained those three in a row I just mentioned, where  
10 they had a choice of none of the above, or all of the  
11 above, the correct response was always all of the  
12 above. This would have induced another type of  
13 response set.

14 It would have been better had there been  
15 questions interspersed among these that had other  
16 correct responses, and it would have been better had  
17 there been additional choices within each question for  
18 which a different response was appropriate, other than  
19 both of the above.

20 None of these questions asked the  
21 participants who may have had contraindications  
22 whether they would use a product or whether they  
23 should see a doctor before using the product to  
24 determine the effectiveness of the warning section for  
25 the class labeling. This would have been useful

1 information.

2 Further, as I mentioned earlier, the stud y  
3 did not determine whether the participants truly did  
4 have migraines. However, I do not believe that this  
5 affected the results of the comprehension study.

6 The differences that were significan t  
7 among the education or age subgroups, particularl y  
8 found in the open-ended questi ons, usually found that  
9 the less educated persons gave correct answers les s  
10 often, and that the youngest age group, 18 to 34, gav e  
11 correct answers less often.

12 A warning statement on the labe l  
13 consistent with that specified in the Code of Federal  
14 Regulations for OTC Stimulant Products should have to  
15 appear on this label. This wa rning was not tested in  
16 the study, nor was the alcohol warning tested.

17 In conclusion, potential users who ar e  
18 probable migraine sufferers seem to understand th e  
19 migraine specific elements of the label, as well a s  
20 the more general elements of t he label, although only  
21 a small percentage mentioned that the product was to  
22 be used for migraine. This understanding in general  
23 was at a rather superficial level.

24 The inclusion of the migraine specifi c  
25 information in the test label did not seem t o

1 interfere with understanding of other parts of the  
2 label.

3 The design of the questionnaire may have  
4 increased the proportion of correct responses to some  
5 of these questions.

6 This concludes my remarks.

7 CHAIRMAN D'AGOSTINO: Thank you.

8 Are there any questions from the advisory  
9 committees? Doctor Gilman.

10 DOCTOR GILMAN: I just had a question  
11 about that next to last slide you showed. You had an  
12 entry there saying "red swollen," red swollen what  
13 head? What were you asking them?

14 DOCTOR LECHTER: I don't recall what the  
15 label said on it.

16 DOCTOR LIPTON: Area of pain.

17 DOCTOR GILMAN: Scalp.

18 CHAIRMAN D'AGOSTINO: The painful area is  
19 red or swollen.

20 Are there other questions?

21 Thank you very much.

22 It's a good time, I'm looking around with  
23 the light, I don't know if I'm missing hands, it's a  
24 good time, obviously, for our lunch. We will not have  
25 a closed session when we come back, because there have

1       been no questions that have been raised that we need  
2       to go into a closed session.     I have 12:15, why don't  
3       we come back at 1:15, and we'll    have the charge to th e  
4       committee at that time.

5                   (Whereupon, the meeting was recessed a t  
6       12:25 p.m., to reconvene at 1:15 p.m.,    this same day. )

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:26 p.m.)

CHAIRMAN D'AGOSTINO: What I'd like to do now is to ask Doctor Bowen to give the charge to the committee, and then we'll go on to the discussion and questions.

Doctor Bowen.

DOCTOR BOWEN: This is an unusual application, even for our Nonprescription Drugs Advisory Committee members. As has already been mentioned it is not an Rx to OTC switch, in fact, the drug itself has already -- is currently being marketed OTC.

The drug has been marketed for other pain relief indications for a number of years under the OTC drug monograph, and that monograph has not yet been made final. So, this application raises several interesting policy and regulatory issues for FDA, as alluded to by my colleagues, Doctors Weintraub and Chambers.

However, the applicant has submitted no clinical efficacy trials and a specific new drug application for a specific new OTC indication. That indication is the pain of migraine headache. So, today we are asking you to focus only on that request,

1 in your opinion, has the applicant convincingly  
2 demonstrated that the product can be used both safely  
3 and effectively in an appropriate OTC target  
4 population for the pain of migraine headache.

5 CHAIRMAN D'AGOSTINO: Thank you. Are  
6 there any other comments to be made by members of the  
7 FDA to elaborate further on that?

8 If not, then I'd like to have some time  
9 for general discussion with the committee, and then  
10 move on to the specific questions. Maybe a way to  
11 begin this is to ask Doctor Diamond if he would review  
12 somewhat his opinion on the presentations and the  
13 general questions. We will go to the specific  
14 questions, but if you'd just sort of start the  
15 discussion by giving us your general feel on what  
16 you've heard today and what you've been reading.

17 DOCTOR DIAMOND: From what I've read and  
18 what I've heard today, I think there's been adequate  
19 discussion of the different problems that might be  
20 involved in this approval. I think that a  
21 certain understanding is necessary by this committee that  
22 there are all degrees of migraine and there is a  
23 population that can be served by the over-the-counter  
24 analgesic drugs.

25 I think that we should also understand

1 that there is a population of migraine sufferers that  
2 go to specialty clinics such as mine, and such a s  
3 neurology clinics, and neurolo gy practices, which may  
4 be a more recidivist type population. But, in th e  
5 group that has episodic migraine, I think there is a  
6 place for over-the-counter drugs in the mild t o  
7 moderate cases of migraine pain.

8 I do feel that these drugs, in spite o f  
9 their pain-relieving factors, may have some abortive  
10 qualities as well, in other words, they might abort o r  
11 really cut short the migraine attack, and this ha s  
12 been shown in numerous studies, particularly abroad.

13 I think that we have to address som e  
14 labeling concerns which I have about the drug. I  
15 personally feel that there should be some labelin g  
16 that if the migraine persists over two days that the  
17 person should seek professional help, and I think tha t  
18 this should be specifically on the label, becaus e  
19 migraine is not a ten-day disease, and the way th e  
20 label -- present label says, i t can be used up to ten  
21 days. If they are going to go for a migrain e  
22 indication, they certainly sho uld specify -- it might  
23 be all right for their tension -headache patients, but  
24 if you are talking about migraine, and it's over 4 8  
25 hours, I think that you need some more specifi c



1 definitive treatment.

2 I think that the studies that the y  
3 presented were very well run and are very adequate ,  
4 and I have no criticism with the studies per se. I  
5 would have liked to have seen some comparison studies ,  
6 but we don't have those, and this was not the charge  
7 I understand of what they were doing.

8 I think that the issue of caffeine, I  
9 agree with Doctor Widmark that those that are going to  
10 abuse it are going to abuse it, it is not an issue n  
11 this particular use that we are dealing with ,  
12 especially if we put the label ing the way I suggested  
13 it for migraine.

14 And, basically, that's my opinion.

15 CHAIRMAN D'AGOSTINO: One of t he concerns  
16 that I have, and let me voice my concerns before I  
17 open up the discussion, or it's a question I wan t  
18 answered, is it a concern, is it possible that there  
19 will be a population of individuals who shouldn't be  
20 taking the OTC medication who are very serious and th e  
21 delay that results from them taking the medicatio n  
22 could, in fact, be quite serious for them?

23 DOCTOR DIAMOND: And, I think Docto r  
24 Drachman brought up the same point earlier in th e  
25 discussion, and, you know, we see many -- clinically

1 I see people who I put on -- who give a very typical  
2 migraine history, and on occasion you'll get a n  
3 aneurysm that one misses, but this happens i n  
4 practice, in the practice of m edicine as well, and we  
5 can't go running MRAs and angiograms on every patient ,  
6 so it's going to happen whethe r it's going to happen.

7 I don't know any way that we can stop it  
8 from happening by any labeling or anything that we're  
9 going to do. We are saying, or they are saying that  
10 it's for a mild to moderate migraine, and certainl y  
11 the pain of a ruptured aneurysm is going to be a  
12 severe , unbearable pain like the person never ha d  
13 before, and I wouldn't call this a mild to moderat e  
14 pain.

15 So, in addressing that issue, that's the  
16 best I can say.

17 CHAIRMAN D'AGOSTINO: That's good.

18 We had before us in previous meetings a  
19 lipid-lowering drug, and there was concern that th e  
20 physician should be the one involved in looking a t  
21 that on a yearly basis or what have you, and turning  
22 it completely over in an OTC fashion might be a  
23 problem, but I think from what you are saying that we  
24 can't really draw an analogy at all between that and  
25 this particular condition.

1 DOCTOR DIAMOND: No, not whatsoever.

2 CHAIRMAN D'AGOSTINO: We are i n the point  
3 now, we are ready to go to the questions, but before  
4 we do I wanted to know if ther e's any, and invite any  
5 comments, general comments that the advisory committe e  
6 members and consultants may have. Are there an y  
7 general comments that we should talk about or address ?  
8 Cage?

9 DOCTOR JOHNSON: Well, I want to secon d  
10 what Doctor Drachman, and Doct or Diamond and yourself  
11 have expressed, that I'm a lit tle concerned about the  
12 layman's ability to make the diagnosis of migraine ,  
13 and I speak, not as a professional in this case, but  
14 really from personal experience.

15 A migraine has a very fairly w ell-defined  
16 spectrum of symptoms, and yet, I think, as has bee n  
17 expressed earlier, the layman, the person to whom thi s  
18 particular application is directed, does not know tha t  
19 definition, he doesn't know the IHS criteria for the  
20 diagnosis. And so, they may utilize this agent fo r  
21 severe headaches of any etiology, whether they b e  
22 subarachnoid hemorrhage, or brain tumor, what hav e  
23 you.

24 On the other hand, the current situation  
25 exists that this product is marketed for headache ,

1       irrespective of what the diagnosis is, so I don't see  
2       that -- although this is a concern, I think the  
3       situation is such that there is no way really we can  
4       have any better impact on the utilization of this  
5       agent in the community than is already going on.

6                   CHAIRMAN D'AGOSTINO: Any other general  
7       comments? David.

8                   DOCTOR DRACHMAN: I appreciate all of the  
9       insights from the neurologists about migraine and its  
10      difficulty in self-diagnosing. I want to bring the  
11      perspective again of toxicity from a rheumatologist,  
12      non-steroidal use perspective, and wonder seriously if  
13      the introduction and marketing of this agent for the  
14      unique treatment of migraine, when other over-the-  
15      counter agents aren't so available, will actually have  
16      a detrimental effect on public health.

17                   I think that the net effect here is to  
18      sell a drug for the use in migraine that's probably  
19      equally potent to other over-the-counter analgesics  
20      that people are already using with some efficacy, and  
21      to have them use a drug which is substantially more  
22      dangerous than what they are using, and I think we  
23      have to be careful here.

24                   Perhaps, we can deal with some of these  
25      concerns in the labeling, but this is plain Aspirin,

1 and non-steroidals were in some cases introduced i n  
2 order to get around the side effects of plain Aspirin .  
3 We give people single interico ated Aspirin per day in  
4 order to save them from the ri sks of smaller doses of  
5 plain Aspirin than this. So, I think we have to b e  
6 concerned about people who tak e this repeatedly, when  
7 they might as well be taking a cetaminophen, or Advil,  
8 or some form of low-dose ibupr ofen that may, in fact,  
9 be safer for them.

10 CHAIRMAN D'AGOSTINO: Thank you.

11 Yes, Beth.

12 MS. HAMILTON: From a consume r  
13 perspective, I find myself also wrestling with ho w  
14 significant or not significant the need is to have a  
15 consumer be able to distinguish between a migrain e  
16 headache and some other form of headache.

17 I respect the presentation made by th e  
18 sponsor, and they clearly made a point of saying that  
19 there wasn't a need to differentiate for purposes of  
20 authorizing use of Ex Excedrin ES for migraine, but i t  
21 occurs to me that there might be other reasons tha t  
22 the need for a consumer to differentiate might b e  
23 present.

24 Two thoughts. One is the ten day, consul t  
25 a doctor if you haven't had re lief in ten days, and I

1 am impacted by the comments of our medica l  
2 practitioners here, who suggest that a failure to have  
3 relief within 24 or 48 hours from a significan t  
4 headache, I don't want to confuse the issue b y  
5 suggesting that a migraine is the same as a seriou s  
6 headache, but to suggest that someone that doesn' t  
7 have relief from a migraine within 24 to 48 hours, bu t  
8 still has a severe headache, I 'm concerned that we've  
9 suggested they don't need to seek medical aid fo r  
10 another ten days.

11 On the other hand, I recognize that th e  
12 general use of the product for a headache may b e  
13 associated with flu or cold. Ten days might be a  
14 perfectly reasonable period of time to let the person  
15 be grappling with that, so I r aise it as a concern, I  
16 haven't fully resolved it myself.

17 I also want to raise the issue of consume r  
18 education. The material presented to us from th e  
19 sponsor presents some impressive consumer educatio n  
20 materials, but while their sort of clinica l  
21 presentation says there's no need to differentiat e  
22 between a migraine or another kind of headache, their  
23 education materials clearly attempt to do that. And  
24 so, it suggests to me that the re is some significance  
25 to those differences that I'm also wrestling with, if

1 there are those differences, maybe we need to have  
2 more information about those, and to be assured that  
3 we are providing consumers with the kind of  
4 information that they can effectively utilize to make  
5 those self-diagnosis decisions.

6 I found the general consumer education materials  
7 not helpful in that regard. I read them really  
8 carefully, and I don't know the difference between a  
9 migraine headache and a tension headache, from having  
10 read the materials.

11 CHAIRMAN D'AGOSTINO: Yes, sir.

12 DOCTOR GILMAN: I was going to hold these  
13 comments until we talked about labeling, but since  
14 this is under discussion now let me float them out  
15 before you currently.

16 Keying off Doctor Drachman's comments, and  
17 then the subsequent comments that we've heard, we have  
18 the concerns about the diagnosis of migraine, and then  
19 we have the concern about serious causes for headache.  
20 And so, we could address the issues of labeling to  
21 you know, undercut the problems that they raise.

22 So, we could say, or recommend saying, a  
23 headache, including migraine headache, if diagnosed by  
24 a physician, that's one option for taking it out of  
25 the consumers' hands. Second, we could add to the

1 list, ask your doctor before use if, there's a lon g  
2 list, not very long, there's a list of items there .  
3 We could also say, you do not have regular headaches  
4 and you are experiencing the worst headache of you r  
5 life, which is commonly the case with subarachnoi d  
6 hemorrhage, you have a fever or stiff neck with your  
7 headache, Doctor Drachman had concerns abou t  
8 subarachnoid hemorrhage, and I might add at this point  
9 that the mortality from each subarachnoid hemorrhage  
10 is one third, in other words, 33 percent of peopl e  
11 will expire with their first s ubarachnoid hemorrhage,  
12 and with each subsequent subarachnoid hemorrhag e  
13 another third will expire. It can be a leth a l  
14 disorder.

15 In addition, the longer one wa its after a  
16 subarachnoid hemorrhage for definitive diagnosis and  
17 neurosurgical treatment, the g reater are your chances  
18 of expiring for particular times on the early day s  
19 after the event.

20 The fever or stiff neck is with respect t o  
21 the possibility of meningitis, and Doctor Drachma n  
22 mentioned those two, I think you were thinking o f  
23 brain tumors also, David, but I would suggest that we  
24 at least think about these items now.

25 CHAIRMAN D'AGOSTINO: That's a goo d



1 comment. One of the reasons I am asking for a general  
2 discussion now is because if we plunged into these  
3 questions, I was concerned that we would have issues  
4 like this, and it would color the way we would answer  
5 to the first few questions. If we raise these issues,  
6 and get a sense of where we may place them in our  
7 discussion, I think it will help a lot.

8 Cage, do you have a question?

9 DOCTOR JOHNSON: Actually, no, I have a  
10 comment. In order to put this whole field into  
11 perspective, I think you have to realize that like  
12 other chronic diseases probably 20 percent of these  
13 patients are very severely affected by the disorder,  
14 and are under the care, in the vast majority of cases,  
15 of a qualified professional. Eighty percent of these  
16 patient population may or may not be seeing a  
17 physician, and may be self-managing these.

18 In my own family, my wife, she has  
19 migraine clusters, and they sometimes will last a  
20 week. And, her brothers and sisters also have  
21 migraine. None of them saw a physician until just  
22 recently when I kind of leaned on them a little bit.  
23 They were self-treating, self-diagnosing, they didn't  
24 even diagnose migraine, they had headaches, they took  
25 an analgesic and went in a dark room and slept it off,

1 and didn't seek a physician.

2 I think the target audience for thi s  
3 preparation is really that patient, the non-patient s o  
4 to speak , the person who is not under the care of a  
5 physician for this particular disorder.

6 And, I think you have to keep tha t  
7 consideration in mind. We are concerned about thos e  
8 few individuals who would have a serious medica l  
9 disorder that might seek relief using this preparatio n  
10 initially, but I think the vast majority of th e  
11 individuals using it are going to be in that mild to  
12 moderate migraine suffering group, who aren't unde r  
13 the care of physicians and for whom this is probably  
14 a reasonably appropriate medication, despite the, I  
15 think, real concerns about toxicity, and I think w e  
16 can't consider the non-steroidals and their rena l  
17 toxicity as purely safe agents either.

18 So, I think you have to balance both o f  
19 these issues when talking about the population that we  
20 are talking about, which is the American people, not  
21 patients in our offices.

22 CHAIRMAN D'AGOSTINO: Eric.

23 DOCTOR BRASS: I think we have to b e  
24 careful not to fall into a trap in this discussion, i n  
25 terms of the appropriateness of this agent, and fo r

1 this indication for over-the-counter use.

2 I'm thinking specifically if the safe use  
3 of this product is truly dependent on some nuance in  
4 the label we are doomed. There will be no nuance  
5 extracted. We spent all day yesterday talking about  
6 legibility. We do not have any data on the  
7 photophobic, nauseated patient trying to read  
8 print. If this is in the medicine cabinet when  
9 somebody has the worst headache of their life, they  
10 are not going to read the label to make sure it is  
11 excluded from the worst headache of their life. And  
12 so, I think we have to be realistic in terms of what  
13 we really want to convey.

14 The second point is that I think trying to  
15 convey it is made difficult by the nature of the  
16 indication for the product. You are trying to put in  
17 directions for taking this product for migraine, when  
18 the label also includes menstrual discomfort, and that  
19 one set of instructions and warnings is trying to  
20 cover both uses. And, I think that is going to be an  
21 extreme challenge in trying to get any of the  
22 specificity in there.

23 So, I think when we view it from the  
24 broader question of, is this product and this  
25 indication appropriate for over-the-counter, the more

1 we get into nuance and subtlety the more trouble w e  
2 are getting into.

3 CHAIRMAN D'AGOSTINO: I don't think we'll  
4 take comments from the sponsor, unless aske d  
5 specifically.

6 Yes, David.

7 DOCTOR DRACHMAN: First of all, th e  
8 question in my mind is, who is not using Excedri n  
9 because they have migraines? I mean, is there anybod y  
10 out there who says, I've got a migraine, I better not  
11 use Excedrin SE, ES, Extra Str ength, whatever, but in  
12 any event, the point is that, what exactly wil l  
13 change.

14 And, as I think about it, that probabl y  
15 won't change, so what will? Undoubtedly, the sponsor s  
16 will or should, I suppose, say they are going t o  
17 advertise that this is now good for migraine. Whe n  
18 they do that, then the premo non nocare, first do no  
19 harm, is the rule that I think we want to be ver y  
20 careful about, since most people, not neurologists, o r  
21 headache specialists, don't know a migraine from any  
22 other headache, the issue there is that they reall y  
23 regard migraine as being a very severe headache. So  
24 that, here, within the advertising, the labeling i n  
25 large letters so they can read it, even when they hav e

1 one of these, it should say, not what it is you use i t  
2 for, but what it is you don't use it for, and what th e  
3 risks are.

4 That, I think, would be what I would like  
5 to see, you know, not here's a migraine, here's a  
6 tension headache, know the dif ference, but rather, in  
7 very large print, the worst headache of your life, an d  
8 headache with a stiff neck and so on, the subdurals,  
9 the subarachnoid hemorrhages, the meningitis, that's  
10 what we want to make sure they will not use it for.

11 CHAIRMAN D'AGOSTINO: Thank you.

12 Other comments on that? If no t, I'd like  
13 now to move to the questions. Again, keep in mind as  
14 we go through these questions, I think we'v e  
15 experienced before a trap that we answer the firs t  
16 with sort of thoughts on how we are going to look at  
17 the other questions, and then suddenly find ourselves  
18 not being able to really maneuver the way we felt we  
19 should.

20 So, I'm under the impression that we'v e  
21 had our general discussion and we've sort of touched  
22 on all the issues that are covered in these questions ,  
23 and now I want to turn specifically to the questions  
24 and start off with number one.

25 Number one says, "Is the pain of migraine ,

1 an appropriate OTC indication?" And those in th e  
2 audience, the questions are in the package of th e  
3 agenda if you haven't seen them already, so, "Is the  
4 pain of migraine an appropriate OTC indication?"

5 Again, I think that what we are talkin g  
6 about here is for mild to moderate, is there a  
7 population, an OTC population, that can recognize thi s  
8 condition, take the medication appropriately and get  
9 safe and effective results from taking the medication .  
10 We are answering it in the small, in the sense that w e  
11 are not saying all migraine headaches, we are no t  
12 saying also that there's possi bilities for misuse and  
13 what have you. Those we can t ake, hopefully, care of  
14 later, but right now, is there an appropriate OT C  
15 indication, and I'd like to ask Doctor Diamond to giv e  
16 us his thoughts on that first.

17 DOCTOR DIAMOND: If you're talking about  
18 mild to moderate migraine, yes , there is a population  
19 that can be served, and I thin k the population is out  
20 there, and they are out there using over-the-counter  
21 drugs now, and will probably enhance the use of th e  
22 drug they are trying to get approval for.

23 Certainly with this approval there wil l  
24 be, as Doctor Drachman said, a certain amount o f  
25 promotion with it, and it will probably enhance it s

1 use.

2 My only question is, I don't think, a s  
3 long as the advertising says mild to moderate, I don' t  
4 think it's going to take away from the people wh o  
5 would come normally to a physician for the problem.

6 CHAIRMAN D'AGOSTINO: Cage, do you have a  
7 comment?

8 DOCTOR JOHNSON: I'm kind of mixed on thi s  
9 one, because I don't see where the addition of thi s  
10 indication is really going to change what's going on  
11 in the population of people with headaches.

12 CHAIRMAN D'AGOSTINO: The ques tion is, is  
13 there an appropriate OTC indication, as opposed to are  
14 people misusing or doing it anyway.

15 DOCTOR JOHNSON: No, I'm not thinkin g  
16 about misusing at all. At thi s moment I'm undecided.

17 CHAIRMAN D'AGOSTINO: Mary Anne?

18 MS. KODA-KIMBLE: Yes.

19 CHAIRMAN D'AGOSTINO: This is not th e  
20 vote, it's good to make the vote strong, I was jus t  
21 trying to get comments.

22 Sid, did you have any comments?

23 MR. PUCINO: I think the data are ver y  
24 clear, I would rephrase the question and say, is the  
25 pain of migraine an appropriat e indication for an OTC

1 medication, to get rid of the jargon in the question.  
2 Other than that, I would say yes.

3 CHAIRMAN D'AGOSTINO: Frank?

4 MR. PUCINO: Yes, I agree the data looks  
5 pretty consistent with all the studies, and I think  
6 there is a population that would benefit from the  
7 drug, and I would vote that it could be used for that.

8 CHAIRMAN D'AGOSTINO: Thanks.  
9 Justin.

10 DOCTOR ZIVIN: I agree.

11 CHAIRMAN D'AGOSTINO: Okay, again, we are  
12 falling into the vote, but what I'm trying to get a  
13 sense of -- and, we'll do a vote by hand, but I'm just  
14 trying to get a sense of if there's any objections  
15 before we take the vote, we see where they are coming  
16 from.

17 Patricia.

18 DOCTOR McGRATH: I have no objections  
19 before we take the vote.

20 CHAIRMAN D'AGOSTINO: Eric.

21 DOCTOR BRASS: I have nothing to add to  
22 the discussion.

23 CHAIRMAN D'AGOSTINO: You may say, why is  
24 he going through this, well, I thought I was at this  
25 point yesterday and I said, let's take a vote, and



1 then I was asked about a discussion.

2 What about David now.

3 DOCTOR DRACHMAN: No question at all .  
4 They showed that very clearly in their studies.

5 CHAIRMAN D'AGOSTINO: Great, any othe r  
6 comments?

7 Then why don't we take a vote on this, an d  
8 I think an indication for an OTC medication i s  
9 appropriate. Now, we have to make sure we understand  
10 who is voting. The consultants do not vote, and the  
11 industry liaison does not vote , so all those in favor  
12 of question one, a yes vote, is that all right ?  
13 Doctor Diamond is a consultant, and George is th e  
14 industry liaison, everyone else, except for the FD A  
15 people, are voting members. So, we have lots o f  
16 votes. All those in favor please raise your hand .  
17 Any opposed? Any abstentions?

18 What is the vote, 17 and zero in favor ,  
19 very good.

20 Now, the second question is, "Has th e  
21 applicant provided ..." -- now we move specifically t o  
22 the particular material in front of us, "Has th e  
23 applicant provided adequate evidence, clinica l  
24 studies, to support effectiveness of this product in  
25 an OTC migraine population?" Are there any comments

1 and discussion on that question? Many were answering  
2 it as they went along with the first vote.

3 Yes, Patricia.

4 DOCTOR McGRATH: I just maybe will  
5 introduce this now. I think we've all used words that  
6 are similar to what Doctor Weintraub used this morning  
7 when he talked about mild migraine, and I think there  
8 may need to be discussion on the effectiveness in  
9 terms of a population with mild or moderate headaches,  
10 as opposed to debilitating. And, I also think there  
11 may need to be more caution used with respect to  
12 children's use.

13 For our pain clinic, we see children with  
14 headaches as the biggest out-patient population, and  
15 all of their parents have tried a variety of OTC  
16 products. And, I do think that the first one that  
17 specifically has migraine in the title and in the  
18 marketing may be one that is looked at very carefully  
19 for children, despite the proposed labeling for not to  
20 use it unless directed by a doctor for children under  
21 12.

22 So, I think maybe we need to talk about that  
23 that at some point, and I would also, again, as kind  
24 of a repeated theme over the year, urge industry to  
25 really look at products in the marketplace for people

1 under 16 years of age as not just over 12 year old's  
2 get migraines.

3 CHAIRMAN D'AGOSTINO: When you say w e  
4 should talk about it, are you thinking of in th e  
5 labeling making it more specific?

6 DOCTOR McGRATH: Yes.

7 CHAIRMAN D'AGOSTINO: Because their study  
8 design and their analysis did do an intent to treat,  
9 and did do it for at least the recruitment for th e  
10 population that they are ultimately claiming for, so  
11 it's the specifics of the label.

12 Yes, Eric.

13 DOCTOR BRASS: Yes, I would also like to  
14 follow up on this mild to moderate thing, because it  
15 is no where in the indication, nor the label, that it  
16 says for mild or moderate migraine. It's by exclusion ,  
17 if you read the warnings, and that non-mild, non -  
18 moderate is specifically bedrest or vomiting, so the  
19 advertising, the indication, whatever else context yo u  
20 want to put into it, if you expect to see mild t o  
21 moderate that is not going to be there. So, I think  
22 that should be removed from the discussion.

23 CHAIRMAN D'AGOSTINO: Well, but I think in  
24 some of the other analgesics, though, the studies are  
25 basically mild/moderate for over-the-counter use, the y

1 don't appear in the label, but it's the type o f  
2 medication that is put in the over-the-counter, so I  
3 think we are in the same spirit as that.

4 Other comments over here?

5 DOCTOR MCKINLEY-GRANT: I would like t o  
6 see, perhaps, a study, because it sounds like ther e  
7 hasn't been clear evidence, but a study that somehow  
8 they could put this on the label saying that th e  
9 earlier you treat a migraine, the shorter the duratio n  
10 of that. I mean, I don't know that we can say tha t  
11 now, but it would be helpful i f the industry could do  
12 a study that would support that.

13 CHAIRMAN D'AGOSTINO: Other comments?

14 Then we have the question befo re us, have  
15 they supplied adequate evidence to support th e  
16 effectiveness of this product in an OTC migrain e  
17 population, understanding, again, it's OTC, and it's  
18 mild and moderate, with the ca veats that have come up  
19 and the points that have been already raised, wit h  
20 those taken into account, all those in favor of th e  
21 second question, please raise your hand. Any opposed ?  
22 Any abstentions? Seventeen, zero again.

23 Now, the next one talks about the saf e  
24 use. "Has the applicant provided adequat e  
25 information, clinical studies and prior marketin g

1 history, to support the safe use of this product in a n  
2 OTC migraine population?"

3 Yes, Eric.

4 DOCTOR BRASS: I have a residual concern  
5 here that I haven't resolved i n my own mind, and that  
6 is that the studies were all one-time, single-dos e  
7 studies. And, clearly, the directions are not that,  
8 and, clearly, our expectations for use are not that,  
9 and, clearly, our experience even with rescue typ e  
10 medications are that repeat dosing will occur.

11 So, in terms of the true safe marketplace  
12 use, I do have a residual concern, though that i s  
13 partly offset by the market experience, I think th e  
14 studies by themselves that were presented today, the  
15 three studies, do not provide complete reassurance on  
16 the safety and actual use.

17 CHAIRMAN D'AGOSTINO: Are there othe r  
18 comments on that? Yes, Beth.

19 MS. SLINGLUFF: I would have to agree .  
20 The Label Comprehension Studies that were submitted,  
21 in terms of appropriate use and heeding of warnings,  
22 was a very limited survey. Again, you know, there's  
23 obviously years and years of marketing experience her e  
24 with this particular product, and I think that if we  
25 were trying to answer questions of safety what we' d

1 really be looking at is that data, we wouldn't really  
2 be looking at the data that was submitted with the  
3 submission.

4 Doctor Brass has pointed out, and I would  
5 concur, that, you know, the issue of what happens when  
6 people need rescue medication, how would they be  
7 advised on a label what would be appropriate to take,  
8 what would not be appropriate to take.

9 Some of the other issues that we've dealt  
10 with in labeling with previous submissions in this  
11 committee have dealt with the problem of people mis-  
12 dosing, either too many tablets too frequently, too  
13 many times in a 24-hour period, too many times over  
14 time, and that's been a far greater issue than, do you  
15 know that you need to call your doctor if you are  
16 vomiting instead of taking this pill.

17 CHAIRMAN D'AGOSTINO: Is that another  
18 question, Lee?

19 DOCTOR SIMON: I absolutely agree. I'm  
20 very concerned that, in fact, we have not seen data  
21 about its safety of use, and I'm less impressed with  
22 the marketing experience and, perhaps, some other  
23 people around here, mainly because we know that a  
24 significant number of people have GI toxicity, and  
25 they end up either stopping the drug, self-treating or

1 having other issues go on, that is of some  
2 significance. I'm concerned that the warnings are not  
3 strong enough as listed in the insert to make me feel  
4 comfortable that I have seen enough information to  
5 know how safe this really is in multiple-use  
6 circumstances.

7 CHAIRMAN D'AGOSTINO: There's two ways of  
8 addressing that. One is to go to the labeling and  
9 make the labeling very explicit and very strong.  
10 Another is to do more studies. Are you suggesting  
11 that the labeling could do it?

12 DOCTOR SIMON: I feel that it's possible  
13 that if we're very aggressive about the labeling it  
14 would help me feel comfortable with that.

15 CHAIRMAN D'AGOSTINO: David.

16 DOCTOR DRACHMAN: I am unaware of anything  
17 regarding true migraine patients that would in any way  
18 make them less safe to use this medicine than people  
19 with menstrual cramps, or those with tension-type  
20 headache, where this drug is already approved and  
21 there are, I guess, 19 billion doses that have already  
22 been sold, meaning five for every man, woman and child  
23 on the planet.

24 So that, from my point of view, I can't  
25 see anything about this group of patients that would

1 be risky. I do see things about people who think the y  
2 have migraine, who don't, that could be risky, an d  
3 there I think would be labeling issue.

4 But, as far as migraineurs being in more  
5 trouble if they use this drug than all of those other  
6 folks, I don't see it.

7 CHAIRMAN D'AGOSTINO: Eric has a comment  
8 on this.

9 DOCTOR BRASS: Yes, I just want t o  
10 respond, because I agree with seeing no data, and in  
11 the absence of data I resort to common sense. And ,  
12 common sense that these people will have more severe  
13 headache, be more tempted to take additiona l  
14 medication beyond the label indication during tha t  
15 first 24 hours of their migraine than somebody wh o  
16 just got off the phone with their mother in law.

17 CHAIRMAN D'AGOSTINO: Ted, do you want to  
18 comment?

19 MR. TONG: I'll go on the record als o  
20 adding my concern to the choru s of concerns that have  
21 been raised here. Unfortunate ly, I'm unable to offer  
22 a solution.

23 I know we have the advantage, when we are  
24 talking about an Rx to OTC switch, we talk about post -  
25 marketing surveillance, and there seems to be a lot of



1 interest on the sponsor's part to see that, t o  
2 convince us that this was the right move.

3 I don't know if this is possible, give n  
4 the circumstances here. I do get very tired, yo u  
5 know, usually waiting six mont hs to see data of post-  
6 marketing coming out of the Wall Street Journal about  
7 how much, you know, in terms o f the company that, you  
8 know, sells the product, but not the kind of details  
9 that we are talking about here, the concern of what i s  
10 actually happening and, you know, the impact of th e  
11 promotion, and the advice, and all the so-calle d  
12 learned intermediaries that are out there assistin g  
13 our patients and making decisions on OTCs ought t o  
14 also have knowledge and experience with this.

15 So, I think the labeling isn't going to b e  
16 the only solution, but it's going to be a couple o f  
17 other approaches.

18 CHAIRMAN D'AGOSTINO: Labeling and post-  
19 marketing surveillance can be addressed, and certainl y  
20 post-marketing surveillance, that would be routine ,  
21 wouldn't it?

22 Wiley, do you have a comment?

23 DOCTOR CHAMBERS: Doctor Chambers, this i s  
24 an NDA, it falls under the same categories of an y  
25 other studies where there are switches. You can have

1 pre-marketing studies, you can have post-marketin g  
2 studies. They are all -- you can make recommendation s  
3 as you see fit.

4 CHAIRMAN D'AGOSTINO: Cage?

5 DOCTOR JOHNSON: I want to follow on o n  
6 the concern about repeated doses, because I think thi s  
7 is, particularly, the over-the -counter market, we are  
8 always concerned about whether the individuals taking  
9 these drugs will utilize more than the label says, an d  
10 I'm sure that does occur on occasion.

11 But, I seem to recall one study that was  
12 presented at one of these meetings that showed that,  
13 in fact, in the over-the-counter market most peopl e  
14 under-dosed, rather than over- dosed, by a big margin.  
15 So, I think it's a concern, but it's a concern for a  
16 very, very small proportion of the population, and the  
17 vast majority of people are no t going to be affected,  
18 even though I think in this particular case, because  
19 of the biologic behavior with migraine, that this may  
20 be an indication that is at special risk for excessiv e  
21 dosing.

22 But, I think if you are really severel y  
23 affected by migraine, and, you know, you take one or  
24 two of these and it doesn't work, I think you ar e  
25 going to move on to something stronger. That's been

1 my experience.

2 CHAIRMAN D'AGOSTINO: I'd like to focus  
3 the question here in terms of how we respond to it .  
4 If we think that the safety data that has been  
5 presented is inadequate because of the need for post-  
6 marketing surveillance, the need for attention to  
7 labeling, but in the safety, and we worry about the  
8 multiple dose, again, with the post-marketing  
9 surveillance and the labeling we can address that.

10 And so, the question I'd like to have at  
11 that, if there's ways that we can correct it, our  
12 concerns, that one would still tend to vote on the yes  
13 side of this, if there's such strong feelings of  
14 individuals that the safety data presented is just  
15 inadequate to make a judgment, and we can't make  
16 generalizations from other populations that have  
17 headaches and so forth, then one votes that way  
18 accordingly.

19 Before I take the vote, what I'd like to  
20 do is just give a quick run around the table so people  
21 would sort of voice their way. I think, Cage, you've  
22 voiced yourself fairly well on your concerns.

23 Mary Anne, do you have an opinion that  
24 you'd want to voice on this?

25 MS. KODA-KIMBLE: I noticed in the

1 labeling comprehension presentation that the FDA made  
2 that there was -- and I know there are some  
3 limitations to the study -- but there was a multiple  
4 choice question in which they said, what would you do  
5 -- I think I'm recalling this -- if your headache  
6 didn't go away, and one of the choices was to take  
7 more Excedrin. And, most people chose, see a doctor.

8                   Nevertheless, we did have some subjects in  
9 the actual study which rescued, despite the fact they  
10 were explicitly told -- advised against it.

11                   It's just an observation, it's not a  
12 recommendation.

13                   CHAIRMAN D'AGOSTINO: Sid, do you have  
14 comments on this?

15                   DOCTOR GILMAN: Yes, I do. The question  
16 before us is really a very interesting one. Restated  
17 it is, have we seen data suggesting that for this  
18 particular indication this will be an unsafe  
19 medication, as opposed to previous experience for  
20 other indications, and the reason for asking that  
21 question is because people with migraine have more  
22 severe pain probably than people with other kinds of  
23 symptoms for which this is used.

24                   To answer that question, I agree about the  
25 notion of common sense, Doctor Brass, but how could we

1       acquire that information? That would require a couple  
2       of double-blinded, well-controlled, long studies t o  
3       have available to us, and we would have to hold u p  
4       this kind of approval in the meantime, and yet, th e  
5       sponsor has demonstrated quite convincingly that this  
6       is a pretty reasonable indication for this symptom ,  
7       set of symptoms.

8                 So, I prefer to go in the direction o f  
9       post-marketing surveillance and very careful labeling .  
10       I think we are going to need to put a lot of effor t  
11       into the labeling issues here. So, I think we have n o  
12       evidence suggesting that it is unsafe, but we stil l  
13       don't know what consumers are going to do, and even a  
14       carefully controlled set of st udies prospectively may  
15       not still tell us what consumers actually will do with  
16       this product.

17                 So, it's almost an insolvable problem at  
18       this point in time, at least to do our job and com e  
19       away.

20                 CHAIRMAN D'AGOSTINO: Frank.

21                 MR. PUCINO: I'd like to reiterate that i t  
22       would be nice to have a post-marketing surveillance,  
23       or at least have the data from the European studies,  
24       using it in migraines.

25                 My concern right now would be probably fo r

1 the patient who is at greatest risk, the elderl y  
2 patient, the patient who has renal or hepati c  
3 compromise, things that you don't see listed in th e  
4 labeling. Also, the use of other agents that Doctor  
5 Koda-Kimble brought up, Tylenol-containing products,  
6 caffeinated products, salicylates, non-steroidals ,  
7 other things that may compound these concerns.

8 CHAIRMAN D'AGOSTINO: Justin.

9 DOCTOR ZIVIN: I'd just like t o point out  
10 the fact that this drug has th ree active ingredients,  
11 all of which have been around for a very long time .  
12 Aspirin goes back better than 100 years at this point .  
13 Even the combination has been around for almost 2 0  
14 years.

15 I think that if there were any reall y  
16 substantial safety issues with this drug they woul d  
17 have already become apparent, and I think that th e  
18 excessive concern for this drug, for this patien t  
19 population, is-- I don't see a justification for it  
20 at this point. And, I'm interested in acquirin g  
21 further information, but I don't see that at thi s  
22 point I have any reason to believe that this is an y  
23 less safe than lots of other things that are marketed .

24 CHAIRMAN D'AGOSTINO: Patricia? Eric ?  
25 David Felson? No.

1 DOCTOR DRACHMAN: There is a notion that I  
2 believe is floating about that people with migraines  
3 get many more headaches than those with tension  
4 headache. Doctor Diamond, would you like to comment  
5 on that?

6 DOCTOR DIAMOND: Actually, you know,  
7 there's been a lot of literature on transformed  
8 migraine, and where they go from migraine to chronic  
9 tension headache, but, you know, I'm an old timer,  
10 I've been doing this a long, long time, and I think  
11 people were looking for some salvation. A small group  
12 of very difficult patients when they talk about these  
13 conditions, it's not the general population that we're  
14 talking about now, the population that we would use  
15 this drug for.

16 Of course, in your clinics, in my clinic,  
17 and in every neurologist's clinic, you are going to  
18 see these people with the mixed headache syndrome, the  
19 real rough ones, but they are the exception, they are  
20 not the common thing that we are talking about here.

21 DOCTOR DRACHMAN: Yes, so the point really  
22 is that migraines are less common than the common  
23 garden variety of muscle contraction headache. The  
24 worry that the drug would be abused more, used more  
25 frequently, is not one that I have, so I would go

1 along with Justin Zivin's view that we have a lot of  
2 experience that I don't see any reason why those with  
3 migraine would use more of the drug than those with  
4 all the other indications, and I am not concerned.

5 CHAIRMAN D'AGOSTINO: Thank you.

6 Ted? Beth?

7 MS. SLINGLUFF: I just want to briefly  
8 clarify my comments earlier. While I don't -- while  
9 I am not particularly persuaded about particular  
10 safety issues or appropriate use by the current data  
11 that's been presented, I am very reassured by the long  
12 marketing history of use of this drug. And, on the  
13 basis of that alone, I think that this can safely be  
14 marketed in an OTC population for the indication.

15 I do think that there are some labeling  
16 concerns that I would have, but I think they can be  
17 addressed at that level.

18 CHAIRMAN D'AGOSTINO: Kathleen.

19 MS. HAMILTON: I'm satisfied that there  
20 aren't any safety issues associated with the proper  
21 use of this product. I'm inclined to agree with the  
22 suggestions that the FDA do whatever it is  
23 procedurally we do to request post-marketing  
24 information on the possible misuse, over-use of the  
25 product for migraine sufferers, but I would also like



1 to request that we get similar data for misuse o r  
2 over-use for other headache sufferers as well, so that  
3 the data is put in some context.

4 CHAIRMAN D'AGOSTINO: Harvey.

5 DOCTOR LUTHRA: No comments.

6 CHAIRMAN D'AGOSTINO: Lee.

7 DOCTOR SIMON: Well, I'm sorry, but I' m  
8 still very uncomfortable, and I apologize for m y  
9 intransigence about this. I actually am reall y  
10 relating to the question, which was particularl y  
11 relating and asking, do I see safety data regardin g  
12 the way this drug is used, and I don't. I see safety  
13 data regarding a very, very, very carefully don e  
14 clinical study, which we all know is not reall y  
15 applicable to humans in the real world, and I thin k  
16 that we have written words here in the produc t  
17 labeling that suggest the drug could be used up to te n  
18 days.

19 That's not what I've seen, I've not seen  
20 safety data about that, and I take issue with some of  
21 my colleagues, in that as an arthritis physician ther e  
22 are plenty of patients that we don't see in th e  
23 hospital that have significant toxicity from Aspirin,  
24 who develop GI bleeding and other problems and are no t  
25 any less or worse sick than someone with migraine.

1 I am just responding to the issue at hand ,  
2 which is, I do believe these things can be handled in  
3 a post-marketing way and in the labeling, but I do not  
4 believe that we have seen any safety data that  
5 reflects the real use of this drug in the real world.

6 CHAIRMAN D'AGOSTINO: David Felson.

7 DOCTOR FELSON: Yes, I also will second  
8 the intransigence. I'm deeply worried about using  
9 plain Aspirin, which I don't use in my patients ,  
10 without labeling concerns or some other concerns that  
11 tell patients how potentially dangerous that is. I  
12 don't honestly care whether it's available for other  
13 indications, it should have been there for other  
14 indications too, to be honest, and it's not something  
15 I'd let my patients do ever at this point. It's too  
16 dangerous.

17 And, for someone who said there's no  
18 evidence that this is a potential problem, given the  
19 large marketing history, boy, you know, this is the  
20 most common death from rheumatic disease, is GI  
21 bleeding from non-steroidal anti-inflammatory drugs,  
22 and even worse from plain Aspirin. I mean, it's just ,  
23 I think there's got to be something built into the  
24 labeling here, or more data here, about the safety of  
25 this before it is widely used for the unique

1 indication of migraine.

2 CHAIRMAN D'AGOSTINO: Lynn, do you have a  
3 comment?

4 DOCTOR MCKINLEY-GRANT: I would jus t  
5 recommend the post-marketing study about repeate d  
6 dosing, and I guess pattern of use, as opposed t o  
7 assuming that it's misused, but I think just looking  
8 at the pattern of use of patie nts who have migraines.

9 CHAIRMAN D'AGOSTINO: I don't know if the  
10 FDA has been paying attention, but you see what we ar e  
11 facing.

12 DOCTOR WEINTRAUB: We have been payin g  
13 attention.

14 CHAIRMAN D'AGOSTINO: Good.

15 DOCTOR WEINTRAUB: You know, t he company,  
16 we can ask the company about doing actual use studies ,  
17 either before or after the approval, if we do get to  
18 an approval, and there are some other things we ca n  
19 do, too. One thing is, we can ask for two different  
20 kinds of labeling, because there are two differen t  
21 kinds of indications, and I started earlier in the day  
22 marking down, when I got to the letter M in markin g  
23 them down I figured that was it, so I haven't marked  
24 anymore, but I'm sure I could get to Z, you know, 26  
25 letters worth of difference between the drugs, becaus e

1 the indications and the drugs.

2 So, we'll have to approach that with the  
3 company, of course, but it may be -- that may be the  
4 best thing, and you can rest easy that we'll try.

5 CHAIRMAN D'AGOSTINO: Good.

6 I do hear in the committee some  
7 individuals who are feeling very strong about the  
8 particular drugs and the safety not available, but I  
9 think the general tone is that many of these can be  
10 handled by labeling and post-marketing, and I want to  
11 just make sure I'm conveying the sentiment of the  
12 committee.

13 Mary Anne.

14 MS. KODA-KIMBLE: I just want to say that  
15 I'm not sure this is specific to migraine. I think  
16 it's specific to pain generally, and tolerance to  
17 pain. I mean, what do people do after a pain doesn't  
18 go away for half an hour, do they take another  
19 Aspirin, or Tylenol, or do they take a different  
20 analgesic?

21 So, in some ways I think it's a bit unfair  
22 to say, okay, migrainers, I think it really is, what  
23 is the behavior, the analgesic-taking behavior of  
24 people who are in pain? There may be cultural  
25 differences here, there may be gender differences, et

1 cetera, et cetera, and I'm not so sure that it's s o  
2 specific to migraine.

3 And, anybody who has had premenstrua l  
4 pain, there are levels of into lérance to that kind of  
5 pain as well.

6 CHAIRMAN D'AGOSTINO: I am going to as k  
7 you for a vote on this, and I would like to tone i t  
8 as, will it be unsafe, I think that that sense, do we  
9 have data that would indicate that it would be unsafe ,  
10 and also, if it's a positive response to the question ,  
11 we are going to pick up labeling and post-marketin g  
12 and so forth, so a positive would say the data that w e  
13 sort of expect at this point i n time is there, and do  
14 we have an indication that it will be unsafe is th e  
15 thing that should drive the negative, as opposed t o  
16 other type of concerns. Is that all right?

17 DOCTOR SIMON: Could you then just restat e  
18 then what we are voting for?

19 CHAIRMAN D'AGOSTINO: Yes. What I' m  
20 saying is that, we have to decide what this means ,  
21 when we say do we have adequate information, som e  
22 adequate information can be that we'd like to se e  
23 post-marketing surveillance, o thers would be that the  
24 labeling can be straightened out. So, I'm saying ,  
25 when we look at this, we say at this point in time th e

1 sponsor has made a presentation, they have the history  
2 of the drug, the drug has been around, the drug  
3 components have been around for a number of years, we  
4 have safety data on that. We have these three studies  
5 We have the comprehension studies, all of those have  
6 supplied information about safety. Do we think that  
7 with that information in its totality will there be  
8 any reason to think the drug is going to be unsafe,  
9 not that we would like to see even more information,  
10 but do we have any belief at this point to say that  
11 it's inadequate safety data that's been presented, the  
12 safety data is inadequate. All right?

13 All those in favor of the question three  
14 as it has been sort of rephrased, please, raise your  
15 hand, if we think yes. All those opposed? Any  
16 abstentions? Fourteen and three is the vote, 14  
17 yeses, three no's. Again, I think the discussion on  
18 that was more important than the particular vote, so  
19 that we have a sense of what the feeling is of the  
20 individual members with regard to the material in  
21 terms of its safety, and what it is that we can do  
22 beyond the safety data that we already have, and this  
23 is where we come into the labeling recommendations.  
24 I think that we can mix in the labeling  
25 recommendations in question four, not only labeling

1 recommendations, but also other studies that we think  
2 would be appropriate to get at some of the concerns we  
3 have.

4 DOCTOR WEINTRAUB: Excuse me, Ralph.

5 CHAIRMAN D'AGOSTINO: Yes.

6 DOCTOR WEINTRAUB: I just wanted to check ,  
7 Andrea, do you have the question? Do you feel  
8 comfortable with your phrasing of the question?

9 EXECUTIVE SECRETARY NEAL: No, I don't .  
10 I'm comfortable with the count.

11 DOCTOR WEINTRAUB: The count, but not the  
12 question.

13 CHAIRMAN D'AGOSTINO: What I'm trying to  
14 do, Michael, and we can go over it again, what I'm  
15 trying to do in number three is, I'm trying to have  
16 the question read that at the moment there's a safety  
17 data that has been presented, there may be more we  
18 would like, but is the data that has been presented,  
19 is it adequate for us to vote yes on this question.

20 DOCTOR WEINTRAUB: Okay, that's fine, and  
21 Doctor Chambers is correct, I can get it off the  
22 transcript, too, so we are all right.

23 CHAIRMAN D'AGOSTINO: No, it's important  
24 that we understand.

25 Sid.

1 DOCTOR GILMAN: I voted in favor of th e  
2 answer to this question as being yes, however, th e  
3 applicant has provided adequate information to suppor t  
4 the safe use of this product, as tested in thei r  
5 population of people with migr aine. So, I voted yes.

6 We could put an addendum, if you wil l  
7 accept this, the company, or the sponsor, has not ,  
8 however, provided evidence tha t this will be safe for  
9 prolonged or very frequent use. In other words, ther e  
10 is no information on that question which would ge t  
11 around the various concerns raised.

12 CHAIRMAN D'AGOSTINO: Well, this is what  
13 I was trying to get at, we could do post-marketin g  
14 research to get at the prolong ed use. Those would be  
15 ways of addressing that particular issue.

16 DOCTOR GILMAN: Well, I'm just suggesting  
17 that we, as a committee, could, perhaps, make a n  
18 addendum to this. We could answer question numbe r  
19 three, put a postscript, by the way, we've only seen  
20 data concerning safety on a single intervention with  
21 this medication, we have no ex perience or information  
22 on how it may be used with migraine patients and ,  
23 therefore, we recommend post-marketing surveillance a s  
24 an outcome and careful labeling.

25 CHAIRMAN D'AGOSTINO: I think we ar e



1 saying the same thing. I'm suggesting in the response  
2 to question four, that we talk about labeling and any  
3 other things that we think are appropriate, and  
4 certainly the post-marketing surveillance has come up  
5 a number of times, and I think that that message is  
6 quite clear to the FDA, that we would like post-  
7 marketing surveillance.

8 And, it is, just to clarify the question  
9 three one more time, it was trying to not limit the  
10 possibilities of added items in the post-marketing  
11 surveillance and the labeling type of items.

12 So, let's take the labeling first, and we  
13 can go back to even other things beyond the post-  
14 marketing surveillance, what labeling recommendations  
15 would we make, Sid?

16 DOCTOR GILMAN: Well, let me return to a  
17 suggestion earlier, actually, this morning. We could  
18 under warnings, add, prolonged daily use of this  
19 product can lead to chronic daily headache, which I  
20 think would be a very helpful admonition to people who  
21 are inclined to take it when they are headache free,  
22 sort of chronically or prophylactically, people get a  
23 little bit superstitious about taking or not taking  
24 medication, and if it relieves headache then many  
25 people think, well, maybe I better take one to avoid

1 having a headache, and then before you know it, th e  
2 patient is taking headache medication every day, and  
3 then winds up with daily headache. It's very common,  
4 actually.

5 That's one suggestion. Let me float out  
6 two other suggestions for the items under, ask a  
7 doctor before use if. I have two there. One woul d  
8 be, you do not have frequent headaches, and you ar e  
9 experiencing the worst headache of your life. Th e  
10 second would be, you have a fever or stiff neck with  
11 your headache.

12 CHAIRMAN D'AGOSTINO: Mary Anne.

13 MS. KODA-KIMBLE: I wonder if we coul d  
14 suggest a change in the reques t to indicate headache,  
15 including mild to moderate migraine headache, a s  
16 opposed to asking them to diagnose it by exclusion ,  
17 just say it, mild to moderate. I don't know whether  
18 that would be understood by the consumer.

19 The other issue I have is one that Doctor  
20 Tong raised before, and that i s, on the front of this  
21 label the package, it has a gold seal sort of tha t  
22 says, "the headache medicine," and I'm wonderin g  
23 whether that gold seal occurs on all other Excedri n  
24 packages, and how that might be confused if we ad d  
25 this particular indication. I don't have the othe r

1 Excedrin packages before me.

2 CHAIRMAN D'AGOSTINO: Does anybody have a n  
3 answer to that? Is that on all of the Excedrin?

4 CHAIRMAN D'AGOSTINO: It is no t on all of  
5 them, it is on Excedrin Extra Strength and th e  
6 Aspirin-Free Excedrin products , it is not on Excedrin  
7 PM.

8 CHAIRMAN D'AGOSTINO: Thank you.

9 Eric, did you have a comment?

10 MS. KODA-KIMBLE: Can I just finish?

11 CHAIRMAN D'AGOSTINO: I'm sorry, finish,  
12 yes.

13 MS. KODA-KIMBLE: When you look at thi s  
14 package, all you see is Excedrin. Extra Strength is  
15 just really buried, and if you look at the end thing,  
16 it's almost impossible to see Extra Strength, so I  
17 would just ask the manufacturer to take that int o  
18 consideration.

19 Further, I think when we conside r  
20 labeling, I think we consider patient information tha t  
21 is put out, and to the extent you could help th e  
22 consumer differentiate between mild and moderat e  
23 migraine and severe migraine, I think that might b e  
24 useful.

25 CHAIRMAN D'AGOSTINO: Eric.

1 DOCTOR BRASS: My first comment o n  
2 labeling is to the Agency, and specifically, as I  
3 indicated this morning, I have concerns about th e  
4 value of the comprehension studies that are bein g  
5 done.

6 I would encourage, if the Agen cy is going  
7 to ask sponsors to perform such study, that the Agenc y  
8 develop some standards or expectations that actually  
9 measure something meaningful from the consumer side.  
10 Otherwise, I think we are just spending money for sho w  
11 and not accomplishing much.

12 DOCTOR WEINTRAUB: Actually, E ric, we are  
13 in the process of setting up the standards and doing  
14 it. You know, this has been a learning experience fo r  
15 us, too, and we are getting better at it.

16 DOCTOR BRASS: Okay.

17 The second comment I would make is als o  
18 indirectly related to labeling, and it follows up on  
19 something Ted mentioned this morning, and that I would  
20 hope that the Agency would review the bioequivalency  
21 data on the other formulations, to ensure that, i n  
22 fact, there are no differences in rate of absorption  
23 of any of the components between the tablets ,  
24 capsules, et cetera, before the labeling wa s  
25 generated. I thought that was a good point that Ted

1 raised.

2 I remain concerned that as we try t o  
3 develop a label with sufficient warnings an d  
4 directions, that are specific for the migrain e  
5 indication, we will make the entire label completely  
6 incomprehensible and useless. Again, we are talking  
7 about adding relatively sophisticated ideas, whic h  
8 will take up sizeable amount o f space, and whether or  
9 not if those things are really going to be necessary  
10 to permit the safe use of the product, whether or not  
11 we are not talking about a completely separate label  
12 for this indication, and not melding it into th e  
13 headaches, oh, by the way, migraine, too, kind o f  
14 approach, because I think all the points that hav e  
15 been raised are valid, I just don't know how to phras e  
16 them in a way that can be put onto this kind of label  
17 that will allow the consumer to differentiate whe n  
18 they are buying it for a migraine versus arthritis ,  
19 versus menstrual pain, in a way that's meaningful.

20 And, finally, I would not be e nthusiastic  
21 about trying to do the mild to moderate thing, simply  
22 because I don't think the consumer will understand it ,  
23 and that you are asking them to make a gradatio n  
24 judgment without any standard of comparison.

25 CHAIRMAN D'AGOSTINO: Frank, you had a

1 comment.

2 MR. PUCINO: Keeping in mind th e  
3 limitations of size on the label, under the do no t  
4 use, instead of just saying if you are allergic t o  
5 Aspirin, allergic to Aspirin and any other over-the-  
6 counter pain medication, because of concerns of cross -  
7 sensitivity.

8 Under the ask your doctor before use, it  
9 mentions any other medical condition, includin g  
10 diabetes, gout, arthritis, I'm probably as concerned  
11 about liver disease or kidney disease, and mak e  
12 mention of those if you are going to make mention of  
13 any of these.

14 And then, in terms of your headache ,  
15 including migraine headache, as Doctor Gilma n  
16 suggested, diagnosed by a phys ician might be helpful,  
17 and avoiding a mild to moderate possibly, and the n  
18 finally under ask your doctor after use, it says ,  
19 symptoms including headache pain continues over - -  
20 maybe to say, over a 24 to 48-hour period, something  
21 to limit that duration.

22 CHAIRMAN D'AGOSTINO: David, and the n  
23 David Felson.

24 DOCTOR DRACHMAN: My point, put i n  
25 warning, before using for migraine, call 1-800-FOR -

1 PAIN, or something like that, so that you could give  
2 the whole message.

3 CHAIRMAN D'AGOSTINO: David Felson.

4 DOCTOR FELSON: In the wish li st of label  
5 changes, and I guess I have to ask for PK data here,  
6 but in terms of directions, I would say two tablet s  
7 with food every six hours while symptoms persist ,  
8 that's a much safer way of taking this than tw o  
9 tablets with water. But, people are obviousl y  
10 searching for immediate relief, and I guess I'd like  
11 to know whether they get bloodstream levels as quickl y  
12 if they take it with food.

13 DOCTOR DIAMOND: You are going to cause a  
14 lot of problems, because nausea and vomiting are the  
15 prominent symptoms of migraine.

16 CHAIRMAN D'AGOSTINO: Justin.

17 DOCTOR ZIVIN: I'm less concerned about t  
18 the fine print than I am about the main message, and  
19 I don't see any problem with putting on as man y  
20 caveats as the committee thinks is important, bu t  
21 there's only about three thing s that the patients are  
22 going to pay any attention to, and most of those are  
23 going to be the things that they can read withou t  
24 their glasses on while they've got a headache, or whe n  
25 they are in the store looking at the thing and the y

1 don't have the headache, and they aren't looking at it  
2 particularly carefully.

3 And, that's why you already have on the  
4 label that this is for minor arthritis, and so I don't  
5 see any reason why you couldn't put on as well the  
6 idea that this is for mild or moderate migraine, and  
7 that would at least get the main point across, and  
8 then that would alert people to having the idea that  
9 they ought to look a little bit further into what that  
10 means.

11 CHAIRMAN D'AGOSTINO: Any other comments?  
12 Leona.

13 MS. MALONE: Yes, I just think the three  
14 ingredients should be a little larger, easier to read,  
15 darker print, something.

16 CHAIRMAN D'AGOSTINO: Beth, did you have  
17 a comment also?

18 MS. SLINGLUFF: Just a quick one. I don't  
19 have any additions to specific warnings, but, perhaps,  
20 the way to format, deal with this by format, is to  
21 simply have a separate boxed section on the label that  
22 deals with all of the issues around using it for  
23 headache and headache pain, and qualifiers around the  
24 type of pain, so it's separate and distinct from the  
25 other warnings section.



1 CHAIRMAN D'AGOSTINO: Lee, do you have a  
2 comment?

3 DOCTOR SIMON: Maybe I missed this, maybe  
4 it's been said already, but less than ten days of use ,  
5 it should be much shorter than that if we are jus t  
6 dealing specifically with migraine, but that raise d  
7 the issue of dual labeling, I guess, is what Mike was  
8 referring to before.

9 I would think that somebody that doesn't  
10 get better in 48 hours with this should be going on t o  
11 see somebody under those circumstances, and w e  
12 certainly shouldn't leave ten days on here, at least  
13 for this indication.

14 DOCTOR WEINTRAUB: I think what we've e  
15 heard, and what we've heard ac tually this morning and  
16 early in this period, was that there needs to be a  
17 separate label for this medication which is under NDA .  
18 We'll have to deal with the company on that.

19 CHAIRMAN D'AGOSTINO: Kathleen?

20 MS. HAMILTON: I want to support th e  
21 recommendations to include the mild to moderat e  
22 information. I think that's helpful.

23 Also, with respect to Doctor Gilman' s  
24 suggestion to include language that suggests ,  
25 prolonged or frequent use may actually exacerbate a

1 headache problem, it seems to me that that's going to  
2 be very confusing to the consumer.

3 And so, I wonder if a more general  
4 language would be useful, and also then, obviate the  
5 need to have a list of considerations, to, perhaps ,  
6 say prolonged or frequent use may present health h  
7 risks, consult your physician, something more general .

8 CHAIRMAN D'AGOSTINO: Other comments ?  
9 Cage.

10 DOCTOR JOHNSON: There are actually a  
11 couple good things about the label. I like th e  
12 bolding for the very important warnings, although I  
13 prefer the mixture of capital and non-capital, and I  
14 like the idea of adding the other ingredients.

15 Unfortunately, it's in a green background ,  
16 white print, and I can tell there are letters there,  
17 but it is totally illegible.

18 CHAIRMAN D'AGOSTINO: Sid.

19 DOCTOR GILMAN: I should respond to th e  
20 issue of modifying my suggestion about chronic data on  
21 headache. It's really not oth er health risks that my  
22 comments address, it is chronic daily headache.

23 The problem that we see all the time i n  
24 departments of neurology, and in private practice of  
25 neurology, is people who come in with chronic dail y

1       headache and then you take a history, what medication s  
2       are you taking, and patients will take two Tylenol ,  
3       and five Aspirin, and some Excedrin every day. What  
4       you do is progressively wean them off thos e  
5       medications, very slowly, and then you find out where  
6       you are.

7                 Usually, within tow to three w eeks, these  
8       patients' headaches go away totally, so it's not a  
9       general health risk issue. Their general health may  
10      be fine, and if there's going to be potential fo r  
11      renal disease that ought to be addressed separately,  
12      but this is a very specific pr oblem that's very, very  
13      common and totally unrecognized.

14                CHAIRMAN D'AGOSTINO: Any othe r comments?

15                Well, I think the FDA has received a  
16      number of suggestions, and hopefully they'll come bac k  
17      some time and tell us how they've incorporated them i n  
18      their discussions with the sponsor.

19                I think, in general, that the committees  
20      are impressed by the studies. We do think tha t  
21      there's an appropriate OTC population or an indicatio n  
22      for an OTC population. We do have concerns abou t  
23      safety. The data has a completeness to it in th e  
24      sense of the studies had supply data, and there's a  
25      long history of the particular drugs, but th e

1 prolonged use in the migraine population for OT C  
2 indication is of concern, and we are asking for th e  
3 post-marketing surveillance, and we are asking for a  
4 number of indications and a nu mber of changes, excuse  
5 me, on the labeling, or suggestions to the labelin g  
6 that would try to address these concerns.

7 Are there other issues that the committee s  
8 would like to bring up?

9 If not, then let's have an adj ournment of  
10 the meeting. Thank you very much.

11 (Whereupon, the meeting was concluded at  
12 2:41 p.m.)

13

14

15

16

17

18

19

20

21

22

23

24

25